

1 as advice in the guidelines, the existing guidelines,  
2 because most of the guidelines that are actually  
3 available are aimed at adult diseases and don't  
4 include any mention of whether or not there is a  
5 pediatric indication and whether or not you can  
6 extrapolate data from the adult indication or whether  
7 or not you need to perform separate studies and in  
8 which age groups.

9 The second aim is more ambitious. It's to  
10 define the need and the priorities for the studies of  
11 products, which is exactly what you've done this  
12 morning.

13 The third aim is to obtain some  
14 information and to make this information available, in  
15 particular, by using your Web site, a common Web site  
16 to all member states, and this is just starting with  
17 the aim of having this information available to  
18 everybody, including the public.

19 And we have started also to develop a  
20 network of relationship with the patient organization  
21 with industry. We're meeting with industry next month  
22 -- I mean this month -- in two weeks' time to see with

1       them what is available currently, what practical  
2       solution they can offer us and how we can -- for  
3       example, we know that some of these companies in  
4       Europe have product that they have never brought to  
5       marketing authorization authorities, and they have  
6       this dossier in their fields, and we would very much  
7       like to see them.

8                       We also would like very much to see the  
9       U.S. studies that have been performed and never  
10      submitted to the European authorities.

11                      And we also when we mean learn societies,  
12      we're developing a network with the European  
13      Confederation of Pediatric Specialists to be sure that  
14      we have the proper expertise all the time in various  
15      domains because our group is a very small group.

16                      Next please.

17                      It's actually comprised of 14 experts,  
18      which is not much, and we have one representative from  
19      various committees or working parties because we want  
20      to make sure that what is done in one group is  
21      consistent with what is done in our group.

22                      So we systematically invite people from

1 quality, to be sure that what we will do with them on  
2 pediatric formulation will be translated into the  
3 proper guideline, including medicines in general for  
4 adult or elderly or children.

5 As I said, the experts were proposed by  
6 the member states, and the decision was made for the  
7 groups not to have what is the normal way we  
8 constitute a group in the EMEA. We take one  
9 representative per member state, which means obviously  
10 if one member state doesn't offer an expert, we are  
11 not obliged to have one per member state, but they  
12 have the opportunity to have one per member state.

13 And this time we create a sort of  
14 revolution because we decided that we want some  
15 expertise, and we define a priori the need that we  
16 wanted.

17 Next please.

18 So we decided we need someone that would  
19 be able to talk about pediatric formulation, but also  
20 have the proper link with the outside world, and  
21 actually we have one pharmacist with part of the  
22 European Society of Clinical Pharmacy and with the

1 hospital pharmacy they can relate with this network.

2 We have one pre-clinical expert plus  
3 someone from the safety working party, and you will  
4 see in the next slide what we asked them.

5 We have taken one expert. This is an  
6 arbitrary choice of taking Bayesian methodologies, but  
7 the idea was to have someone interested in the  
8 methodology for small sample size because this is a  
9 very important topic. Within that we should go on  
10 with new methodologies or methodologies that are not  
11 so new, but are not so much used, in particular, with  
12 respect to market authorization applications.

13 And we can go away from the parallel group  
14 design.

15 We have some PK and pharmacology  
16 specialists. One is even a professor in Columbus  
17 University, John Von Danker (phonetic), and he's also  
18 Dutch. That's why he is a European expert.

19 (Laughter.)

20 DR. ST. RAYMOND: We have several members  
21 of various specialty neonatology because we felt it  
22 was important. Immunology; we wanted to have an

1 oncologist, but we never found one which was available  
2 for us. They all have too much work to be there, but  
3 we know that we have the proper contact if needed.

4 We have also two people representing  
5 pharmacovigilance, and this is particularly important  
6 because we feel that there are a lot of issues that  
7 need to be developed in respect of the follow-up of  
8 drugs post marketing, especially for children where  
9 growth and maturation have their interaction with the  
10 long-term effect of the drugs.

11 And one of these experts is also an expert  
12 in vaccines. So it's particularly relevant for  
13 pediatrics, and as I said, we have also the links with  
14 the existing working parties, and we have decided at  
15 the difference of other groups to have an open group  
16 because in general we like secrecy. In the EMEA, we  
17 don't have the public. We don't have a representative  
18 of industry, and our debates are secret. And most  
19 documents are classified confidential.

20 So we've decided that this time the  
21 information was very important, and we have always  
22 accepted people, experts, I mean, who were interested

1 in participating in that group and provided that they  
2 fill in the proper confidentiality agreement or  
3 declaration of interest, we open the group to people  
4 who can come and participate.

5 Next, please.

6 So for the expert in formulation, we have  
7 started working on sort of guideline. It's not much,  
8 I know, because guidelines are always guidelines.  
9 They are not binding and, therefore, nobody feels  
10 obliged to comply with them.

11 But at the same time, we don't have a  
12 regulation yet. So we're more preparing the work and  
13 harmonizing our views on what is needed, and we are  
14 working on the documents on the pediatric formulation  
15 of choice, in particular, with respect to excipients  
16 that can be used in neonates, infants and children.

17 We have used what has been published by  
18 the hospital pharmacies in Europe or a network of  
19 hospital pharmacies. They have looked at the 20 most  
20 requested extemporaneous preparations for children to  
21 see where the needs are in the hospital.

22 This is not representative of the public

1 label practice prescription, but it's already a need  
2 from adult medicine that are every day transformed  
3 into some sort of mixed, crushed tablets, and IV  
4 formulation and so on.

5 And we feel that there is a need to work  
6 that could be also a starting point for us in the  
7 needs for children.

8 Although this is not the aim of this group  
9 obviously to work with extemporaneous preparations, we  
10 feel that there is a situation where sometimes it  
11 would be impossible to get to a pediatric formulation,  
12 and we have to be realistic and give some advice on  
13 how to prepare extemporaneous preparations in the best  
14 way possible for children.

15 But this is, as we would say in French  
16 (speaking French), by default, I would say.

17 Next please. I'm nearly finished.

18 On pre-clinical issues we have worked on  
19 the toxicity in juvenile animals. When you put  
20 together regulators, they're always happy to add  
21 requirements to industry and say do more studies.  
22 That's what we want. We feel more comfortable if we

1 get more information.

2 But we have also asked the people to  
3 review the existing data of studies in juvenile  
4 animals to be sure that what we are request has an  
5 value, and I think the example of the quinolone should  
6 make us very cautious about relying too much on  
7 juveniles anymore because the data were in a way  
8 informative, but also in a way not informative.

9 Next, please.

10 A methodology. This small sample I just  
11 alluded to is a common problem also with orphan drugs.

12 As I am already in charge of orphan drugs, we have  
13 decided to have a meeting, an inventory of the  
14 existing method of what would be applicable to a  
15 medicinal product. The meeting will take place in  
16 October of this year in the EMEA.

17 Next, please.

18 For the needs, I mentioned it earlier in  
19 my intervention, and I think we are currently trying  
20 to harmonize what has already been done by the U.K.,  
21 France, Sweden for information on extemporaneous  
22 preparations, the existing compendiums that are for



1       pediatric drugs and some of the U.S. studies, provided  
2       they are submitted, and we are trying to define the  
3       best way to find needs at the European level, knowing  
4       that there might be differences between the U.S. and  
5       Europe as regards comparators, the way that people are  
6       treated on each side of the Atlantic.

7                 We know it's difficult. Some people have  
8       failed to do so, and we are perfectly aware of that  
9       and trying to make it simple and to make it arbitrary  
10      because we can't be fair. We can't include everybody.

11      We know that will be too much.

12                 And so we've tried to look at the main  
13      area. I mentioned pain, for example, to be sure that  
14      we have treatment of painful children for all age  
15      groups, and that would be the first way into the  
16      needs.

17                 This is also in preparation of the public  
18      funds that are planned into the regulation, the new  
19      regulations, and where the fund will be available, we  
20      want to be ready to do the studies by having already  
21      defined the needs.

22                 Next, please.

1           But yet as I said, we have tried to  
2 develop and set some guidelines how to follow and  
3 where to follow, what should be the endpoints to  
4 follow drugs in children.

5           next, please.

6           We plan to have that basis also in the  
7 regulation, and we want to, as soon as we get the  
8 information on pediatric drugs, to put it on the Web  
9 as much as possible, and we are very keenly asking the  
10 European Commission to have the right to put every  
11 information obtained through the incentives and  
12 including negative information.

13           I mentioned the pediatric EPARS. That  
14 would be a way out, not including the information in  
15 the product information, but to have a sort of  
16 scientific summary similar to what we have already for  
17 all products that are approved centrally, where you  
18 have a scientific summary, scientific basis for the  
19 positive -- the granting of authorization.

20           And we feel that there might be the  
21 possibility of having such a resume, such a scientific  
22 summary on the Web that would explain what was a

1 study, what was its result, whether there were  
2 negative or positive, and without necessarily  
3 introducing that into the product information.

4 Next please.

5 So I'm finished. So we've started to work  
6 in a practical way in a small group with limited  
7 means, but people are very enthusiastic, and I think  
8 we can at least prepare for the regulation because, as  
9 you know, our legislative process takes several years  
10 before it comes to force.

11 Thank you.

12 CHAIRPERSON CHESNEY: Those two  
13 presentations were superb, and it seems miraculous to  
14 me how much you've accomplished given 15 member states  
15 and having to get all of those people together, to  
16 agree, and the superstructure you've developed is  
17 incredible.

18 DR. MURPHY: Agnes, I learned something.  
19 I always learn something from you, but I was  
20 particularly taken with I guess Julia made the comment  
21 or you that the products that we have had labeled are  
22 not getting labeled in Europe. Is that -- I mean

1 that is something maybe we should pursue as a joint  
2 thinking process because I think clearly the ethical  
3 issues involved in not conducting additional studies  
4 is important.

5 DR. ST. RAYMOND: I mean, it's quite a  
6 problem. We don't always know, and if there's any way  
7 we can check on your Web site to find out what's been  
8 authorized, and then we ask to find out whether  
9 anybody has submitted in the EU because it wouldn't  
10 necessarily have to be a centralized authorization.

11 But we have found out that these studies  
12 just aren't being submitted, and I did once -- I  
13 didn't do it personally, but I asked a pediatric  
14 pharmacist to contact the company because I knew that  
15 they had recently got an authorization for pediatric  
16 formulation of a particular product which would be  
17 very useful, and he contacted the company and they  
18 said they have no intention of submitting an  
19 application anywhere in the EU. They just got their  
20 authorization in the U.S., but they have no intention  
21 of submitting it in the EU. And they didn't give them  
22 a reason. They didn't have to.

1 But it's very, very disappointing.

2 DR. MURPHY: It's quite disheartening.

3 DR. NELSON: but it's entirely rational.

4 I mean if I was advising a sponsor, I would say wait  
5 until the legislation would give you exclusivity in  
6 Europe so that you get additional cash. So I'd wait a  
7 few years for the process to take place and then  
8 submit it.

9 DR. ST. RAYMOND: Yes, but we're not going  
10 to give it retrospectively.

11 DR. NELSON: So you're going to repeat the  
12 same studies that have been done?

13 DR. ST. RAYMOND: No, no, but it's  
14 unlikely that they would get exclusivity  
15 retrospectively. These provisions will come into  
16 place once it's been adopted, and it may well be that  
17 it's off patent. So they won't be able to get a  
18 retrospective --

19 DR. NELSON: Right. I mean, it would  
20 still be on patent at that time.

21 DR. ST. RAYMOND: Well, I don't think it  
22 will in this particular case because this is already a

1 couple of years ago. It will be another couple of  
2 years before our regulation comes in.

3 CHAIRPERSON CHESNEY: Dr. Murphy is going  
4 to give us a five-second update.

5 DR. MURPHY: I will try to go as quickly  
6 as possible.

7 The Best Pharmaceutical Children Act we  
8 will not talk about anymore. As I said, tomorrow we  
9 will receive training, and Dr. Roberts is going to say  
10 something at the very end of the session about what  
11 you guys can expect tomorrow.

12 The next slide, please, Anne.

13 We also wanted to make clear to everybody  
14 that the pediatric rule remains in effect. If you  
15 live outside the Beltway, this probably wasn't a big  
16 to-do, but inside the Beltway, it was quite a raucous  
17 for a number of weeks because FDA has been challenged  
18 by the Association of American Physicians and Surgeons  
19 in the Competitive Enterprise Institute and Consumer  
20 Alert that we lack the authority to enforce the  
21 pediatric rule.

22 I will not go into any further statements

1 on this, except just to say that at the end the  
2 Secretary of Health has announced that FDA will  
3 continue to defend the pediatric rule in court and  
4 will not pursue a stay of litigation. So the rule  
5 does remain in effect.

6 Next slide, please.

7 Speaking of the rule, why do we think it's  
8 important? We think that it has contributed to the  
9 entire effect, as we have often said, of having this  
10 incentive and regulatory approach that the sum is  
11 greater than its parts or the carrot and the stick or  
12 whatever you want to call it. We think it also plays  
13 an important role, and we're finally beginning to be  
14 able to collect some numbers to look at that.

15 We looked at, between April 1st, 1999 and  
16 March 31st of '02, the number of applications that  
17 were submitted and whether they had waivers or  
18 deferrals or had completed studies in them for  
19 pediatrics.

20 I do want to digress just a minute and say  
21 that the person who ought to be up here presenting  
22 this is Terry Crazenzi (phonetic), who is our ADRA

1 within the office and has done a wonderful job. If  
2 you have a question, I'll just pretend like I know the  
3 answer, and I'm going to turn around and ask her in  
4 the meantime.

5 But basically we feel that the 94 studies  
6 complete is important because what we try to look at  
7 is can we dissect out what role exclusivity in the  
8 rule might play in this, and the cut to the chase is  
9 we can ascribe or make attribution to exclusivity, but  
10 we cannot make attribution to the rule as a matter of  
11 exclusion. So that's the best we can do for right  
12 now.

13 Next, please.

14 The reason for the deferrals that were  
15 listed is that they don't want to hold up, as you  
16 know, and by law we're not allowed to hold up the  
17 adult approval, and that we will have future studies  
18 in children, and our desire for additional data before  
19 proceeding.

20 Now, it may be that you want more  
21 additional post marketing data before you proceed.  
22 The reasons for the waivers were safety issues, small



1 number of patients, concerns about this product was an  
2 OTC product. It was not self-diagnosable in children,  
3 adult indication that wasn't applicable to pediatrics.

4 Clearly, we've gone over those in the past. That's a  
5 large number of the waivers. Certainly the complete  
6 waivers is because the disease doesn't occur in  
7 children.

8 The fixed combinations which we have  
9 problems with, since we don't know how to use any one  
10 individual drug properly, we certainly don't think  
11 studying the fixed combinations until we understand  
12 the individual products is always a good idea.

13 And then as has been mentioned, literature  
14 information, and one of the things that occurs still  
15 in this country is that studies will get done, and  
16 they will not be submitted. That still occurs.

17 Next, please.

18 Examples of indications or disease is  
19 waived. We've, again, provided this for you before  
20 but wanted to just reiterate types of disease that you  
21 would not expect to get to study.

22 Next, please.

1           This is in your handout. I'm not going to  
2 go over every one of them, but basically these were  
3 the applications that were subject to the rule, and  
4 exclusivity was granted.

5           And if you add these up in your handout --  
6 if you'll keep going; the next one. There are two or  
7 three of these. Okay -- that it's about a third. So  
8 of those 90-some, because these numbers are changing  
9 all the time and everybody wants a precise one, but  
10 basically about a third of the completed studies were  
11 involved in exclusivity.

12           Now, Dr. Roberts has sat down and mainly  
13 gone through all of the indications and tried to say,  
14 "Well, what are the other possible reasons that, you  
15 know, might be able to exclude?" Like as a pediatric  
16 indication, or they submitted it under the old '94  
17 rule and are just getting around to doing it or  
18 whatever.

19           And I can tell you, unless Rosemary  
20 corrects me publicly, that it's a very small number  
21 that you can actually eliminate. So that at the most,  
22 or I should say at last half of these products were

1 studied because of the rule, that we couldn't make  
2 attribution because there were pediatric indications  
3 or there was some other cause or because they were  
4 involved in exclusivity.

5 So that's our broad, ballpark estimate at  
6 this time, is about half of these products got studied  
7 because of the rule.

8 Well, can we defend every single one of  
9 those? No. Again, that's a diagnosis of exclusion,  
10 if you will, for the physicians in the group.

11 Next, please.

12 I'm going to now go to the exclusivity  
13 contributions to pediatric drug development and the  
14 development of additional data.

15 This slide is a summary since we began of  
16 all of the proposals that we have received from  
17 industry to study products and of the number of  
18 written requests which have been issued, and of the  
19 number of exclusivity determinations that have been  
20 made that we have granted, 58 of these products  
21 exclusivity. We have denied eight of them, though we  
22 have actually gotten labels from two of these, which

1 had useful information in them.

2 And we have now 36 labels that have been  
3 the result, direct result of pediatric exclusivity.

4 Next, please.

5 This is supposed to have little parens  
6 around it and say percentages. Got left off. The  
7 types of studies that we've been asking for, again,  
8 this number has really pretty much stayed consistent  
9 over the last couple of years. About a third of the  
10 studies are efficacy studies, a third are PK and  
11 safety, and the rest of them, the breakdown between  
12 the PK/PD and safety only studies.

13 Next, please.

14 We could spend a whole afternoon or more  
15 talking about the benefits that we think we have been  
16 able to define as far as labeling with the new  
17 studies, but I have provided the summaries in your  
18 handout. More correctly, Terry has provided the  
19 summaries in your handout of what the new labeling  
20 changes are that we think are particularly important  
21 because they either indicate an increase in mortality,  
22 and we did discuss this previously with this group.

1 With propofol, they indicate that we had the wrong  
2 doses that we were using. They indicate that we had  
3 new safety issues that hadn't been described before.

4 So out of those 36 labels, about a third  
5 of them, and that's a fairly remarkable number, have  
6 important new dosing or safety information, and one of  
7 them we have thus far did not improve efficacy.

8 So the issue there, again, in response to  
9 some earlier discussion was it doesn't mean that the  
10 product actually does not work. It just didn't work  
11 at the dosage that they were studying it at, which  
12 happened to be at the drug levels that worked in  
13 adults, and that's in the label now.

14 But if you kept doing what you were doing,  
15 it wouldn't work. So that was what was important  
16 about it.

17 So the summary of all of that is a third  
18 of the products that we've managed to get studied thus  
19 far have important dosing or safety information or, in  
20 one case, efficacy information now included in them.

21 Next slide, please.

22 I am not going to go through all of these.

1       It's ten after six, and I think that my threat that  
2 you will have to be here until eight would not be  
3 appreciated, but you all can read this. It is in your  
4 handouts.

5               Go quickly through the rest of them. Keep  
6 going. I think we're missing one from here.

7               Very final comment before I ask CBER to  
8 give an update on where they are with their  
9 therapeutics development program, is the  
10 reorganization that has occurred. We mentioned this  
11 to you last time, that we were forming a new office,  
12 and in that office would be pediatrics,  
13 counterterrorism, and pregnancy.

14               That did occur, and we are now placed as  
15 the office ped. with development and program  
16 initiatives under the Office of New Drugs.

17               We're going to reorg. again. As a matter  
18 of fact, we're supposed to have finished that  
19 reorganization this month, and we now will be  
20 reporting out of the Center for Drugs, and it will be  
21 the Office of Counterterrorism and Pediatric Drug  
22 Development.

1 I will come back and make a comment about  
2 why that makes a lot of sense. You've just got to  
3 expand your horizon.

4 And we now have a new -- because of the  
5 expansion of the program, we will have a Division of  
6 Pediatric Drug Development, which we did not have  
7 before, and we're very excited about that. And we  
8 have been given a number of FTEs to be able to grow  
9 our program within the office. So that is the big,  
10 exciting, new development, that we'll have a Division  
11 of Pediatric Drug Development and a Division of  
12 Counterterrorism.

13 And the link here is if you all will look  
14 at what was up on our Web page under our bioterrorism  
15 Web page, you'll see that some of the more important  
16 information that the FDA had to provide during the  
17 anthrax event was information on pediatrics. How to  
18 use amoxicillin, because people suggested using it  
19 twice a day when we knew that to mimic the animal  
20 models that were used for the adult dosing, that would  
21 not be appropriate. So we were able to provide  
22 information on amoxicillin dosing up on our Web site,

1 and also for the preparations.

2 We are now developing preparations, again,  
3 a little bit to what Agnes addresses, the fact you  
4 have to be pragmatic, and when you're talking about  
5 shipping air loads of product, they aren't going to  
6 ship as much suspension as they are solid dosage form.

7 And so our pharmacokinetics people and our  
8 rapid response group have conducted palatability and  
9 stability and in some cases bioavailability testing of  
10 various home preparations which we will be posting up  
11 on our Web for potassium iodide and the doxycyclines.

12 So we think that this whole area was one  
13 which was of great concern during the anthrax  
14 terrorist events. So it does make a little bit more  
15 sense than one would anticipate in emergencies.

16 And I think that's all that we have, isn't  
17 it at this point? Yes.

18 Our Web site has not changed. Our new  
19 phone number is 301 -- we also moved just because  
20 there wasn't enough going on. We also moved and our  
21 new phone number because we don't have cards yet is  
22 (301) 827-7777.



1 PARTICIPANTS: Wait.

2 DR. MURPHY: (301) 827-7777.

3 DR. SPIELBERG: That's easy.

4 DR. MURPHY: And if you're calling  
5 Counterterrorism, it's 7711. So --

6 DR. SPIELBERG: Does that mean CDER  
7 doesn't exist anymore? It's not a Center for Drugs?

8 DR. MURPHY: Pardon? No, no, no, no, no.  
9 We're just abbreviating to get things in the boxes,  
10 you know.

11 DR. SPIELBERG: Okay. It still is CDER.

12 DR. MURPHY: Oh, yes, yes, yes. You know,  
13 trying to create the org. charts and little boxes.

14 CBER, yes. We're through.

15 Yes, please.

16 DR. EASTEP: Hi. My name is Roger Eastep,  
17 and I am the Director of our regulatory information  
18 management staff.

19 Dr. Karen Weiss, who is our center lead  
20 and expert on the pediatric rule and almost everything  
21 having to do with pediatric issues, is somewhere in  
22 Erie, Pennsylvania with a broken down van. She was on

1 her way back from a conference in Toronto.

2 Because of that I'm here and also Helen  
3 Wurst, who is the Special Assistant to our Associate  
4 Director for Policy, Diane Maloney, is here, and we're  
5 going to present the numbers and probably give you  
6 some broad statements on what the numbers might mean,  
7 but how well we might be able to answer questions that  
8 might come up remain to be seen.

9 Hopefully the numbers will pretty much  
10 speak for themselves. As you can see, the total  
11 numbers that we have are significantly less than what  
12 you saw for CDER, and that's not unexpected since the  
13 total number of applications we receive under the  
14 Public Health Service Act to license biologicals is  
15 significantly less than the number of new drug  
16 applications that the Center for Drugs receives.

17 First I should mention, and I'm sure  
18 you're all aware that the pediatric exclusivity  
19 relates only to drugs approved under 505(b) of the  
20 Food, Drug, and Cosmetic Act.

21 We do have some new drug applications in  
22 the Center for Biologics, but virtually all of those

1 relate to blood banking and blood products. They're  
2 anticoagulants in blood banks; they're rejuvenations,  
3 blood additives, and so most of those are really not  
4 going to have issues as far as pediatric exclusivity.

5 We have not sent any written requests out,  
6 and we don't see any going out on the horizon. So  
7 primarily what we have to deal with is the pediatric  
8 rule.

9 And this chart has three sections in it.  
10 The first under received, I just wanted to give people  
11 an idea of how many of these things have come into us  
12 since the first of April in 1999 that we feel the rule  
13 may be applicable to based on the definition in the  
14 regs.

15 And as you can see, we have a total of  
16 about 50 applications and supplements, and I've  
17 indicated for each of those kinds of applications what  
18 sort of decision or determination we've made either  
19 finally or initially with regard to whether the data  
20 that's been submitted with the application is  
21 complete, incomplete, and if it's incomplete or if no  
22 data were submitted, what we decided to do with it

1 with regard to deferring the studies or waiving them.

2 The bottom line is really what we have to  
3 work with. Generally what we do for the applications  
4 that come in is we code them in our system as far as  
5 whether the information is complete, whether we're  
6 going to defer, to waive it. Because we pretty much  
7 know from much earlier in the drug development  
8 process, even Phase 2 or earlier, what we're going to  
9 end up with. So most of these are no surprise.

10 There are a few though, and as you can  
11 see, the numbers aren't totaling up in the pending  
12 list or at the total list either at the top. There  
13 are a few that we have yet to make a decision on, and  
14 that decision, of course, needs to be made at the time  
15 that the application and the supplement is approved.

16 So the ones on the bottom do total up.  
17 You can see one situation under supplements there  
18 where the total is actually one more than what we  
19 indicated it was applicable for, but that's simply  
20 because the submitted and complete we had to make  
21 determinations on as to whether we were going to defer  
22 or waive it.

1           So we have a total so far of applications  
2 and supplements that have been approved of 23, and of  
3 those, we've deferred 13, and we've waived seven.

4           And we don't have any real big surprises  
5 as far as what kind of waivers we've granted or the  
6 reasons for the waivers. It's primarily based on the  
7 limited or not expected usefulness in pediatric,  
8 breast cancer, for instance. Our most recent one is  
9 botox for wrinkles. We don't expect that's going to  
10 be used in kids too much, and so that was waived.

11           One thing that you might note there is  
12 that we have a pretty small number in the approved  
13 column or the approved rows for submitted and  
14 complete. There's only a total of three, but the  
15 pending, and the total obviously, the proportion is  
16 higher, which suggests to us that the companies are  
17 more on board with the need to submit these up front.

18           So the most recent ones that are pending  
19 tend to be more likely to have completed studies.

20           I think the only other issue I would  
21 mention is, of course, we have vaccines in our center,  
22 and as you would expect, a lot of these vaccines are

1 for pediatric use, and most of those we don't have  
2 these sort of issues with. If they submit an  
3 application for a vaccine, it's pretty much going to  
4 cover the appropriate pediatric population.

5 That's our numbers with regard to the rule  
6 in a nutshell. If there are any questions, we'll try  
7 to field them. If we can't answer them, we're going  
8 to pass them on to Karen so that she can get back to  
9 the subcommittee.

10 CHAIRPERSON CHESNEY: What are the two  
11 agents -- the one agent that was submitted and  
12 complete? The second column from the bottom, second  
13 one from the left.

14 MR. EASTEP: Submitted and complete, of  
15 the nine BLAs that were --

16 CHAIRPERSON CHESNEY: That were approved.

17 MR. EASTEP: I have a list here. I might  
18 be able to tell you.

19 Well, I just have our waived and our  
20 deferred list here. I don't have the list as  
21 submitted. So that's one we can get back to you on.

22 CHAIRPERSON CHESNEY: Not a big problem.

1 Any other questions, comments?

2 Dr. Murphy, Dr. Roberts?

3 DR. ROBERTS: With respect to tomorrow, it  
4 is a training session for all of you. It will start  
5 at nine o'clock in the Advisors and Consultants  
6 Building. As Joan announced, you'll be taking  
7 taxicabs over there with your luggage, please.

8 And you will get trained on the Best  
9 Pharmaceuticals for Children Act with emphasis on  
10 those areas of the act that will directly involve you  
11 in your new charges.

12 And the big part of that session, since  
13 the act so nicely lays out a separate dispute  
14 resolution process for labeling, if there's a problem  
15 with labeling the information and getting agreement of  
16 what the FDA feels is necessary to go in the label as  
17 a result of those studies, getting agreement with the  
18 sponsor.

19 And so the bulk of the training tomorrow  
20 will be really about labeling, and it's going to be  
21 presented to you by a group of people within the  
22 Center for Drugs who actually does the new reviewer's

1 course and teaches them about labeling.

2 And then we will have a representative  
3 from a Division of Marketing and Advertising to talk  
4 to you about how the language in the label can be very  
5 difficult to agree on because that's what actually can  
6 be advertised.

7 You will get lunch, and we will be done by  
8 two o'clock.

9 CHAIRPERSON CHESNEY: Thank you very much.

10 And I have to just say how very impressive  
11 it is, what you all have accomplished in a relatively  
12 short period of time. To have 94 drugs approved and  
13 with new labeling for pediatric use is just most  
14 impressive.

15 So thank you on behalf of all of us and  
16 children.

17 DR. MURPHY: Thank you all very much for  
18 staying with us.

19 Rosemary says my math is bad, that we're  
20 going into our fifth year. It just feels like I've  
21 been with you guys for the last five years.

22 (Laughter.)



1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20

(Whereupon, at 6:28 p.m., the Subcommittee  
meeting was adjourned.)