

1 along the lines of the minimum time a patient  
2 should wait before taking a second dose is two  
3 hours, and that would be dictated more by the onset  
4 of action rather than the time at which the  
5 medication would run out, and that the maximum  
6 number of pills allowed in the first 24 hours is  
7 such-and-such, and allow physicians essentially to  
8 give patients the right to take enough medicine to  
9 achieve the relief that they are entitled to get in  
10 a safe circumstance.

11 DR. FIRESTEIN: Larry?

12 DR. GOLDKIND: Particularly for an opioid  
13 that may be a good model. The problem is if you  
14 have a non-opioid, there is a whole different  
15 mechanism where the dose response curve is not  
16 quite as clean. If you tell somebody, based on  
17 safety, you can take another dose in a couple of  
18 hours, we don't really know that that second dose  
19 will benefit other than the placebo effect.

20 DR. FARRAR: Could I respond to that? I  
21 agree with that, in which case I think the issues  
22 that were brought up before about the 25 percent  
23 non-response, or the time point at which 75 percent  
24 of the patients still have an effect would be a  
25 reasonable dose interval where 25 percent had

1 started to take an additional dose, as long as that  
2 is a safe dosing regime.

3 DR. GOLDKIND: We do get data submitted  
4 that has it in quartiles and the median is simply  
5 the one that is highlighted. It doesn't really  
6 help in decision-making. It may help in terms of  
7 approvability. It may help in labeling to have  
8 that data displayed so people know when the median  
9 will rescue. We would have to deal with the  
10 variability of whether, again, it is responders or  
11 whether it is all patients. Frankly, in the model  
12 are we going to apply the dental pain or the  
13 surgical setting to that description? We could end  
14 up with a ten-page label if we were as informative  
15 as we may discuss here.

16 DR. FIRESTEIN: Dr. Borenstein?

17 DR. BORENSTEIN: To follow-up on that  
18 point, I think part of the responder aspect may be  
19 the half-life of the drug. While in the label it  
20 may be a certain half-life, human biology, when it  
21 comes to the clinic, seems to have a much wider  
22 range. So, there are some people who say, yes, I  
23 can take this drug and it truly is once a day, and  
24 other people really say it is twice a day and I  
25 need to take it because I really experience the

1 lack of efficacy. So, it will have an effect  
2 partly on your response, but also if you can get  
3 the data which shows the range of what it may be in  
4 a variety of patients so you actually can tell  
5 that. Tthat actually may make for a better label,  
6 that it is a range and that when you have that you  
7 have individuals maybe on the short side and the  
8 long side. So, you may find with your dosing that,  
9 in fact, what may be once a day in some patients  
10 may actually end up being twice a day and to get  
11 efficacy for those individuals you will need to  
12 dose it that way and the drugs will have a wider  
13 range of effect.

14 DR. DIONNE: I was going to endorse the  
15 proposal that Jim Witter made about acute pain  
16 responders as an alternative to doing mean or  
17 median responses. We are probably at the point now  
18 where we are going to have a better potential for  
19 understanding the basis for individual variation  
20 due to genetic factors. If we have the data that  
21 we are using to analyze the range of responses, we  
22 could possibly better interpret what is going on  
23 not only on an individual basis due to the genetic  
24 variation, but also we would eventually be able to  
25 form, I think, more reasonable judgments about the

1 safety or efficacy of a drug.

2           If there was a drug that had a very  
3 effective median dose, nice duration but one out of  
4 a thousand patients had a very serious adverse  
5 response, we might be much less willing to see that  
6 as a drug for acute pain use or eventually consider  
7 it for over-the-counter use versus having the  
8 perception that this drug has significant  
9 liabilities or significant variabilities that  
10 affect its clinical use. So, if we had a formal  
11 way of doing responder analysis we could get at  
12 that variability.

13           The only problem is I would hope that we  
14 would derive that due to some data-driven process  
15 rather than just some sort of an opinion-driven  
16 process. It might take a couple of years for that  
17 to evolve.

18           DR. FIRESTEIN: You mean actually use  
19 evidence-based medicine?

20           DR. DIONNE: Something like that.

21           [Laughter]

22           DR. FIRESTEIN: Dr. Wood?

23           DR. WOOD: It is important to recognize  
24 that the duration of effect is not a simple  
25 relationship to the pharmacokinetic half-life. The

1 duration of effect would depend on the time for  
2 which the plasma concentration is above the minimum  
3 effect of concentration. At a high dose that might  
4 be very long and at a low dose that might be very  
5 short, both of which might not be obviously related  
6 to the half-life. So, the pharmacokinetic  
7 half-life is not a good measure of the effect and  
8 duration, and probably should be ignored, except in  
9 the sense that, obviously, a drug with a very short  
10 half-life will likely last less time than a drug  
11 with a very long half-life unless the drug with the  
12 very short half-life can be given at doses that are  
13 way above the minimum effect of concentration.

14 DR. FIRESTEIN: Let's spend the last  
15 couple of minutes talking about point three, which  
16 is how does one determine if a difference makes a  
17 difference. Would you like to get us going since  
18 you are the one who generated that pithy quote?

19 DR. KATZ: Sure. I think it is actually  
20 Yogi Berra or somebody like that. But I think it  
21 is an empiric question and just needs to be  
22 explored empirically in the context of whatever  
23 model one is looking at. John Farrar has done some  
24 very nice work in looking at clinically important  
25 difference in neuropathic pain and I think, John,

1 you found that it was about 30 percent reduction in  
2 pain.

3           We have done some work in a chronic back  
4 pain study that Dr. Borenstein participated in. In  
5 the analyses that we have been doing it looked more  
6 like 50 percent pain relief was associated with  
7 global measures and other signs that were the  
8 marker for meaningful pain relief. So, I think it  
9 depends on the individual model and it is an  
10 empiric question.

11           DR. FIRESTEIN: Vibeke, in the arthritis  
12 studies with visual analog scales, what have you  
13 found to be something that is significant?

14           DR. STRAND: I will show you this during  
15 my talk, but basically we found that it is about 30  
16 percent, 30-36 percent, looking at correlations  
17 with patient global assessments for various other  
18 parameters, such as HAQ, disability index and so  
19 on. It is about 18 percent above placebo. As we  
20 just talked about, Dr. Farrar's work across ten  
21 trials, randomized, controlled trials in multiple  
22 different kinds of pain was very consistent. It  
23 was approximately 30 percent. By VAS, we think  
24 that the test/retest variability, if you are using  
25 100 mm scale, is about 20. So, when you get to

1 about 30 you have a minimum clinically important  
2 difference. That seems to work no matter what kind  
3 of a VAS scale you are using. Again, I will show  
4 you some of that data later.

5 DR. FIRESTEIN: Dr. Sherrer?

6 DR. SHERRER: I might be assessing a  
7 rescue medication use because I think that is the  
8 patient's indirect way of telling us what is  
9 adequate if the pain medicine is adequate by itself  
10 and they don't have to be rescued. If they have to  
11 be rescued, no matter what the pain relief was, to  
12 me, it was not adequate. It doesn't mean that that  
13 drug is not useful. It may be useful in  
14 combination but, to me, if the patient has to be  
15 rescued they are telling us whatever it did, it  
16 didn't do enough.

17 DR. DIONNE: I was just going to add to  
18 the discussion of what is the minimally effective  
19 increment of pain improvement. We did a study in  
20 the oral surgery model with about 125 patients  
21 starting with either moderate or severe pain. We  
22 slowly titrated a nonsteroidal anti-inflammatory  
23 drug IV until they reached a point where they  
24 pressed the stopwatch, and then we had them fill  
25 out their category in VAS scales. It was startling

1 that it came out to be about 50 percent pain relief  
2 across the different types of pain intensity in  
3 different scales.

4 DR. MAX: I have two concerns about  
5 setting a minimally significant clinical  
6 difference. One is that I am afraid of approval  
7 creep. Now it is enough, given a reasonable safety  
8 record and a sense of clinical usefulness, if you  
9 just beat placebo within an acceptable alpha level.  
10 I am afraid if you establish that you need to have  
11 really 15 percent pain relief, the requirement may  
12 creep into being that the studies need to be  
13 statistically significant above that level.

14 Alternatively, I want to point out that it  
15 really depends upon the context and the side  
16 effects. If you had an analgesic that looked safe  
17 and had no, say, cognitive side effects, you could  
18 add it to most of the analgesics that are sedative,  
19 and even if you only got five percent or ten  
20 percent additional relief, it is cheap enough and  
21 it would be a very welcome addition. So, I would  
22 want to leave this to the case by case judgment of  
23 the agency.

24 DR. STRAND: Could I just clarify for a  
25 minute? I don't think we are talking about MCID



1 based on one outcome measurement as defining  
2 clinical response. That is why I would like to put  
3 this off until this afternoon when I present.

4 But I think what we are really trying to  
5 talk about is where do we see minimum clinically  
6 important differences in various parameters. The  
7 way they become useful is if you now combine those  
8 parameters that are not closely related into some  
9 type of an analysis for responder. All of this has  
10 to be done as evidence based.

11 DR. MAX: Yes, and it just depends  
12 comparing to the safety profile of the clinical  
13 context.

14 DR. FIRESTEIN: Dr. Cush and then Dr.  
15 Elashoff, and then we will take our break so that  
16 we don't have break creep as well.

17 [Laughter]

18 DR. CUSH: I just want to go back to  
19 Yvonne's suggestion, and I agree that the use of  
20 rescue medication is certainly an important measure  
21 and I think one that is useful for analysis, but I  
22 am also bothered in doing clinical trials where we  
23 use rescue medicine, especially in osteoarthritis,  
24 by the number of patients who refuse to use rescue  
25 medication despite their pain. I can't quite

1 explain that. I know they have pain but they  
2 continue to not want to use the analgesic medicine  
3 we give them. So, I somehow fear that we may be  
4 missing an important outcome if we rely too heavily  
5 on that one measure. That needs to be included but  
6 I don't know that it can be a primary outcome  
7 measure.

8 DR. ELASHOFF: Any time one feels one  
9 needs multiple measurements in order to understand  
10 what is going on, you are either left with trying  
11 to sort of put them together after the fact, after  
12 they have all been measured, or defining some  
13 arbitrary combination of them. There is always an  
14 arbitrary character to that, and if you define  
15 things ahead of time then you are liable to lose  
16 information later on. But there is always a  
17 tradeoff. There is no way to totally win this  
18 situation.

19 Dr. Cush's remarks about the rescue  
20 medication issue are certainly important ones. The  
21 advantage of that particular type of outcome--or at  
22 least if we don't think of it so much as rescue but  
23 amount that they would actually take if left on  
24 their own, the advantage of that kind of outcome  
25 measure is that it is directly related to the

1 safety issue in a much clearer way than some of the  
2 other outcome measures one might be talking about.

3 DR. FIRESTEIN: Dr. Simon?

4 DR. SIMON: Just before the break, if you  
5 will give me a minute, there are a couple of  
6 questions that arose in the previous discussion  
7 that weren't really answered by us. One was Dr.  
8 Katona's question about were there other  
9 alternative designs besides a placebo-controlled  
10 trial. That would be appropriate and, yes,  
11 obviously an active comparator would be an  
12 acceptable way to go for an acute pain trial in  
13 children, elderly, in any number of different ways  
14 to do that, background therapy, withdrawal therapy  
15 as has been done in children before, though I am  
16 not that enthusiastic about withdrawal therapy in  
17 adults despite what came up yesterday and I am sure  
18 we will discuss that part again.

19 Number two, there was an interesting  
20 discussion about acute pain, time to onset of acute  
21 pain, differentiation from placebo and preemptive  
22 anesthesia. I would like to point out that we are  
23 willing to consider that as an entirely  
24 disassociated issue, meaning, we have to create a  
25 label that patients understand how to use drugs.

1           We believe the time to onset of an hour  
2 may be important to patients as opposed to two  
3 hours, although I do not want to get into a  
4 discussion, as we did in '98 on fast, faster or  
5 fastest because, in fact, that is not really  
6 informing us anything. The reality is that there  
7 may be the need for an entirely different  
8 indication of preemptive anesthesia rather than  
9 acute pain because, in fact, that is a different  
10 issue and it would affect different patients.  
11 There are not a lot of patients walking around with  
12 a toothache who need preemptive anesthesia as  
13 opposed to acute pain relief.

14           The third issue is the issue of effect  
15 size that Dr. Elashoff referred to before. It  
16 refers back to what Dr. Max was talking about,  
17 which is that we have to be familiar with MCID  
18 because if we don't consider that the sponsors, not  
19 because they are bad people but because they have  
20 accrued a lot of patients in a trial, can then have  
21 enough patients to show a statistically significant  
22 difference from placebo yet, in fact, the effect  
23 size is entirely unimportant.

24           Part of that is bias and a take on how big  
25 is the effect size. It might be nice to know that

1 an effect size is evidence-based and defined by  
2 what is minimally clinically important, and that  
3 may be very important because of the number of  
4 patients you could recruit. You can't just make  
5 your study be positive.

6 DR. FIRESTEIN: Thank you very much for  
7 clarifying those issues, and we will take a break  
8 now. We will start again in exactly 15 minutes,  
9 10:45.

10 [Brief recess]

11 DR. FIRESTEIN: Can the members of the  
12 committee please rejoin us? In this session we  
13 have an open public hearing. Then, we are also  
14 going to try to clarify or revisit some of the  
15 questions that were raised yesterday with regard to  
16 chronic pain indications. We have two speakers,  
17 Dr. Eugene Laska who has been allocated ten  
18 minutes, and then Dr. Nijab Babul who has been  
19 allocated five minutes, and I would like to welcome  
20 them. Dr. Laska?

21 Open Public Hearing

22 DR. LASKA: Thank you.

23 [Slide]

24 This little presentation is sponsored by  
25 Merck, whose folks I would like to thank for their

1 stimulating comments and stimulating discussions  
2 which led to the clarification of several issues  
3 among the contributors, their ideas, particularly  
4 Al Sunshine whose name I want to mention. The  
5 ideas here are ones I have talked about before. I  
6 apologize for repeating some of them. Lee Simon  
7 and Jim Witter and Ray Dionne also deserve special  
8 recognition because they are clearly attempting to  
9 open up the box and make the business of  
10 registration more transparent. Some day a drug  
11 company will know whether they are going to get  
12 approved before they make a submission rather than  
13 wait for the surprise of the letter.

14           As I mentioned yesterday, the goals of a  
15 randomized, controlled trial are to allow causal  
16 inference; to allow the conclusion that the drug is  
17 the reason for the effect we observe.

18           I want to add to that that another major  
19 reason for doing clinical trials is to get point  
20 estimates of very important parameters which  
21 characterize what the drug is all about. It is  
22 instructive in trying to design clinical trials to  
23 contemplate how one would use the information that  
24 comes out of them; what kind of information one  
25 really wants.

1           If one thinks about onset, duration and  
2 dosing intervals as if you knew the entire story,  
3 you know, the probability distribution of onset and  
4 duration and response rates, you would see that it  
5 is a complicated, multidimensional space that would  
6 be very hard to characterize. And, what we are  
7 looking to do in these clinical trials is to find  
8 very, very minimally informative point estimates  
9 which describe to some degree the amount of the  
10 effect that we are talking about, median time to  
11 onset and the like.

12           Too many measures, as Janet says, are not  
13 necessarily useful, and for these trials for the  
14 longest period of time we have collected data on  
15 both relief, which refers to original time, and  
16 current intensity. I am pleased to see the agency  
17 moving to the notion of dropping redundancy at  
18 least in the notion that it may be redundant in the  
19 beginning but certainly long term. Good thinking.

20           The same thing is true about all of these  
21 parameters. They are functions of pain intensity  
22 levels. So, again, the hyper space in which these  
23 characteristics are described is very, very high  
24 dimensional.

25           [Slide]

1           Let me start by talking about stopwatch  
2 and measure onset. I believe that it is important  
3 to eliminate the two stopwatch theme that has been  
4 used by many companies in the recent past and  
5 return to the one stopwatch approach that measures  
6 meaningful relief because I believe that that is  
7 the most useful concept that can be measured, and  
8 that the redundancy in having a second watch to try  
9 to capture perceptible relief merely adds  
10 complexity and does not really bring in enough new  
11 information to warrant or justify its use. And, I  
12 think that second stopwatch is a very useful tool,  
13 which I will mention in a second, that can be used  
14 to look at duration.

15           [Slide]

16           Once one collects the data, I think it is  
17 important to conceptualize the ideas associated  
18 with onset as representing two subpopulations, one,  
19 people who will not respond or who have not  
20 responded; and the second, the group that has  
21 responded. That is characterized statistically by  
22 the top equation. It is called the cure model. We  
23 won't talk about it today but it has been described  
24 in the reference in the bottom of the slides. That  
25 particular model conceptualizes the outcomes as



1 falling into two groups, the responders group and  
2 the non-responders group.

3 I believe that the regulatory indications  
4 of collecting data the way I have described and  
5 breaking up the population into these two subgroups  
6 flows very naturally. The clinical trial's  
7 objective will be to estimate the proportion of  
8 patients who respond, who get this meaningful pain  
9 relief, and look at the survival distribution  
10 including the median time to obtaining meaningful  
11 relief.

12 [Slide]

13 The regulatory implications that flow from  
14 that I believe fall in two camps. One is a  
15 comparative camp and the other is a numerical  
16 estimate camp which has to do with characterizing  
17 the drug independent of another drug or placebo.

18 So, the first requirement would be that  $P_d$   
19 is bigger than  $P_p$  for the placebo group. The  
20 proportion or response must be demonstrated to be  
21 statistically superior on the drug than the  
22 proportion who respond on placebo. Perhaps a  
23 minimal difference in the proportions is called for  
24 so that sample size doesn't dominate the decision  
25 as to whether there is a proportion.

1 [Slide]

2 But then the issue of whether or not a  
3 drug works within an hour or more generally within  
4 T units is characterized by the second requirement  
5 which only talks about absolutes, not comparators.  
6 That is, the median time to onset among the  
7 responders on this drug ought to be within some  
8 period of time, perhaps an hour, perhaps an hour  
9 and a half but more generically T. T, of course,  
10 may depend on the pain intensity, the model setting  
11 and a variety of other things relating to the  
12 individual and the biological response that that  
13 individual represents.

14 [Slide]

15 Perhaps more difficult to contemplate is  
16 the question of duration.

17 [Slide]

18 Let me suggest to you that the FDA's  
19 concerns about using the various interferences that  
20 are introduced by the nurse or whoever is  
21 collecting the data or deciding whether or not to  
22 give that second dose is mitigated by putting that  
23 second stopwatch that used to be used for something  
24 else, so they are around and there is no extra  
25 expense--that second stopwatch can be used to

1 answer the question when is the patient no longer  
2 getting pain relief.

3           The agency used to worry about what they  
4 called back then the minute wars of the first  
5 interview for onset at 15 minutes, demonstrating  
6 efficacy, would provoke another drug company to  
7 collect its first interview data at 14 minutes so  
8 that they could claim faster onset. Well, the  
9 stopwatch eliminates that problem and it does so  
10 here as well. It removes the bias, the  
11 interpersonal possible interference that the nurse  
12 observer or the person who could give the next  
13 medication introduces.

14           The estimating functions that would derive  
15 from collecting data of that sort are exactly  
16 analogous to what we would obtain in the onset  
17 story. We would estimate the survival distribution  
18 of time to rescue and the proportion who respond.  
19 Very importantly, they do not impute a value for  
20 those people who never got onset.

21           The question of how long a drug works  
22 after it has worked is not informed by the  
23 percentage of people or the time at which those  
24 people rescue if they never got onset. it is a  
25 different question. The answer to the question of

1 when shall I remedicate when a person is not doing  
2 well on the drug I gave him is a very different  
3 question from the one that asks when do I  
4 remedicate after there has been a long period of  
5 time where the patient has responded.

6 A number of the things that can be  
7 reported along the way are the proportion who  
8 respond at the various times that are convenient,  
9 like 6, 12 and 24 hours; median time to rescue  
10 among responders who do rescue.

11 Let me focus on that for a minute. It is  
12 useful to say ten percent of the patients respond,  
13 and among the ones who do--sorry, median time to  
14 rescue. Among the people who rescue, how long does  
15 it take before they need rescue? That is going to  
16 depend on severity and the like, but that informs  
17 the notion of the time to rescue and is a  
18 complement to the proportion who don't rescue.  
19 Those different arms are the reason I described in  
20 the beginning the hyper dimensionality of the  
21 outcome space when you do a clinical trial of this  
22 kind. To mix them up is to blur and lose  
23 information about what is actually transpiring.

24 [Slide]

25 The regulatory implications of choosing a

1 dosing interval on this basis has to do with, in my  
2 view, a compromise between the wide range of dosing  
3 intervals that are absolutely necessary, that all  
4 of the clinicians on this panel discussed in the  
5 last hour but, nonetheless, if the agency chooses  
6 to characterize with one number, I think that  
7 number is the median despite the comment that I  
8 don't want the other half of my patients to do  
9 poorly because the dosing interval is honored in  
10 the breach. So, if this is the one number you want  
11 to produce, I think you are stuck with the median  
12 and, therefore, the dosing interval is some number  
13 less than or equal to the median time to rescue.

14 I believe the limitation that you place on  
15 providing information in the label is a very  
16 artificial one, and the notion of posting  
17 information on the web doesn't need to be defended.  
18 You don't need to hide behind the label to describe  
19 what happened in the trials; put them out some  
20 other way. Once they are out, clinicians will find  
21 a way to use them if they care to find out the  
22 information.

23 So, the regulatory implications are that  
24 the percentage of patients, the second point, who  
25 need rescue is significantly less than the

1 proportion of patients who need rescue on placebo  
2 among the people who responded to placebo. That  
3 would need to be demonstrated statistically.

4           The first point, the comparative one, the  
5 absolute is that the proportion of responders is  
6 less than some fixed time point, and that is less  
7 than a half.

8           [Slide]

9           Just one comment quickly on Larry's  
10 feeling that return to baseline is a flawed metric.  
11 I think one can conceptualize this whole idea as  
12 the complement, the counterpoint to the responders  
13 analysis. If you like, this is the failures  
14 analysis and patients will return to baseline  
15 individually. The argument that the mean does not  
16 return to baseline doesn't mitigate against the use  
17 of return to baseline or no longer getting  
18 meaningful relief on an individual basis, and it is  
19 the counts of how many of those people there are as  
20 well as the time to the event that makes the game  
21 playable.

22           [Slide]

23           So, clearly informed by PK and informed by  
24 the experience of the clinical trials in the acute  
25 phase, one has to look at multiple days and the

1 question is what to do in that context and I had to  
2 think about it. My view is that this is not the  
3 place to be exploring dose response. In the very  
4 mild pain circumstances where pain is almost gone  
5 the next day, it makes no sense to me statistically  
6 as a statistician to impute data from day one to  
7 day two to show artificial differences which are  
8 not real.

9 I believe that you can only sustain the  
10 notion of what the effective dosing interval that  
11 has been proposed and see if it makes patients  
12 "happy." So, at the end of day in these mild cases  
13 there should be no need to demonstrate superiority  
14 to placebo, but the proportion of patients who  
15 require rescue ought to be smaller than some  
16 absolute number that is credibly determined on a  
17 judgment basis.

18 [Slide]

19 For more serious pain or perhaps severe  
20 pain models where PRN narcotic is required, I see no  
21 alternative to the idea of using the dose sparing  
22 property of the drug.

23 [Slide]

24 There is an old rule that every animal  
25 pharmacologist will ascribe to, I am sure, that

1 says if you fix dose, study outcome. If you fix  
2 outcome, study doses. In the dosing sparing  
3 setting where you use PRN narcotics you are fixing  
4 an outcome. Patients titrate to adequate relief.  
5 The only thing to study is the amount of narcotic  
6 that is spared. It is sensible and there are  
7 caveats raised by others in the group here about  
8 interaction, about promoting side effects.  
9 Remember, this drug has been studied in the acute  
10 setting. It is known to be an analgesic. Now the  
11 question is what does it do on day one, two or  
12 three and that kind of sparing relationship, in  
13 face of the knowledge from the earlier trials, is  
14 pretty clearly evidence if you believe in the  
15 hidden assumption--as Jim pointed out, there is a  
16 hidden assumption and in this case it is that there  
17 is a dose response to the narcotic being used. So,  
18 dose sparing makes sense to me as the way to  
19 sustain that data.

20 [Slide]

21 One last situation then, we are in  
22 long-term use, and I am anxious to hear the  
23 objection. If chronic pain situations where  
24 patients on placebo drop out at very high rates,  
25 once again we are into the game of projecting



1 forward; we are making up data--statisticians call  
2 that imputation, to justify whether the drug still  
3 works at week W where W is a big number like 12.

4 I think that makes no sense. It is a  
5 circumstance, again, where we are only trying to  
6 sustain the notion that this drug continues to work  
7 after 12 weeks. We are not trying to prove  
8 effective here; it is does the drug still work?  
9 The best way to answer that question is not with  
10 respect to placebo patients who drop out earlier;  
11 it is with respect to patients in whom the drug is  
12 working, it is withdrawn and superiority to placebo  
13 in a randomized, controlled trial is demonstrated.

14 I believe that this kind of an approach is  
15 a rational way of looking at onset and duration and  
16 choosing dosing interval. And, I thank you for  
17 listening.

18 DR. FIRESTEIN: Thank you. The next talk  
19 will be from Dr. Babul, from TheraQuest.

20 DR. BABUL: Good morning.

21 [Slide]

22 I would like to address the committee and  
23 the division on the issue of multi-dose analgesic  
24 development. This is one of the questions that the  
25 division has asked the committee to consider in

1 terms of evaluating analgesics in acute pain.

2 [Slide]

3 I have previously provided a conflict of  
4 interest statement and that stays on record so I  
5 won't repeat it here.

6 [Slide]

7 This slide shows the essential approach  
8 that we have been taking for the last two decades  
9 to evaluation and approval of analgesics in acute  
10 pain. Certainly from an efficacy perspective, we  
11 do some of those studies by screening a patient,  
12 initiating some sort of an acute insult, having  
13 some sort of a period of recovery when the pain  
14 stimulus reaches a particular intensity, moderate  
15 or severe usually. We will then dose the patient.  
16 We evaluate the response over a single dose and  
17 then we terminate assessments either after the  
18 dosing interval is over, which is generally 8, 12  
19 or 24 hours, or at the time that the patient  
20 requests their first rescue analgesic.

21 [Slide]

22 There are compelling reasons why  
23 pharmaceutical sponsors have not gone down the path  
24 of efficacy evaluations in the multi-dose arena,  
25 and I would like to address these and propose some

1 potential solutions.

2 [Slide]

3 There is no doubt that there is no growing  
4 request for data. I recall that even at the Vioxx  
5 advisory committee meeting there was discussion of  
6 the availability or relative lack of multi-dose  
7 data in the dossier. There have been increasing  
8 requests from both Division 550 and 170 for such  
9 data.

10 I think the challenge here is, if I can  
11 just be frank and I guess this is for the record,  
12 that our collective rhetoric perhaps outpaces the  
13 actual science of drug development. In other  
14 words, our methodologic ability, to echo what Dr.  
15 Laska was saying, to actually tease out some of  
16 those differences is not always there.

17 In order to address this issue of  
18 multi-dose analgesic evaluation from an efficacy  
19 perspective, we need to ask ourselves precisely  
20 what our objectives are. Are they to establish  
21 efficacy? Are they to demonstrate effectiveness?  
22 Are we trying to establish dosing frequency? Are  
23 we trying to prospectively test a draft package  
24 insert? Or, are we merely trying to provide some  
25 sort of supportive safety data in a perioperative

1 setting where perhaps patients might be critically  
2 ill and otherwise compromised?

3 [Slide]

4 Here are some of the challenges to  
5 evaluating these drugs in acute pain. The first  
6 issue, and this has been alluded to earlier, is  
7 that the natural trajectory of acute pain is such  
8 that, whether treated or untreated, for the most  
9 part it diminishes. To be sure, and Dr. Katz  
10 referred earlier to thoracotomy patients or lumbar  
11 laminectomy patients who may have somewhat  
12 long-term pain. To be sure, some patients may have  
13 a longer trajectory, but a majority of these  
14 patients have a relatively short trajectory. So,  
15 this introduces an issue that most analgesiologists  
16 have called assay sensitivity.

17 We are also faced with a reduced duration  
18 of hospitalization. A significant number of  
19 patients after major surgery are home within four  
20 days to a week's time.

21 There is also a growing trend towards  
22 surgical techniques that reduce surgical pain. For  
23 instance, hip arthroplasty, as is currently being  
24 conducted, requires substantially less  
25 postoperative opioids than perhaps 10 or 15 years

1 ago and this presents a bit of a challenge.

2           Furthermore, patients will sometimes  
3 refuse to consent to multi-dose placebo controlled  
4 studies. It is one thing to convince patients to  
5 do a single-dose placebo controlled study, but to  
6 tell them you are going to repeatedly be give  
7 placebo over the next five or seven days presents a  
8 bit of a challenge.

9           We also have this issue of data  
10 contamination when you give rescue analgesia, and  
11 we have a problem in terms of availability of  
12 trained analgesic observers or nurse raters. This  
13 is a very specific discipline requiring an  
14 exceptionally well-trained individual who truly  
15 understands analgesic methodology, and there is a  
16 real shortage of such folks. Your most senior  
17 study coordinator usually wants to work the day  
18 shift so you have 72 hours more to go beyond that  
19 to evaluate the patient.

20           [Slide]

21           I would like to suggest some proposed  
22 approaches without getting too prescriptive. Some  
23 of these have really been spurred through  
24 discussions with Division 550 with Dr. Witter and  
25 Dr. Simon and others. One option clearly is to use

1 active controls, with the Division's prior consent.

2 That is certainly one possibility to consider.

3           The other option is to use what I call  
4 pseudo placebos. So, these would not be placebos  
5 but would be perhaps ultra low dose of an approved  
6 agent, to allow us to get some assay sensitivity.

7           Yet another option, and this was discussed  
8 previously by Dr. Laska, is to use rescue analgesia  
9 as an endpoint. This has been used successfully  
10 but only with a modest degree of success in the  
11 past.

12           We can also integrate rescue and pain  
13 assessment data, and there are some techniques  
14 available for that. Of course, because of the  
15 shortage of trained study coordinators, we can  
16 perhaps consider doing serial assessments long  
17 term. We can use recall instruments to assess  
18 pain.

19           [Slide]

20           The rationale for integrating rescue and  
21 pain scores to come up with some composite scores  
22 is given on this slide, and I am going to be brief  
23 here. Traditional studies have tended to discard  
24 rescue after the first dose. The issue is that  
25 rescue tends to confound our analgesic evaluation.

1 Furthermore, rescue differentially confounds the  
2 analgesic response. David Silverman, for instance,  
3 has suggested a rather elegant but simple approach  
4 to integrating rescue and analgesia scores.

5 [Slide]

6 Alternative approaches that are available  
7 involve the use of recall instruments. We know  
8 that recall, at least among analgesiologists, is  
9 viewed as somewhat suspect but we, and others, have  
10 shown and have published data demonstrating that  
11 recall is actually quite sensitive. We have done  
12 studies where we have looked at recall in  
13 orthopedic pain and other models, and we think that  
14 this allows you perhaps to conserve on the  
15 resources that are a problem in multi-dose studies.

16 [Slide]

17 The last potential option that one ought  
18 to consider is rescue analgesia as an endpoint. I  
19 believe it is a potential endpoint. It does have  
20 some risks because the variability is not  
21 insignificant.

22 [Slide]

23 These are data that were presented in 1998  
24 at the Arthritis Advisory Committee in the review  
25 of rofecoxib submission. As you can see in this

1 particular study, over day two to five there was a  
2 difference between placebo and rofecoxib in terms  
3 or rescue consumption. It was a one tablet per day  
4 difference. Now, whether this is clinically  
5 meaningful is a separate issue but it certainly  
6 provided some assay sensitivity in an attempt to  
7 look for differences.

8           In summary, the methodology for multi-dose  
9 efficacy evaluation is not quite cooked; it is not  
10 established. I think there are some possible  
11 options that are available, but we need to  
12 understand that there are some compelling reasons  
13 why single-dose evidences have formed the primary  
14 basis for efficacy evaluation. None of these  
15 techniques can meaningfully, in my opinion, answer  
16 questions related to the time course of effect and  
17 dose response. Those questions, and they are  
18 critical questions, need to be addressed in  
19 single-dose efficacy evaluations. Thank you.

20           Further Discussion of Criteria for  
21                           Chronic Global Pain

22           DR. FIRESTEIN: Thank you very much. At  
23 this point Lee has asked us to revisit our  
24 discussion of the proposal for the criteria to  
25 obtain a chronic global pain indication. Just to



1 remind people, there are two essential issues. One  
2 is that for such an indication the proposal was  
3 that three separate models would need to be  
4 explored, and in each of them there would be three  
5 separate domains that would have to be all  
6 positive.

7           So, what we are going to do now is  
8 actually go around the table and get people's  
9 opinions on those issues. I would ask that people  
10 restrict their comments to two minutes or less.  
11 Please don't feel obligated to use the entire time  
12 because there are about twenty of us and it will  
13 take quite some time if we wax poetic.

14           I will go ahead and start and then people  
15 can take various and sundry pot shots at my  
16 comments, either amplify or deny them.

17           DR. ELASHOFF: I am still unclear on the  
18 question.

19           DR. FIRESTEIN: The question is what do  
20 the individual members feel about, number one, what  
21 the criteria should be for a chronic pain  
22 indication, with the initial proposal that there be  
23 three separate indications explored in order to get  
24 labeling for chronic pain.

25           DR. SIMON: Global chronic pain indication

1 with three areas of etiopathogenesis that would  
2 have to be studied with three domains as  
3 co-primaries in replicate trials.

4 DR. FIRESTEIN: So, those are the two  
5 separate issues that we should comment on. Does  
6 that clarify that?

7 DR. ANDERSON: But what are domains?

8 DR. SIMON: To remind you, they were  
9 patient global, function and a pain score. It is  
10 just in chronic pain. I know we have just talked  
11 about acute pain but we didn't get enough clarity  
12 yesterday for us to know exactly what you all felt  
13 about our proposal.

14 DR. FIRESTEIN: We were appropriately  
15 obtuse. So, I will start and then we will just go  
16 around the table. For introductions we went to my  
17 left and this time we will go to my right.

18 There were a number of other proposals  
19 that were also made with regard to the number of  
20 indications. First of all, I think that the bar  
21 should necessarily be high for a global chronic  
22 pain indication. The question whether it should be  
23 two, three, four or five indications is really not  
24 well defined by evidence-based medicine but, based  
25 on opinion, three doesn't sound like a lot and four

1 sounds okay and five sounds like a lot. So, by  
2 process of elimination, four sounded reasonable to  
3 me.

4           The other issue is whether or not you need  
5 replicate trials for a global pain indication. It  
6 seems to me that the indication is global pain, not  
7 the individual models. So, for instance, a  
8 confirmatory trial would not be a second OA trial  
9 but a second trial in another indication,  
10 preferably different mechanism, and I think there  
11 needs to be considerable care with regard to  
12 choosing how one selects the different models,  
13 making sure that there is adequate representation  
14 from multiple mechanisms--neuropathic pain,  
15 musculoskeletal pain, cancer pain, etc. So, from  
16 my perspective, it seems to me that a single trial  
17 with more indications makes sense.

18           With regard to the domains, the main issue  
19 is that function may not necessarily be a  
20 reasonable endpoint for some of these indications,  
21 as was pointed out yesterday, and I think there  
22 needs to be some flexibility in endpoint selection.  
23 Pain is obviously going to be the more important  
24 one and function may be less important in certain  
25 patients where strictly comfort is all that

1 matters.

2           So, why don't we move off to the right?

3 Dr. Brandt? Was that clear enough?

4           DR. BRANDT: Fundamentally, I think I  
5 agree with Gary. The complexities in the science  
6 that drives chronic pain, as we heard yesterday, I  
7 think are very significant and it makes it hard to  
8 reduce this in terms of a limited number of models  
9 of disease states in which a drug shows efficacy to  
10 be comfortable that that truly gives enough  
11 information for a global pain indication. So, I am  
12 more comfortable considering pragmatics. I think  
13 it would be reasonable.

14           I think we regard to the outcome measures,  
15 certainly pain, certainly patient global, and I  
16 think that you have to look at function in terms of  
17 the specific disease state that is more relative to  
18 certain diseases than it is to others, as we heard.  
19 But I think the greater breadth that would be  
20 provided by demonstrated efficacy in four disease  
21 states for chronic pain has appeal to me, and  
22 perhaps more than looking at three times with the  
23 six-pack.

24           [Laughter]

25           DR. KATONA: Looking at the issue from the

1 pediatric point of view, for the chronic model it  
2 will be very difficult to recruit enough patients  
3 since out of the four proposed models really the  
4 only one which could be found in children in great  
5 numbers is the cancer pain. Children have no OA,  
6 very rarely low back pain, a low incidence of  
7 neuropathic pain. So, I think the study is going  
8 to be limited. The acute model I think is very  
9 important in children. So, those two will have to  
10 be concentrated on.

11           As far as efficacy, I think we always rely  
12 a lot on the adult trials and I think we definitely  
13 will do the same. However, I think the PK studies,  
14 the dosing schedule and especially the safety are  
15 going to be extremely, extremely important in  
16 children. So, I think those are going to have to  
17 be conducted and these have to be long term. Thank  
18 you.

19           DR. ABRAMSON: I would maybe take a  
20 slightly different position at least from Ken and  
21 Gary on this. I mean, chronic pain is a very broad  
22 term. Although it is clinically a very important  
23 issue, the name of the term itself is like the 1899  
24 Merck Manual of Hepatology or lumbago and I think  
25 we have to be careful in setting a bar for a

1 broader indication that the elements within that  
2 indication are robust in the way that they are  
3 looked at from the term etiopathogenesis that Lee  
4 used.

5           Therefore, whether a global pain  
6 indication requires three, four or five individual  
7 etiopathogenic syndromes, I think the bar for each  
8 of those syndromes has to be as high as it would be  
9 for anything else that a drug is getting approved  
10 for, namely, two replicate pivotal studies for  
11 example.

12           When you talk about domains in these  
13 studies, the domains may vary within the syndrome  
14 you are looking at, whether it is neuropathic pain,  
15 low back pain, osteoarthritis pain, etc. So,  
16 clinical outcomes, meaningful clinical responses,  
17 things that you might tag on to look for mechanisms  
18 of pain will vary within each of those.

19           So, I would make the argument for keeping  
20 the bar very high for any individual entity of the  
21 individual syndromes that need to be looked at,  
22 recognizing that fibromyalgia is different from low  
23 back pain and the musculoskeletal indication for  
24 example.

25           Then, whether one gets for marketing

1 purposes a more global indication will depend on  
2 three, four or five very highly rigorous standard  
3 replicate studies that would have been required for  
4 independent registration.

5 DR. FIRESTEIN: Lee, would you just  
6 comment on whether or not this would change the bar  
7 for individual indications? In other words, that  
8 is a separate issue I think.

9 DR. SIMON: No, in fact, the bar, as we  
10 have described it in my earlier discussion, for any  
11 one indication with two replicate trials with three  
12 domains is obviously open to discussion based on  
13 which domains, but we would like patient global  
14 pain and a functional domain. It is particularly  
15 applicable to osteoarthritis but it may not be  
16 applicable to all of them. So, that would not  
17 change an individual indication issue.

18 What we are really discussing here is, is  
19 that high bar too high for the global chronic pain  
20 indication? And, we each have our opinion and that  
21 is what we are waiting to hear.

22 DR. WITTER: I just want to add a thought,  
23 and I think Dr. Katz brought it up yesterday. As  
24 you think about this, I mean, we are interested in  
25 labeling that makes sense to you as clinicians and

1 also to your patients. So, were we to construct  
2 chronic pain, the big claim, you know, I think you  
3 need to think through your current repertoire of  
4 medicines and ask if they should be able to reach  
5 that hurdle. If they do, then what implications  
6 does that have for whatever claim structure we  
7 might set up because would we be creating something  
8 and everybody would get it and may not have what we  
9 had hoped down the road. So, I think maybe you  
10 want to think about that as well.

11 DR. MANZI: I think when I was thinking  
12 about this the one assumption here that is probably  
13 true is that the number one biggest problem  
14 probably in the U.S. is that we under-treat chronic  
15 pain, more than abuse of medications or  
16 over-treatment. So, with that in mind, I said what  
17 would the advantage be of having a global  
18 indication more than industry incentive in some  
19 way? What advantage to the patient?

20 I guess from that perspective, I actually  
21 would presume that a global indication may open the  
22 door for a broader application of some of the  
23 potential medications in patients with chronic  
24 pain.

25 With that in mind, I would say what are



1 the downsides? The downsides may be that it is not  
2 as effective in certain disease states or that  
3 perhaps in certain subpopulations it may not be  
4 safe. I think those are clear concerns.

5           With that in mind, I guess my perspective  
6 is that I might actually consider lowering the bar  
7 a bit and say is it really safety issues and  
8 efficacy that we are worried about, or do we really  
9 want to open up to our patients the availability of  
10 a broad range of potentially helpful agents for  
11 treating chronic pain?

12           With that said, this is arbitrary but I  
13 would say I would go a little lower with perhaps  
14 the three entities not having to capture every  
15 pathophysiologic mechanism for pain because I am  
16 not sure that is even possible, obviously, keeping  
17 the individual rigor that the FDA does already with  
18 each of those entities. So, I think I would favor  
19 more a slightly lower overall bar to get a global  
20 label for the reasons that I mentioned.

21           As far as the domains, I agree with the  
22 previous speakers that I think you have to a priori  
23 determine which domains are relevant to the disease  
24 state that you are looking at and decide what the  
25 success is in each of those and not make a standard

1 requirement across the board for each population.

2 DR. KATZ: I feel more comfortable  
3 articulating some general principles relevant to  
4 this discussion, rather than just throwing out a  
5 number of five, three or something like that. So,  
6 I don't know if my comments will help you in any  
7 way but I will go ahead and take my two minutes or  
8 less anyway.

9 First of all, there has been a great  
10 debate as to whether giving an overall  
11 categorization for acute pain, chronic pain, or  
12 what-have-you, is appropriate. My feeling is that  
13 the opioids have taught us that it is possible to  
14 have a class of drugs that are broad spectrum  
15 analgesics for just about all kinds of pain. So, I  
16 think that the notion of a broad spectrum analgesic  
17 does have construct validity.

18 Number two, I think the opioids have also  
19 taught us that just because a drug has broad  
20 spectrum applicability in acute pain, chronic pain,  
21 it doesn't mean that it is going to work for all  
22 subcategories or all populations or all people. I  
23 think that is fine and it should not dissuade us  
24 from giving a broad sort of labeling, although it  
25 would be nice if we had some way, through the label

1 or otherwise, to educate physicians that just  
2 because a drug has a broad label doesn't mean it  
3 will work for everybody and it doesn't relieve them  
4 of their responsibility to manage their individual  
5 patient or different disorders.

6 I think acute pain as a category does have  
7 construct validity and I think chronic pain as a  
8 category does have construct validity too. It  
9 seems to me that in order for something to be  
10 called a medication for chronic pain, it needs to  
11 work for neuropathic pain as a broad construct and  
12 also for musculoskeletal pain because drugs that  
13 work for musculoskeletal pain may not work for  
14 neuropathic pain, and vice versa. So, it is  
15 inconceivable to me that something could be called  
16 a medication for chronic pain without working  
17 robustly in both of those different categories.

18 So, I wouldn't see it possible to label a  
19 drug for chronic pain unless one could also label  
20 it for neuropathic pain broadly and one could also  
21 label it for musculoskeletal pain broadly, with  
22 whatever robustness of evidence one would need in  
23 each of those individual subcategories.

24 We have just had a meeting for a whole day  
25 and talked about neuropathic pain and what sort of

1 trials would be necessary for that. People have  
2 thought that you would need a six-pack or more just  
3 for peripheral neuropathic pain, let alone chronic  
4 pains. That is a big discussion and I am not going  
5 to try to summarize it all here, but I think it is  
6 important to just say that you have to be confident  
7 of neuropathic pain before you get to the point of  
8 chronic pain.

9           In terms of the issue of replicate trials,  
10 personally I find it much more useful to see  
11 different trials in different disease entities than  
12 in the same entity. For example, two identical  
13 replicate trials in osteoarthritis don't help me  
14 nearly as much as one good trial in osteoarthritis  
15 and one good trial in some other kind of  
16 musculoskeletal pain like low back pain or  
17 rheumatoid arthritis, or something like that. I  
18 think that is where the information comes in. So,  
19 personally I would discourage replicate trials and,  
20 if you are looking for a broad categorization, then  
21 try to get as broad an experience as possible of  
22 disease entities within that category.

23           Lastly, in terms of the issue of the  
24 requirement for the three co-primaries, my  
25 experience suggests to me that that is an

1 absolutely wrong approach. I think it is obvious  
2 that if a drug reduces pain but does not  
3 necessarily improve function, quality of life or  
4 whatever, it is still an analgesic.

5           On the other hand, I think that those are  
6 very, very fundamentally important secondary  
7 outcome variables that will differ from disease to  
8 disease and can also help us understand the meaning  
9 of the primary and borderline cases or unusual  
10 cases. I think the data should definitely be  
11 collected. It should be required but not as  
12 co-primaries for developing analgesics.

13           DR. ANDERSON: I actually agree with quite  
14 a lot of what Dr. Katz said, although I disagree  
15 about the domains. First, I didn't like the idea  
16 of this global indication at all because I just  
17 don't think a single drug can do it all and also  
18 retain function. Also, it seems to me that it  
19 would be abused in the sense of, you know, you had  
20 all your three areas or even six areas where you  
21 showed it worked it would be used in many more  
22 where it might not work at all or might be unsafe.

23           So, I think that you should just stick  
24 with what you have at the moment, which is for any  
25 particular indication, pathogenesis area or

1 whatever, you have to have two trials, perhaps with  
2 a different disease.

3 I think that the three domains are all  
4 important. Okay, this is an analgesic but it is  
5 more than an analgesic. You know, for an analgesic  
6 which is just for acute pain, then, okay, pain is  
7 the only outcome that matters. But for an  
8 analgesic that is for chronic pain or long-lasting  
9 pain, then it is not much use unless the person can  
10 have function unless you are talking about terminal  
11 illness where there is no hope for that. But I  
12 think that we would want to use these drugs in  
13 cases where people want to retain and improve  
14 function. So, function, patient global and pain  
15 score I think are equally important and should all  
16 be kept and be required.

17 DR. ASHBURN: I am an anesthesiologist who  
18 has left the OR to take care of patients who have  
19 chronic disease over long periods of time. So, as  
20 a result, I am used to having conflict within  
21 myself.

22 [Laughter]

23 I think that this is one of the areas  
24 where I have mixed feelings. In a global area I  
25 think it is really important to recognize that

1 individuals who have complex chronic pain disorders  
2 require more than one medication. They frequently  
3 benefit from polypharmacy with medications targeted  
4 towards specific issues and specific individual  
5 patients. They frequently have depression; they  
6 frequently have sleep disorders; frequently have  
7 anxiety. They also have social issues that need to  
8 be addressed by cognitive behavioral therapy. They  
9 also have physical dysfunction and require  
10 activating physical therapy. To a certain degree,  
11 it is almost disingenuous to think that one  
12 medication could be useful as a global indication  
13 for chronic pain.

14           The other thing that even makes it more  
15 difficult in that area is that pain management  
16 physicians and physicians in general tend to be  
17 enamored with the use of unproven techniques in  
18 this patient population. I think that that poses  
19 some concern with regard to safety.

20           On the other hand, six well-controlled  
21 trials for the indication seems to be an extremely  
22 high bar. Drilling down to the specifics, I am a  
23 little bit worried about the specific definitions  
24 of group as far as how you define, how you group  
25 patients. One concern that was already brought up

1 is how would you study children, and for  
2 essentially orphan children who have chronic pain  
3 in these areas. Clearly, designing six  
4 well-controlled clinical trials that include  
5 adequate numbers in children would be extremely  
6 difficult. Do you do it by mechanism? Do you do  
7 it by cancer? We have already heard discussions  
8 that patients who have metastatic cancer don't  
9 necessarily have one etiology of their pain but  
10 frequently have multiple ones that are working  
11 simultaneously, and is that a meaningful patient  
12 population to study? Or, do you do it by body  
13 location, which also is fraught with all sorts of  
14 problems?

15 My concern is that if you set the bar too  
16 high companies will go for a narrow indication,  
17 which may be appropriate but, on the other hand, a  
18 narrow indication will lead towards less data on  
19 safety in different patient populations, which I  
20 think would be very helpful in guiding use.

21 With regard to a patient global  
22 indication, I think that this is something that  
23 probably ought to be required but I have a concern  
24 about it being used as a primary endpoint to  
25 determine approval. I think having six positives



1 is very, very difficult. Also, I don't know that  
2 the patient global assessment is well defined in  
3 the literature, and whether or not that assessment  
4 tool, which has become very common, has been  
5 validated in a meaningful and appropriate way and  
6 is used in a uniform and consistent manner.

7           Lastly, most of the function scales have  
8 multiple different measurement tools and they have  
9 to be well defined with regard to how you would  
10 affect function. The usefulness of a tool will  
11 vary by patient populations. So, it is possible  
12 that you will be offered different function  
13 assessment tools for different patient populations  
14 and you will not be able to combine that in a  
15 meaningful way. Again, with pediatrics there is  
16 very little data on validated disease-specific  
17 measures of health in children with pain, and even  
18 less data on children at the end of life. As a  
19 result, children are again going to be orphaned.

20           An alternative is to require the use of  
21 validated, as best one can, disease-specific  
22 measures of health specific for the population to  
23 be studied in each individual trial and use that  
24 data, not necessarily solely for determination of  
25 approvability, but use that to inform the label.

1 Thank you.

2 DR. ELASHOFF: I don't feel well enough  
3 informed to comment on the issue of how many  
4 separate indications one might make or what they  
5 would be. However, I do feel that each one going  
6 into that should have sufficient information. So,  
7 I feel very strongly that you should have replicate  
8 studies.

9 In terms of the outcome domains, probably  
10 each indication is going to need somewhat different  
11 ones, but the whole issue that I am concerned about  
12 is that all this needs to be extremely carefully  
13 defined before the study is started or, perhaps  
14 even before you talk about an indication for a  
15 specific area which things ought to be measured.  
16 the whole issue of exactly how one is going to deal  
17 with multiple co-primaries on a statistical basis,  
18 what you are going to do about alpha levels what  
19 the implications of this are for power, you will  
20 probably need to look very closely for each  
21 indication at how correlated these things are  
22 because that is going to have a great deal of  
23 influence on the powering of the study. If they  
24 are very highly correlated you are in essence only  
25 asking for one of them. If they have very low

1 correlation, then you may well need bigger sample  
2 sizes.

3           The other thing that wasn't put into the  
4 question, although some people have mentioned it,  
5 is that I think the safety requirements, the safety  
6 information that you would need if you are going to  
7 have a global indication should be far greater than  
8 for any single indication.

9           DR. FIRESTEIN: Dr. Farrar, you are up.

10           DR. FARRAR: I guess from my perspective,  
11 understanding that no drug is going to be perfect  
12 and that every drug is going to fail at something  
13 and that FDA approval is being used more and more  
14 to limit payment for therapies by insurance  
15 companies, I am in favor of a global indication to  
16 allow me to use medications in patients for which  
17 there is good clinical trial evidence that they  
18 work but which may not have been submitted to the  
19 FDA for formal approval, which is really very often  
20 driven by costs and marketing considerations.

21           As such, I think it is reasonable to think  
22 of a global indication. In fact, I would favor two  
23 trials in syndromes which are clearly neuropathic  
24 and would also request that those be in separate  
25 entities but clearly neuropathic, and two trials in

1 what are clearly somatic pain, also two separate  
2 entities as being the bar for efficacy.

3           In addition, since patients really are the  
4 defining factor in terms of whether a medication  
5 works or not, I think that the global outcome is  
6 exactly the right measure provided it is done  
7 correctly, and I think it can clearly be done  
8 incorrectly. By correctly, what I mean is that it  
9 is supported by several other outcomes that are all  
10 going in the same direction. To have a global  
11 outcome that is by itself I think would be  
12 incorrect.

13           In this setting, however, the most  
14 important issue and the thing for which the bar  
15 needs to be set the highest is safety. If the drug  
16 is going to be used or potentially used in a wide  
17 variety of patients, it needs to be shown to be  
18 safe in those populations, in specific, the elderly  
19 and children. It may be hard to find enough  
20 children to demonstrate efficacy in all of these  
21 areas, but if I know that it is going to be safe I  
22 would be willing to try it, and maybe clinical  
23 trials that are done outside of FDA approval will  
24 help to guide my therapy.

25           Lastly, I would like to suggest that

1 perhaps there needs to be a different study that is  
2 called perhaps a labeling study. We look at dose  
3 in a Phase II trial, but maybe we need to look at  
4 dose in Phase III(c) or perhaps even in Phase IV to  
5 help us answer some of these questions that have  
6 been raised in terms of whether a 50 percent  
7 response time is the appropriate dosing schedule if  
8 it, in fact, limits our use of the medication. In  
9 actual fact what we are talking about is limiting  
10 the use as opposed to providing real benefit in  
11 terms of the guidance for use. So, those would be  
12 my suggestions.

13 DR. BORENSTEIN: My thoughts on the  
14 subject have to do with trying to follow the  
15 clinical situation with the clinical setting. If  
16 we are going to have a chronic pain indication on a  
17 general basis, those situations for an individual  
18 neuropathic pain versus low back pain versus even  
19 osteoarthritis may not be quite the same. My hope  
20 would be that the FDA would allow studies to be  
21 done that could show potential efficacy that would  
22 mirror the clinical situation. Now, it may make it  
23 a little bit more difficult because the trials may  
24 have a different look to the patients that would be  
25 admitted and things of that sort. But it would

1 have greater applicability to what the clinical  
2 situation is.

3           So, whether that would be three or four  
4 settings where it would follow what would be  
5 happening in the clinical situation, that would  
6 make it much more applicable. So, this idea of  
7 either having multiple drugs and adding or  
8 withdrawing would then be allowed so that a trial  
9 for osteoarthritis might look different than one  
10 with neuropathic pain versus one with low back  
11 pain, but would still be accepted and how many  
12 would be needed, whether that would be two of each  
13 in neuropathic and somatic versus three, I think  
14 would still need to be decided.

15           I think also very important is the idea of  
16 safety and that the studies be done at least long  
17 enough for us to get a handle on how these agents  
18 would be used in these clinical situations. I  
19 think that is very important because it is all well  
20 and good to have a single drug and see whether it  
21 is safe but in the real world many patients are on  
22 three, four or five different drugs. They are  
23 hypertension drugs; diabetes drugs. And, it is the  
24 interaction of the new agent with the other ones  
25 which makes it, once again, clinically applicable.

1 So, I think the closer we can get to the real world  
2 and still do good science would certainly be quite  
3 useful.

4           The last point I would make regards the  
5 domains. I think a global assessment is clearly  
6 very important, but I think as an analgesic, we  
7 want to be sure that patients are achieving pain  
8 relief and that should be the primary outcome of  
9 studies. But every study should look at patient  
10 satisfaction and global outlook. So, I think those  
11 two at least. Then, in the appropriate setting how  
12 that is affecting their daily function and using  
13 the appropriate outcome measure to measure that  
14 would once again be important. But, once again, I  
15 think it is the clinical situation, as close as we  
16 can get to it, the greater will the impact will be  
17 of the information which is actually observed from  
18 these studies.

19           DR. STRAND: Well, I would like to perhaps  
20 give a little bit of a preview to what I was going  
21 to say this afternoon, after lunch. The group that  
22 I led at the NIH breakout meeting finally decided  
23 on five domains that they felt were essential as a  
24 minimum number of domains to be assessed in  
25 clinical trials of chronic pain. They were pain;

1 patient global; some type of measure of physical  
2 function or health-related quality of life, a  
3 generic measure of health-related quality of life  
4 and adverse events.

5           So, what we are really talking about here  
6 I think is that these need not necessarily be  
7 co-primaries. As has been done in other diseases,  
8 and I am not trying to shove this into the  
9 rheumatoid arthritis model, one could ask for any  
10 number of these five domains assessed by different  
11 instruments to show improvement without the others  
12 showing deterioration.

13           We could perhaps elevate patient global to  
14 something like a health utilities measure, which is  
15 more like the way the patient would weigh all risks  
16 and benefits from the intervention in terms of  
17 their pain and assess what they think of it.

18           Certainly, we talked about physical  
19 function and belabored the point that it doesn't  
20 work in metastatic cancer pain. I would simply  
21 argue that what we need to be doing is looking at  
22 the instrument. There are plenty of different  
23 instruments that would assess domain of some type  
24 of function--the ability to perform activities of  
25 daily living, the ability to even get out of bed,



1 whatever. They can be disease specific even down  
2 to the type of cancer that there is. So, I think  
3 there always is some instrument that would help in  
4 the clinical setting that we are looking at the  
5 pain.

6           Clearly, we have to ask about pain. A  
7 reason to look at a generic measure of  
8 health-related quality of life, besides economic  
9 assessments which might be important in  
10 non-malignant types of pain, would also allow us  
11 to compare interventions across different kinds of  
12 pain. If we are talking about doing, say, three  
13 different models or four different models of  
14 chronic pain, somatic, musculoskeletal, or  
15 inflammatory as I would like to think of it, versus  
16 neuropathic.

17           Adverse events are obviously quite  
18 important and that was, of course, the fifth  
19 domain. In terms of the fact that these domains  
20 would not be closely related, if they are combined  
21 in some type of a responder analysis that should  
22 decrease the sample size quite significantly. It  
23 certainly is true with rheumatoid arthritis. In  
24 terms of saying that perhaps both the global and  
25 the pain measures, whatever they might be, have to

1 be required as improved and then the others must  
2 not show deterioration, or whatever, that is  
3 another way to make sure that the domains that  
4 everyone thinks are most important are specified.  
5 But it also makes it a lot easier than requiring  
6 that any three domains be co-primaries which is  
7 very difficult.

8           Finally, not to do any of this that isn't  
9 evidence based. I have been a part of predefining  
10 responder analyses on the basis of consensus with  
11 there being no data, and those are fraught with  
12 very much of a likelihood of failure, as Jane  
13 Elashoff has mentioned. But it could be done based  
14 on looking at data in Phase II with the product and  
15 then defining a responder analysis based on the  
16 data dredging from the Phase II studies.

17           DR. MCLESKEY: I would like to reiterate  
18 what I said basically yesterday, that I think we  
19 are all in this together. Our purpose, as I  
20 believe I mentioned yesterday, is to advance the  
21 practice of medicine and how might we best go about  
22 doing that

23           The concern that I expressed yesterday, I  
24 will reiterate today, and that is to study a new  
25 agent in three different models of disease, each

1 studied in a replicate fashion; each having three  
2 co-primary requirements that all have to hit in  
3 order to obtain a claim is, in fact, a high hurdle,  
4 perhaps too high a hurdle, perhaps a hurdle that  
5 you simply cannot get over. I am just concerned  
6 that if industry feels that it is such a high  
7 hurdle that it can't be achieved then that might,  
8 in fact, stifle innovation, which is the antithesis  
9 of what we are all about.

10 So, I just restate that again. I hope  
11 that I am reflecting adequately what industry in  
12 general feels, but it seems to me that the hurdle  
13 that has been proposed as a possibility seems a bit  
14 high and potentially challenging to a degree we  
15 can't meet.

16 Another issue, and it has been raised by  
17 previous panelists around the room, is that some of  
18 those co-primaries may actually be inappropriate in  
19 certain models of disease and, therefore, maybe  
20 those co-primaries need to be reexamined and  
21 reduced a little bit in their importance in certain  
22 circumstances. Also as was previously mentioned,  
23 the question of validation of some of the tools  
24 also potentially deserves a closer look.

25 The discussion yesterday regarding

1 multiple alternatives that has been reiterated  
2 today reminded me of a an advisory meeting that was  
3 held a couple of months ago, which Gary had  
4 mentioned earlier. It was a discussion of  
5 neuropathic pain and there were multiple  
6 possibilities mentioned at the time, one of which I  
7 will just reiterate for this group today, those who  
8 were not in attendance, because I haven't heard  
9 this particular possibility alluded to yet. As a  
10 suggestion, it was that one method or one model  
11 disease could be studied in replicate and then  
12 other models of disease studied not in replicate  
13 but in single form, sort of a combination or merge  
14 of the two different proposals. At that meeting, I  
15 heard mentioned that we might do a replicate  
16 analysis of one model and then look at maybe two  
17 other models of disease in a single study format to  
18 justify a broader claim.

19 Just as an aside, Lee, I would like to  
20 compliment you for mentioning yesterday and then  
21 highlighting again today the fact that you are  
22 proposing a subsequent meeting to examine these  
23 kinds of issues more closely, more carefully,  
24 perhaps in a more focused way in the presence of  
25 the academic community, the presence of the

1 regulatory community and perhaps a more meaningful  
2 presence from the industrial community as well,  
3 with representatives with a more substantial  
4 presence at that occasion. That is reassuring  
5 certainly to the industry members in the audience  
6 today.

7           As an aside also, I think some of the  
8 industry people would also like to be reassured, if  
9 that were possible, that the arrangements that are  
10 already under way and the commitments that have  
11 already been made will, in fact, be honored as  
12 these new guidance proposals are development and in  
13 process, some reassurance there would be  
14 appreciated, I know, by some in the room.

15           Also, just as an aside or perhaps as a  
16 commentary, some of the industry people have come  
17 up to me during the breaks and they are reflecting  
18 on the following, and that is the issue of idealism  
19 versus realism. There are many physicians and  
20 healthcare providers at this table in practice;  
21 there are many in the regulatory agency; there are  
22 many in the industrial organizations and sponsors  
23 that are in the room today and all of us know, as  
24 has been mentioned by many of the clinicians at the  
25 table, the variability in patients and the

1 variability in their circumstances. It is that  
2 variability that makes some of these trials so  
3 difficult to accomplish and complete in a fashion  
4 that would satisfy the proposal that is before us  
5 today.

6           That is why I am concerned that the hurdle  
7 might be set too high. We just must not lose  
8 perspective of the variability in patients and in  
9 their situations and in their circumstances which  
10 would make it very difficult to hit on all of the  
11 targets that have been proposed.

12           DR. FIRESTEIN: Before we move on, I would  
13 just like to remind people to please keep their  
14 comments to about two minutes, and let's try to  
15 answer the specific questions that have been  
16 raised. Dr. Max?

17           DR. MAX: Regarding the models, I agree  
18 with Dr. McLeskey that people are going to want to  
19 do replicate trials in one condition anyway to get  
20 the drug on the market. It would make sense to me.  
21 I would rather have a broader representation of  
22 diseases and I don't need any more replication.  
23 So, whether the number would be two and one, plus  
24 two additional conditions or three additional  
25 conditions, I would recommend that the FDA do a

1 careful economic analysis, and if you could get  
2 more conditions without killing the wonderful  
3 engine of industry, I would make it five trials, if  
4 not four trials, and you can figure that out.

5 I think in each condition you should try  
6 to either make it relatively homogeneous  
7 mechanistically for clinical criteria, or at least  
8 allow the information to be there. For instance,  
9 if you study cancer pain, mixed cancer pain means  
10 very little mechanistically. We should be able to  
11 look at bone pain separately and, similarly in back  
12 pain, the people with root injury are different  
13 from those with central back pain. So, try to use  
14 the clinical criteria to allow some mechanistic  
15 inferences.

16 Regarding the issue of the three proposed  
17 co-primaries, I again disagree with that. I think  
18 that pain should be the primary outcome. I agree  
19 that a global outcome and function are important  
20 things to measure but they should be secondary  
21 outcomes and, obviously, if over the pattern of  
22 studies globals deteriorate and function  
23 deteriorates there is something wrong with the drug  
24 and it won't be approved. But I would make pain  
25 the only primary. And, I think general chronic

1 pain is a great idea as it will drive the science  
2 forward.

3 DR. DIONNE: Well, I have very little  
4 experience with chronic pain so, presumably, I  
5 don't have the basis for an intelligent opinion but  
6 that hasn't stopped me before.

7 I just wanted to reiterate the concept of  
8 some sort of a data-driven regulatory practice for  
9 analgesic drug development in this particular  
10 question that might take the form of a  
11 meta-analysis of the existing drug classes that are  
12 generally accepted for chronic pain, be it  
13 tricyclics and NSAIDs, and look back and see if  
14 there is enough evidence to support the application  
15 of these criteria that are being considered  
16 prospectively when we look at the evidence that  
17 exists for drugs that have been studied for 50 to  
18 100 years. Then, on the basis of that we might  
19 determine that the standard is too high, too low,  
20 if it doesn't actually apply to drugs that have  
21 already been approved, and then make the subjective  
22 evaluations that have to be made about the  
23 prospective criteria at least on the basis of the  
24 data for the drugs that are already out there.

25 DR. WOOLF: I must admit, I am concerned



1 about this notion of there being a global chronic  
2 pain analgesic in the absence of evidence that such  
3 a drug exists. I think that is the key issue.  
4 This needs to be evidence based. I am worried that  
5 we don't know which trials, whether they be three  
6 or five, in which conditions are going to be  
7 predictive of whether any drug is going to be  
8 effective across a wide range of different chronic  
9 pains.

10 So, the issue to me is how happy are we  
11 going to be living with an analgesic that has a  
12 global pain indication and, yet, is not effective  
13 in subcategories or different diseases? If we  
14 don't have a basis yet for predicting which of the  
15 suitable trials, whether it be low back pain or  
16 fibromyalgia or age-related neuropathy, it is pure  
17 guess work as to which of these we can select and  
18 how many to try to come to an assessment of whether  
19 any individual treatment is going to be effective  
20 across a wide range of conditions.

21 The other issue that hasn't been discussed  
22 yet is in these trials are we looking for  
23 placebo-controlled trials or active comparators?  
24 If so, since they are going to be so different what  
25 would the active comparator be if you are going to

1 compare fibromyalgia versus neuropathic pain in the  
2 conduct of these trials?

3 MS. MCBRAIR: I too am concerned about a  
4 global assessment. It seems early on and what I  
5 would really like to see us do is a really good job  
6 with each one of the indications or diseases or  
7 health problems and be able to give the very best  
8 guidance to the practitioners that are using these  
9 medications and to the patients. I think we need  
10 to focus on that first before we go towards a  
11 global assessment.

12 As far as the domains, I think they are  
13 all important based on the individual health  
14 problem. I do think patients need to be able to  
15 function if they are supposed to, and that is the  
16 goal of the medication in part. Certainly in  
17 rheumatoid arthritis, if we are just covering the  
18 pain we may not be addressing the inflammatory  
19 process and that needs to be paid attention to as  
20 we are looking at these individual situations. But  
21 I think the domains are very important to the  
22 people that we are trying to serve.

23 DR. WOOD: It is getting late. I agree  
24 with much of what has been said before,  
25 particularly by Dr. Abramson. I also agree with

1 what Dr. McLeskey said, that there are worries  
2 about having multiple primary endpoints and merging  
3 these into a composite endpoint rather than just  
4 having your primary endpoint being the reduction in  
5 pain which is, after all, the indication we are  
6 looking for.

7           On the other hand, a global indication  
8 seems to me to go beyond the science. If you think  
9 of other areas, we don't give global indications to  
10 improvement in cardiovascular health. We say  
11 cholesterol agents do one thing; beta blockers to  
12 something else; ACE inhibitors do something else.  
13 All of these drugs, in fact, produce mortality but  
14 we have a recognition about the specific  
15 indications for their use to reduce that mortality  
16 and that seems appropriate here; it is just that  
17 the science isn't as far advanced.

18           The one thing that has not been discussed  
19 that I would want to put on the table is that it  
20 seems to me there is an underlying assumption being  
21 made up till now that all our studies are going to  
22 come out positive in a global indication. What are  
23 we going to do with studies that come out  
24 negatively? Never mind how many positive studies  
25 you need, how many negative studies do you need?

1 Does one negative study immediately knock you out  
2 of the park? I mean is that it? That you can no  
3 longer get a global indication?

4 I would be particularly concerned that  
5 that is going to give rise to gaming of the system.  
6 You know, I think we can reliably expect that we  
7 will hear about all the positive studies. The  
8 negative studies may not be presented in this room.  
9 So, I think the idea that somehow all the studies  
10 will come out positive and really all we are  
11 arguing about, as Bernard Shaw said, is the number  
12 is unrealistic. Some are going to come out  
13 negative. And, I think there is a big danger for  
14 industry in going for a global indication because,  
15 clearly, if you go for a global indication and one  
16 of your studies comes out negative you are dead in  
17 terms of a global indication. There is a  
18 possibility that one of your competitors may come  
19 out with a study that is negative and that is then  
20 used to undercut your global indication.

21 So, I think there is a risk in that and I  
22 think we should be cautious about extending to  
23 indications for which we don't have obvious data to  
24 support them.

25 DR. CALLAHAN: Well, I think Dr. Woods

1 made a very good point about if there is a negative  
2 indication. So, based on that, I would like to say  
3 I would like to see two replications of whatever  
4 indications, and the numbers I think would depend  
5 on sort of the feasibility within the company in  
6 terms of how many indications they could look at.  
7 Clearly, you need to look at different types of  
8 mechanisms within that.

9 In terms of the domains, I think pain  
10 should be a primary outcome, not have the  
11 co-primary, but I would like to see some sort of  
12 disease specific function included, as well as  
13 patient global. Then, I very much like the idea of  
14 a general health-related quality of life so that  
15 they can be compared across conditions.

16 DR. CUSH: There is a benefit to going  
17 late; you get to listen to everybody else's ideas  
18 and be swayed by them. I will back off. I was  
19 very much in favor of this when it was first  
20 presented and I would say I am against it.

21 [Laughter]

22 DR. FIRESTEIN: I am going to have to go  
23 around the table again now, so be careful!

24 {Laughter}

25 DR. CUSH: I think that there is an issue

1 regarding under-treatment of pain, but I think that  
2 doesn't rest with the lack of available options or  
3 drugs that could be labeled as globally effective  
4 therapies. I think that rests more with poor  
5 education and poor understanding of pain and pain  
6 control. I think if you look at drugs that we  
7 might call sort of global drugs, widely used drugs,  
8 broad-spectrum antibiotics, while they may have  
9 been helpful there has also been a certain degree  
10 of misuse, and the problems that that may have  
11 arisen from that I don't think were anticipated.

12           When we look at our arthritis drugs, we  
13 have drugs like methotrexate and disease-modifying  
14 drugs. They tend to be used globally, sometimes  
15 outside of indications because we don't have  
16 options. Sometimes that is done because we  
17 understand the mechanism of disease. Sometimes it  
18 is done quite blindly and quite stupidly, and with  
19 no apparent effect and maybe with great expense or  
20 maybe toxicity. I think that there are drugs that  
21 are out there that are being used in this manner  
22 currently, drugs such as the COX-2's and narcotics,  
23 are basic globally used pain medicines. Currently  
24 they are used in a way that basically forces the  
25 physician to be intelligent and understand the

1 mechanisms of disease and what is going on with the  
2 patient, and also act as an advocate on behalf of  
3 the patient to go for those indications and write  
4 letters to explain why this is indicated.

5           So, you know, would a global indication  
6 actually help a payer, an approver of drugs that  
7 they may not be indicated for? So, would they  
8 actually approve the use of a new, novel pain  
9 medicine for phantom limb pain, acute gout or  
10 visceral pain associated with losing to the  
11 Yankees? I don't know.

12           [Laughter]

13           I still think it forces me to have to  
14 still write those letters to get these drugs  
15 approved, and for this reason I would say that we  
16 should not have this indication.

17           I will close by just saying I think we  
18 have an issue of nomenclature here that was raised  
19 yesterday by Dr. Ashburn. The whole use of words  
20 "acute" and "chronic" are a little bit  
21 disconcerting and I think we should try to maybe  
22 redefine the terms we use and maybe go for things  
23 such as short-term therapy or long-term therapy.  
24 In this instance, general global pain indication is  
25 a bit too obtuse clinically and unrestrictive to be

1 useful. Thank you.

2 DR. SHERRER: I am last but I didn't  
3 change my mind. So, some of us can stay steady.  
4 While it is true that we do, in fact, use  
5 medications that are on the market with restrictive  
6 indications broadly, nevertheless, as a clinician,  
7 I think it would be very useful to me in  
8 prescribing to know that a drug has utility across  
9 different types of pain. If the studies were  
10 useful and really are showing me that, for  
11 instance, if you do osteoarthritis and low back as  
12 two of your models I am not so sure that you are  
13 looking at different pain. On the other hand, if  
14 you look at cancer bone pain and you look at  
15 diabetic neuropathy and you look at OA, you  
16 probably are looking at different pains and it  
17 would be very useful for me to know that that has  
18 been demonstrated.

19 In terms of looking at the domains, I am  
20 one of those who believes that we need to look at  
21 the total impact of the drug as an outcome. So, I  
22 would favor looking at least at three, if not four  
23 of them. I think pain is useful but the total  
24 impact of a drug is even more useful to my  
25 patients. In fact, that is why some won't take



1 certain pain medications, because of the side  
2 effects, because of their effect on quality of  
3 life. So, I would use several of those, and most  
4 important to me would be pain, would be patient  
5 global and some appropriate assessment for the  
6 particular disease of function or quality of life.

7           One thing I haven't heard that I would  
8 like to bring up, and maybe it would be a  
9 secondary, is steroid sparing because I think that  
10 in certain chronic pain disorders where steroids  
11 are an important part--I said steroid sparing,  
12 opioid sparing--many patients are very concerned  
13 about opioids and so are we, and if a drug spares  
14 opioids, that would be very important to me.

15           DR. FIRESTEIN: We are done. We have gone  
16 all the way around the table. So, we will break  
17 for lunch and we will reconvene at 12:55, which  
18 means we will start at 1:00.

19           [Whereupon, at 12:05 p.m., the proceedings  
20 were recessed for lunch, to reconvene at 1:00 p.m.]

1 A F T E R N O O N P R O C E E D I N G S

2 DR. FIRESTEIN: I am happy to introduce  
3 Dr. Vibeke Strand, who is going to talk about  
4 responder index, a model.

5 Responder Index, a Model

6 DR. STRAND: Thank you, Gary. We have  
7 been more or less talking around this topic for the  
8 last day and a half, and perhaps we should have  
9 started sooner with this discussion.

10 [Slide]

11 What I would like to do is basically  
12 present to you a discussion that was started at the  
13 last NIH-FDA meeting on pain. Just to point out  
14 something that we have talked about before,  
15 responder analyses have face and content validity.  
16 They do allow the assessment of multiple domains.  
17 They probably could better help us categorize  
18 analgesics.

19 They should also help facilitate  
20 comparison of efficacy across products and disease  
21 populations and indications. I think in analgesia,  
22 as in rheumatology, most of our patient populations  
23 are quite heterogeneous and this would help  
24 considerably.

25 This might or might not lead to a tiered

1 approach in label indications as has been done in  
2 rheumatoid arthritis but really has not yet been  
3 done otherwise. The precedent, as we have talked  
4 about previously, is the ACR responder criteria in  
5 rheumatoid arthritis.

6 [Slide]

7 Jim Witter pointed this out to you this  
8 morning. it is a model for other responder  
9 analyses. One could say that the two criteria  
10 here, which are tender and swollen joint count,  
11 could be required in a responder analysis in pain,  
12 for instance, whatever assessment of pain could be  
13 required and perhaps also the patient global  
14 assessment could be required. The others could be  
15 included.

16 One of the things we do know is that it is  
17 probably too stringent to require all components of  
18 a responder analysis to be improved. It is  
19 possible to choose the majority of them to be  
20 improved. It is also possible to indicate that the  
21 remaining ones should not be deteriorated.

22 If we want to talk about a definition of  
23 no deterioration, however, we have to allow that  
24 statistical definition to account for test/retest  
25 variability, which we have alluded to before in our

1 discussions around changes in visual analog scales.

2 [Slide]

3 The strength of the rheumatoid arthritis  
4 guidance document is that it has had a proven track  
5 record and since its inception we now have six  
6 products approved for the treatment of rheumatoid  
7 arthritis, some of them just for the signs and  
8 symptoms, as in the COX-2 products, but many of  
9 them now for improvement in signs and symptoms in  
10 either 6 or 12 months and then inhibition of  
11 radiographic progression at 12 months, and  
12 subsequently improvement in physical function  
13 without deterioration in health-related quality of  
14 life over 2 to 5 years. In this case it has been  
15 over 24 months.

16 These outcomes have all been achieved in  
17 single protocols using prespecified outcome  
18 criteria, whereby the first outcome criterion must  
19 be satisfied statistically significantly,  $p$  less  
20 than 0.05. Then one may look at the subsequent, in  
21 sequence, criteria, provided each one remains  
22 statistically significant without taking a  $p$  value  
23 correction. That is a very valuable way to look at  
24 multiple different aspects of a disease and how it  
25 affects the disease population.

1 [Slide]

2 When we had this breakout session at the  
3 workshop, in May, the definition for the  
4 workshop--and I am not saying that is a definition  
5 we have been working on today, but the definition  
6 for chronic pain was randomized, controlled trials  
7 of at least three months duration in pain of at  
8 least three months duration, regardless of the  
9 underlying cause. That was simply taken as a  
10 definition so we could have the discussion we were  
11 going to have.

12 We agreed in that discussion that we would  
13 not specify specifically different diseases. We  
14 agreed that maybe there might be some differences  
15 specifically for chronic cancer pain, but for the  
16 purposes of the discussion we would not  
17 distinguish.

18 [Slide]

19 We were considering musculoskeletal  
20 indications such as rheumatoid arthritis,  
21 osteoarthritis and low back pain, as we have talked  
22 about in the last two days, also fibromyalgia,  
23 neuropathic pain, the examples being diabetic  
24 neuropathy, post herpetic neuralgia, trigeminal  
25 neuropathy. For cancer pain, we agreed that it

1 wouldn't necessarily be for a three-month duration  
2 in terms of trial and that we would be thinking  
3 about rapidly progressive disease and adjust  
4 intervention as the disease progresses which is, of  
5 course, a very important thing around cancer pain.

6 [Slide]

7 We agreed to select the domains regardless  
8 of the clinical indication; that we would consider  
9 the available instruments and whether or not they  
10 were validated and whether or not they had been  
11 validated in pain trials; just that they had been  
12 used in previous randomized, controlled trials but  
13 not necessarily in pain; and whether they were  
14 disease specific or generic was sufficient.

15 The point really was that the outcome  
16 measures in rheumatology clinical trials, the  
17 OMERACT international consensus process has  
18 actually helped to define the ACR responder  
19 criteria, and is helping to define responder  
20 criteria in osteoarthritis, but the first decision  
21 is around the domains to be used, not the specific  
22 instruments, and that there is some flexibility  
23 around which instruments might be utilized to  
24 satisfy each of the domains.

25 [Slide]

1           We did believe, however, that the strength  
2 of choices in terms of domains was based on  
3 multiple available instruments and our own prior  
4 clinical experience. So, the choices, as they were  
5 thrown out and written up, were pain and we talked  
6 a lot about the multiple different measures of pain  
7 that probably should be important to be included in  
8 a given trial under a single domain, including the  
9 patient global assessment; including the assessment  
10 of rescue medications; and time to treatment  
11 failure--all of these which we talked about this  
12 morning.

13           Suffering was suggested as a domain, as  
14 was pain relief, a disease specific measure of  
15 improvement and/or physical function and/or  
16 health-related quality of life was proposed. So  
17 was health-related quality of life, and we have  
18 been throwing around the term quality of life. I  
19 think it is important that we specifically mention  
20 that it should be health-related quality of life in  
21 all the way health affects you. Because,  
22 certainly, political circumstances, economic  
23 circumstances and the presence or absence of food  
24 and money are not part of health-related quality of  
25 life but certainly are part of quality of life.

1 Patient global assessment, adverse events and  
2 specifically how they are perceived by the patient  
3 which is something we are not very good at in  
4 clinical trials; we usually trust the physician to  
5 report those adverse events and often not with very  
6 much input from the patient, other than that the  
7 complaint has been offered. Damage, whether it is  
8 due to the disease or its treatment, and  
9 specifically indicating that it is irreversible,  
10 and economics.

11 [Slide]

12 After a relatively brief series of  
13 discussions, we came up with the final vote the  
14 first time when everyone was allowed to vote on  
15 basically three parameters: Unanimous decision for  
16 pain; an almost unanimous decision for a disease  
17 specific or a disease relevant measure. We have  
18 been talking a lot about physical function but, as  
19 I said to you before, I think it can be basically  
20 perceived as a disease relevant or specific measure  
21 of either function or health-related question.  
22 Health-related quality of life as a generic measure  
23 was an almost unanimous decision. Patient global  
24 and adverse events followed.

25 So, this was felt to recommend a minimum



1 core set of required domains, and that other ones  
2 could certainly be added but if we were to speak  
3 about trying to do a responder analysis, these  
4 should be the components to be considered at a  
5 minimum.

6 [Slide]

7 We have talked a lot about defining  
8 improvement in pain, but I think the point we are  
9 all trying to get at is defining improvement  
10 multidimensionally. We know that patients  
11 experience pain and they report pain, but they  
12 report it specifically as they feel on the day they  
13 are reporting it. So, if they are forward filling  
14 their diaries, it is based on how they are feeling  
15 that day. If they are back filling, it is also  
16 based on how they are feeling that day.

17 One of the important things too is that  
18 their expectations of what they can do and what  
19 they should be able to day change according to how  
20 their pain is. So, if they have already had  
21 significant pain relief their expectations have  
22 changed and become even greater than they were when  
23 they, for instance, first entered the study and  
24 were suffering considerable pain.

25 What we are trying to do, obviously, is

1 separate the experience of pain from functional  
2 impairment and disability which may or may not  
3 occur because of the pain or follow the pain. We  
4 want to separate physical impairment from  
5 disability. It is important, I think, to use  
6 individual responder analyses because it allows us  
7 to define responder, non-responder. We don't have  
8 to impute data. All cause dropouts before the  
9 endpoint are then considered non-responders.  
10 Therefore, from a statistical analysis it can be a  
11 more robust analysis. I think it is important that  
12 we use both disease specific or disease relevant  
13 measures as well as generic measures.

14 [Slide]

15 Something to quickly point out is that  
16 disability is really in the eyes of the beholder.  
17 It is, of course, age and gender appropriate. It  
18 is important and pertinent to the work, the family  
19 and the social setting. But, in fact, someone who  
20 has had cerebral palsy since birth and is  
21 wheelchair-bound may not perceive themselves as  
22 being disabled even though we would certainly  
23 consider them to be far more than just physically  
24 impaired.

25 The other part of it is that impairment

1 may be due to pain or it may be due to structural  
2 alterations, and functional limitations are  
3 certainly something that we can measure. There are  
4 arguments about disease specific or disease  
5 relevant measures of physical function and how  
6 accurate they are in that those of us who are  
7 rheumatologists often note that our fibromyalgia  
8 patients are far more severely impaired than our  
9 rheumatoid arthritis patients. But, by and large,  
10 if we can choose the right types of instruments we  
11 can usually find some type of a valid report that  
12 is consistent with the other self-reports that the  
13 patient may offer.

14 [Slide]

15 One of the other things about a global  
16 assessment is that it is probably much more  
17 important to ask the patient in all the ways that  
18 your pain is affecting you, including its  
19 treatment--how are you doing today? When we talk  
20 about visual analog scales for patient global  
21 assessments, we always talk about how are you doing  
22 today, this moment? The other part of it here is  
23 to make it a global assessment and to include sort  
24 of the risk as well as the benefit as an important  
25 thing in terms of the patient assessment of the

1 pain treatment.

2           Now, a transition question can probably be  
3 equally sensitive, in other words, how are you  
4 compared to when you first started taking this  
5 medication? That may well get to the same point.

6           The other point that is quite useful is  
7 that health utilities which are used for economic  
8 measures are single reports sometimes, questions or  
9 several questions around how patients are doing in  
10 terms of what their perception of perfect health  
11 would be. A health utilities index or the EQ5D can  
12 be given. It is a simple questionnaire that the  
13 patients can fill out. Or, one can ask the patient  
14 to report, by a feeling thermometer, how they are  
15 doing in terms of perfect health and death. That  
16 looks very much like a visual analog scale  
17 vertically.

18           [Slide]

19           We have talked a lot about minimum  
20 clinically important differences. We consider them  
21 to represent changes which are perceptible to  
22 patients and are considered clinically important  
23 and meaningful. When they were first started in  
24 the OMERACT process we used patient query as well  
25 as a delphi technique. Then they were demonstrated

1 to be consistent with patient global assessments of  
2 improvement or patient global assessments of how  
3 they were doing.

4 In fact, when we determined the proportion  
5 of patients with clinically meaningful improvement  
6 or clinically important improvement, this gives us  
7 a much more interpretable result than, in fact,  
8 trying to say, okay, this many patients had 50  
9 percent improvement in pain or this many patients  
10 had 30 percent improvement in pain.

11 [Slide]

12 If we think about this, we have now  
13 noticed that changes in disease specific or  
14 relevant measures of function and health-related  
15 quality of life that have been statistically  
16 related to much or very much improvement in patient  
17 global assessments, either by visual analog scale  
18 or Likert have given us very consistent values  
19 across OA, RA and fibromyalgia, and I will show you  
20 that briefly.

21 [Slide]

22 Briefly, measures of chronic pain include  
23 a lot of different things. There is the brief pain  
24 inventory, the McGill pain questionnaire, all of  
25 these others. Perhaps one of the more important

1 new ones is the treatment outcomes and pain survey  
2 which was developed as an add-on to the SF-36 and  
3 has been shown to be very useful in cancer pain, as  
4 well as some other non-malignant settings of pain,  
5 chronic pain with multidimensional therapy.

6 [Slide]

7 The faces rating scale we have talked  
8 about before. We talked about using a visual  
9 analog scale that is not anchored. This one  
10 actually combines a Likert scale of more or less 7  
11 with a visual analog scale of 10 and is sort of the  
12 example of what not to do at the same time to get  
13 sensitivity and specificity, which is why I chose  
14 to show this slide because I, myself, would be very  
15 confused about which face to combine with which  
16 number.

17 [Slide]

18 Talking about MCID, one of the nice papers  
19 published by Dr. Farrar, sitting at the table, is  
20 looking at the pain intensity numerical rating  
21 scale and comparing that to very much improved in  
22 patient global assessment.

23 These are 10 placebo, randomized control  
24 trials of Pregabalin, which is not yet approved,  
25 but this has been published in Pain 2000 for

1 diabetic neuropathy, low back pain, fibromyalgia  
2 and OA. So trials across different indications of  
3 chronic pain have shown that the relationship of  
4 much and very much improved in PGIC and pain  
5 intensity by numerical rating scale is very  
6 consistent with reduction of 30 percent or two  
7 points in the pain intensity scale.

8           This is really interesting given the wide  
9 variety of disease states here, and this is  
10 regardless of the baseline pain scores in these  
11 patients. So, a robust MCID definition.

12           [Slide]

13           If we look at other measures of physical  
14 function and health-related quality of life in  
15 chronic pain, I just want to remind you again that  
16 the top survey here is meant to look at changes in  
17 health-related quality of life in individuals over  
18 time, which is different from the generic measure  
19 of health-related quality of life, the SF-36, which  
20 I will come back to in a minute, and one other  
21 measure that is an HRQOL measure in pain is the MPI  
22 which specifically looks at psychosocial role  
23 functioning but omits work-related activity.  
24 Finally, cancer-related health-related quality of  
25 life has been looked at a lot on the BPI, the brief

1 pain inventory, but that has not been validated in  
2 non-malignant pain.

3 [Slide]

4 Generic health-related quality of life  
5 measures go back as far as the sickness impact  
6 profile which is, in fact, considered not to be a  
7 very popular instrument because it implies to the  
8 patient that they are sick.

9 The Nottingham health profile is also an  
10 older measure of HRQOL and not particularly  
11 popular. A very popular one is the SF-36 which is  
12 expanded over the SF-12. It is designed to measure  
13 health-related quality of life in large groups and  
14 across different disease states. It has problems  
15 if it is being used as a single measure of HRQOL in  
16 pain states or in arthritis states because there is  
17 a limited assessment of upper extremity function,  
18 as well as upper extremity pain and facial pain,  
19 and does not differentiate well between low back  
20 pain and upper body pain.

21 The WHOQOL is a new instrument, but with  
22 100 questions it has fallen out of favor. There  
23 are some shorter version. The EQ5D is widely used  
24 in Europe.

25 [Slide]



1           Disease specific measures of physical  
2 function and/or health-related quality of life  
3 include all of these. We have called them disease  
4 specific. People like Jim Freis, who developed the  
5 health assessment questionnaire, prefers not to  
6 call it disease specific because he believes it can  
7 be used across many disease states as well as  
8 aging, which is not a state of disease, as he wants  
9 to remind me. So, I have chosen to also call these  
10 disease relevant measures.

11           Clearly, the WOMAC is something that is a  
12 very good one for osteoarthritis of a knee or a  
13 hip. There are others, as well as some for the  
14 hand which are being developed. We talked about  
15 Roland-Morris and Oswestry. There are some for  
16 geriatrics and, of course, a variety of ones for  
17 cancer.

18           [Slide]

19           What I would like to do very quickly is  
20 just show you some examples of how these measures  
21 interrelate in rheumatoid arthritis, osteoarthritis  
22 and fibromyalgia.

23           [Slide]

24           So, if we look at rheumatoid arthritis, we  
25 talk about the health assessment questionnaire

1 which has now become widely used in randomized  
2 controlled trials in rheumatoid arthritis. It is a  
3 measure of physical function with 20 questions. It  
4 also accounts for when patients use aids or devices  
5 to perform these activities.

6 [Slide]

7 The SF-36, as I mentioned to you, is  
8 validated and widely used. It has been validated  
9 across multiple cultures, many disease states.  
10 There exist gender and age specific norms for  
11 multiple populations, both in the U.S., Canada and  
12 northern Europe and other countries. Then, it has  
13 eight domains as well as a physical component score  
14 and a mental component score. It has been shown in  
15 RCTs to show change in as short a time as four  
16 weeks, probably sooner than that.

17 [Slide]

18 The physical domains are physical function  
19 role, physical body pain, general health. They are  
20 combined positively into the physical component  
21 score which then negatively also weights the mental  
22 domains of vitality, social function, emotional and  
23 mental health. So, positive changes here are  
24 weighted positively here against the positive  
25 changes in these domains, which are negatively

1 weighted for the mental component score. The  
2 mental and physical component scores are based on  
3 normative data only to a total of 50. Therefore,  
4 they can show less change. And, if you are looking  
5 at a disease like rheumatoid arthritis where the  
6 predominant change is in the physical component  
7 domains, then one is not going to be seeing much  
8 improvement in mental domains because they are  
9 weighed against by the improvements in these.

10 [Slide]

11 What we have learned from the various  
12 trials is MCID for the HAQ disability index is a  
13 score 0.22 improvement. For the SF-36 it is about  
14 5 to 10 points in domains. For the physical and  
15 mental component scores, 2.5 to 5 points.

16 [Slide]

17 So, if I look very quickly across some  
18 clinical trials in rheumatoid arthritis you can  
19 see, with the leflunomide Phase III trials across  
20 all three studies, with methotrexate and  
21 sulfasalazine the mean improvement over two years  
22 exceeds MCID almost to twice in all treatment  
23 groups.

24 [Slide]

25 If we look at the ATTRACT study, and this

1 is HAQ disability index over two years, again we  
2 see that in the placebo group it does not quite  
3 reach MCID and is about twice that in all of the  
4 active treatment groups.

5 [Slide]

6 Similar types of improvements in the ERA  
7 trials with Etanercept versus methotrexate.

8 [Slide]

9 If we go back to look at the U.S. study  
10 with leflunomide and methotrexate, which was the  
11 first to show that the SF-36 was sensitive to  
12 change in rheumatoid arthritis, you can see that  
13 based against age and gender matched U.S. norms the  
14 patient population had significant decrements in  
15 all domains of healthcare quality of life, but  
16 particularly physical function, role physical,  
17 bodily pain and vitality. As we know, patients  
18 perceive their health-related quality of life  
19 differently, and one can see the changes here in  
20 the active groups actually are within MCID for  
21 almost every domain, with some deterioration in  
22 placebo.

23 [Slide]

24 If one then goes forward, we see that  
25 these are the baselines for the treatment groups

1 and these are the age and gender matched norms,  
2 then treatment with leflunomide and methotrexate,  
3 in fact, just about bring health-related quality of  
4 life up to a normative population level. That is  
5 probably a very meaningful change and it certainly  
6 does equal MCID in many of these eight domains.

7 [Slide]

8 There is similar improvement infliximab in  
9 the ATTRACT trial. These are the two of the  
10 physical domains. If we look at the PCS and the  
11 MCS we see that there is very significant decrement  
12 in the physical component score at baseline, almost  
13 two standard deviations from the U.S. norm, and  
14 treatment over one and two years brings it to  
15 within one standard deviation of the U.S. norm. As  
16 we might expect, the MCS was not that different  
17 from expected, and it could not show a great deal  
18 of improvement based on the large amount of  
19 improvement in the physical domains. Nonetheless,  
20 improvement is shown.

21 [Slide]

22 This is the median improvement in PCS  
23 score with the ATTRACT trial showing the same type  
24 of a picture, with placebo showing not much  
25 improvement.

1 [Slide]

2 This is the early RA trial, again showing  
3 baseline for the PCS, about two standard deviations  
4 below the U.S. norm, and improvement to  
5 approximately one standard deviation from the U.S.  
6 norm with treatment.

7 [Slide]

8 So, I think you can see from this that  
9 basically improvements in HAQ disability index, in  
10 other words the disease relevant measure of  
11 physical function and the generic measure of  
12 health-related quality of life appear to be very  
13 clinically meaningful, and that there are  
14 consistent values for MCID across these  
15 instruments. We are showing that improvement in a  
16 disease relevant measure is highly correlated with  
17 a generic instrument, and the generic instrument is  
18 useful because we can compare it across different  
19 disease states for an economic basis, but also to  
20 try and understand improvement, for instance as we  
21 might when we are looking at chronic pain  
22 indications.

23 [Slide]

24 Quickly, lets look at osteoarthritis. The  
25 WOMAC is the disease specific measure in OA of the

1 knee and hip. It reflects physical activities that  
2 are most affected by the osteoarthritis. It is  
3 composed of pain, five questions on joint  
4 stiffness; two questions on physical function which  
5 dominates the instrument of 17 questions out of a  
6 total of 24, and is scored either by a zero to 4  
7 Likert or a zero to 10 VAS scale for each question.

8 [Slide]

9 So, what we have found out looking at the  
10 COX-2 trials with both celecoxib and rofecoxib is  
11 that basically, using a Likert scale for the  
12 composite total WOMAC score, MCID was about 10  
13 points and was different according to the domains  
14 because they had more or less questions. If one  
15 uses the VAS scale for all of the questions, then  
16 we see very consistent MCID for each of the domains  
17 of about approximately 10.

18 [Slide]

19 This is what this looks like in the  
20 composite scores of WOMAC in clinical trials of  
21 celecoxib versus placebo and the active comparator,  
22 naproxen. Here is MCID.

23 [Slide]

24 If we look at it for rofecoxib using the  
25 primary outcome question in the physical function

1 subscale we see again that improvement is evident  
2 and exceeds MCID considerably.

3 [Slide]

4 If we look at the improvement in the SF-36  
5 with rofecoxib and we compare it to age differences  
6 in the U.S. population, we can see that there is  
7 considerable improvement in the mental domains as  
8 well as the physical domains, but the largest  
9 improvement is in role physical.

10 [Slide]

11 Similarly, if we look at the changes with  
12 celecoxib in the SF-36 in the trials that I showed  
13 you previously, you can again see that MCID is  
14 reached in many of the domains, particularly the  
15 physical ones.

16 [Slide]

17 This actually translates again towards  
18 improvement that approaches the U.S. norm. This is  
19 the U.S. normative population and these are the  
20 final scores with the different doses of celecoxib  
21 and naproxen and placebo.

22 [Slide]

23 So, again, we see clinically meaningful  
24 improvements. We see that the MCIDs are consistent  
25 across agents and patient populations in this



1 disease, and that improvement in the WOMAC  
2 correlates with the generic HRQOL SF-36 measure.

3 [Slide]

4 I don't have outcomes for fibromyalgia,  
5 but I do have interesting consistent relationship  
6 at baseline between pain, sleep disturbance and  
7 fatigue. These are all patient reported and they  
8 are highly correlated either by a pain diary or a  
9 sleep quality diary or multidimensional assessment  
10 of fatigue, a well-known fatigue instrument. And,  
11 this is whether it is done by a numerical rating  
12 scale that is ostensibly recorded daily in the  
13 diary or a visual analog scale that is done at the  
14 office visit weekly. It has been shown that the  
15 high baseline scores indicate impaired sleep.  
16 Significant fatigue, we know that our fibromyalgia  
17 patients think of themselves as being very  
18 physically impaired, and these correlate with low  
19 scores in SF-36, particularly role physical, bodily  
20 pain and vitality domains; poor sleep quality by  
21 the MOSA sleep, high fatigue and also more anxiety  
22 than really depression.

23 [Slide]

24 In terms of cancer, there are a lot of  
25 different instruments that would be useful in

1 trials of cancer pain, and they can be the FACT-G  
2 or FACT that is a P for prostate or any one of the  
3 cancers that you want to look at. The same for  
4 LASAs which can also be done for symptoms of  
5 chemotherapy as well as for symptoms for cancer or  
6 pain. The same kind of thing for the FLIC.  
7 Basically, there are all these different  
8 instruments that can be used and, again as I  
9 mentioned to you before, the TOPS has been  
10 developed and validated in cancer pain, among  
11 others.

12 [Slide]

13 Since the TOPS was defined as an extension  
14 of the SF-36 it has been a very useful instrument  
15 and it really does show change in individual  
16 patients over time.

17 [Slide]

18 So, the appropriate domains, based on what  
19 we discussed at that particular breakout session  
20 and as a recommendation to this group, would be  
21 that pain would be included as a domain. There are  
22 many instruments. We have talked about looking at  
23 different ways of assessing pain. Perhaps we can  
24 get away from some of our old visual analog scales  
25 and Face scales.

1           A disease specific or disease relevant  
2 measure of health-related quality of life and the  
3 ways that the disease affects you in your day to  
4 day activities could be used, or one could use the  
5 TOPS which is much more generic. When it is  
6 relevant to whatever the disease is, other measures  
7 could be looked at. They do not necessarily have  
8 to be included in the responder analysis.

9           I think you can see that the  
10 health-related quality of life measure SF-36 as a  
11 generic measure has turned out to be very useful  
12 and sensitive to change across a large number of  
13 types of diseases; and some way of asking the  
14 patient how they are doing in terms of risk/benefit  
15 in terms of the treatment as well as the pain; and  
16 finally adverse events, which we haven't talked  
17 about, might be subsumed under this global  
18 assessment if it does include the treatment as well  
19 as the pain.

20           [Slide]

21           Certainly for acute pain we probably don't  
22 need a measure of health-related quality of life,  
23 as we have discussed, and certainly we can talk  
24 about all of these. We do want to remember time to  
25 treatment failure and rescue medications as being

1 part of something that needs to be assessed in the  
2 pain domain.

3 [Slide]

4 When we go to subacute pain or pain of two  
5 to five days, or whatever the definition is that is  
6 less than chronic pain but more than one day of  
7 pain, it would appear that these different domains  
8 would be equally relevant. We can show changes in  
9 SF-36 over a very short period of time. Again, it  
10 might be useful to use the TOPS or to use a disease  
11 relevant measure.

12 [Slide]

13 In fact, again Dr. Farrar has published a  
14 very nice paper on cancer-related breakthrough  
15 pain, acute pain. This was in a study of oral  
16 transmucosal fentanyl citrate, which ultimately was  
17 not approved. But these were 130 patients who were  
18 naive to the study drug, many episodes of pain, and  
19 the differences in pain scores between the episodes  
20 which did and did not yield adequate pain relief.  
21 Again we see MCIDs for pain intensity difference  
22 and maximum total pain relief of about 33 percent.  
23 Again, the same kinds of changes in terms of  
24 absolute pain relief and sum of pain intensity  
25 differences of 205 points in a Likert scale, which