

1 about the appropriate use of omapatrilat. Unit-of-use
2 packaging will reinforce key risk messages, and the plan
3 includes a novel and mandatory risk counseling program for
4 patients.

5 A post-marketing surveillance plan would
6 include a prospective observational cohort study and a plan
7 for ongoing assessment of program effectiveness, including
8 the use of an expert panel. We're also committed to
9 providing extensive pre- and post-marketing testing of risk
10 message comprehension and are confident that the proposed
11 plan would be effective in minimizing the risk of life-
12 threatening angioedema.

13 At this point, I'd like to make a few comments
14 on benefit-risk. In general, the target population
15 proposed for this drug would include those identified by
16 the WHO IHS classification system as being at very high
17 risk for cardiovascular disease or at high risk for
18 cardiovascular disease, with an absolute risk of major
19 cardiovascular events of at least 2 to 3 percent per annum
20 and perhaps higher.

21 In these patients, a greater reduction in blood
22 pressure by 3 over 2 millimeters of mercury, such as that
23 observed with omapatrilat relative to enalapril in OCTAVE,
24 would be projected to be associated with a 10 percent
25 relative risk reduction, which would correlate to the

1 reduction of 20 to 30 major cardiovascular events per
2 10,000 treated per year. A greater reduction in blood
3 pressure by 5 over 3 millimeters of mercury, such as that
4 observed with omapatrilat over other agents in other
5 studies would be associated with at least a 15 percent
6 relative risk reduction, which correlates to a reduction in
7 30 to 45 major cardiovascular events per 10,000 patients
8 treated per year. As I've described, the observed
9 incidence of angioedema with airway compromise over 24
10 weeks in OCTAVE was 1.6 per 10,000, with a 95 percent
11 confidence interval of 0.2 to 5.7.

12 Now, these observations suggest that at those
13 at high or very high cardiovascular risk, the projected
14 number of life-threatening cardiovascular events prevented
15 would substantially exceed the number of life-threatening
16 angioedema events caused by at least an order of magnitude
17 and perhaps more. If one takes the worst case estimate,
18 the upper bound of the 95 percent confidence interval, as
19 the basis for comparison, the benefit-risk relationship is
20 still favorable.

21 Special consideration needs to be given to
22 black patients and to current smokers as the overall risk
23 of angioedema is higher in these patients. While BMS
24 recognizes the increased risk in these patients and
25 recommends that omapatrilat be used with special caution,

1 we believe that carefully selected black patients and
2 current smokers may benefit from omapatrilat treatment.

3 To conclude, in patients at high risk for
4 cardiovascular events, the number of major cardiovascular
5 events prevented would be projected to exceed the number of
6 life-threatening angioedema events caused by at least an
7 order of magnitude and possibly much more.

8 Now, when projecting cardiovascular benefit
9 based on blood pressure reduction, there may be a concern
10 about any unintended cardiovascular consequences of the
11 therapy which could undermine or diminish the benefit. In
12 this regard, I'd like to introduce Dr. Packer to review
13 available CV event data with omapatrilat.

14 DR. BORER: Let's hold that just for a second,
15 if we can. First of all, we're going to want to ask you
16 some questions before we hear from Milton about heart
17 failure. But it is 10:13 and 32 seconds, not by the
18 satellite, and we'll take a break until 10:25 right now and
19 then come back, ask you some questions, and then we'll go
20 on with the presentation.

21 DR. LEVY: Thank you.

22 (Recess.)

23 DR. BORER: Before we begin the questions,
24 there are two issues we need to deal with. There were no
25 requests for public comment, but I want to determine that

1 there is no one here who wants to comment about the issues
2 that we're discussing today.

3 (No response.)

4 DR. BORER: If not, one other matter. The
5 statement about Dr. Beverly Lorell's involvement and the
6 reason for her exclusion wasn't really precisely stated.
7 She is one of the principal investigators in the OVERTURE
8 trial. She has no direct financial interest. For reasons
9 of public disclosure, I think it's useful to know that.

10 Let's go on then with questions about safety.
11 I'd like to begin with a request for clarification on two
12 slides, and then we can get into more substantive safety
13 issues.

14 Slide number 43. The issue here is that the
15 female patient is listed as having become hypotensive. Can
16 you give us a little bit of detail here? How hypotensive?
17 Was this a clinically evident problem or did somebody
18 measure a low blood pressure and record the patient as
19 being hypotensive? What happened there?

20 DR. LEVY: She lost consciousness and didn't
21 have a measurable blood pressure, and with an initial
22 epinephrine injection, she regained consciousness. She
23 received a second subcutaneous epinephrine injection, and
24 over about 3 to 5 minutes, she regained a blood pressure of
25 110.

1 DR. BORER: This was not presumably -- or was
2 it -- one of the patients who would have been your high-
3 risk groups that's being targeted for the drug in the
4 proposed labeling, or in your proposal I mean.

5 DR. LEVY: No, she wouldn't have been.

6 DR. BORER: Just for my information, were there
7 other patients who developed hypotension or lost
8 consciousness with the drug?

9 DR. LEVY: The rate of hypotension was
10 extremely low with the drug. It was on the order of a 10th
11 of a percent of all patients.

12 DR. BORER: And how did that compare with the
13 comparator?

14 DR. LEVY: They were very similar.

15 DR. BORER: And then a comment more than a
16 question. The risk factors that you defined in your last
17 slide included seasonal allergies. Now, that's not
18 overwhelmingly surprising, but a little surprising in view
19 of the information that Dr. Kaplan gave us. I don't expect
20 that you could possibly have an explanation for it, but it
21 suggests that the biology we're dealing with here is more
22 complex than perhaps we fully understand at this point. Is
23 there any comment you want to make about --

24 DR. LEVY: Can I have that slide again?

25 DR. BORER: And perhaps Dr. Kaplan wants to

1 comment on it.

2 DR. LEVY: As I mentioned, there was a prior
3 hypothesis, a relatively strong one, regarding black race
4 based on both our experience and --

5 DR. BORER: I'm specifically talking about
6 seasonal allergies.

7 DR. LEVY: Right, I understand. My point is
8 that there was no prior hypothesis for seasonal allergies,
9 nor was there a reason to believe that this would be
10 identified as a risk factor. We looked at a wide variety
11 of characteristics and showed these modest changes,
12 increases or decreases, in risk in some. The information
13 that's reported here makes no attempt to correct for
14 multiplicity of analyses. The confidence intervals are
15 nominal, 95 percent confidence intervals. And in the
16 absence of some pathophysiologic rationale or prior
17 hypothesis, this should really be regarded as hypothesis-
18 generating.

19 I'll ask Dr. Kaplan to comment on it, if he
20 would.

21 DR. KAPLAN: I think in terms of the issues
22 there in terms of where the relative risk was higher or
23 lower, I don't think I could have predicted any one of them
24 in particular. The incidence, if you look at the seasonal
25 allergies, was a little bit higher, but I don't know I

1 could have related the two or necessarily predicted that
2 the risk would be somewhat higher.

3 Female gender. The incidence of angioedema,
4 irrespective of cause, is higher in women. That might be
5 consistent with it.

6 Nor could I tell you why somebody with diabetes
7 or atherosclerosis would have lesser risk. So, I can't
8 help much with the way those data came out in terms of what
9 we know or what we could have predicted.

10 The only possible one would be in terms of
11 blacks perhaps having more risk for angioedema. The only
12 data related to that is responsiveness to intradermal
13 bradykinin seems to be heightened blacks. Therefore, they
14 may have end organ responsiveness that's a little bit
15 higher than caucasians, and that would predispose to more
16 angioedema.

17 DR. BORER: Thank you.

18 Tom, do you have any specific safety issues
19 before we go on to Susanna and to Steve?

20 DR. PICKERING: Well, there are some questions
21 I'd like to ask relating to the generalizability of the
22 findings and the OCTAVE design.

23 I wonder if you could tell us a bit more about
24 how the centers were selected. I believe there was
25 something about being close to a major medical center.

1 Also, were any of the episodes occurring during
2 the first 2 hours while the patient was still in the
3 hospital setting? And finally, what information was given
4 to the patients about the risks and symptoms that they
5 might expect?

6 DR. LEVY: Well, those are very good questions.
7 The first question regarding selection of study centers,
8 this is an enormous trial with 3,300 centers in 12
9 countries. They represent both experienced clinical
10 trialists as well as physicians skilled in the treatment of
11 hypertension, but without prior experience in clinical
12 trials. The issue you've just cited, in prequalifying we
13 did require that they be within 1 hour of a medical
14 facility with resuscitation equipment.

15 Your last question, if I could ask you to
16 restate it.

17 DR. PICKERING: What information were the
18 patients given about expected symptoms or side effects?

19 DR. LEVY: Patients were provided with a
20 detailed informed consent, and that informed consent
21 described the phenomenon of angioedema, swelling of the
22 anatomic sites, provided rather detailed information about
23 the quantitative risk of angioedema, as it was known at the
24 time so they could evaluate the risk of study
25 participation, and concluded with a sentence instructing

1 them to seek medical attention should it occur. It's very
2 consistent with what's done in trials. We propose in our
3 risk management program a level of patient education that
4 goes very far beyond that.

5 DR. PICKERING: The third part of the question
6 was relating to episodes during the first 2 hours after the
7 dose.

8 DR. LEVY: Yes. As I showed you, a total of 88
9 episodes occurred on the first day of treatment. 56 of
10 those occurred within 2 hours of administration of the
11 first dose.

12 DR. BORER: Susanna.

13 DR. CUNNINGHAM: You've defined the target
14 population that you anticipate using this drug in. I want
15 to know what percentage of that target population is
16 African American, what percent are current smokers, have
17 renal disease, seasonal allergies, et cetera. So, what's
18 the risk profile going to look like in your defined high
19 risk population?

20 DR. LEVY: I can certainly refer you to the
21 trial data. Those are excellent questions.

22 For instance, overall, 10 percent of subjects
23 in the study were black, 13 percent of those with diabetes
24 were black. So, there is some association, but overall,
25 the vast majority of patients with diabetes who would be

1 candidates for the drug are not black.

2 Prevalence of smoking overall in the study was
3 about 18 percent, and it was fairly consistent across all
4 study subgroups, including those that we've identified as
5 potential target populations for the drug.

6 So, I guess the short answer is that blacks
7 would probably be represented somewhere between 10 to 13
8 percent in the potential target population, perhaps a
9 little bit greater than their overall prevalence in the
10 population, and smoking probably around 18 percent.

11 DR. CUNNINGHAM: And how about those other
12 potential new risk factors that we don't know for sure
13 about, the other ones, the seasonal allergies, the former
14 smokers?

15 DR. LEVY: The population is 51 percent women;
16 former smokers, maybe another 20 percent. Again, those are
17 characteristics that are, at this point, hypothesis-
18 generating associated with small differences in risk.

19 DR. BORER: Blase.

20 DR. CARABELLO: You indicated the proportion of
21 patients that developed angioedema at which dose and that
22 it was much higher at 20 milligrams than at 10. But of the
23 patients that developed angioedema, how many did not have
24 it at lower doses and then subsequently developed it as the
25 dose was up-titrated?

1 DR. LEVY: Well, it's a great question, general
2 question about the dose relationship of angioedema. This
3 study, of course, was not designed to really characterize
4 the relationship of incidence to dose. You'd need a true
5 parallel group study to do that, in which patients started
6 off at each dose and were titrated upwards, so you didn't
7 filter people.

8 What we saw is that over time the incidence of
9 angioedema decreased despite the up-titration of patients
10 to higher levels of drug. But there were a significant
11 proportions of patients who did develop angioedema on 80
12 milligrams, having tolerated 10, 20, 40 milligrams.

13 DR. BORER: Steve.

14 DR. NISSEN: I want to explore one of the
15 principal hypotheses of the trial which was that by
16 starting at a low dose and then gradually working our way
17 up, that we could avoid the more catastrophic problems.
18 It's difficult to answer that question obviously because
19 the way that the angioedema was adjudicated is different in
20 the two trials, but help me a little bit, if you will.

21 The raw rate of angioedema in percent in the
22 pre-OCTAVE trials I have at about 1.96 percent. Do you
23 agree with that? It looks like angioedema 1.03 and then
24 head and neck edema, another .93. So, your slide number 36
25 would suggest that the rate of was around 1.96 percent pre-

1 OCTAVE. Is that right?

2 DR. LEVY: Well, we didn't know exactly what it
3 was pre-OCTAVE because not all those head and neck edema
4 cases were angioedema, and conversely, there might have
5 been other events that were called allergic reactions that
6 were angioedema. But to the best of our knowledge, that's
7 a reasonable, very rough estimate.

8 DR. NISSEN: Do you think that's a high
9 estimate?

10 DR. LEVY: If we knew exactly what the
11 incidence was before OCTAVE, we wouldn't have done OCTAVE.
12 I think it's a reasonable rough estimate.

13 DR. NISSEN: All right.

14 And then in OCTAVE, the rate was 2.17 percent.
15 So, again, obviously there's an issue here, but it looks
16 to me like the actual incidence, about 1 in 50 patients
17 pre-OCTAVE had angioedema and about 1 in 50 patients in
18 OCTAVE had angioedema. So, it looks like the strategy of
19 starting low and working up may not have been effective.
20 Is that a reasonable assumption?

21 DR. LEVY: I think that's quite possible. Just
22 bear in mind that the study wasn't designed to compare 10
23 and 20 milligram doses. There's an enormous difference in
24 the way in which physicians were solicited to provide
25 angioedema reports in OCTAVE. We know from other trials,

1 like the SOLVD trial, what when you ask physicians to
2 report this event, the reporting rate goes up dramatically.

3 DR. NISSEN: Yes. The reason I think it's
4 relevant is that there was a difference in the number of
5 very severe cases pre-OCTAVE and in OCTAVE. But because
6 those numbers are so small, the confidence intervals are
7 quite wide. So, I wanted to go back and look just at the
8 raw rates of any angioedema to get a sense for whether the
9 strategy of starting low would be protective or not. To
10 me, there doesn't look like there's any evidence that that
11 strategy is going to work in protecting patients, at least
12 not from what we can see in the data.

13 Now, just before we broke, you said that you
14 thought that this drug would be acceptable in smokers and
15 blacks. The word you used is you said in "selected"
16 smokers and blacks. What I guess I would like to know is
17 how are we to select those people. The incidence was about
18 1 in 18 or 1 in 19 in blacks. So, what criteria should I
19 use to select those African American patients that can
20 successfully be given omapatrilat?

21 DR. LEVY: They would be patients with very
22 high cardiovascular risk and hypertension that can't be
23 controlled with existing medications.

24 DR. NISSEN: But that's the same criteria you
25 told us for the rest of the population. So, you'd apply

1 the same criteria to the African Americans that you would
2 to the non-African Americans.

3 DR. LEVY: The same principles, but one might
4 set the bar higher.

5 DR. NISSEN: All right, fair enough.

6 Now, I guess I had a question for Mike Weber
7 because you obviously spend your life treating this, and I
8 know you deal with this. The issue relates to compliance
9 in a clinical trial versus compliance in practice. In the
10 great State or country of Brooklyn --

11 (Laughter.)

12 DR. NISSEN: -- what are compliance rates like?

13 DR. BORER: Tread lightly there.

14 (Laughter.)

15 DR. NISSEN: As we know from those who live in
16 the great State of Manhattan.

17 (Laughter.)

18 DR. NISSEN: But what are compliance rates like
19 among populations with severe hypertension in your setting?

20 DR. WEBER: Well, as you know, Steve, the
21 largest population group by far in our setting happens to
22 be African American or Caribbean American. They do
23 actually extraordinarily well with hypertension treatment
24 because the African American community is, in fact, highly
25 educated about hypertension and takes it very seriously.

1 In fact, even if you look at NHANES data, there is a
2 suggestion that blacks overall have very comparable
3 adherence to treatment as compared with non-blacks.

4 So, I would say compliance is good. Now, what
5 do I mean by good? I would say that about 50 percent of
6 patients who start on a medication are still taking it
7 about 6 months later or taking some sort of appropriate
8 treatment 6 months later.

9 DR. NISSEN: How frequently, in your
10 experience, do patients miss a few doses, skip a weekend,
11 go off somewhere, and stop the medication, and then restart
12 it again?

13 DR. WEBER: I would say about 70-75 percent of
14 hypertensive patients make those sorts of errors or
15 omissions.

16 DR. NISSEN: The reason I get to that is
17 because I'm worried about a risk here, and the risk is
18 you've titrated somebody up to 80 milligrams of
19 omapatrilat. They take a long weekend with their spouse
20 somewhere and they forget to take their medicines with
21 them. They've been off the drug for three or four days.
22 They come back home and they restart it. I'm trying to
23 assess what the risk is going to be in clinical practice
24 compared to the risk in a clinical trial. So, I need your
25 thoughts about that.

1 DR. WEBER: Well, it is going to happen and it
2 does happen all the time in clinical trials as well as in
3 regular clinical practice. So, we do know that starting
4 almost de novo on an extraordinarily high dose of a
5 treatment, omapatrilat or anything else, is happening all
6 the time, presumably with relatively little side effects or
7 adverse effects that we are aware of.

8 We had quite a few people in the early
9 omapatrilat experience who, in fact, did start directly on
10 higher doses or were accelerated quite quickly to higher
11 doses in the parallel group studies, and to the best of my
12 knowledge, with the exception of some people who had some
13 hypotension -- and there were not many of those -- in fact,
14 it was pretty well tolerated.

15 DR. NISSEN: But you made the case that the
16 incidence of those severe cases was worse in the pre-OCTAVE
17 experience, and the suggestion is here that we can prevent
18 those. I guess I'm worried here that in the general
19 population where people start and stop drug, that the risk
20 of somebody being off the drug for a few days and then
21 going back to an 80 milligram dose might be pretty
22 significant over a period of years. See, the question is
23 whether the risk of angioedema is going to tail off with
24 time and kind of get vanishingly small or whether we're
25 going to see year after year an ongoing risk of this. And

1 that relates to whether intermittent therapy is likely I
2 think.

3 DR. WEBER: I don't think there's an answer to
4 that question, Steve.

5 DR. LEVY: I might just provide a few facts
6 from the trial that you might find helpful. We did ask
7 patients at each visit if they had been compliant with
8 medications. Compliance was defined essentially as taking
9 at least two-thirds of their prescribed medication from the
10 previous visit, and at each visit about 3 percent of
11 patients admitted that they hadn't been compliant, which is
12 a small number, but it's still 300 to 400 patients at each
13 visit on omapatrilat who admitted they had missed at least
14 a third of their medication from the previous visit.

15 We very carefully characterized dose
16 interruptions in subjects who developed angioedema, and we
17 found 3 subjects who developed what was essentially mild
18 angioedema following a period of dose interruption. So,
19 there certainly is no signal that there's an increased risk
20 in patients who take their drug intermittently.

21 DR. NISSEN: The reason I ask is one of your
22 really bad cases was a patient that missed a dose. One of
23 your severe cases of angioedema in the database that I
24 reviewed, the patient took a dose about 8 hours late and
25 immediately got into trouble. I can refer you to that.

1 DR. LEVY: No. That is the subject in OCTAVE.
2 She typically took her dose at 8:00 in the morning. She
3 reported she took it at 4:00 that afternoon instead. I
4 think given the half-life of the drug, 14 to 19 hours, it
5 would be difficult to link those two.

6 DR. NISSEN: A second question I guess relates
7 to how to assess the risk in general use. I'm sure many
8 other members of the panel have the same concern, that when
9 you administer a drug in a clinical trial, there's a
10 certain kind of a protected environment that's involved.
11 You know, you strictly mandate that the patients stay for 2
12 hours after every dose titration. The physicians know they
13 have to look for this side effect. They've been educated
14 at an investigator meeting. There's a lot of stuff that
15 goes on.

16 What I worry about is what happens in Sioux
17 Falls, South Dakota when a patient kind of goes in a rural
18 office where it's a much less protected environment.
19 Because once you let a drug out of a clinical trial
20 environment, you're less protected.

21 Given the fact that this is a pretty serious
22 side effect, my worry is that patients won't make it in
23 time or won't be recognized in time because they're not
24 going to be as protected as they would be in a clinical
25 trial. I'd appreciate any insight about what kind of a

1 risk that represents here.

2 DR. LEVY: Maybe I can just make a comment on
3 it. It's an excellent question. One of the reasons why we
4 did a 25,000-patient trial at 3,300 sites in 12 countries
5 was to provide as much information as possible about how
6 the drug would be used and what the results would be in
7 real-life practice. Of course, there were many clinics in
8 places like Sioux Falls, South Dakota, very remote
9 locations in Russia, all over the world.

10 It's also worth pointing out that by and large,
11 when patients experienced angioedema, they sought medical
12 attention at a facility other than the investigator's
13 office. So, the question is whether those facilities in a
14 small town can provide epinephrine and, if necessary, in
15 the rare cases mechanical airway protection.

16 DR. NISSEN: I guess the final comment -- and
17 perhaps it's a rhetorical one -- is on page 114 of your
18 document, you say that treatment of life-threatening
19 angioedema does not require specialized training.
20 Angioedema associated with omapatrilat is managed in the
21 same fashion as angioedema due to any other cause.
22 Treatment of serious allergic reactions is a core skill for
23 physicians and nurses, and airway protection is a routine
24 procedure for emergency personnel, et cetera.

25 Well, one of the things that was most

1 troubling, in reading the case narratives, is that 3 of the
2 6 patients required cricothyroidotomy. Other than my
3 friend here, Blase Carabello, who does everything well, I
4 would doubt if any of us on this panel with a Bic pen -- I
5 mean, I'm glad to hear that Dr. Temple is skilled in this.

6 (Laughter.)

7 DR. NISSEN: But I'm standing in the shoes of
8 being somewhere and giving the drug and having a patient
9 get laryngeal edema. And those 3 patients could not be
10 intubated. So, somebody that was skilled enough to take a
11 scalpel and pierce the cricothyroid membrane was necessary
12 to save the life of the patient. So, to say that this is a
13 core skill I think is to trivialize the problem. I guess I
14 would like your comment, but 3 of the 6 serious cases had
15 to have a cricothyroidotomy in order to protect their
16 airway.

17 DR. LEVY: Perhaps one of the clinicians on the
18 panel would care to speak to that.

19 DR. NISSEN: Mike Weber, do you do these
20 cricothyroidotomies?

21 (Laughter.)

22 DR. WEBER: Well, I have done them in rabbits.

23 (Laughter.)

24 DR. WEBER: I'm working my way up to humans.
25 But no, this clearly is an issue. I think the

1 most important thing I can say about it is what Dr. Kaplan
2 and Elliott have also pointed out, that fortunately these
3 cases do not suddenly announce themselves as sudden
4 respiratory embarrassment. There is a fairly long
5 prodrome. So, as long as the patient knows that they ought
6 to be going to an emergency room, hopefully that will allow
7 us to deal with those patients. But if you can ask for
8 some sort of a guarantee that there would be a 100 percent
9 system to get absolutely everyone taking an ACE inhibitor
10 who's going to have angioedema, I guess we can't guarantee
11 that. But luckily, we do seem to have those several hours
12 for the patient, as long as they know that they ought be
13 doing it, to get to the emergency room.

14 DR. NISSEN: I'm going to, Jeff, hold further
15 questions. I did have some further questions on the risk
16 management program, but I thought it would be better not to
17 do those now because, obviously, there's an issue about how
18 do you manage the risk here.

19 DR. PACKER: Jeff, I just wanted to comment one
20 thing about the need for a cricothyroidotomy. I actually
21 have done a couple, having trained in a city hospital, but
22 it has been a while.

23 I just wanted to emphasize that the core
24 message, I think, which needs to be conveyed to physicians
25 is the importance of epinephrine because epinephrine is the

1 most effective treatment to prevent progression of this
2 disease. Antihistamines don't work and steroids work but
3 they work too late to have an impact on progression. And
4 what is striking is the fact that in so many cases the use
5 of epinephrine was delayed. In all the cases you're
6 talking about, epi wasn't even given or epinephrine was
7 delayed. I think part of the educational program is to
8 remind physicians as to what really is the appropriate
9 treatment for a serious and potentially life-threatening
10 angioedema.

11 DR. BORER: I think that's a very important
12 point. The only problem is, as Dr. Kaplan pointed out, if
13 the drug is actually given to the people that you're
14 targeting, there's going to have to be more known than that
15 you give epinephrine. There's going to have to be
16 something known about how you deal with the problems that
17 may develop when you give epinephrine to that target
18 population. So, it's a somewhat more complicated problem.

19 DR. PACKER: But we're talking about what might
20 be called a risk-benefit relationship. You're not giving
21 epi to everybody. You're only giving epi to people in whom
22 the risk-benefit relationship is favorable. Someone who is
23 going to die from angioedema -- the risk-to-benefit
24 relationship is extremely favorable.

25 DR. BORER: Right. I'm not suggesting you

1 wouldn't give epinephrine. I'm suggesting that you have to
2 know how to do more than give epinephrine. You have to be
3 able to deal with the consequences of it.

4 DR. NISSEN: It's a little more complicated
5 also. Let me just tell you that you have an educational
6 program. You educate people like me that treat
7 hypertension on the importance of epinephrine. But the
8 patient goes to an emergency department somewhere where
9 there's not been any omapatrilat education given, and that
10 doctor there has to know that the first thing you've got to
11 do is give epi to the patient, not steroids or something
12 else. I question. Because so many of these patients were
13 treated elsewhere for their angioedema, the ability to
14 educate people about this is challenging.

15 DR. PACKER: See, the patient and the patient's
16 family play such an important role here because they can
17 have a card that says I'm at risk of angioedema or
18 whatever. This is the appropriate treatment.

19 DR. BORER: Paul.

20 DR. ARMSTRONG: I have a couple of questions
21 for Dr. Levy and perhaps for Dr. Kaplan.

22 Dr. Levy, I may have missed it, but if you look
23 at the 95 percent confidence limits on the estimates of
24 angioedema in the 10 milligram versus the higher dose, do
25 they overlap? You showed that there was a difference in

1 the frequency, but I didn't see the confidence estimates
2 around those.

3 DR. LEVY: Again, we've not directly compared
4 the incidence. We didn't intend to. We provided two
5 estimates of risk.

6 DR. ARMSTRONG: The second question is that you
7 reminded us that this was a trial of international scope
8 and very large. As someone who's had the experience of
9 doing some of these trials, one of the things that one
10 finds amongst events that are of fairly low frequency is
11 that there's sometimes a difference in the surveillance
12 detection when it's left to physicians who are
13 participating. We've been finding, for example, that
14 things like bleeding detected in Russia are less frequent
15 with the same exposure and have hypothesized that that
16 might lead to a better understanding of how different
17 countries survey these phenomenon. So, with that
18 background, what is the difference in the frequency of
19 angioedema across the countries which participated in this
20 25,000-patient trial?

21 DR. LEVY: We looked at the incidence of
22 angioedema by region comparing North America with Europe,
23 which is where almost all the other patients were treated.
24 And the incidence of angioedema was a little bit lower in
25 Europe than in North America, as you'd expect, since there

1 are essentially no patients of African descent in Europe.

2 DR. ARMSTRONG: And in Russia?

3 DR. LEVY: We didn't look at it by country.

4 DR. ARMSTRONG: Perhaps you or Dr. Kaplan can
5 help me then. You've identified that Afro-Americans have a
6 higher frequency. Do other ethnic groups also have a
7 higher frequency of angioedema if one looks at Southeast
8 Asians or Chinese or Japanese? What do we know from the
9 ACE inhibitor data and other data vis-a-vis ethnicity and
10 angioedema?

11 DR. LEVY: We're not aware of any other
12 described ethnic associations, and there aren't sufficient
13 data in OCTAVE to look at that question.

14 DR. ARMSTRONG: Dr. Kaplan, when you inject
15 bradykinin subcutaneously to other ethnic groups, what do
16 you find? You commented on that being a detection --

17 DR. KAPLAN: Yes, but it hasn't been done.
18 That study was strictly Afro-Americans versus whites. I
19 think the answer to your question, like people in Southeast
20 Asia or Japanese or so on, has not really been looked at.
21 There are just no data on that in terms of the incidence of
22 angioedema. I know of nothing to suggest that it's
23 accentuated in some way, but there's basically no data on
24 it.

25 I'd like to make a comment with regard to when

1 angioedema occurs because we mentioned that there was no
2 way we could predict. There's no test. There's no way you
3 could tell who was at risk. I'm going to make a statement
4 that's really just theoretical, but just think about it a
5 little bit because part of it has a certain randomness to
6 it. It would be logical that if you take more, that you
7 might see more angioedema, but that doesn't necessarily
8 hold uniformly.

9 I have seen patients on ACE inhibitors who had
10 a few multiple episodes, say, of facial angioedema and it
11 was not recognized that it was due to their ACE inhibitor.

12 And they come to me, now taking it for 3 months more, and
13 they haven't had a swelling. When they come in, once I see
14 that there's no other available cause, I immediately stop
15 the drug. So, there's something that we truly don't
16 understand about when the angioedema occurs.

17 I'll tell you what I think is going on, but
18 it's right out of my head, and that is obviously it's got
19 to relate in some way to bradykinin levels, which has to do
20 with the rate of formation versus the rate of degradation.

21 If you're taking a drug and you've reached a reasonable
22 steady state, there's no question on an ACE inhibitor that
23 you get some elevation of the kinin level. But if you're
24 measure blood levels, they're a little bit up but you're
25 not struck that it's tremendously high. I'm suspicious

1 that when the angioedema occurs, something that is not yet
2 identified is occurring to the person that produces
3 bradykinin. They have a cold. They have an infection.
4 They fell. They bumped their hip against the corner of
5 their table, something of that sort. Then it doesn't take
6 much to have levels soar sky-high.

7 And let me emphasize the lability of it. If I
8 measure a blood bradykinin, just put a tourniquet on, stick
9 the needle in, versus do the same procedure, get the needle
10 in, remove the tourniquet, take 10 mls of blood and throw
11 it in the garbage, and measure the bradykinin in the 11th
12 ml, the difference between those two is 50-fold in
13 bradykinin level, just from the needle stick and a little
14 pressure. So, it's exquisitely labile.

15 So, I have a hunch that there are unknowns here
16 that relate to when the actual attack of angioedema occurs,
17 and that's why it has such a random feel.

18 DR. ARMSTRONG: If I may, Mr. Chairman, with
19 Dr. Kaplan, I'm sure one of the easiest places to develop
20 consensus today will be what's not known. But as we pursue
21 this, can you just again help me with the epidemiology of
22 angioedema that's not drug-related, that's spontaneous as
23 it relates to age? With several hundreds of thousands of
24 patients treated with ACE inhibitors, is the distribution
25 by age any different with patients on ACE inhibitors than

1 it is spontaneously, sir?

2 And when you explore co-factors or factors that
3 you believe produce bradykinin and then engender an episode
4 of angioedema, do you reckon that those co-factors are any
5 different in patients on ACE inhibitors as opposed to other
6 agents and, by inference, with the drug that we're
7 discussing today?

8 DR. KAPLAN: To my knowledge, the angioedema
9 that one would see with an ACE inhibitor is not going to
10 vary particularly, let's say, between the ages of 20 and
11 80. I don't think anyone has looked at it in terms of age
12 groups but I don't think it would be dramatically
13 different. The most common form of angioedema that we see,
14 regardless of etiology, is that autoimmune one that I
15 mentioned to you. It persists for a long time. It's
16 recurrent. It's like there all the time, and it's often
17 associated with hives. First of all, it's two-thirds women
18 and one-third men. So, it's skewed by sex. And the peak
19 is between 20 and 40, and it's at both tails. As you get
20 older and in youngsters, it's quite a bit less. I'm
21 positive that although I don't know the details, that the
22 ACE inhibitor situation would not parallel that. My best
23 estimate is that it would be fairly level among age groups.

24 DR. BORER: We have a question from Mike and
25 then a comment from Doug.

1 DR. ARTMAN: This may be more theoretical and
2 perhaps Dr. Kaplan might be the one best to address it, but
3 I'm just wondering if these risk factors for angioedema are
4 additive. In other words, if you're a black female, smoker
5 with renal disease and seasonal allergies, is your relative
6 risk up to 10 to something?

7 DR. LEVY: No. The answer is no. The two
8 major risk factors identified were black race and current
9 smoking. You put them together and the incidence of
10 angioedema is identical to that you see in blacks. It's
11 5.6 percent.

12 DR. THROCKMORTON: And yet, Elliott, the timing
13 of those angioedema events for those two particular
14 populations was quite different, as I recall. Could you
15 show those two curves? The time to angioedema events for
16 blacks and for smokers.

17 DR. LEVY: Yes.

18 DR. THROCKMORTON: Because those seemed very
19 different. Again, going to the question of are all risk
20 factors equal and are we talking about a single angioedema
21 thing or are there different kinds of angioedema.

22 DR. LEVY: They're certainly not additive.
23 There is a difference in the time to onset of angioedema
24 amongst blacks and current smokers. In current smokers,
25 the risk is greatest at the initiation of therapy. There

1 were 45 cases in smokers on the first day of therapy, and
2 then the rate declined fairly dramatically to a level that
3 was near that seen in other patients.

4 In blacks, on the other hand, the risk was not
5 dramatically greater on the first day of therapy than it
6 was in whites, but it remained at a higher level for a
7 longer time and the risk decayed more slowly.

8 So, there is a difference in the time course of
9 angioedema in patients with each of those risk factors.

10 DR. CUNNINGHAM: Yes. You got me to wondering.
11 What are the risk factors for angioedema in the group that
12 was on enalapril. Are they the same?

13 DR. LEVY: The risk factors were quite similar
14 with the exception of current smoking which did not appear
15 to be a risk factor for enalapril associated angioedema.

16 DR. CUNNINGHAM: Because one of our questions
17 is whether or not the two are the same, and if they have
18 different risk factors, that makes you wonder.

19 DR. LEVY: It's a little hard to look at the
20 enalapril group because of the relatively small number of
21 events. The profile is quite similar with that one
22 exception.

23 DR. BORER: Tom and then Steve.

24 DR. PICKERING: Yes. I'd like to pursue the
25 question of the definition of black a little further. In

1 this country it usually refers to African American, but I
2 practice in northern Manhattan and a lot of patients look
3 black to me but define themselves as Latino or Hispanic.
4 And the distribution of risk factors is not necessarily the
5 same as in African Americans. Can you tell us what the
6 definition was and also how many of the blacks were U.S.
7 African Americans as opposed to some other dark-skinned
8 group?

9 DR. LEVY: Well, they're almost all U.S. The
10 investigators were provided with one of four categories and
11 simply asked the subjects to identify which of the four
12 they belonged to. They were white, black, Asian Pacific,
13 and other. So, it's not possible to tell you where the
14 black subjects came from, whether they were Afro-Caribbean
15 or of Spanish descent.

16 DR. BORER: Steve.

17 DR. NISSEN: None of us has asked you about
18 cough, and obviously cough is an ACE inhibitor side effect
19 that I think we believe is bradykinin related. Was there a
20 difference in incidence of cough across all your trials in
21 ACE inhibitors and omapatrilat?

22 DR. LEVY: No. They're pretty much spot-on,
23 identical.

24 DR. NISSEN: Can anybody give me an explanation
25 for that? It seems surprising.

1 DR. KAPLAN: The data on cough are not as good
2 as the data on angioedema in terms of relating a kinin
3 level to the actual event. Most people think that it is
4 related to bradykinin, however.

5 DR. PACKER: I think that from the
6 understanding that I have, there may be multiple mediators
7 of cough. Bradykinin may be one. Substance P, a whole
8 host of other factors have been implicated. So, I think
9 it's probably much more multifactorial, which is why we're
10 not seeing a signal here.

11 DR. THROCKMORTON: Steve, if you're interested,
12 the incidence of cough was looked at by Dr. Pelayo in the
13 original safety review, and that's on page 23 of his tab,
14 which I guess is tab 4. As they said, the numbers are
15 fairly small, but there does seem to be an ordering where
16 the majority of the events were in the omapatrilat group
17 and not placebo.

18 DR. NISSEN: Statistically speaking, there's no
19 difference?

20 DR. THROCKMORTON: It's 2.1 percent versus 0.3
21 percent. It was a safety analysis. So, we wouldn't have
22 normally don't statistical.

23 DR. NISSEN: I see, okay.

24 DR. LEVY: I'm sorry. I couldn't hear that
25 data. Could you repeat that?

1 DR. THROCKMORTON: It's page 23 of Dr.
2 Pelayo's. This is comparing against placebo. Is that what
3 you were interested in, Steve?

4 DR. NISSEN: No.

5 DR. THROCKMORTON: You were interested in
6 enalapril.

7 DR. NISSEN: Yes. Again, tolerability compared
8 to enalapril. It sounds like it's a wash.

9 DR. LEVY: Well, you can see there's --

10 DR. NISSEN: No difference.

11 DR. LEVY: -- no difference.

12 DR. NISSEN: Very good. That's helpful.

13 Let me just ask one more final question for me,
14 and then I'll pass this along. Part of your risk
15 management program is to try to keep patients in
16 physicians' offices for a couple of hours after they get
17 that first dose. I assume that that's going to be a
18 recommendation. Am I correct?

19 DR. LEVY: It's a consideration. The program
20 is under development now.

21 DR. NISSEN: But I guess one of the things that
22 I know about physicians and their levels of patience is --
23 I'll ask you a question and see if the clinicians agree
24 with this. When you do something like that and you have an
25 event that's relatively rare, like angioedema, physicians

1 may start out keeping patients for a couple of hours. They
2 won't see an event and they will start to get a little
3 complacent, and they'll start letting people go sooner.
4 And I guess I'm worried that in a big program that goes on
5 for a while, because the events themselves are rare, any
6 individual physician is not likely to see one. And there's
7 going to be a tendency to get increasingly complacent until
8 something catastrophic happens. It's a just a question of
9 behavior and it's something that worries me. Any thoughts
10 that any of the clinicians have about whether this is a
11 real concern or not a real concern I'd be interested in.

12 DR. BLACK: I haven't even done trachs in
13 rabbits, so I'm not sure I'm really qualified to talk about
14 it. But I've had angioedema that, in fact, had to do with
15 something else in Charlotte Hungerford Hospital in
16 Torrington, Connecticut, which is near Russia actually.

17 (Laughter.)

18 DR. BLACK: My own feeling just in general is
19 every emergency room, in fact, can do this procedure. The
20 care that I got was exactly what you heard. It was
21 shotgun. I got the right stuff and it got better. But I
22 think, in fact, this program will really improve the care
23 and awareness of angioedema whatever the cost. And we know
24 there are cases from ACE inhibitors also. So, I think it's
25 going to really help out. The people who are going to do

1 most of the care are going to be people in ERs. It's not
2 going to be in the first few hours in the doctor's office.

3 So, I'm not as concerned.

4 I think a program that asks you to stay there
5 for a while is probably going to be, as you say, not in
6 fact -- and it probably wouldn't make too much difference.

7 The anaphylactic case was the only one, and those are
8 clearly by chance.

9 DR. LEVY: I think it might be useful for the
10 committee just to know a little bit more about the risk
11 management program at this point, if you'd be interested,
12 because the topic has come up a few times.

13 DR. BORER: If we can hold that just a little
14 bit because that ultimately will be part of our discussion
15 in terms of risk-benefit and we will want to hear a little
16 bit about it. You know, we got a lot in our handouts and
17 materials about what you submitted.

18 Why don't we just go through this OVERTURE data
19 quickly and then we can come back and clean up.

20 DR. FLEMING: Jeff.

21 DR. BORER: Oh, I'm sorry. Tom.

22 DR. FLEMING: I had two or three questions on
23 safety. I'd like to pursue a little bit more what Steve
24 and I think Paul were getting at earlier about what is the
25 evidence that there is, in fact, a relationship here and a

1 safety risk with starting dose.

2 Can you put up slide 36? As Steve was alluding
3 to, in slide 36 there appears to be evidence that there may
4 be a two- or three-fold lower risk of angioedema when
5 you're starting below a 20 milligram dose. In fact, in
6 this experience, there were no cases of airway obstruction,
7 airway compromise, in the less than 20 dose. So, in a
8 certain sense, the OCTAVE study is a disappointment when
9 you look at the fact that the 10 milligram starting dose
10 gave a higher overall occurrence rate of 2.17 percent.

11 Yet, as you point out, that readily could be
12 under-detection in this setting here. One piece of
13 evidence of that is when you look at the rate of airway
14 compromise, it turns out that in OCTAVE it's 1.6 per
15 10,000. Here, if you look at the greater than 20 milligram
16 group, it's almost 10-fold larger. It's 15 per 10,000.
17 So, there really is evidence when you look at airway
18 compromise that there really is a relationship with dose.

19 To try to get a better sense about this, beyond
20 just relying on the airway compromise rates, we know that
21 in OCTAVE there were these two cases, but there were
22 overall 19 cases that were hospitalized. Can you give us
23 for these two columns here, the below 20 and the greater
24 than or equal to 20, how these cases break out relative to
25 hospitalization? Because that may give us further

1 reinforcement to the airway compromise data that there
2 really is a dose-response relationship.

3 DR. LEVY: Let me just see if I understand.
4 You want to know from these data in this program what
5 proportion of patients required mechanical airway
6 protection, what proportion were hospitalized.

7 DR. FLEMING: Yes. We know it's 0 and 4 for
8 airway compromise. So, in these two columns, of the 18
9 cases in the less than 20 milligram setting, how many of
10 them were hospitalized, and of those 66 in the greater than
11 20 milligram, how many were hospitalized?

12 In essence, what I'm getting at is if there's
13 under-detection, as I'm almost certain there is here, it's
14 less likely to be under-detected in the most serious cases.

15 Airway compromise I'm assuming you're going to see.
16 Hospitalization I would think you would be more likely to
17 see. So, we'll get a better clue, along with the airway
18 compromise, that there really is a dose response.

19 DR. LEVY: In those who were started at 20
20 milligrams or more, there were 4 patients who were
21 hospitalized for angioedema without requiring airway
22 compromise. I'll ask my team to verify it for me. My
23 recollection is that in those less than 20 milligrams, it
24 was 1 patient hospitalized, but I'll ask them to check for
25 me.

1 DR. FLEMING: Okay, and they can give that to
2 us later after they check.

3 Let me go on to a second question.

4 DR. KAPLAN: Could I make a comment on the 19
5 hospitalized patients? In looking those over, I read all
6 of them to see what was the criteria for hospitalization.
7 If you look at it carefully, you will see that about 8 or 9
8 out of the 19, upon arrival to the emergency room, were
9 almost asymptomatic, had either a little bit of lip
10 swelling that was left or had nothing, but gave a history
11 of having had tongue swelling or pharyngeal swelling or
12 drooling or something that had happened hours before and
13 they were then hospitalized for observation. That's a safe
14 thing to do and it's exactly what you might consider doing
15 if it were anaphylaxis.

16 But the fact is, if you read them individually,
17 of course, they were all hospitalized overnight. Nothing
18 happens. They're discharged the next morning. And the
19 fact that about 8 or 9 of them, by our criteria I think and
20 by my judgment as an allergist, ought not to have been
21 hospitalized because if you understand what happens with an
22 ACE inhibitor, you get the swelling, it crescendos. That
23 time may vary depending upon the person and severity, and
24 then it finally abates, and it does not recur. So, it
25 doesn't rebound, which is the reason why steroids are of no

1 value actually in treating them in contrast to anaphylaxis.

2 So, I think that those who have respiratory
3 embarrassment on arrival are the obvious. But I think
4 hospitalization may not be the best criteria as we look at
5 this study for the actual incidence of the "severity"
6 because a substantial proportion of those patients resolved
7 spontaneously and really didn't need hospitalization.

8 DR. FLEMING: Let me go on to the second
9 question and that's slide 39. Having seen in the prior
10 experience before OCTAVE no cases of the airway obstruction
11 and evidence of lower rates, the intention here was to see
12 if we could show that the rate was below 2. So, the null
13 hypothesis was a rate of 2. The alternative was something
14 discernibly less than 2. Ultimately what we see here in
15 the bottom confidence interval is that we cannot only not
16 rule out that the rate is less than 2. We can't even rule
17 out the rate is less than 4, and the data suggests that the
18 rate is actually 3.2.

19 I see Jim Neaton here. I don't know if it's
20 because he was on a DSMB for this study. I'm just
21 guessing.

22 How was the DSMB monitoring this phenomenon as
23 the study was ongoing? Because it appeared your null
24 hypothesis was 2 and the alternative, I'm assuming, was 1
25 or 1.5 or something like that. And you're entirely way

1 inconsistent with that with these data. How was this being
2 factored in during the monitoring of the trial?

3 DR. LEVY: Let me comment on that. Jim was
4 actually not on the DSMB.

5 But the DSMB was provided with these data, as
6 well as safety data. In their view, it was very important
7 to weigh both potential harm and potential benefit in
8 assessing whether this study was to continue or not, and
9 they didn't apply a simple stopping rule based on whether
10 or not the prespecified hypothesis for angioedema was
11 reached. In their view, there was clear evidence not only
12 of increased risk of angioedema, but also of greater blood
13 pressure reductions.

14 DR. FLEMING: So, the protocol simply said the
15 null hypothesis is 2, alternative is less, and there was no
16 stopping guideline specified in the protocol.

17 DR. LEVY: There was no prespecified stopping
18 rule.

19 DR. FLEMING: The last question. When we look
20 at angioedema by severity, you've given us that data in the
21 aggregate. The add-on group with 4,751 patients is an
22 important subgroup here. In this subgroup, do you have the
23 breakdown of the cases of angioedema by grade?

24 DR. LEVY: Yes, we do, but let me just make a
25 point and that's that in that group and all other groups,

1 there's a remarkable consistency across this database.
2 What you'll see is that the incidence of angioedema in
3 group 3 is similar to that seen overall, and that 60
4 percent of the cases received no treatment or
5 antihistamines only as they did overall. So, we'll be
6 happy to show you those data, but they're quite consistent
7 with the overall data.

8 DR. FLEMING: Okay, and please do so, though.
9 At some point bring those back to us.

10 DR. BORER: If there are no other questions of
11 fact here, maybe we can go on here about OVERTURE, and then
12 we'll come back to some of the other safety issues.

13 DR. PACKER: Before I begin, I just want to
14 note that in light of my status as an SGE but also in light
15 of my role as principal investigator of the OVERTURE study,
16 the Advisors and Consultants Staff of the FDA has consented
17 to my participation and presentation in today's meeting.

18 I also wanted to correct Steve's comment, and I
19 think this is particularly sensitive to both Jeff's and
20 Tom's views. I think those who live in Manhattan neither
21 characterize it as a State or a country. I think they
22 characterize it as a universe.

23 (Laughter.)

24 DR. PACKER: With that in mind, at yesterday's
25 meeting on candesartan, the advisory committee indicated it

1 was comfortable believing that an incremental decrease in
2 blood pressure would be translated into a reduction in
3 cardiovascular events if it could be reassured that the
4 experimental drug did not exert an adverse effect
5 independent of its antihypertensive action that could
6 increase the risk of a cardiovascular event. Therefore,
7 the committee implied it would feel comfortable, assuming
8 that a decrease in blood pressure would produce a
9 predictable reduction in cardiovascular risk, if the drugs
10 being compared were in the same class, but they might not
11 feel such comfort if the drugs were in different classes.
12 And I think Steve in particular made this point.

13 DR. FLEMING: Some of us, though, might not
14 have been as comfortable with such a broad generalization
15 as you have stated.

16 DR. PACKER: Even in the same class. Right.

17 So, I'd like to consider the present situation
18 which is that both omapatrilat and enalapril are both ACE
19 inhibitors and that's in part reassuring, but omapatrilat
20 differs from enalapril in also being a NEP inhibitor. So,
21 the question is, how comfortable can the committee be that
22 NEP inhibition does not produce adverse cardiovascular
23 effects that could negate the cardiovascular benefits
24 expected from its incremental ability to lower blood
25 pressure?

1 This table shows the cardiovascular events that
2 were observed during the 6 months' treatment with
3 omapatrilat and enalapril in the OCTAVE study. Now,
4 although this was a prespecified analysis, the study was
5 not designed to compare the two drugs on the risk of
6 cardiovascular events. So, I think these data need to be
7 interpreted very cautiously. Having said that, there were
8 105 cardiovascular events in the omapatrilat group and 121
9 in the enalapril group.

10 This slide shows the Kaplan-Meier plots for
11 these events. The hazard ratio of omapatrilat to enalapril
12 is 0.87, with an upper bound of the 95 percent confidence
13 interval of 1.13, I think in and of itself suggesting that
14 NEP inhibition is unlikely to exert a meaningful adverse
15 effect that might detract from the expected clinical
16 benefits of the drug.

17 Now, although these data might be considered to
18 be reassuring, my own view is that these data need to be
19 interpreted very carefully since the duration of follow-up
20 in the study is only 6 months.

21 I also think that it is likely that Tom might
22 ask for an analysis of these data according to the
23 characteristics that the sponsor is proposing. It might
24 form the basis of use of the drug. And I just want to let
25 you know we are working on that as we speak, including

1 trying to address the issue of the blood pressure lowering
2 effects in all of those individual subgroups at high risk.

3 Well, in light of the limitations of these
4 data, I think it's important to consider the results of
5 OVERTURE. Preliminary results of this trial were presented
6 at the ACC in March. Final results will appear in
7 Circulation online in about a week from now, and before
8 reviewing the results, I want to emphasize that although
9 these data have been presented to the FDA, they have not
10 been reviewed by the FDA. Therefore, they are being
11 presented with the proviso that if they have any influence
12 on your judgments, they will need to be confirmed by the
13 agency.

14 The OVERTURE trial evaluated 5,770 patients
15 with class II, III, or IV heart failure. All patients had
16 an ejection fraction less than or equal to 30 percent. All
17 were hospitalized for the treatment of heart failure within
18 the past year. All patients were receiving excellent
19 background therapy for heart failure, including beta
20 blockers in 50 to 60 percent of patients and spironolactone
21 in over 40 percent.

22 Importantly, about 1,300 patients, or about 20-
23 25 percent of the population, were hypertensive. I just
24 want to mention that hypertension is a particularly
25 important problem in patients with heart failure since it

1 is so critical to lower blood pressure in these
2 individuals. Yet, there is a sizeable risk for frequency
3 of hypertension in people with heart failure. It's 20-25
4 percent in moderate to severe heart failure. It's over 40
5 percent in milder degrees of heart failure. And these
6 patients are already receiving diuretics, ACE inhibitors,
7 beta blockers, and they can't take calcium channel
8 blockers. So, I think that an analysis of that subgroup
9 would, in part, address Tom's request for additional data,
10 including outcomes data, in high-risk individuals.

11 Now, eligible patients for this trial had any
12 prior with an ACE inhibitor discontinued and were
13 randomized in a 1-to-1 fashion to either omapatrilat or
14 enalapril. The target dose of omapatrilat was 40
15 milligrams once daily, which had shown promising results in
16 earlier heart failure trials, and the target dose of
17 enalapril was 10 milligrams b.i.d., which was the target
18 dose used in the SOLVD Treatment trial. I think this
19 remains the most definitive study showing a favorable
20 effect of ACE inhibitors on morbidity and mortality.

21 What I'd like to do is to make two points about
22 these doses. First, the target doses of both drugs was
23 half the target dosage used in the OCTAVE trial, and
24 second, because this was a heart failure trial, enalapril
25 was given twice a day, whereas the drug is conventionally

1 given only once a day in the treatment of hypertension and,
2 as Steve has mentioned, the use of a b.i.d. regimen
3 arguably provided a tougher test for omapatrilat.

4 Now, the primary endpoint in this study was the
5 combined risk of all-cause mortality or hospitalization for
6 heart failure. This endpoint was used prospectively in the
7 original protocol to test two hypotheses, a non-inferiority
8 hypothesis and a superiority hypothesis. According to the
9 original protocol, omapatrilat would be considered non-
10 inferior to enalapril if the upper bound of the 97.5
11 percent one-sided confidence interval was less than 1.09,
12 and if this were achieved, we would have been able to
13 conclude that omapatrilat would have retained at least 80
14 percent of the effect of enalapril seen in the SOLVD
15 Treatment trial, which was the protocol-specified reference
16 standard, greater than 80 percent. Of course, if the upper
17 bound of the one-sided 97.5 percent one-sided confidence
18 interval was less than 1, then we would have concluded that
19 omapatrilat was superior to enalapril.

20 Now, here are the results on the primary
21 endpoint. There were 973 patients who died or were
22 hospitalized for heart failure in the enalapril, 914 such
23 patients in the omapatrilat group. It translates into a 6
24 percent lower risk of the primary endpoint in the
25 omapatrilat group. The upper bound is 1.03, which is

1 greater than 1 but less than 1.09. Therefore, we could not
2 conclude omapatrilat was superior to enalapril, but we
3 could conclude that omapatrilat was not inferior to
4 enalapril.

5 Now, this slide shows the effect of omapatrilat
6 and enalapril on the combined risk of cardiovascular death
7 or cardiovascular hospitalization. This was a prespecified
8 secondary endpoint in the study, and it represented the
9 most comprehensive cardiovascular endpoint specified in the
10 original protocol. For this endpoint, omapatrilat had a 9
11 percent lower risk of a cardiovascular event which was
12 nominally significant.

13 Now, as I said at the beginning, over 1,300
14 patients in OVERTURE were hypertensive in that they had a
15 systolic blood pressure that was greater than 140.

16 Now, this slide shows the influence of baseline
17 systolic blood pressure on the magnitude of the difference
18 between omapatrilat and enalapril on the primary endpoint
19 of death or hospitalization for heart failure, and on the
20 secondary endpoint of cardiovascular death and
21 cardiovascular hospitalization. And as can be seen, the
22 higher the systolic blood pressure, the greater difference
23 in favor of omapatrilat, and this was true for both
24 endpoints. The difference in favor of omapatrilat in
25 patients with a systolic blood pressure greater than 140

1 was a 16 percent lower risk of death or hospitalization,
2 and a 21 percent lower risk of cardiovascular death or
3 cardiovascular hospitalization.

4 I guess, Tom, these are probably the best
5 estimates we now have with respect to outcomes data in
6 hypertensive patients, albeit it in hypertensive patients
7 with heart failure.

8 I would like to close with a brief note about
9 safety. This slide lists selected adverse events that were
10 seen in the OVERTURE trial. As can be seen, omapatrilat
11 had more reports of hypotension and dizziness, but fewer
12 reports of heart failure and fewer reports of impaired
13 renal function. Angioedema was seen in 14 enalapril
14 patients, 24 omapatrilat patients, and of these, 3 patients
15 were hospitalized, 2 in the enalapril group and 1 in the
16 omapatrilat group, and none had airways compromised.

17 Now, in summary, I think the results of
18 OVERTURE are at least suggestive and certainly I think
19 consistent with the hypothesis that in patients with
20 hypertension and heart failure, omapatrilat might reduce
21 cardiovascular events when compared with enalapril even
22 when enalapril is given twice daily.

23 But I want to emphasize a much more important
24 point, and that is, I think these data provide considerable
25 reassurance that NEP inhibition does not detract from the

1 cardiovascular benefits one can expect from the incremental
2 antihypertensive effects of omapatrilat.

3 With that, I'd be delighted to answer any
4 questions the committee might have.

5 DR. BORER: How were heart failure events
6 defined in the protocol, Milton?

7 DR. PACKER: Heart failure was defined by the
8 investigator, which in most heart failure protocols, heart
9 failure is defined by the clinician. The qualifications
10 for heart failure are based relatively on the severity of
11 the disease. So, they had to have class II, III, and IV
12 symptoms limited by dyspnea and/or fatigue.

13 DR. BORER: No, no. I'm sorry. That's not
14 what I'm asking.

15 DR. PACKER: Oh, I'm sorry.

16 DR. BORER: These are adverse events.
17 Everybody in the trial had heart failure.

18 DR. PACKER: Oh, I understand. I think, as you
19 may appreciate, in a trial where the -- and we see this all
20 the time in heart failure trials. Investigators are asked
21 to report all AEs. There is no guidance given to
22 investigators as to how they should report AEs or not. In
23 general, heart failure as an AE is by far the most frequent
24 AE reported in heart failure trials. In general, in drugs
25 that work in heart failure, the reports of AEs in heart

1 failure tend to be lower in the active treatment than in
2 the placebo. But there is no quality control here. There
3 is no guidance as to how heart failure as an AE should be
4 defined. It's really up to the judgment of the
5 investigator.

6 DR. BORER: And similarly I assume for
7 hypotension.

8 DR. PACKER: Similar for hypotension. All the
9 AEs are reported at the discretion of the investigator in a
10 spontaneous manner without any specific instructions as to
11 what they should or should not report or how to define
12 specific terms.

13 DR. BORER: Can you tell us what doses of the
14 two drugs actually were achieved? I see the design, but
15 what was actually achieved?

16 DR. PACKER: I know the estimates, and Jeff, we
17 can give you the actual numbers, but it's in the range of
18 about 80 to 82 percent in both treatment groups received
19 target dose. We will check on whether that's -- that's
20 correct? It's 82.7 percent and -- we'll get you the data,
21 but that's the range.

22 DR. BORER: Steve, do you have any questions?

23 DR. NISSEN: I just wanted to come back to the
24 blood pressure issue since what's on the table here is the
25 application for approval of this drug for hypertension. I

1 want to hear again your thoughts, Milton, on why there was
2 no blood pressure difference between omapatrilat and
3 enalapril in the hypertensive heart failure patients
4 because, again, this does shed some light on whether b.i.d.
5 enalapril might be as good as omapatrilat.

6 DR. PACKER: I just want to, again, emphasize
7 the points, but let me supplement them as well since you're
8 asking me to do that.

9 First of all, again this wasn't a hypertension
10 study. This was a heart failure trial, and heart failure
11 investigators in general view blood pressure as a range as
12 opposed to a number. I don't know another way of saying
13 that. There's a complete difference in the quality of the
14 blood pressure data in the context of a hypertension trial
15 than in the context of a trial done for another indication.

16 Having said that, I think that the most
17 important point is the trough blood pressures were similar,
18 but there is evidence from other trials in heart failure,
19 not from OVERTURE, that during most of the day the blood
20 pressure is considerably lower in the omapatrilat group
21 than in the ACE inhibitor group. And the difference, by
22 the way, in previous heart failure trials has been in the
23 realm of about 7 to 8 millimeters of mercury greater in
24 omapatrilat than, for example, in the previous trial with
25 lisinopril.

1 In that trial, Steve -- and the trial I'm
2 referring to IMPRESS. Lisinopril is a once-a-day drug.
3 The blood pressures came down and were very similar at
4 trough in that trial, but during the day the blood
5 pressures were dramatically different in the two treatment
6 groups. I think that reinforces the point that the
7 committee made yesterday, which is it isn't just trough
8 blood pressure that affects cardiovascular events, it's the
9 delta blood pressure throughout the day.

10 DR. NISSEN: I'm not sure I get the argument.
11 What you're sort of saying is blood pressure isn't measured
12 as well by heart failure docs as it is hypertension docs.
13 But that variability would occur in both arms of the trial.
14 By most blood pressure standards, it's a pretty big trial.
15 The number of patients with hypertension. OVERTURE is
16 5,700 patients and of that, what, 1,500 of them are
17 hypertensive. That's a pretty big sample. So, when you
18 see spot-on same trough effects -- I recognize there might
19 have been differences in peak effects, but the most
20 important metric that's used in hypertension evaluation is
21 that trough blood pressure. When given b.i.d., these two
22 drugs had an indistinguishable effect on trough blood
23 pressure. So, it's troubling me.

24 DR. PACKER: Obviously, there are other
25 hypotheses, but the other hypothesis, at least suggested by

1 the data, is that NEP inhibition has cardiovascular
2 benefits independent of blood pressure lowering.
3 Obviously, we can't say that from the data. Both of those
4 hypotheses are possible.

5 I actually feel more comfortable with the delta
6 blood pressure during the day than I am suggesting to you
7 that NEP inhibition has an incremental effect on the
8 biology of this disease that is independent of blood
9 pressure.

10 DR. BORER: From the AEs, at some point during
11 the day, 8 percent more on omapatrilat are having a lower
12 blood pressure. They were hypotensive.

13 DR. PACKER: Steve, the blood pressures had to
14 be lower at peak because hypotension and dizziness was much
15 more frequent in the omapatrilat group than in the
16 enalapril group. I know we didn't measure it, but it had
17 to be that way.

18 DR. NISSEN: I agree although, again,
19 conceivably there is a very early effect. It doesn't last
20 very long. The patients get kind of dizzy and syncopal for
21 an hour or two, but then the levels track together.
22 Without having ambulatory blood pressure data, we really
23 don't know. But again, at least at trough, which is what
24 you measured, there really wasn't much difference.

25 DR. BORER: Are there any other questions?

1 Tom.

2 DR. FLEMING: Milt, could you put your last
3 slide 13 up again?

4 You seem to be saying that we're looking at two
5 mechanisms that omapatrilat would have. One is through NEP
6 inhibition and the other is through whatever mechanisms
7 that lead to the incremental antihypertensive effects, and
8 that somehow this study is telling us that the favorable
9 benefits on cardiovascular endpoints mediated through that
10 second mechanism aren't in some way offset or compromised
11 by NEP inhibition. And where does that come from --

12 DR. PACKER: Oh, no, no, no.

13 DR. FLEMING: That's what the technical wording
14 seems to say.

15 DR. PACKER: This addresses specifically the
16 concern that you raised yesterday, which is if you compare
17 an ACE inhibitor and ACE inhibitor -- and let's assume for
18 a moment that one reflected the committee's view that they
19 would feel comfortable doing that. That may not precisely
20 reflect your view, but ACE inhibitor and ACE inhibitor --
21 then if the one ACE inhibitor or an angiotensin II
22 antagonist lowered blood pressure and another one lowered
23 blood pressure more, that the delta that one observed in
24 blood pressure would be translated into a cardiovascular
25 benefit is because there was no other mechanisms that these

1 drugs had that had been identified that might detract or
2 modify the relationship between delta blood pressure and
3 delta events. That's a hypothesis, but that's the concept
4 that I think was promulgated yesterday.

5 If you go across classes, you're less certain.
6 What I wanted to emphasize here is that there is an overlap
7 between the mechanism of omapatrilat and an ACE inhibitor.
8 Everyone is comfortable with what an ACE inhibitor might
9 do. So, I want to put forward the OVERTURE data as
10 reassurance that the incremental action of omapatrilat --
11 there is no evidence that that would have an unfavorable
12 effect on cardiovascular events especially if you think
13 that blood pressures were the same. Therefore, whatever
14 you see in hypertension, that you could translate the delta
15 in blood pressure to the delta in events without being
16 concerned that there's some other action of the drug that
17 might be adversely affecting cardiovascular events.

18 DR. FLEMING: Milt, it would seem, to follow
19 through on this argument, you would have to be saying you
20 know somehow that if you take away NEP inhibition, that the
21 remaining mechanisms that omapatrilat would have would
22 yield overall better antihypertensive effects than an ACE
23 inhibitor alone.

24 DR. PACKER: No. I'm actually suggesting that
25 if this drug were not a NEP inhibitor, it would look like

1 an ACE inhibitor.

2 DR. FLEMING: The argument that we were saying
3 yesterday is if you're comparing two agents that yield
4 different antihypertensive effects and we want to infer
5 from that difference a difference in cardiovascular
6 benefits, that is a perfectly acceptable inference so long
7 as there aren't any other mechanisms out there that would
8 offset that.

9 So, therefore, for the logic to carry over to
10 here, what you're having to conclude here is that
11 omapatrilat has mechanisms relative to enalapril that yield
12 a better antihypertensive effect and NEP inhibition is not
13 in any way compromising the corresponding beneficial
14 effects you would expect to see on the endpoints.

15 Let's move on, though, to maybe an even more
16 fundamental question. This is sort of a negative in a
17 certain sense. Basically when I'm looking at omapatrilat
18 against enalapril, another way of interpreting this is to
19 say, well, at least with omapatrilat we didn't make things
20 worse, or we're not less effective than enalapril. And
21 there's a little bit of that even in your hypothesis of
22 non-inferiority. Yes, we're trying to maintain at least 80
23 percent of the benefit.

24 I'm always troubled in a non-inferiority
25 argument, though, when the experimental arm is not

1 anticipated to be more favorable in some way. I believe
2 strongly in non-inferiority when I have an experimental
3 intervention that has a safety profile or a convenience or
4 a cost profile that would make it more favorable in that
5 domain such that if efficacy is the same, then I come out
6 ahead. And as a result, because of that, I'm willing to
7 potentially give up a little bit of efficacy.

8 So, bottom line here is for this trial to be
9 interpreted as positive, it's positive only in the sense
10 that we can say we're ruling out that omapatrilat is
11 meaningfully worse, and hence that's a win as long as in
12 the safety domain we're all convinced omapatrilat is better
13 than enalapril. But I think what this whole discussion is
14 about today is that that's not where we are. So, shouldn't
15 you have expected to be required to show at least
16 superiority here for it to be win?

17 DR. PACKER: Could I have my backup slides,
18 please, on the SOLVD Treatment definition and the slide
19 that follows that?

20 DR. BORER: As you go through this, I think
21 it's important to remember you did a heart failure trial,
22 and we're not evaluating this drug for its efficacy for
23 heart failure. We're trying to evaluate it for its
24 efficacy as a treatment for people with high blood
25 pressure. So, I think that's really Tom's point.

1 DR. PACKER: I think what Tom is saying -- and
2 we have certainly learned this lesson many, many times in
3 heart failure trials -- is that in spite of the prior
4 hypothesis of non-inferiority, one would be a lot more
5 comfortable if this trial had met its primary endpoint. In
6 light of the fact that it didn't meet its primary endpoint,
7 one has to be particularly cautious of subgroup analyses on
8 either primary or secondary endpoints.

9 In light of that, I just want to mention one
10 aspect of OVERTURE which is new. This was not presented at
11 the ACC, but it does appear in our publication in
12 Circulation.

13 Let me emphasize that the primary endpoint was
14 death or hospitalization for heart failure. This was the
15 definition of hospitalization used in the OVERTURE trial.
16 It included all hospitalizations attributable to heart
17 failure as adjudicated by the endpoint committee which
18 required IV treatment and had a duration of more than 24
19 hours. This was exactly what was said in the protocol.

20 The reference standard for this trial was SOLVD
21 Treatment. This was the reference standard for non-
22 inferiority. We recognized only after the trial was over
23 that the definition for hospitalization for heart failure
24 in SOLVD Treatment was different than for OVERTURE. In
25 SOLVD Treatment, the hospitalization for heart failure was

1 all hospitalizations attributed to heart failure by the
2 investigator regardless of treatment or duration. And
3 there was no adjudication process in the SOLVD Treatment
4 trial.

5 So, I just want to, for purposes of curiosity,
6 show you what the data would look like if one had used the
7 reference standard definition.

8 DR. FLEMING: If this is in interest of
9 answering my question, just because time is short, I don't
10 know that we have to go into this because I don't think
11 this is getting at that separate issue that I was asking.

12 DR. PACKER: Jeff, I'll be done in one second.

13 This is the results you've already seen, a 6
14 percent lower risk with a p value. This is the primary
15 endpoint using the SOLVD definition, 11 percent lower.
16 This is obviously a post hoc analysis. But I offer it only
17 to suggest the fact that had we been wise enough or
18 whatever, if we had used the same definition used in our
19 reference standard, maybe things would have worked out
20 better. I don't want to put too much emphasis in it. I
21 only provide it for whatever reassurance it would give you.

22 DR. BORER: Bob, did you have a comment?

23 DR. TEMPLE: Only that, I guess while Milton is
24 suggesting there might be something really good going on
25 here, the main purpose I think was to make the case that at

1 least nothing bad happened other than the angioedema, so
2 you don't have to worry. And that point would be fairly
3 strong I think.

4 DR. BORER: Yes. I think that the issue that
5 we're trying to focus in on here is that we're considering
6 this drug as an antihypertensive. We want to be sure that
7 the safety is acceptable for the intended use. It
8 certainly is nice to know that it might turn out to be a
9 real good drug for people with heart failure where the
10 benefit-risk issues are very much different. But in the
11 hypertensive population, what are we going to see?

12 And what we saw was that, for whatever reason,
13 the measure that was used showed no difference in the
14 efficacy of the drug for the hypertensive population here
15 and perhaps no additional cardiovascular risk. So, we're
16 still talking about the angioedema as being our primary
17 concern. And that's reassuring to know. I mean, that's
18 useful.

19 DR. FLEMING: Just in a single sentence, Bob,
20 in view of the angioedema, all I'm saying is it's not
21 enough to convince me that nothing bad is happening. I
22 want to see something good happening.

23 DR. TEMPLE: Right, and I don't think it's
24 being alleged, although perhaps it's being suggested, that
25 there was any finding like that. All it does is give you

1 some assurance that it doesn't do anything bad.

2 DR. BORER: That there's no new problem.

3 DR. TEMPLE: Given the choice of primary
4 endpoint, you really can't say much more than that
5 probably.

6 DR. PACKER: I'd like to introduce Dr. Black
7 for the next presentation, if that's all right.

8 DR. BORER: Henry, just tell me approximately
9 how long do you think you'll be taking?

10 DR. BLACK: Well, if I use my Manhattan speed,
11 it will be 5 minutes. I do want to bring us back to blood
12 pressure and I think this is a good way to do it.

13 DR. BORER: Okay. Why don't you go ahead.

14 DR. BLACK: Thanks. I do appreciate it. I
15 realize how late it is and how tired everybody is, but I do
16 think it would be useful to talk a little bit about where
17 we are on high blood pressure now and to answer one
18 question in particular, which is whether omapatrilat's
19 greater efficacy does add to the value of current agents.
20 I'm not going to talk about safety at this point.

21 In order to do this, I want to review what we
22 did in the Joint National Committee to try to improve
23 hypertension care. You heard yesterday from Dr. Kannel
24 that overall we were controlling 27 percent of
25 hypertensives in America. This is actually considerably

1 better than any of the rest of the world, and this is only
2 people from 18 to 74. The data for older people are
3 considerably worse.

4 In order to educate physicians and also
5 patients about how we would do this, we borrowed somewhat
6 from ATP II and we talked about goals rather than control,
7 understanding this was dichotomous and you could be a
8 millimeter above or beyond or not and be at goal. But
9 that's what we thought was easier for people, in fact, to
10 operate with.

11 The goal for most hypertensives was less than
12 140 and less than 90. For high-risk individuals like
13 diabetics or people with heart failure or chronic renal
14 failure, we set that goal lower, even though at that point
15 in time, with the possible exception of SHEP, there was no
16 trial that confirmed that more aggressive therapy was
17 beneficial in diabetics in particular. Syst-Eur, UKPDS,
18 HOT, and other studies as well, LIFE, have really suggested
19 this was a good call even though it wasn't at that time
20 evidence-based. And for those with proteinuria, it was
21 even lower still. This goal was not dependent on age,
22 gender, or other forms of comorbidity.

23 What I want to do is show you, with that in
24 mind, three clinical trials and my own clinical experience
25 as to whether we can achieve that goal and why we can't.

1 I'll begin with LIFE, which was completed this
2 year. This was a comparison of two regimens, one beginning
3 with an ARB losartan, one beginning with a beta blocker
4 atenolol, and only about 11 or 12 percent of individuals
5 took only those drugs. It was a large trial. It was a
6 long trial. And the goals here are shown, as you see it.

7 Overall, those who reached diastolic goal of
8 less than 90 for both arms was quite impressive, almost 90
9 percent. However, for those who reached the systolic goal
10 -- and as you heard yesterday, again it's systolic
11 pressure, especially in older people, that's a better
12 predictor of outcomes -- it was under 50 percent. And
13 those who reached both goals, it was also about 45 to 48
14 percent.

15 In the diabetics, the highest risk group, you
16 had quite similar data or you didn't do quite as well, 85
17 and 82 percent for losartan and atenolol, respectively, but
18 under 40 percent for both arms to get systolic pressure
19 under 140. That's not the 130 goal that we're talking
20 about.

21 Two other studies, one of which is published
22 and one of which is not yet published, I also want to show
23 you. This is the ALLHAT trial, which was just completed.
24 It's 42,000 high-risk hypertensives. Everybody enrolled
25 was over 55 and had another risk factor. There were 15,000

1 diabetics in ALLHAT. There were about 15,000 African
2 Americans in ALLHAT. And everybody had to have something
3 else.

4 What I want to call your attention to is not
5 the outcomes, because those aren't available yet, but how
6 we did with respect to blood pressure. In this study, 90
7 percent of people were on treatment when they started and
8 only 27 percent of that 90 percent overall were at the JNC
9 VI goals, not even again using the diabetic goals. What
10 happened here was you got switched to one of the treatment
11 regimens which was a diuretic or lisinopril or amlodipine
12 or doxazosin. And there was very, very careful nagging of
13 our clinicians to titrate to a goal, and the goal was less
14 than 140 over 90.

15 We accomplished a lot. In one year, we got 86
16 percent to diastolic goal, 58 to systolic goal, and this
17 was maintained throughout. Now, this suffers from patients
18 we can no longer follow and not having blood pressures,
19 from people with events not being followed, people who died
20 not being followed, but it's a good look at what happens.

21 However, for systolic blood pressure, which
22 began at 31 percent under 140, we got only up to 70
23 percent. So, there's still a large number of high risk
24 older people whose systolic blood pressure we could not get
25 to below 140 in spite of these efforts, and overall, 69

1 percent at 6 years reached both. These numbers approximate
2 30,000 hypertensives.

3 In the CONVINCE trial, which we just presented
4 in May, we see very similar data. Here we were comparing a
5 non-dihydropyridine verapamil to diuretics or beta blockers
6 as the comparators. 16,000 individuals, 13 countries.
7 Began with 20 percent at the JNC VI goals of less than 140
8 over 90. Once again, no problem getting diastolic under
9 control in this older high-risk group, but a lot of
10 difficulty getting equally good results for systolic
11 pressure. Started with 20, got to 67 percent. That's
12 quite good. That's as good really as any study so far, but
13 there's a large group of people untreated.

14 Now, what we did -- and you don't have this
15 slide in your book. We added it after some of the earlier
16 discussion -- is to show how we did it. At the end of
17 titration, almost by definition, people were on one drug.
18 Step 1 is monotherapy. But with time, the number of people
19 who could reach and maintain that goal has slipped. So, by
20 30 months, which is the last data we have, only about 24
21 percent were on single agents, 44 percent were on one or
22 two agents, many were on third agents or open label. Our
23 physicians could use just about anything they wanted. We
24 nagged them unmercifully to get there, and this was the
25 best we could achieve.

1 Well, that's fine. Let's look at how we do in
2 a specialist clinic. These are clinical trial patients at
3 one end of a spectrum. They're watched closely. What
4 about people who are refractory? And that's what we see
5 mostly in our clinic.

6 We used HEDIS criteria here to see if they were
7 reasonable, and then we had to follow everybody for at
8 least a year. We just looked at that visit to see how well
9 we were doing. This is 437 consecutive patients and we saw
10 how often we achieved the goal of less than 140 and less
11 than 90. This is where we started.

12 These are people sent to us because their
13 doctors couldn't control them, and I would want to
14 parenthetically say that main reason in two studies we've
15 done of refractory hypertension why that doesn't happen is
16 that people do not in practice use the right drugs in the
17 right doses. That's simply a reality. Those are two
18 studies separated by 10 years with exactly the same
19 findings.

20 So, we started with 35 percent at systolic
21 goal, 51 percent at diastolic goal, and that's same
22 interesting 28 percent at both. When we got done -- and we
23 think we're pretty good at doing this -- we came very close
24 to the clinical trial results. 86 percent were under 90,
25 63 percent were under 140, and 60 percent were at both.

1 But that's still as good as we can do.

2 If you look at the diabetics, it's a little
3 more interesting. HEDIS goals at that point are less than
4 140 over 90, just the way the trials were. This is how we
5 did. 87 of those 437 had diabetes. 52 percent at both
6 goals, but if you look at JNC VI now, which was less than
7 130 over 85, we were only controlling 22 percent. And the
8 biggest gap was, of course, in systolic pressure.
9 Diastolic, we weren't doing too badly.

10 If you look at ADA or NKF, it's considerably
11 worse. Now we can only get 15 percent of this high-risk
12 subset at the goals set by expert committees.

13 And how did we do this? We weren't afraid to
14 use drugs. Most of our patients were on three or four or
15 two. Occasionally we could use non-drug therapy, but very
16 rarely. So, of the diabetics, 50 percent were on three or
17 more and 30 percent were on two drugs at least. And we
18 used everything. We didn't have the restrictions you have
19 in a trial of not having availability of a class. We used
20 diuretics. We used calcium antagonists. We used ACE
21 inhibitors in about 60 percent, ARBs in about 20 percent.
22 So, we're practicing according to guidelines. That was
23 nice to see. We looked at the few people who weren't on
24 one of those and there was a good reason in almost every
25 one. And we used minoxidil, central acting agents, beta

1 blockers, alpha blockers without any particular bias.

2 So, I think right now we can conclude -- and I
3 don't know if I've quite made my 5 minutes -- that we've
4 failed to reach systolic goals in a substantial number of
5 patients despite what we currently have to do and despite
6 expertise and despite what happens in a trial. So, I think
7 regimens that include omapatrilat will greatly improve our
8 ability to achieve goals, especially systolics.

9 Thanks.

10 DR. BORER: Thank you, Henry. I didn't mean to
11 suggest that you had to hurry. It was just that we have to
12 take a break at some point so people can check out of the
13 hotel and then come back.

14 DR. BLACK: I do understand.

15 DR. BORER: Why don't we take just a few
16 minutes to ask you questions. Then we'll break for lunch.

17 DR. BLACK: Sure.

18 DR. BORER: Steve.

19 DR. NISSEN: Actually, Jeff, I had questions
20 that are probably more complex than we can do in a few
21 minutes. I think I would prefer everybody take a break. I
22 think what Henry raises is the issue of benefit to risk.
23 You've now given us the benefit side and I want to explore
24 that, but I don't think I can do that quickly.

25 DR. BORER: Why don't we then break now and

1 we'll come back here at 12:50.

2 (Whereupon, at 12:00 p.m., the committee was
3 recessed, to reconvene at 12:50 p.m., this same day.)

4

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

1 AFTERNOON SESSION

2 (12:55 p.m.)

3 DR. BORER: We'll begin again.

4 It occurs to me, Dr. Waclawski, perhaps you
5 want to make your concluding statements and then we'll get
6 into the issues that we want to get into in terms of the
7 questioning about safety and risk-benefit, to the extent
8 that we have questions about these things.

9 DR. WACLAWSKI: If you would just let me
10 clarify one thing. Did you intend to have questions
11 specifically with respect to Dr. Black's presentation?

12 DR. BORER: Yes.

13 DR. WACLAWSKI: And you'd do that after the
14 concluding statements.

15 DR. BORER: Yes. We'll wait for you to finish.

16 DR. WACLAWSKI: Very good.

17 Good afternoon again. I'm Anthony Waclawski.
18 We'd first like to thank the committee and FDA for their
19 kind attention and the chance to present these data to you.

20 As you continue your discussion today and you
21 consider the target population, we would welcome the
22 committee to consider our proposal for a target population.

23 But we fully realize that there may be other subsets of
24 this population where the committee considers the benefit-
25 to-risk ratio for omapatrilat to be favorable. We're

1 looking forward to your continued deliberations on these
2 points.

3 That concludes our formal presentations for the
4 day. Thank you again.

5 DR. BORER: Thank you.

6 DR. WACLAWSKI: Elliott Levy can now return to
7 the podium.

8 DR. BORER: Let me ask you, because I think we
9 want to be absolutely fair in hearing everything you think
10 is important. You presented us or we were presented with
11 extensive documentation of the risk management plan. Is
12 there something that's changed since the document that was
13 submitted to us? Because if not, I don't think we need an
14 extensive presentation here. If it has changed in some
15 substantial way and you think that's important, then you
16 should be able to tell us about it.

17 DR. WACLAWSKI: I think we'll agree that what
18 you've seen we've sufficiently clarified through our
19 presentations and made the points that we felt we needed to
20 make, mainly about the objectives of the plan and why we
21 think that an education-based program is one that could
22 have some success, and that we're confident with working
23 with the agency going forward.

24 DR. BORER: With that having been said, let's
25 get into the questions of the committee, the remaining

1 questions regarding safety and the risk-benefit issues.
2 We'll start with the committee reviewer. Steve.

3 DR. NISSEN: Thanks, Jeff.

4 Again, we left with Henry up there and we
5 didn't get a chance to interact with you, Henry, and I
6 really would like to. I'm going to make a couple of
7 statements and then ask you some questions.

8 I assume you would agree with me that the long-
9 term effects of vasopeptid ACE inhibitors on morbidity and
10 mortality are not known.

11 DR. BLACK: Yes, I would agree.

12 DR. NISSEN: And that we've seen demonstration
13 of very perhaps superior blood pressure reduction with
14 omapatrilat in these studies. So, what I'm grappling with
15 is how or whether we can translate the blood pressure
16 differences for this new class of drugs into estimates for
17 event reduction. And I want to ask you a hypothetical
18 question because in my mind what we're all balancing here
19 is benefit versus risk, which you were obviously addressing
20 in your presentation. So, here's my question.

21 If we had a diuretic that reduced 24-hour
22 ambulatory blood pressure by 12 millimeters of mercury and
23 an ACE inhibitor that decreased ambulatory blood pressure
24 by 10 millimeters of mercury, would we be confident that
25 the diuretic arm would result in reduced events? So, you

1 have a diuretic that decreases by 12 and you've got an ACE
2 inhibitor that reduces by 10, using the most elegant 24-
3 hour measures available.

4 DR. BLACK: This is if compared to each other?

5 DR. NISSEN: Yes, compared to each other.

6 DR. BLACK: I think in general I can't answer
7 that specific question without having some real data to
8 back it up.

9 I do think -- and I think this point was talked
10 about a lot yesterday -- that incremental drops in blood
11 pressure, even small ones, seem to result in considerable
12 reduction in outcome events.

13 DR. NISSEN: I guess what I'm trying to get at
14 is whether, in fact, one can predict that a drug that has a
15 modestly greater blood pressure reduction will result in a
16 greater reduction in events. Because isn't that what we're
17 being asked to assume here in terms of the benefit of this
18 agent?

19 DR. BLACK: Yes, I understand. There have been
20 some attempts to do this. Some have used epidemiological
21 estimates. I think you saw some of that yesterday from Dr.
22 Kannel. Dr. Stamler has used similar things to predict
23 reductions in mortality, of small reductions in systolic
24 pressure leading to fairly large reductions in mortality.
25 And there's been a large meta-regression done by Jahn

1 Staessen suggesting that small differences in systolic
2 pressure could result in 20 to 25 percent reductions in
3 cardiovascular mortality. Now, those studies are always up
4 for some interpretation, but there's a consistency about
5 them based on 31 clinical trials that have been done so
6 far.

7 DR. NISSEN: Mike Weber, do you want to offer
8 us some advice here? Because there are some calculations
9 that appear in here about this relationship between how
10 many events are prevented versus the risks.

11 DR. WEBER: Yes, but underlying that, Steve, is
12 exactly the conversation you had yesterday morning and that
13 the difference in blood pressure has its greatest meaning
14 when you're comparing the same kinds of pharmacology. So,
15 if you had one ACE inhibitor that was minus 10 and the
16 other was minus 12, then I'm going to favor the one that's
17 minus 12. But you gave us a diuretic at minus 12 and an
18 ACE inhibitor at minus 10, and that's a very difficult
19 situation because they clearly have very different
20 profiles, and I suspect there are some patients who are
21 going to do a lot better with one than with the other. But
22 I think what we're talking about here, of course, is within
23 the ACE inhibitor family.

24 DR. NISSEN: Well, see, it was a deliberately
25 difficult question because for me to make a judgment here,

1 I have to believe that vaso peptide ACE inhibitors
2 fundamentally will act on events in the same way that the
3 ACE inhibitors act on events. Yet, we're talking about the
4 first drug in a new class that does different things. So,
5 I'm asking you guys -- I respect both of you. You've done
6 tremendous work over the years in hypertension -- whether
7 you can justify that sort of assumption, and if so, how you
8 can justify that assumption.

9 DR. WEBER: Well, I think we would both depend
10 quite heavily actually on what Milton showed us just before
11 lunch because a concern you would always have is that what
12 it is that is different about omapatrilat didn't just mean
13 more blood pressure reduction but everything else stays the
14 same, but whether there is something about adding in this
15 NEP inhibition that's going to cause something that's
16 unexpected or adverse.

17 I think the two things that Milt showed us,
18 first of all, the major cardiovascular endpoints in OCTAVE
19 were certainly moving in the right direction, for whatever
20 that's worth, but certainly not moving in an adverse
21 direction, and secondly, in the OVERTURE study, the heart
22 failure trial, where again you could have a pretty strong
23 level of confidence, particularly in the hypertensive
24 patients, that if anything, things were favoring
25 omapatrilat, for whatever that's worth. But I think we can

1 at least lay to rest the concerns that Tom Fleming was
2 expressing yesterday about comparing different classes.

3 DR. NISSEN: Well, I guess the problem we see
4 with using the OVERTURE data isn't the problem that that's
5 not the population that this drug is being proposed to
6 treat. It's not being proposed for heart failure. It's
7 being proposed for hypertension. So, the reassurance is
8 obviously going to be limited, is it not, by the fact that
9 it was studied in a different population than is being
10 proposed to be used here?

11 DR. WEBER: That's right, albeit a high risk
12 population with hypertension, but I acknowledge that.

13 Dr. Hennekens, I wondered, would you have a
14 comment?

15 DR. HENNEKENS: Well, on this point, Steve, I'm
16 a recent addition to this advisory group because of work I
17 had done at Harvard on hypertension, some of which included
18 collaboration with the Oxford Group. We looked at 14
19 randomized trials, including over 30,000 subjects that were
20 treated for 2 to 3 years with blood pressure lowering
21 agents. We predicted going in that the 3 to 5 millimeter
22 reductions would be associated with about a 40 percent
23 lowered risk of stroke and a 30 percent lowered risk of CHD
24 and about a 20 percent lowered risk of cardiovascular
25 mortality.

1 What we found is that 2 to 3 years of blood
2 pressure lowering led to the predicted 42 percent lower
3 risk of stroke, about a 16 percent reduction in heart
4 disease, and about a 21 percent reduction in vascular
5 mortality, so that the stroke and the vascular mortality
6 reductions, over 2 to 3 years with this amount of blood
7 pressure lowering, were very similar to the epidemiology.
8 Where there was the shortfall was in CHD.

9 We speculated that chance in the trials might
10 explain it. We speculated that there might be a more
11 immediate and direct effect on the brain, a more delayed
12 and indirect effect on the heart via atherogenesis. We
13 also speculated that the first-line drugs, the diuretics
14 and beta blockers, which have a 5 percent adverse effect on
15 LDL, might be increasing the risk of coronary heart disease
16 events.

17 The issue here becomes complicated in terms of
18 the application of those data to the risk-benefit ratio on
19 this drug, and I think that's why the sponsors have
20 correctly tried to define a target population, all of whom
21 have a 10-year risk of about 20 percent or greater, and if
22 we add uncontrolled hypertension to that risk, it's
23 probably closer to a 40 percent 10-year risk of adverse
24 cardiovascular outcomes. And it's in these patients where
25 I think the claim is that the benefits will outweigh the

1 risk, but that does presume a 2- to 3-year sustained
2 difference in blood pressure of that amount.

3 DR. NISSEN: I've looked at these data very
4 carefully as the primary reviewer here, and as I think we
5 all at this table know, there is no long-term exposure data
6 to omapatrilat available. So, we don't know what happens
7 down the road.

8 DR. HENNEKENS: No, but what we do know, though
9 -- and I will yield to Dr. Levy in just a second -- is that
10 you see a sustained advantage over 24 weeks in 25,000
11 subjects, and that's not the same as a 2- to 3-year
12 reduction, but it's at least heading down the right path.

13 DR. BORER: Bob, did you have a comment about
14 this?

15 DR. TEMPLE: Slightly different.

16 DR. LEVY: May I just respond? A point of
17 clarification. We do actually have quite a bit of long-
18 term experience with omapatrilat. We have patients treated
19 for up to 5 years. Patients have been treated in
20 controlled trials for up to a year, and the
21 antihypertensive effects are sustained and they're superior
22 to comparator. Over 5 years, there's no indication that
23 the antihypertensive effect is lost. In fact, we did a
24 withdrawal study in which patients who were maintained on
25 the drug for over a year and had stable blood pressures

1 were withdrawn from therapy to demonstrate that it retained
2 its antihypertensive effect.

3 DR. NISSEN: But let me just make sure I
4 understand what you know. The differential effect against,
5 say, enalapril is sustained in those longer-term trials?

6 DR. LEVY: We have comparative data versus
7 losartan not enalapril in a trial that lasted a year, and
8 the difference between the two drug regimens is sustained.

9 Our longer-term experience is in primarily
10 open-label, uncontrolled trials.

11 So, of course, we can't speculate what would
12 happen if patients were to be followed for 5 or 10 years.
13 On the other hand, in the patient population we've
14 identified who don't seem to be able to get to target with
15 existing meds, it seems highly likely that there would be
16 some lasting benefit if they can stay on this one.

17 DR. NISSEN: But there's no hard data on
18 differential effects beyond 12 months.

19 DR. LEVY: Yes, that's right.

20 DR. NISSEN: Now, the second question --

21 DR. BORER: But just before you go on to that,
22 Steve, Bob.

23 DR. TEMPLE: I have an observation about this.
24 Steve is obviously asking the fundamental surrogate
25 question. You always, when you rely on blood pressure, are

1 making some assumptions, and they're not always right. I'm
2 absolutely positive you get better blood pressure control
3 with 100 milligrams of chlorthalidone than you do with 25
4 milligrams of hydrochlorothiazide, and there are even well-
5 controlled studies that show improved survival or improved
6 stroke anyway in those people. But it turns out you pay a
7 price for the better control in the form of arrhythmias and
8 other things. So, it turned out there was an additional
9 effect in addition to the one that you were relying on that
10 was a worry.

11 Nonetheless, for what's it worth, we do act --
12 and the whole community acts -- as if lowering blood
13 pressure to goal is a desirable thing however you do it,
14 with whatever drugs you do it, even though they can't prove
15 that. ALLHAT is supposed to get you some further insight
16 on that question, is lowering blood pressure equivalent, to
17 the same extent the same, no matter how you do it?

18 DR. NISSEN: I guess the spirit of my question
19 relates to trials like LIFE where a similar blood pressure
20 reduction has different effects on events. So, what I said
21 yesterday I'm kind of repeating today, which is when you
22 cross classes, there may be class-specific effects that are
23 unknown, uncertain, and it's particularly germane when it's
24 the first drug in the class where we don't really know, over
25 a period of time, what the effect of morbidity and

1 mortality are, let alone know what it is relative to some
2 other agent.

3 DR. TEMPLE: Yes. But of course, every time
4 you approve a new drug, especially of a new class, you
5 don't know that. Some people would tell us we should make
6 people know that and do an ALLHAT each time, but we have
7 not adopted that policy.

8 DR. NISSEN: Well, Bob, again, the difference
9 here is that there's risk. If the drug had a similar risk
10 profile, we wouldn't have this conversation.

11 DR. TEMPLE: That's fair.

12 The other observation I guess -- I've written
13 this, so I want to say it -- is it doesn't seem out of the
14 question about you can learn about some unexpected bad news
15 from studies in different populations. So, I've always
16 felt some of the concerns about calcium channel blockers in
17 hypertension were, to some extent, resolved by the post-
18 infarction studies, a fragile group, and it didn't seem to
19 do anything bad in those, other than cause heart failure.
20 And I believe that's the argument they're making about
21 OVERTURE, that it should reassure you that nothing
22 unexpectedly awful is happening even though it's a
23 different population.

24 DR. NISSEN: So, for me the most powerful
25 evidence would be obviously direct evidence on morbidity

1 findings from three studies and briefly cite a fourth.

2 In your briefing document, the first is a trial
3 that was conducted in patients with chronic stable angina.

4 We had noted in preclinical studies that omapatrilat had
5 an anti-anginal effect that wasn't shared by ACE
6 inhibitors. And we conducted a trial -- it was a placebo-
7 controlled trial -- in which omapatrilat was shown to
8 improve exercise tolerance. That was this study.

9 And if I could go to the next slide. We
10 demonstrated significant improvements in various measures
11 of ischemia, including maximal exercise duration, time to
12 onset of angina, and time to ST segment depression. So,
13 not an active-controlled trial, but a novel finding that
14 hasn't been described with ACE inhibitors.

15 DR. NISSEN: Unfortunately, it wasn't germane
16 to what I was asking because I'm looking for evidence that
17 there is some target organ protection here not afforded by
18 an active control agent. In other words, is there anything
19 that says that omapatrilat improves angina in comparison to
20 enalapril or lisinopril or amlodipine or anything like
21 that?

22 DR. LEVY: Right. Well, again, this hasn't
23 been described with the ACE inhibitors, so we thought it
24 was worthwhile.

25 DR. NISSEN: Also, certainly with amlodipine

1 it's been described.

2 DR. LEVY: If I could have the next slide. We
3 conducted a study to examine the effects of the drug on
4 proteinuria. This was actually a study conducted early in
5 the clinical development program. We used amlodipine as
6 the comparator because of its potent effects on blood
7 pressure and its apparently neutral effects on proteinuria.

8 We found in this trial, if I could have the next slide,
9 that omapatrilat produced significant reductions in urine
10 albumin excretion rate that in magnitude were about
11 comparable to that seen with the ACE inhibitors.

12 DR. NISSEN: Were there any direct comparisons
13 made between omapatrilat and, say, ARBs, which I guess are
14 about to be labeled for this indication, or ACE inhibitors?

15 DR. LEVY: Not for this purpose.

16 Now, the next study we have a comparison with
17 losartan in patients with left ventricular hypertrophy.
18 The primary endpoint here was change in
19 echocardiographically determined LV mass after 24 weeks of
20 therapy with omapatrilat or losartan, and then patients
21 remained on therapy for up to a year. At the primary time
22 point, at week 24, both drugs reduced left ventricular mass
23 to a significant degree with a trend towards greater
24 reduction with omapatrilat.

25 DR. BORER: What were the blood pressure

1 responses to the two drugs?

2 DR. LEVY: Can I see the tracing, summarized
3 blood pressure changes over the full duration of the study?

4 These are the blood pressure changes over the
5 full 52 weeks of the trial. In the first 24 weeks,
6 patients remained primarily on monotherapy, and then they
7 went on to add adjunctive therapy. About 34 percent of
8 those treated with omapatrilat received another agent;
9 about 60 percent of those treated with losartan. And
10 there's a difference in systolic blood pressure of about 4
11 millimeters of mercury that's pretty well sustained from
12 week 24 in the trial on.

13 You had asked me before about what evidence we
14 had that there's a long-term superiority. This is an
15 interesting trial, much smaller than OCTAVE, but one in
16 which, despite a much greater discrepancy in the rate of
17 adjunctive therapy use, you still see a preserved
18 difference of about 4 millimeters of mercury in systolic
19 blood pressure.

20 DR. NISSEN: But I guess I was looking more for
21 evidence. I guess what I'm trying to understand is it
22 would help me if there were evidence that in comparison to
23 ACE inhibitors or calcium channel blockers or diuretics,
24 that some organ system was protected in some way.

25 DR. LEVY: Let me show you one more study, if I

1 could just have the primary finding from the CHOIR study.
2 These are data that were not included in the NDA, and so
3 the FDA hasn't reviewed them. If there of interest to you,
4 I'd certainly like them to review the study.

5 But this was a study that we conducted in
6 patients with systolic hypertension, randomized to
7 treatment with omapatrilat or enalapril, in which we
8 assessed the effect of the drug essentially on conduit
9 vessel stiffness, which is a major finding in older
10 patients with primarily systolic hypertension and is
11 thought to have a pathogenic role. Now, in animal studies
12 the natriuretic peptides were shown to have a favorable
13 effect on the large arteries.

14 In this study -- can I just have the primary
15 results?

16 DR. NISSEN: I'm not sure I would call that an
17 end organ, though.

18 DR. LEVY: Well, there's a degenerative change
19 in these vessels over time that seems to be associated with
20 poor outcomes.

21 Anyway, the drug produced a reduction in
22 central pulse pressure that's not seen with enalapril, and
23 it indicates that there's a distinct effect on the
24 pathologic change in these conduit vessels.

25 So, we certainly have a variety of information

1 about target organ damage. At the very least, the drug
2 appears to share the beneficial effects of existing drugs.

3 It may be superior in some areas.

4 DR. NISSEN: Yes, I would agree with that
5 conclusion, from what I've seen in the documents, that it
6 does appear to share those properties. But again, looking
7 for superiority as a way to justify the increased risk,
8 that was what I was really probing for.

9 That's all I have.

10 DR. WEBER: I just wanted to remind Steve that,
11 in fact, that in previous trial with losartan, the
12 differential effects on left ventricular hypertrophy were
13 really quite clear. And that's interesting because in the
14 LIFE study, if you remember, losartan was clearly superior
15 to the beta blocker in regressing LVH. So, this is, if you
16 like, one good example of a target organ difference to the
17 favor of omapatrilat against a standard comparator.

18 DR. NISSEN: Well, Mike, the differences were
19 highly significant compared to placebo, but there was not a
20 significant difference compared to losartan. The p value
21 was nonsignificant. So, again, it was a demonstration of
22 equivalence, not necessarily of superiority.

23 DR. WEBER: I think it was 7 versus 4.

24 DR. NISSEN: Well, but the p value was greater
25 than .1.

1 DR. LEVY: You're correct. There was a trend
2 towards greater reduction with omapatrilat.

3 I have data on some of the subgroup analyses
4 that were requested before the break.

5 DR. BORER: Why don't you go ahead and then
6 we'll get on to some other questions.

7 DR. LEVY: Dr. Fleming had asked about efficacy
8 and safety in the proposed target population. If I can
9 have the first slide there. This is the proposed target
10 population. These patient populations are identified based
11 on review of the clinical guidelines to determine patient
12 populations that would increase CV risk and therefore might
13 stand to gain the most from incremental reductions in blood
14 pressure. Of course, the second criteria, hypertension
15 difficult to control with existing agents, patients who
16 can't benefit elsewhere.

17 We presented these data by subgroup because
18 they're post hoc analyses, and it's very important to be
19 able to examine each of the subgroups for consistency.

20 Can I have the next slide? I showed you these
21 results earlier. I call your attention to the right-hand
22 panel. There's a very consistent reduction in blood
23 pressure in all these high-risk groups, ranging from 3 to 5
24 millimeters of mercury more with omapatrilat than with
25 enalapril.

1 But for the sake of clarity, we've prepared a
2 pooled analysis in which we put together these populations.

3 This is what a population looks like. Again, the two
4 largest risk groups that were represented in OCTAVE were
5 diabetes and atherosclerotic disease with 3,300 and 2,300
6 patients respectively, and then smaller numbers with renal
7 disease and heart failure. So, there are about 6,000
8 patients represented in this analysis. They tend to be a
9 little bit older than the overall study population, but
10 otherwise they're not remarkable in terms of demographic
11 characteristics.

12 DR. FLEMING: And do all of these patients also
13 satisfy the criterion of having had a difficulty to control
14 hypertension?

15 DR. LEVY: This is all subjects. I wanted to
16 show you the largest group possible. We've also done these
17 analyses for those who entered the study uncontrolled on
18 medication and the results are very similar.

19 DR. FLEMING: If you have it, because time is
20 short, it would be adequate just to drill down to that
21 target group rather than including this bigger group that
22 includes a number of people who wouldn't be in your target,
23 if you have it.

24 DR. LEVY: I'll call it up, but there really
25 are only two slides to show and one is that, as you'd

1 expect, when you see groups that are consistent, you see a
2 consistent difference in efficacy of about 4 millimeters of
3 mercury in the target population at week 24. As I showed
4 you earlier, the rate of angioedema in the study was lower
5 in those with diabetes or atherosclerotic disease than in
6 others. So, the risk of angioedema in the target
7 population is also lower. The two events that are subject
8 to that airway compromise were not in the target population
9 and the number of patients hospitalized was also quite
10 small.

11 DR. FLEMING: So, it's just not been possible
12 at this point still to produce the actual target population
13 subgroup? I'm presuming that the target population
14 subgroup would only be half that size or two-thirds.

15 DR. LEVY: I'm sorry. If we were to focus on
16 those patients who entered the trial uncontrolled on
17 therapy with the same comorbid characteristics, there are
18 about 2,000 subjects in the analysis. Again, the reduction
19 in blood pressure is 3.6 millimeters of mercury more with
20 omapatrilat than with enalapril.

21 DR. FLEMING: And do you happen to know what
22 the distribution is for the clinical events and also for
23 the safety events?

24 DR. LEVY: Yes. As you know, there were 226
25 clinical events in the trial. In this group there were

1 102, 58 in subjects randomized to enalapril and 54 in
2 subjects randomized to omapatrilat. So, the hazard ratio
3 is .91. It's very consistent with what we saw overall.

4 DR. FLEMING: And the angioedema? Did you have
5 that data?

6 DR. LEVY: Well, this is the angioedema.

7 DR. FLEMING: That's still a bigger group.
8 Right? That doesn't focus or drill down on only those
9 people that were difficult to control hypertension at
10 baseline.

11 DR. LEVY: In those who had these comorbid
12 characteristics and who entered the study on medication
13 uncontrolled, there were 18 angioedema events out of 1,140
14 subjects on omapatrilat, an incidence of 1.58 percent, and
15 8 events out of 1,053 subjects on enalapril, .76 percent.
16 In both cases, most of the events were severity class I.

17 DR. FLEMING: Do you have how many were at III-
18 IV?

19 DR. LEVY: I'm sorry?

20 DR. FLEMING: Severity class III-IV.

21 DR. LEVY: Well, as you can see here, in the
22 larger group, there were 2 patients who were hospitalized,
23 neither with airway compromise, and there were no patients
24 who required mechanical airway protection. In the smaller
25 group, there were also 2 subjects hospitalized without

1 airway compromise.

2 Does that answer your question?

3 DR. BORER: Not quite. Just so it's on the
4 record here, people who entered the trial uncontrolled are
5 not actually the group that Tom is focusing on. I'm sure
6 you don't have these data, and nobody expects you to put
7 them together in 2 minutes. But it's the people who
8 couldn't be controlled, not the people who weren't
9 controlled.

10 Henry Black showed us that there are people in
11 his own clinic -- and he's an expert -- on maximal therapy
12 who aren't controlled. So, they exist but those aren't the
13 people who came in uncontrolled into this clinical practice
14 population for a study.

15 The question we would really have to define the
16 risk-benefit ratio we want most precisely would be the
17 people who, on maximal medical therapy under optimal care,
18 could not be controlled without omapatrilat and now could
19 be controlled with omapatrilat. What's their risk?

20 I don't think you have that group, but it's
21 different from your group 3 in the OCTAVE trial. Again,
22 you may have those data. I don't know.

23 DR. LEVY: We've showed you data from a variety
24 of groups that are relatively difficult to control. I
25 think what you can conclude is that the efficacy advantage

1 is preserved, no matter how difficult the patient is to
2 control, and we got some very difficult-to-control patients
3 represents in OCTAVE. In patient populations where there's
4 a higher risk of diabetes or atherosclerotic disease,
5 there's less angioedema.

6 DR. BORER: All the data you've shown us are
7 consistent with what you're suggesting.

8 One of the reasons that I'm sort of not fully
9 satisfied -- and it may be impossible without some
10 additional trial to provide that satisfaction -- is that
11 the argument that routine clinical practice does it this
12 way and they don't make it just isn't really a very good
13 argument to me, as I suggested earlier.

14 One of the reasons that I'm concerned about
15 this and the underuse of appropriate medications and
16 whatever are the data that Ray Lipicky presented I think
17 the first time two years ago, although maybe he put them
18 together earlier than that, about the dose-response curve
19 of antihypertensive drugs showing that, by and large,
20 probably everybody underdoses most antihypertensive drugs,
21 and if you just push the dose a little bit more, you'd get
22 the blood pressure down with conventional agents that have
23 already been approved.

24 So, to assuage my concern about that, the most
25 convincing thing I've heard and seen was the slide that

1 Henry showed earlier from his own clinic where there are
2 people who are really expert at this who have --

3 DR. BLACK: I can perhaps try to give you some
4 idea. I don't think it's possible with what you saw here
5 to make that guess. But in our diabetic group, which is
6 not large, where we are very aggressive using the real
7 guidelines for diabetics -- and diabetics are one of the
8 groups that this is being recommended to use -- we could
9 only achieve goal in about 20 percent of people with what
10 we currently have. And we use large doses. We use four or
11 five or six drugs if necessary. So, there's a big gap in
12 that group alone.

13 DR. LEVY: If I could show one slide just to
14 clarify a point. If I could have the slide from the 73
15 study.

16 We're proposing that the drug be used in the
17 patients that Henry Black is talking about, the patients
18 who can't be brought to control despite very honest
19 attempts to get them there. In the right-hand panel here,
20 you've got patients who were on very high dose ACE
21 inhibitor therapy plus one or two or three other
22 medications. They remain far from target with systolic
23 pressures at baseline in the 150s. The substitution of
24 omapatrilat for their prior ACE inhibitor therapy produced
25 further reduction in blood pressure of around 10

1 millimeters of mercury. There are few alternatives for
2 these patients.

3 The question was raised before about whether
4 one could achieve the same results with b.i.d. enalapril or
5 with the addition of a thiazide. In patients who can reach
6 those with those manipulations, this is not the role of the
7 drug. But there is a very substantial incremental blood
8 pressure reduction which may be of value in patients who
9 are very difficult to control with existing drugs.

10 DR. BORER: You don't really believe that if
11 the drug were approved and marketed, that it would only be
12 used in the group that Henry Black couldn't control with
13 six other drugs.

14 DR. LEVY: I think Henry has shown data that
15 there are 30 to 40 percent of patients who can't be
16 controlled.

17 DR. BORER: I understand, but I'm asking you do
18 you really believe that that's the way the drug would be
19 used if it were marketed. It's a rhetorical question.

20 (Laughter.)

21 DR. CARABELLO: But it's a question I'd like to
22 go into a little bit further. I see this drug perhaps as
23 somewhat akin to amiodarone where you have the nettlesome
24 problem of atrial fibrillation, very few drugs to control
25 it, and we have a very toxic, not particularly safe drug,

1 but its risk is mitigated by the fact that it's only
2 prescribed by a few people, those people who have a special
3 knowledge of the drug and of arrhythmias. My question
4 would be is, could we limit the drug in terms of who
5 prescribes for what, where, and when? And would that not
6 be yet a strategy we haven't talked about for mitigating
7 risk?

8 DR. WACLAWSKI: Excuse me, Dr. Borer. Could I
9 just add to your rhetorical question perhaps? It's
10 certainly something we have been discussing on the risk
11 management side within the company for some time, and it
12 certainly is one of our concerns as well, which is that if
13 and when the drug were to be approved with a target
14 population, it would be necessary to show that we could
15 limit the use to those patients where the benefit-to-risk
16 is clearly favorable.

17 And that's important to us not only for the
18 good of the patients, but also because even if the benefit-
19 risk was to be expanded beyond that later, it's important
20 to focus on a group that has the highest benefit-to-risk
21 initially for the initial marketing of the product. We
22 recognize that as a risk and we've worked internally try to
23 work through that. And there may be some tools, some ways
24 to build the risk management plan around that, and that's
25 something certainly we would welcome input on. But your

1 concern is well taken.

2 DR. BORER: 15 years ago -- and I guess it's 17
3 years ago now -- this same discussion revolved around
4 amiodarone, and the consultants who were speaking for the
5 drug insisted that it should be approved only for use by
6 experts who were the six of them sitting in the front
7 row --

8 (Laughter.)

9 DR. BORER: -- and shouldn't be used by anyone
10 else. I don't think we achieved that, but perhaps that's
11 okay.

12 Bob.

13 DR. TEMPLE: I have a slightly different
14 question. Let's say we were willing to assume that it
15 really was good for outcome to be able to lower blood
16 pressure 3 millimeters of mercury more than you otherwise
17 could. That's sort of what Henry is saying in some ways.

18 There are two sets of data. One is moderately
19 convincing evidence I think that this works a little better
20 than other ACE inhibitors. At least with lisinopril, how
21 many times a day you give it probably doesn't matter, and
22 they seem to have some data there. So, that's one thing.

23 What I hear Henry saying is, look, if this is
24 as well as you can do with available therapy without
25 omapatrilat, you're going to do 3 millimeters of mercury

1 better when you substitute this for your other ACE
2 inhibitor. So, that's one line of argument.

3 The other line of argument is that OCTAVE
4 actually showed that you could get 3 millimeters of mercury
5 better with this than without it, but I have some questions
6 about that. These are all points raised by Dr. Stockbridge
7 in his review.

8 It's quite striking that even though people
9 were allowed to increase the dose of enalapril to gain
10 control, only about 40 percent of people got on the maximum
11 dose, and just to save Steve from having to say it, they
12 didn't get an opportunity to have it twice a day. So, you
13 don't really know what would have happened if they had gone
14 to the right dose. Maybe that 3 over 2 would be 1 over .5.

15 In addition, the fairly simple expedient of
16 adding another drug was only used in a very small fraction
17 of patients. So, I recognize the idea that is implicit in
18 what Henry said, which is, well, it works better, so you've
19 got to end up better. And I guess I raise the question,
20 don't you have to know in practice how different these
21 resistant patients will be when you actually do it as
22 opposed to sort of the theoretical advantage which is,
23 well, how can it not, which is I think what Henry's
24 argument is.

25 So, I'd be interested in some response to why,

1 given the opportunity to use the proper dose or the maximum
2 dose, if you like, of enalapril and given the opportunity
3 to add therapies which they could have, nobody really did
4 it. So, do we really know how much better this is than
5 conventional therapy in an actual "I can't control this
6 patient" setting?

7 I take your point. A lot of people can't be
8 controlled, but if this were available, do we actually know
9 in a hands-on way and a demonstrated way how much
10 difference it would make? That's really what Norm was
11 asking in this review.

12 DR. BLACK: Bob, if I could, I'd try to give
13 you two impressions. We've done two assessments of our
14 clinic when I was in New Haven and again in Chicago about
15 10 years later to look at our patients who were resistant
16 and see what the reasons were and what we could about it.
17 The most common reason both times was that the patients
18 that we got were not properly dosed, did not get the right
19 drugs in the right order, didn't have them long enough,
20 exactly the practice gaps we see.

21 We were able with our manipulations to get
22 control in about 60 percent, very similar to what you saw
23 here, both times. In 1990, it was using diuretics when
24 people didn't know how to use them, and there are newer
25 things now. That wasn't quite the problem. So, I think

1 that's going to be an issue. I don't think you can address
2 exactly what would happen if omapatrilat were around, but I
3 think that's the pattern of practice.

4 In our trials, where we do lay out a protocol,
5 we reward people for getting control and punish them when
6 they don't and let them use whatever they want. We still
7 can't do any better than, in fact, what we're seeing.

8 DR. TEMPLE: But I'd still like to hear a
9 little bit about -- I mean, you had a difference of 3 over
10 2, or thereabouts, with people on inadequate doses of
11 enalapril. You've got to imagine that if the dose had gone
12 up or if it had been b.i.d., the difference would be less
13 than that, and you certainly have to imagine if they'd
14 added a drug, which in many cases they did not, the
15 difference would have to be reduced. Now, Norm had an
16 estimate based on what happened when you did add a drug,
17 that it wouldn't be very hard to get control by adding
18 another drug, and yet they didn't.

19 Obviously, the question is, okay, on the one
20 hand, I can get away without this other drug. On the other
21 hand, I have the angioedema. So, you sort of have to know
22 how you do with another drug. Or maybe you don't think you
23 do. So, what do you think about that?

24 DR. FLEMING: Henry, just for my understanding
25 of your response to Bob's question just now, you've made