

sgg

1

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE

Monday, October 21, 2002

8:30 a.m.

CDER Advisors and Consultants Conference Room
5600 Fishers Lane
Rockville, Maryland

MILLER REPORTING COMPANY, INC.
735 8th Street, S.E.
Washington, D.C. 20003-2802
(202) 546-6666

PARTICIPANTS

Vincent H.L. Lee, Ph.D., Chairman
Kathleen Reedy, R.D.H., M.S., Executive Secretary

MEMBERS

Gloria L. Anderson, Ph.D., Consumer
Representative

John Doull, M.D., Ph.D.

Judy Boehlert, Ph.D.

Joseph Bloom, Ph.D.

Nair Rodriguez-Hornedo, Ph.D.

Lemuel A. Moyer, M.D., Ph.D.

Marvin C. Meyer, Ph.D.

Arthur H. Kibbe, Ph.D.

Nair Rodriguez-Hornedo, Ph.D. (by telephone)

SPECIAL GOVERNMENT EMPLOYEE CONSULTANT

Thomas Layloff, Ph.D.

INDUSTRY PARTICIPANT

Tobias Massa, Ph.D.

INDUSTRY GUESTS

Leon Shargel, Ph.D., R.Ph.

Efraim Shek, Ph.D.

Leslie Z. Benet, Ph.D. (by telephone)

Kenneth R. Morris, Ph.D.

Thomas Garcia, Ph.D. (by telephone)

FDA STAFF

Ms. Helen N. Winkle

Ajaz Hussain, Ph.D.

Yuan-Yuan Chiu, Ph.D.

Lawrence Yu, Ph.D.

Vilayat Sayeed, Ph.D.

Robert Osterberg, Ph.D.

C O N T E N T S

	<u>PAGE</u>
Call to Order, Vincent H.L. Lee, Ph.D.	4
Conflict of Interest, Kathleen Reedy	6
Introduction to Meeting, Ms. Helen Winkle Subcommittee Reports:	8
Non-Clinical Studies:	
John Doull, M.D., Ph.D.	20
Frank Osterberg, Ph.D.	27
Frank D. Sistare, Ph.D.	33
Process Analytical Technologies:	
Thomas Layloff, Ph.D.	41
Ajaz Hussain, Ph.D.	53
Other Updates:	
Risk-Based CMC Review:	
Yuan-yuan Chiu, Ph.D.	87
Vilayat Sayeed, Ph.D.	91
Blend Uniformity:	
Ajaz Hussain, Ph.D.	111
Regulatory Issues Related to Crystal Habits-Polymorphism:	
Introduction, Gary Buehler, R.Ph.	142
Scientific Considerations of Pharmaceutical Solid Polymorphism, Lawrence Yu, Ph.D.	153
Expert Comments:	
Kenneth R. Morris, Ph.D.	184
Leslie Z. Benet, Ph.D.	201
Committee Discussion	208

1 P R O C E E D I N G S

2 Call to Order

3 DR. LEE: Good morning. I am the chair of
4 the committee and I would like to call the meeting
5 to order. Let me begin by asking everyone to
6 introduce herself or himself, starting on my far
7 right side.

8 MS. WINKLE: Good morning. I am Helen
9 Winkle. I am the acting director of the Office of
10 Pharmaceutical Science.

11 DR. HUSSAIN: Ajaz Hussain, deputy
12 director of the Office of Pharmaceutical Science.

13 DR. MOYE: Lem Moye, committee member and
14 University of Texas Biostatistics.

15 DR. DOULL: John Doull, clinical
16 toxicologist, Kansas Medical Center.

17 DR. MEYER: Marvin Meyer, emeritus
18 professor, University of Tennessee, now living in
19 Florida.

20 DR. KIBBE: Art Kibbe, professor of
21 pharmaceuticals, Wilkes University Nesbitt School of
22 Pharmacy.

23 MS. REEDY: Kathleen Reedy, Food and Drug
24 Administration.

25 DR. LEE: Vincent Lee. I am professor and

1 chair of pharmaceutical sciences at USC.

2 DR. ANDERSON: Gloria Anderson, Callaway
3 professor of chemistry, Morris Brown College,
4 Atlanta.

5 DR. BLOOM: Joseph Bloom, University of
6 Puerto Rico.

7 DR. BOEHLERT: Judy Boehlert, and I have
8 my own pharmaceutical consulting business.

9 DR. SHARGEL: Leon Shargel, vice president
10 biopharmaceutics, Ianlabs, a generic manufacturer.

11 DR. SHEK: Efraim Shek, vice president for
12 pharmaceutical and analytical R&D, Abbott
13 Laboratories.

14 DR. MASSA: Tobias Massa, executive
15 director of regulatory affairs, Eli Lilly & Co.,
16 and chair of their PQRI steering committee.

17 DR. LAYLOFF: Tom Layloff, Management
18 Sciences for Health and NGO working in less
19 developed countries and acting chair of the PAT
20 committee.

21 DR. OSTERBERG: Bob Osterberg, acting
22 associate director for pharmacology and toxicology
23 for the Office of New Drugs.

24 DR. LEE: Thank you very much. I would
25 like to ask Kathleen Reedy to read the conflict of

1 interest.

2 **Conflict of Interest**

3 MS. REEDY: Acknowledgement related to
4 general matters waivers, Advisory Committee for
5 Pharmaceutical Science, October 21, 2002:

6 The following announcement addresses the
7 issue of conflict of interest with respect to this
8 meeting and is made a part of the record to
9 preclude even the appearance of such at this
10 meeting. The topics of today's meeting are issues
11 of broad applicability. Unlike issues before a
12 committee in which a particular product is
13 discussed, issues of broader applicability involve
14 many industrial sponsors and academic institutions.
15 All special government employees and federal guests
16 have been screened for their financial interests as
17 they apply to the general topics at hand. Because
18 they have reported interests in pharmaceutical
19 companies, the Food and Drug Administration has
20 granted waivers to the following special government
21 employees, which permits them to participate in
22 today's discussion, William Jusko and Judy
23 Boehlert.

24 A copy of the waiver statement may be
25 obtained by submitting a written request to the

1 agency's Freedom of Information Office, Room 12A-30
2 of the Parklawn Building.

3 Because general topics impact so many
4 institutions it is not prudent to recite all
5 potential conflicts of interest as they apply to
6 each member, consultant and guest. FDA acknowledges
7 that there may be potential conflicts of interest
8 but, because of the general nature of the
9 discussion before the committee, these potential
10 conflicts are mitigated.

11 We would also like to note for the record
12 that Dr. Efraim Shek of Abbott Laboratories, Dr.
13 Leon Shargel of EON Labs are participating in this
14 meeting as industry representatives, acting on
15 behalf of regulated industry. As such, they have
16 not been screened for any conflicts of interest.

17 In the event that the discussions involve
18 any other products or firms not already on the
19 agenda for which FDA participants have a financial
20 interest, the participants' involvement and their
21 exclusion will be noted for the record.

22 With respect to all other participants, we
23 ask in the interest of fairness that they address
24 any current or previous financial involvement with
25 any firm whose product they may wish to comment

1 upon.

2 DR. LEE: Thank you very much. I now
3 would like to invite Helen Winkle, the acting
4 director of the Office of Pharmaceutical Science,
5 to introduce the meeting.

6 **Introduction to Meeting**

7 MS. WINKLE: Good morning, everyone. Good
8 morning to Dr. Lee, the chair, and to the committee
9 members and to the audience. I really want to tell
10 the committee how much I appreciate their
11 participation today. I know that this is not the
12 best part of the country to have to visit. So, I
13 know it is almost a hardship to come into this area
14 right now. As Dr. Kibbe was saying, just avoid the
15 gas station and you are fine.

16 [Slide]

17 This morning I really want to step back a
18 little bit and look at the accomplishments of the
19 committee. There are a number of people that are
20 going off the committee after this particular
21 meeting and I felt like it was important that we
22 look back on those accomplishments before we ended
23 this particular group of people as the committee,
24 and I think it is really important to stress some
25 of the things that the committee has contributed to

1 the Office of Pharmaceutical Science over the last
2 several years and to emphasize how much the
3 recommendations of the committee have assisted us
4 in OPS in meeting our various missions and our
5 goals and objectives. I want to highlight some of
6 those accomplishments to start off with this
7 morning.

8 [Slide]

9 First of all, many of the accomplishments
10 have led to guidance development or to help us in
11 the development process. The first one is to
12 provide input on the food effect guidance. The
13 second is to provide input on the biopharmaceutical
14 classification system. There were a number of
15 questions that came up after the draft guidance was
16 issued and the committee has helped us a lot in
17 actually addressing those questions; helping in
18 establishing the process analytical technology
19 subcommittee. This has been a really important
20 subcommittee to us. The issues that have come up
21 have been extremely important and the advisory
22 committee was very influential in helping get this
23 committee set up.

24 [Slide]

25 We have discussed DPK at an advisory

1 committee meeting and this has helped us in making
2 the decision to withdraw the draft guidance on DPK
3 and to begin to focus on more general
4 bioequivalence methodology for topical drugs. We
5 have discussed the PQRI project on blend
6 uniformity, and this has assisted OPS a lot in
7 determining the acceptability of the
8 recommendations from PQRI.

9 [Slide]

10 We have debated individual bioequivalence
11 and replicate designs, and the committee has
12 provided OPS with feedback that serves as
13 background for making changes to the general BA and
14 BE guidance. We have had several discussions on
15 orally inhaled and nasal drug products, and the
16 committee has made recommendations on BA and BE and
17 chemistry guidances for these products.

18 [Slide]

19 Also, the committee has participated in a
20 number of awareness sessions on the following
21 topics that include lactation, polymorphism,
22 liposomes and risk-based CMC review. That is a
23 lot. Actually, when you look back, we haven't had
24 that many meetings in the three years so that is a
25 lot to have taken in. As I said to start with, the

1 discussions have contributed significantly to a lot
2 of the decisions that have been made in OPS. So, I
3 really want to thank all of the committee for that.

4 [Slide]

5 Besides the advisory committee
6 discussions, we also have a number of current
7 subcommittees that have been active, and many of
8 the advisory committee members participate on those
9 subcommittees and a lot of issues have come out of
10 the subcommittees for discussion here. They would
11 include the process analytical technologies, the
12 oral inhalation and nasal drug products committee,
13 and the non-clinical studies subcommittee.

14 [Slide]

15 Looking ahead, I think we have already
16 talked, as I said, about what we have accomplished
17 in the last three years but there is a lot we still
18 have to accomplish in a lot of areas that are kind
19 of going to come up for discussion in the future.

20 I wanted to start off a little bit by
21 talking about what I see as the vision for the
22 subcommittee structure in ACPS. We have talked a
23 number of times at this committee about setting up
24 some additional subcommittees and I think it is
25 really important just to give that vision briefly

1 to you all. It has been our decision in OPS that
2 it would be very helpful to have
3 discipline-specific subcommittees. Basically, we
4 are looking at probably five subcommittees right
5 now. They would be manufacturing, clinical
6 pharmacology, pharm-tox, microbiology and biopharm.
7
8 The clinical pharmacology is the only one
9 of those five committees that is set up. It
10 actually will have its first meeting tomorrow.
11 Currently there are three other committees that are
12 active, the PAT, the NCSS and the OINDP
13 subcommittee. What we see is the PAT committee
14 probably being dissolved and reconstituted under
15 the manufacturing subcommittee. We will talk more
16 today about the NCSS subcommittee. The committee
17 as it is now will be moving to the National Center
18 for Toxicology Research and we will set up a
19 pharm-tox subcommittee under this advisory
20 committee to handle issues that come up in this
21 area. OINDP is still active. We still have some
22 questions that need to be resolved before we
23 finalize the guidances in this area but eventually
24 this committee too will be dissolved and absorbed
25 into the other areas.

[Slide]

1 Future focus--the future has a lot, as I
2 said. I think there are many issues that we are
3 going to have to handle in the future. The first
4 one basically I see as being really important, and
5 really important in the reason why we want to set
6 up the manufacturing subcommittee is the agency's
7 GMP initiative. I think many of you have seen the
8 press on the GMP initiative. This is GMP for the
9 21st century, a risk-based approach. It will
10 include a lot of manufacturing practices and
11 policies. We will be looking at those both from
12 the review side as well as the investigational
13 side. I think there are going to be a lot of
14 scientific issues that come up when we start
15 looking at the initiative in more depth. We have a
16 number of working groups currently active in the
17 Center. There are a lot of industry working groups
18 that are set up, and I know there will be a lot of
19 issues and questions that will come up so I am sure
20 that we will be bringing those to the committee.
21 Actually, tomorrow we are going to talk about some
22 of those. The CBER-CDER
23 consolidation--obviously, as you know, there are
24 certain products out of CBER that are now going to
25 be consolidated in CDER. I am sure, as we go down

1 the road, there will be some scientific issues that
2 come up with that; some decisions that are going to
3 have to be made about OPS and others on how to
4 handle some of the questions especially in the
5 review area. So, I see this as some of the
6 challenges we have in the future.

7 [Slide]

8 Developing policies and practices to
9 regulate new products. A number of new products
10 are out there, new delivery systems, etc.
11 Developing and revising new standards and
12 guidances, we will continue to have more and those
13 guidances all have to be revised. There are always
14 changes being made; they are in constant flux. So,
15 there will be issues there as well.

16 We also plan to have in OPS a focus on
17 generic products. There have been a lot of
18 questions on bioequivalence methods for approving
19 generic products. There are products that are out
20 there currently and we do not have the methodology
21 to be able to ensure the bioequivalence of these
22 products, and there are a lot of things down the
23 road that we will be talking about here, and the
24 evaluation of future PQRI recommendations. We have
25 talked about blend uniformity and there are still a

1 number of other recommendations that are going to
2 come out under PQRI in the near future and we would
3 like to use this committee to help us in evaluating
4 those recommendations in making final decisions.

5 [Slide]

6 One of the other things that is going to
7 happen with this committee is that there is going
8 to be a big change in membership. I don't know how
9 this happened, that half the committee is leaving
10 at this time but we are right now actively
11 replacing the people on the committee, getting new
12 membership and stuff, but I do want to mention up
13 front how much we have appreciated working with the
14 work of group that have been on this committee.

15 This has been an excellent group to work
16 with. The recommendations and the involvement of
17 the committee have been really exceptional and I
18 just want to tell you how much OPS has appreciated
19 this. I especially want to thank Dr. Lee. He has
20 been a really excellent chair. He has kept all of
21 us in line, including me. I appreciate that
22 considerably. I also want to mention that Dr.
23 Venitz is on sabbatical. He will actually be at
24 the subcommittee on clinical pharmacology on
25 Wednesday but he will be there for FDA, not for the

1 advisory committee. He is on sabbatical with us
2 currently.

3 [Slide]

4 Last of all, I just want to go through the
5 agenda for the next few days and talk quickly about
6 some of the things that we are going to do and
7 discuss. The first thing this morning is that we
8 will give an update report on the noon-clinical
9 studies subcommittee. Frank Sistare and Bob
10 Osterberg are here to present that. Then Dr.
11 Layloff, who is the chair of the process analytical
12 technologies, will bring you all up to date on
13 where we are with that subcommittee.

14 Later in the morning we will talk about
15 risk-based CMC review. If you will remember, in
16 2000 Dr. Chiu talked to you about this and gave you
17 an awareness of what we are doing in this area. We
18 will talk more about the progress with these
19 initiatives and get your input as to the next steps
20 that we need to take.

21 Also this morning we will revisit blend
22 uniformity. We have two invited guests, Dr. Massa
23 who is the chair of the PQRI committee and Tom
24 Garcia who was actually the chair of the working
25 group for blend uniformity. We have made

1 modifications to the proposals that were submitted
2 to PQRI and we want to report on those
3 modifications and the next steps so that we can
4 continue to move forward with the recommendations
5 from PQRI.

6 After lunch and the public hearing we will
7 talk about polymorphism. At the last meeting we
8 did have an awareness discussion on polymorphism
9 and since that time we have had a workshop to talk
10 about some of the issues, an internal workshop in
11 generic drugs to talk about some of these issues.
12 We have given you all a chance to look at the
13 concept paper on polymorphism. We still have some
14 questions that we would like to address basically
15 on what direction we need to go as far as the
16 decision tree is concerned for bioavailability and
17 stability. We will discuss that with you and then
18 we hope to finally close out this topic and finish
19 the guidance.

20 Tomorrow we have another full day. As I
21 mentioned, we are anxious to get started with the
22 manufacturing subcommittee. We are going to
23 introduce that subcommittee to you and talk about
24 what we see this committee doing in the future. We
25 will also talk about transitioning the PAT

1 subcommittee into the manufacturing subcommittee.
2 This committee will basically handle all CMC issues
3 that come up. We have asked several members from
4 industry to come and talk to us about their ideas
5 as far as the subcommittee and give us their input
6 as to how this committee will be beneficial to
7 them.

8 As part of that discussion in the morning
9 and the rest of the afternoon, we are going to talk
10 about manufacturing issues, sort of kick of the
11 manufacturing subcommittee. The first issue we
12 will discuss with the committee is aseptic
13 processing. This is basically a guidance that has
14 been drafted. You have all received the concept
15 paper to review. This guidance has been drafted by
16 the Office of Compliance. We have been working
17 with them. The Office will present to the
18 subcommittee what they feel are the questions
19 around aseptic processing and we will get the
20 committee's input on what the next steps are and
21 where we need to go from here. It should be a
22 fairly interesting discussion and we look forward
23 to it. It is sort of a new way for us to handle
24 it. We have not brought the Office of Compliance
25 into the advisory committee before and we feel like

1 this will be very beneficial. We have invited
2 several guests to give their input so we can have a
3 more vigorous technical debate.

4 Basically that is the agenda for the next
5 two days. It is a full agenda; we have a lot to
6 cover. I look forward to the discussion on all of
7 these issues and I look forward to continuing to
8 work with many of you even though you are leaving
9 the committee. Many of the rest of you I have
10 already asked to participate in future
11 subcommittees and we look forward to working with
12 you in the future. So, thank you.

13 DR. LEE: Thank you very much, Helen. You
14 are very kind in commending the committee. In
15 fact, I can say, since we are ahead of schedule, I
16 would like to take the floor to acknowledge your
17 contribution and Ajaz's contribution. It certainly
18 has been a pleasure to work with the agency. I
19 think the thing that has impressed me the most is
20 making decisions on the basis of science. I think
21 that is very important. I would like to stress, as
22 we go through the deliberations today and making
23 recommendations, let's focus on the science. I
24 think that is very important. Also, when science
25 is progressing, I see that the agency is very bold

1 in reflecting about past decisions. Certainly, we
2 will miss spending nights at the Ramada Inn--

3 [Laughter]

4 MS. WINKLE: Vince, you can come any time
5 you like. We would love to be able to have you
6 come and we will put you right back up at the
7 Ramada.

8 DR. LEE: I think it is an inside joke!
9 On that note, are we ready to begin with the
10 subcommittee reports? The first subcommittee
11 report will be the non-clinical studies.

12 MS. WINKLE: Dr. Doull is going to give us
13 an update on the subcommittee and then Frank and
14 Bob will talk about the future.

15 **Subcommittee Reports**

16 **Non-Clinical Studies**

17 DR. DOULL: Well, we are very pleased to
18 have a chance to come to the committee and update
19 you on the activities of your non-clinical studies
20 subcommittee. As some here may recall, this
21 committee was established in 1999 and the intent of
22 this committee is to encourage the development of
23 biomarkers which could be used to predict the
24 adverse effects of drugs during the development
25 phases. Actually, what we were hoping to find is

1 biomarkers which could be used both in the
2 preclinical and in the clinical phases of drug
3 development. We felt the best way to accomplish
4 this would be to have a cooperative effort between
5 the pharmaceutical industry, between the Food and
6 Drug Administration and between academia.

7 During the first year that our committee
8 functioned we spent a lot of time looking at all
9 the different available biomarkers. We looked also
10 at some that were imaging techniques, PET scan and
11 MRI and so on, and eventually we focused on two
12 areas. We focused on those areas primarily because
13 we felt there was a strong need in both of those
14 areas and because we felt that there was promise of
15 or finding good biomarkers in those areas. As you
16 know, the two areas we focused on were the
17 biomarkers of cardiac toxicity and biomarkers of
18 vascular injury.

19 We appointed subcommittees in both of
20 those areas and those subcommittees have been
21 working now for about a year. During that period
22 they have had lots of meetings; they have had
23 workshops. It has been a very active year for both
24 of those subcommittees and we are now at the stage
25 where the subcommittees are about ready to bring

1 reports containing their recommendations and
2 conclusions to the committee. As a matter of fact,
3 in the meeting that we held last September 8th and
4 9th, the working group on cardiovascular toxicity
5 presented an outline of their report which the
6 subcommittee approved, and the working group on
7 vascular biomarkers presented a first draft of
8 their report which the subcommittee also is working
9 on. So, at the present stage then we are close to
10 being ready to deal with reports from both of our
11 working groups which we will, of course, bring
12 eventually to this group.

13 Dr. McGregor has put together a series of
14 slides which kind of summarize the evolution of
15 this process and I am not sure I can do those.

16 MS. WINKLE: Dr. McGregor's slides have
17 been passed out to each one of the members of the
18 committee. If there are any questions, I think we
19 could address those to Dr. Doull and Dr. McGregor
20 at this time.

21 DR. DOULL: Well, if you have copies of
22 those I can run through them. I am just not sure
23 how to operate this.

24 [Slide]

25 The first slide, as I have already

1 mentioned, is the formation of the active expert
2 working groups. It indicates on that sheet that
3 the chair for the cardiotoxicity group was Dr. Ken
4 Wallace, from the University of Minnesota. There
5 is a co-chair for the vascular injury working group
6 and that is Bill Kerns and Les Schwartz.

7 [Slide]

8 The next page or slide outlines a couple
9 of issues which the working groups considered
10 initially in their evaluation of this topic. The
11 issue for the cardiotoxicity group, one of the main
12 issues--

13 MS. WINKLE: While John works on this I
14 just want to publicly thank John. He keeps us
15 going as far as all the technology that goes behind
16 putting this together. He leaves the room and we
17 fall apart.

18 DR. DOULL: Thank you. These are the two
19 subgroups. As I indicated, Ken Wallace and Bill
20 Kerns and Les Schwartz are the co-chairs of the
21 groups. These are the two issues that the working
22 groups focused on. Myocardial injury is being used
23 in a lot of human studies but we don't have a lot
24 of animal correlates for the human observations.
25 Nevertheless, that gave us a start, a working place

1 to go.

2 In the vascular area it is much more
3 complicated and much more in development than is
4 the myocardial injury. There are a lot of common
5 immune-mediated effects in animals, a lot of
6 effects in animals which have been observed but
7 these have not really been correlated with human
8 biomarkers.

9 [Slide]

10 The mandate then for the group is to
11 evaluate and develop understanding of cardiac and
12 vascular injury in humans and animals and to
13 identify opportunities for biomarkers based on
14 these mechanisms, to figure out how to do
15 validation and, finally, to define a plan to
16 implement the utilization of new markers, which is
17 fairly complicated and would, of course, involve
18 this committee.

19 [Slide]

20 As I indicated, the subcommittee met on
21 September 8 and 9, and we heard reports from both
22 of our working groups. As I indicated also, both
23 of these are under review now by the subcommittee.
24 We have a first draft from the biomarkers for
25 vascular injury and we have an outline, and the

1 cardiac toxicity working group is working on their
2 draft.

3 [Slide]

4 These are some of the major conclusions
5 that we received at the September 9th and 10th
6 meeting. There were a number of suggestions by
7 members of the subcommittee for revisions to the
8 draft that we had from the vascular group. One of
9 the problems was that the vascular group has
10 developed a plan whereby they would have storage
11 for agents that would be used in these tests and
12 these then would be provided to investigators to
13 test various biomarkers. There are some procedural
14 difficulties with establishing a storage place for
15 the agents, dispensing them, and so on, and we
16 spent a fair amount of time trying to figure out
17 how best to do that, and I think we have some
18 pretty good ideas.

19 Both groups, as they went through their
20 exercise, identified data gaps which really hinder
21 the development of effective biomarkers in both
22 areas. We talked a lot about those data gaps and
23 how the subcommittee could facilitate filling in
24 those data gaps.

25 The vascular group particularly has moved

1 extensively into the genomic area and is going to
2 be doing some development, particularly in
3 proteomics. So we reviewed that protocol with
4 them.

5 [Slide]

6 These are the conclusions of the other
7 group, the cardiotoxic group and they, of course,
8 are focusing on troponins as biomarkers. As I
9 indicated, they have some data gaps and we talked
10 about filling those.

11 One consideration that both groups have,
12 particularly the cardiac group, is now that they
13 have produced their report and made a
14 recommendation, that recommendation, of course,
15 will focus heavily on the use of troponins as a
16 biomarker. The question then is what is the next
17 step, and our subcommittee is encouraging them to
18 go ahead and look at other biomarkers of cardiac
19 toxicity in the hope that we will find additional
20 ones worthy of consideration.

21 [Slide]

22 The report of the subcommittee in
23 September is available at the Food and Drug web
24 site. So, the outline for the cardiac toxicity and
25 the first draft of the report from the vascular

1 biomarkers group is available at that web site.

2 I will be glad to answer any questions
3 about the activities of your clinical sciences
4 subcommittee.

5 DR. LEE: Thank you, John. Any questions
6 for John?

7 [No response]

8 Very clear. Thank you.

9 DR. OSTERBERG: Good morning. I am Bob
10 Osterberg, the acting associate director for
11 pharmacology and toxicology, and I will lead off
12 with a discussion of the pharmaceutical sciences
13 subcommittee guidance which we have drafted. So,
14 good morning to you all.

15 [Slide]

16 Let me give you a little history of how
17 this came about. I was asked by Mrs. Winkle to
18 attend a meeting with her and some of her staff
19 several weeks ago to discuss this particular
20 activity. In listening to it and participating in
21 the discussion, I realized that it was something
22 that would help the pharmacology and toxicology
23 staff of the Center for Drugs, specifically the
24 Office of New Drugs, and I was quite pleased to
25 find out that my predecessor, Dr. Joseph DeGeorge,

1 also had agreed that this was a good thing to
2 occur. We spoke with Dr. John Jenkins, who was the
3 director of the Office of New Drugs, and we got his
4 concurrence also. So, he agreed that this was a
5 worthwhile activity to pursue.

6 Well, why do we need this particular
7 subcommittee within pharmacology and toxicology at
8 least to help us out? I would like to give you the
9 general structure of the pharmacology/toxicology
10 group within the Office of New Drugs and that may
11 answer your question. As the acting associate
12 director for pharm/tox I report to the medical
13 director of the Office of New Drugs and within the
14 Office of New Drugs we have five ODEs or offices of
15 drug evaluation. Each of these five offices are
16 staffed by a medical officer.

17 Now, within these ODEs we have three
18 divisions and, of course, they are staffed by a
19 medical officer as the director. Within each
20 division we have a supervisor. Sometimes we have
21 two supervisors, depending upon what the size of
22 the pharm/tox group is. In each ODE we have an
23 associate office director for pharmacology and
24 toxicology that reports to me, and they are
25 responsible for some policy within that ODE, that

1 office. They also constitute a policy group. Each
2 of the supervisors in pharm/tox constitutes the
3 pharmacology and toxicology subcommittee which I
4 chair, and that committee also has a research
5 subcommittee which Dr. Sistare and I co-chair.
6 That means that we have a lot of discussion about
7 the types of pharm/tox research or questions that
8 we would like to have answered.

9 The pharmacology and toxicology
10 coordinating committee has many subcommittees
11 attached to it, things like carcinogenicity
12 assessment, genetic toxicology, reproductive
13 toxicology and active ingredients and botanicals,
14 and there are several other subcommittees which
15 provide guidance to the pharmacology and toxicology
16 coordinating committee.

17 Therefore, I think you can see that
18 pharm/tox, based upon its structure, has no
19 specific ability to house its own advisory
20 committee and, therefore, when we got the
21 opportunity to participate with the Office of
22 Pharmaceutical Science Advisory Committee we
23 thought it was a very good idea to pursue. As a
24 result of this, Dr. Sistare and I decided that it
25 was probably a good idea to draft a guidance, which

1 is what we are going to be discussing this morning.
2 I will briefly discuss the purpose, the background,
3 the objectives, responsibilities, procedures and
4 communications contained within this guidance. Dr.
5 Sistare, who is the director of the Division of
6 Applied Pharmaceutical Research, will discuss the
7 membership and other pharmacology/toxicology
8 related subjects.

9 [Slide]

10 Let me give you the general background of
11 this committee. In general, the CDER advisory
12 committees provide the Center for Drugs with
13 non-binding but highly valuable expert external
14 advice. However, the advice is usually very
15 product specific. The pharm/tox subcommittee of
16 this advisory committee is expected to provide
17 feedback not only to the pharm/tox coordinating
18 committee but also to facilitate NCTRs non-clinical
19 studies subcommittee in meeting CDER's
20 pharmacology/toxicology research needs.

21 [Slide]

22 The objectives and responsibilities of
23 this subcommittee would be to provide expert
24 advisory feedback to the pharmacology and
25 toxicology coordinating committee in areas of

1 cross-cutting research where integration of new
2 scientific knowledge and methodology can be helpful
3 in not only drug development but also in helping to
4 identify laboratory-based research priorities to
5 address data gaps identified by the pharm/tox
6 coordinating committee.

7 Some of these areas, as Dr. Doull
8 mentioned, would be pharmacogenomics, proteomics,
9 metabonomics. As you know, some parts of the
10 Center for Biologics will be transferred into the
11 Center for Drugs probably within a year, maybe
12 sooner, and we will have a whole list of questions
13 in biotechnology that this subcommittee could help
14 us in answering. We are also concerned with
15 biomarkers, as Dr. Doull pointed out before. We
16 are concerned about alternatives to the two-year
17 carcinogenicity bioassays, specifically things like
18 the TGAC mouse model, the p-53 and others. Of
19 course, we are concerned about genetics and
20 mutagenicity.

21 [Slide]

22 As you know, the ICH or the International
23 Committee on Harmonization has identified a battery
24 of genetic toxicology studies to help all the
25 regulatory agencies make decisions, and that

1 battery can be updated depending upon innovations
2 and the science, and this subcommittee could help
3 us in that regard. Also, the subcommittee could
4 provide input to the National Center for Toxicology
5 Research, the NCSS, to address the Center for Drugs
6 identified data gaps. Also, the subcommittee could
7 advise the PTCC in the evaluation of research data
8 derived from the non-clinical studies subcommittee
9 related to pharmacology and toxicology activities.

10 [Slide]

11 The procedures that the subcommittee
12 standard deviation follow would be that the
13 meetings of the subcommittee would occur on an as
14 needed basis and we would anticipate two meetings
15 per year. Regarding communication, agendas and
16 topics for the subcommittee would be proposed by
17 the pharm/tox coordinating committee. So, in
18 essence, the pharmacology group would help direct
19 traffic for the subcommittee.

20 [Slide]

21 The activities and recommendations of this
22 particular advisory committee would be given to the
23 Advisory Committee for Pharmaceutical Science, to
24 CDER's PTCC and, as needed, to NCTR's non-clinical
25 studies subcommittee. A member of the pharm/tox

1 coordinating committee research subcommittee which
2 I mentioned will serve on NCTR's NCSS, and that
3 member is Dr. Frank Sistare and I would like to
4 turn the rest of the discussion over to him to talk
5 about membership and other things.

6 DR. SISTARE: At the conclusion of my
7 presentation Dr. Osterberg and I will entertain any
8 questions if everything is not perfectly and
9 crystal clear with all the connections that will
10 need to be made to make this successful.

11 [Slide]

12 To summarize essentially the process that
13 Bob went over, the PTCC research subcommittee
14 played a pivotal role in helping to identify
15 topical scientific areas and recommend these to
16 CDER's pharm/tox coordinating committee. This
17 research subcommittee will not be involved just in
18 research that will be the subject of this
19 subcommittee; it is also involved in helping us
20 prioritize our own internal research. It is
21 helpful in terms of giving feedback to NCTR
22 individual investigator initiated protocols where
23 they want various centers to give them feedback.
24 It is also involved in identifying, for example,
25 chemicals through our chemical selection working

1 group mechanism that may ask for funding through
2 NTP directly. Those kind of activities will not
3 come to this subcommittee; a certain subset will.
4 So, the PTCC research subcommittee serves as sort
5 of a triage role in terms of identifying those
6 things to the PRCC with its recommendations as to
7 how these things can be addressed.

8 As Bob pointed out, that PTCC, that
9 coordinating committee within CDER, will serve to
10 coordinate the input to this specific committee and
11 will present those issues to the subcommittee.
12 When the decision is made for noon-clinical studies
13 subcommittee under NCTR to coordinate external
14 collaborative research, the concept is as well that
15 when that data comes back from that effort and, as
16 pointed out by Dr. Doull, we have two pretty mature
17 efforts right now, the vision is that some very
18 helpful final data will come back from there with
19 some recommendations. The dialogue that needs to
20 take place will be directly with our PTCC and that
21 dialogue will occur also with the Advisory
22 Committee for Pharmaceutical Science's P-T
23 subcommittee regarding the concept or the vision of
24 the impact of the final data conclusions and its
25 impact on regulatory practice and potential

1 modifications to existing policy.

2 We discussed at some length the generation
3 of the Advisory Committee of the Pharmaceutical
4 Science's pharm/tox subcommittee membership, and
5 this is really a proposal; this isn't written in
6 stone yet but we need to work out the last element.
7 There is clearly going to be a chair person and
8 that person will be a member of this committee.
9 There will need to be a consumer representative as
10 well that will sit on both committees. In order to
11 ensure communications, the feeling is that one of
12 the members of the pharm/tox subcommittee should
13 also sit on the NCTR NCSS as well to make sure that
14 there is continued dialogue and shared
15 communication between those groups.

16 The last point that we really need to make
17 a firm decision on is should the rest of the
18 membership be a permanent membership of this
19 subcommittee, or should we establish ad hoc
20 members, maybe have a mixture of some permanent
21 members and some ad hoc members because we envision
22 that much of the focus will be in very specific
23 targeted areas. As Bob pointed out, there may be
24 one or two meetings a year so there will be time to
25 prepare and make up the committee to make sure if

1 we are going to be asking questions about
2 modifications to alternative carcinogenicity
3 testing, for example, we may have members with
4 special expertise there. If we are going to ask
5 for advice on how to integrate microarray into
6 pharm/tox data generation and validation we may
7 have people with specialties in those areas. So,
8 that needs to be worked out.

9 [Slide]

10 Now I am going to try to walk you through
11 this maze and this network or process and how key
12 linkages and interactions really need to occur to
13 make sure this takes place.

14 As I mentioned, the PTCC research
15 subcommittee really serves as sort of a conduit to
16 bringing advice from a lot of areas within CDER.
17 There is representation on that committee from both
18 research components within CDER and also from all
19 of the offices, the pharm/tox divisions within the
20 major five offices within CDER are responsible for
21 bringing to the PTCC areas where we feel there is
22 new technology; there are new questions; there are
23 issues which may or may not be research but at
24 least ought to be on the radar screen that we need
25 to think about in terms of modifying our current

1 practice.

2 So, essentially this subcommittee is
3 responsible for identifying and prioritizing
4 internal needs and capabilities. As I mentioned,
5 we have direct contact with NCTR and this committee
6 also is involved in oversight of research
7 activities within CDER. We have the Office of
8 Women's Health Initiatives that may come here when
9 we need some feedback that may be pharm/tox based.
10 We have regulatory science research initiatives
11 that are more data-mining based that this committee
12 will also get involved in. As I mentioned, NTP
13 nominations will also be involved in here.

14 But there is another category of research
15 that we have become aware of, and that is research
16 which is not necessarily focused on one particular
17 chemical but broad-ranging issues, issues that are
18 not going to be handled by one small laboratory but
19 issues that are going to need external
20 collaboration in order for them to really achieve
21 the impact that we expect. This is the subject of
22 what we want this pharm/tox subcommittee of the
23 ACPS to participate in. We would like this
24 subcommittee to advise on the likelihood of the
25 impact on drug development of research that should

1 be carried out in these broad-ranging areas. So,
2 this research will be coordinated with the
3 non-clinical studies subcommittee which will sit
4 under the National Center for Toxicological
5 Research. Again, the research product, the
6 research that will be coordinated there will be a
7 target for external collaborative programs. So, it
8 is going to be broad-based in nature.

9 With this color scheme I have sort of
10 indicated here that the makeup of the Advisory
11 Committee for Pharmaceutical Science is going to be
12 very broad-based. One of the components will be
13 pharm/tox and, as Helen mentioned, there will be
14 manufacturing; clinical pharm microbiology. I
15 don't have biopharmaceutics; that is my oversight.

16 Now, to give a clear picture of the
17 predecessor here, the non-clinical studies
18 subcommittee, part of my goal is also to explain
19 what is going to happen to that committee. That
20 committee is going to be under the auspices of
21 NCTR. How that is going to be administered will be
22 decided soon. Whether it will report to their
23 scientific advisory board or whether it will report
24 directly to NCTR, those kinds of details will need
25 to be worked out and there is going to be a meeting

1 next week to get into some of the details of that.
2 But the vision is that this non-clinical studies
3 subcommittee will, as it is doing now, coordinate
4 external collaborative research initiatives that
5 are focused in the area of safety and toxicology
6 research. They will establish expert working
7 groups as they are doing now. The makeup of this
8 non-clinical studies subcommittee is envisioned to
9 include membership from CBER and CDRH, members of
10 the academic community, members of industry and
11 also a consumer rep as well.

12 I think that pretty much covers
13 everything. Are there questions for Bob or me?

14 DR. LEE: Thank you. Are there questions?
15 I think there is one question about how the
16 membership ought to be constituted. Will it be ad
17 hoc or kind of semi-permanent?

18 DR. SISTARE: Or a mixture of the two?

19 DR. LEE: Any strong feelings? Dr. Doull,
20 would you like to offer some advice?

21 DR. DOULL: We did discuss this
22 reorganization, of course, in the meeting of the
23 subcommittee and although, as you can see, it is
24 not clearly outlined, the subcommittee by and large
25 was very enthusiastic about it. We see this as

1 kind of a win-win situation for the activities that
2 our subcommittee is attempting to do.

3 The main concern I think our subcommittee
4 has is that we need to ensure that there is a
5 conduit by which we can bring our recommendations
6 and advice to the agency, and the mechanism that is
7 suggested here seems to us to be a reasonable one,
8 one that we feel will be workable in the
9 subcommittee and for this committee.

10 DR. LEE: Thank you. Other points of
11 opinion?

12 [No response]

13 Folks are pretty quiet this morning.
14 Well, I think the subcommittee structure is
15 excellent. First of all, my personal experience is
16 that being a member of this committee is a very
17 scary experience because, you know, you have to
18 expose yourself to diverse aspects of science, and
19 in the end if you apply pharmaceutical common sense
20 you are okay. So, my personal preference is
21 actually to have a panel constituted depending on
22 the issues. That is pharmaceutical common sense.

23 Thank you. Hopefully, we are saving
24 energy for this afternoon's discussion. Thank you
25 very much. The next one on the agenda is the PAT

1 and Tom Layloff--I am sure that Tom is going to
2 stir something up.

3 **Process Analytical Technologies**

4 DR. LAYLOFF: The first surprise is which
5 set of slides am I going to be using? You have two
6 sets in front of you. We are using the one that
7 was handed out recently.

8 [Slide]

9 Serving as the acting chair on the PAT
10 committee has been a very exciting thing for me. I
11 was fascinated with pharmaceutical manufacture
12 because, I am not sure but I think, it originated
13 with pharmacology compounding rather than chemical
14 engineering. Because it is housed in a
15 conservative industry, pharmacology manufacture
16 sort of stays in the background and the information
17 age and the technology associated with other
18 industries, like the petroleum industry, the
19 chemical industry, has left the pharmaceutical
20 industry unscathed. So, Ajaz took this initiative
21 to look and see if the FDA could encourage the
22 adoption of new technologies and the information
23 age to try and improve the quality and control of
24 pharmaceutical manufacture.

25 [Slide]

1 So, it has been a pretty exciting time,
2 and we will go on and look at it. We had a meeting
3 on February 25 and 26 looking at applications and
4 benefits, and there were some really striking
5 benefits, mostly in turn-around time and quality
6 issues. We looked at process and analytical
7 validation and chemometrics.

8 At our second meeting we continued to look
9 at product and process development; process
10 analytical validation and the proposed PAT
11 certification program which is, to me, probably the
12 most exciting part of the PAT activity.

13 [Slide]

14 Going through the areas that we
15 considered, we looked at R&D efforts in pilot
16 plants, and the R&D efforts in a pilot plant could
17 help develop better understanding of processes and
18 then identify PAT areas where they could be
19 employed. The PAT technologies would have to be
20 shown to be suitable for intended use and they
21 would have to be validatable. We would have to be
22 able to validate that those technologies were, in
23 fact, performing correctly.

24 [Slide]

25 The R&D efforts in manufacturing would

1 have to verify the validation from the pilot plant;
2 investigate transferability, scale-up issues and so
3 forth. The committee also looked at model
4 refinement that might be necessary and process
5 signature; process signature used interchangeably
6 with fingerprint where you actually do not unravel
7 the chemical but look at broad aspects of the
8 process stream. As you know, in pharmaceutical
9 manufacture the components are weighed into the
10 process stream so, actually, the only issue in
11 going from weighing in components to final products
12 is how you average those components in a blending
13 area. So, it is looking at uniformity and
14 consistency in the process stream and you can use
15 other technologies apart from chemical analysis,
16 such as fingerprints or process signatures then for
17 FDA submission of a protocol and the original
18 application or it could come in as a supplement.

19 [Slide]

20 For routine manufacturing using PAT, the
21 PAT information should have equivalent or better
22 informing power than the corresponding conventional
23 approved or end-product testing. Conventional
24 testing is looking at the active pharmaceutical
25 ingredient as it moves through the process stream

1 and treating the whole process stream as a
2 univariate activity. One dimension is looked at.
3 PAT should look more broadly at the polyvariate
4 aspects of manufacturing so it should be much
5 richer information.

6 It is recommended that they show a table
7 showing the relationship between PAT testing and
8 the current testing methodology so you constantly
9 validate against the two. And, parallel PAT
10 testing and conventional testing, in-process and/or
11 release, should be performed for a sufficient
12 number of batches, which is basically establishing
13 confidence in the technology.

14 There is a level of redundancy which is a
15 business decision, but I think it probably will be
16 a critical factor in PAT technology that will be
17 more than one technology or parallel technologies
18 to give better control.

19 [Slide]

20 Steps for resolving OOS observations,
21 because the PAT is moving into a continuous
22 monitoring of a stream, it is possible to say if
23 there is non-uniformity which occurs in the stream
24 and it occurs near the end of the process of the
25 stream that you could discard the last 10 percent

1 of a production run and clear 90 percent of it.
2 So, the PAT could be used for selective rejection
3 or partial batch release of the process stream
4 itself.

5 Within batch trend information should
6 facilitate resolution of out of specs. Because you
7 are requiring so much more data on the process
8 stream, so much more knowledge of the process
9 stream, you are in a better position to deal with
10 out of specs.

11 Until the PATs are approved for regulatory
12 purposes, the conventional test results supersede
13 the PAT results. That is, you stay with a
14 conventional platform while you develop your PAT,
15 and the PAT is a research vision which is not
16 considered to be an integral part of the process
17 until it has been approved.

18 If an out of spec result is traced to an
19 instrument failure, then traditional approved
20 methods can be utilized for batch release in lieu
21 of PAT. So you just have a backup of your
22 conventional procedures and that, of course, is why
23 there probably will be redundancies in PAT. The
24 PAT technologies are relatively inexpensive.

25 [Slide]

1 Product development and process
2 again--identification of relevant critical
3 formulation and process variables, looking at
4 product performance and process control for
5 assurance of quality is looking at critical
6 variables in the process stream and controlling
7 those.

8 Use of indirect or inferential
9 measurements, process signature or correlation--a
10 link between the statistical and causal issues
11 between the PAT parameter and product
12 characteristics. That is a logical fallout from
13 continuous stream measurements. Then, establish
14 acceptable variability. That is a very interesting
15 point in the process stream, to define how the PAT
16 will fit into it and what is acceptable variability
17 on the process measurements, the PAT measurements.

18 [Slide]

19 The definition of the process and
20 analytical validation: Systems for the analysis
21 and control of manufacturing processes based on
22 timely measurement during procession of critical
23 quality parameter and performance attributes of the
24 raw and in-process materials and processes to
25 assure acceptable end-product quality at the

1 completion of the process, basically a paradigm
2 shift from where we are now, which is product-based
3 testing, to process-based testing where, during the
4 process stream itself, large quantities of data are
5 acquired which are then moved into information
6 streams and then finally knowledge of what the
7 processes are doing. So, it is a better
8 understanding of your processes and better control
9 of them.

10 [Slide]

11 The existing validated measurements
12 invariably correlate poorly with process
13 performance. Validation issues, again, are
14 univariate and are used to infer compliance of
15 these multivariate dynamic systems. There are lots
16 of examples where the uniformity of the drug
17 substance is there but an excipient might not be,
18 which will change the behavior characteristics of
19 the product.

20 Measurement has not been seen as process
21 related. Measurement needs to respond to process
22 need over the product life cycle. And, you need to
23 understand the process. You need to recognize also
24 that the conventional approach to validation is
25 limiting--might be limiting; probably limiting.

1 [Slide]

2 Further background, it is essential to
3 understand the process, look at the unit operations
4 and assess the risk potential for each unit
5 individually, so basically moving to a risk-based
6 assessment of the process stream; design systems to
7 manage the risk and make univariate measurements
8 and multivariate systems; to develop systems; to
9 establish proof of concept; challenge validation.
10 The objective, of course, is to confirm the
11 processes and measurement validity in real time
12 across the life cycle.

13 [Slide]

14 Process analytical validation continuing,
15 validation protocols will be different for new
16 products associated with well-designed, understood
17 manufacturing processes and existing products where
18 PAT is applied retrospectively. So, you can come
19 to an existing process where you can apply
20 retrospectively.

21 The validation plan will reflect the total
22 system design concept since a real-time QC/QA
23 manufacturing process, statistically based,
24 essentially revalidates itself on every
25 manufacturing batch. So you can make adjustments

1 on the acceptability of the stream.

2 The rationale for model validation
3 incorporating the pass/fail criteria must be
4 clearly defined, thereby, establishing the
5 authenticity of predictions in routine
6 manufacturing and ensuring compliance.

7 [Slide]

8 There are three distinct ways of analyzing
9 unit operations and releasing products. Current
10 operating scenario, which is basically according to
11 the fixed process conditions set during the
12 development and confirmed during the initial
13 process and product validation. Release is
14 conducted by physical and chemical testing
15 subsequent to manufacture.

16 Another way, product is manufactured
17 according to process conditions that have been
18 shown during development and manufacturing to infer
19 product performance and is confirmed during the
20 initial process and product validation.
21 Relationships are developed and confirmed with
22 physical and chemical testing subsequent to
23 manufacturing runs. Release is conducted by review
24 of process conditions during each batch
25 manufacture--a paradigm shift.

1 [Slide]

2 Product is manufactured according to
3 process conditions that are responding to direct
4 measurements of in-process product quality or unit
5 dosage forms as they are being manufactured.
6 Relationships are developed between process and
7 product performance that are optimized and bounded
8 by data obtained in development and manufacturing
9 runs. Release is conducted by data collected from
10 in-process product or each dosage form during
11 manufacture. Release specification form and
12 validation criteria can be defined for each
13 condition based on the nature of their release.

14 [Slide]

15 Going on to recommendations for a
16 guidance, for the FDA guidance, the PAT should be
17 suitable for the intended purpose. There should be
18 general validation criteria, as discussed. It
19 should be anchored in the ICH documents, Q2:a and
20 b; 6a and 6b, and the FDA analytical procedures and
21 methods validation procedures.

22 There should be in the guidance a research
23 exemption as a safe harbor so you can investigate
24 the use of PAT without having to deal with a lot of
25 problems. There has to be a discussion or

1 treatment of out of spec and out of trend. Trend,
2 of course, mostly comes from the PAT technology to
3 stream continuously. Out of spec generally refers
4 to what you are analyzing. The guidance should
5 encourage use of PAT and the FDA should have a
6 mechanism to institute these new technologies and
7 methods. Ajaz will address that in his
8 presentation following this one.

9 [Slide]

10 I think one of the most exciting parts of
11 the recommendations from our committee was the
12 training and certification program and defining the
13 course content for that program. The proposed
14 process analytical technology certification program
15 for FDA investigators and reviewers, hopefully,
16 will bring reviewers and inspectors to a common
17 page on performing the inspections and review of
18 the submitted documents.

19 On completion of the certification
20 program, participants should be able to evaluate
21 the adequacy and performance of current and
22 emerging PATs. This certification will require a
23 demonstrated understanding of the fundamentals,
24 importance and impact of PATs.

25 [Slide]

1 Participants will be able to demonstrate
2 an understanding of the distinguishing
3 characteristics of a PAT; the identification and
4 use of process critical control points; suitability
5 and validity of the statistics, chemometric and
6 instrumental approaches applied to PAT; typical PAT
7 applications and the associated capabilities and
8 limitations of the methodology; data handling,
9 analytical, control and engineering tools and
10 vocabulary relevant to PAT--a lot!

11 [Slide]

12 Our last meeting will be later this week,
13 on Wednesday, and we will deal with computer
14 software validation, security, electronic batch
15 records and signatures as they apply to PAT. There
16 will be a breakout session with a mock PAT
17 submission, and there will be a session on rapid
18 microbial testing. At the end of this meeting
19 information needed to develop a general guidance
20 should be available to the FDA.

21 That first issue, discuss issues related
22 to computer validation issues, is Part 11 which
23 will have a big impact on PAT because PAT is very
24 information rich.

25 Now I will turn to Ajaz.

1 DR. HUSSAIN: I seek your permission to
2 share with you what we have learned from the
3 subcommittee so far.

4 DR. LEE: Please proceed, and are you
5 going to take all the difficult questions?

6 DR. HUSSAIN: Yes. Tom just got back from
7 Africa and I met with him yesterday to walk through
8 some of the progress.

9 [Slide]

10 Since we have some time, thank you for
11 permitting me to share some more thoughts on the
12 PAT and give an FDA progress report on what we have
13 been able to do so far.

14 I am very pleased to share with you that
15 the PAT inspection team has been assembled. This
16 includes participation from Office of Regulatory
17 Affairs, Center for Drugs and Center for Veterinary
18 Medicine, and I see my colleagues in the audience.
19 The Center for Veterinary Medicine is part of the
20 PAT initiative itself.

21 We held quite a successful meeting a
22 couple of weeks back and this brought us talking
23 together and getting them ready for the extensive
24 training and certification program that starts in
25 December.

1 The curriculum developed by the PAT
2 subcommittee was the basis for developing training
3 contracts with three schools, three universities,
4 University of Washington, the Center for Process
5 and Analytical Chemistry; University of Tennessee,
6 the Measurement Control Engineering Center; and the
7 University of Purdue. What we have been able to do
8 is bring the chemistry, process analytical
9 chemistry, clinical engineering and industrial
10 pharmacy together to bear upon the training needs
11 of the PAT review and inspection team.

12 They have also put together a PAT policy
13 development team and have been successfully
14 recruiting engineers and industrial pharmacists for
15 this team. We have been making significant
16 progress with the PAT research and there have
17 already been publications and several presentations
18 planned for a meeting.

19 [Slide]

20 To share with you the PAT team, in a sense
21 we have a PAT steering committee that includes Doug
22 Ellsworth, Dennis Bensley, Mike Olson, Joe
23 Famulare, Yuan-yuan Chiu, Frank Holcomb, Moheb Nasr
24 and myself. So, you can see from this membership
25 that we have brought in individuals from every

1 organization within FDA which has an impact and has
2 responsibility for manufacturing and from review to
3 inspection and from human drugs to veterinary
4 drugs.

5 The PAT review and inspection team members
6 were nominated by each of their organizations, and
7 investigators were selected to represent different
8 districts. You have Atlanta, San Juan, New Jersey
9 and Philadelphia districts represented. Then
10 compliance officers, as identified, will be part of
11 the program and reviewers from both new drug
12 chemistry, generic drugs and Center for Veterinary
13 Medicine. So, essentially, this will be the review
14 and inspection team that will be responsible for
15 submissions and issues related to PAT that come in.
16 This team will undergo an extensive training
17 program starting in December.

18 We also have a PAT policy development team
19 which essentially is working under the PAT steering
20 committee. Here you look at Raj Upoor, a review
21 chemist with industrial pharmacy background; Chris
22 Watts, from the University of Tennessee, an
23 industrial pharmacist with a biomedical engineering
24 degree; and Hiquan Wu, a chemical engineer, who all
25 have very broad experience. We are still waiting

1 for one more member to come in with process
2 analytical chemistry expertise. When he is on
3 board I think the team will be essentially
4 complete.

5 We have PAT training coordinators. John
6 Simmons and Karen Bernard are sort of managing the
7 training program with the help of Kathy Jordan.
8 So, this essentially has evolved into a
9 full-fledged team with organized efforts leading to
10 facilitating implementation of a PAT program within
11 FDA.

12 [Slide]

13 To share with you, the input from the
14 advisory committee's subcommittee on PAT has been
15 extremely valuable to setting up a conception
16 framework for PAT, actually not only to develop
17 that conceptual framework but also to help
18 establish consensus with an outside agency and even
19 in the international arena. I recently received a
20 copy of a publication from EFPIA, which is the
21 European version of PhARMA which essentially has
22 incorporated some of these concepts, and in many
23 ways I think harmonization is occurring without any
24 effort or without any designed efforts. So, that
25 is a very good sign.

1 As we move forward, I think we have
2 started to look at PAT as a part of an example of
3 the new FDA-wide initiative of cGMPs for the 21st
4 century. You can see why once we have all the
5 information relevant for the general guidance the
6 activities of the PAT subcommittee could sort of be
7 under the manufacturing subcommittee, and that
8 would sort of evolve to that step.

9 [Slide]

10 Just to share with you the key elements
11 that formed the conceptual framework for the PAT, I
12 could talk for three hours on this but I will not,
13 it sort of addresses every aspect of the
14 manufacturing from incoming raw materials and using
15 that information of attributes of incoming raw
16 materials to adjust your process parameters, and to
17 measure the processing on-line, and focusing on
18 process critical control points and moving towards
19 endpoints, process endpoints and making decisions
20 in real time using chemometrics and information
21 technology tools to have indirect or inferential
22 assessment of quality and performance.

23 It also sort of brings into focus the
24 continuous improvement. How do you develop this;
25 how do you use the design of experiments and how

1 can you benefit from that. Optimization of
2 continuous improvements sort of evolves from this.
3 It also opens up the possibility of evolutionary
4 optimization. Management of change, formulation
5 process change has always been a challenge and will
6 continue to be a challenge in pharmaceuticals but
7 having measurement tools that can relate to product
8 performance or predict performance actually offers
9 many new opportunities which have not existed
10 before. We can even start thinking about the
11 concept of evolutionary optimization which has been
12 sort of not a practical process in pharmaceuticals
13 but is a very valuable tool outside the
14 pharmaceutical industry.

15 Really the PAT framework not only sort of
16 enhances our ability to improve quality but also
17 improve efficiency, and what we also have to do is
18 to start thinking in terms of a multivariate
19 systems approach, not just focus on univariate
20 assessment technologies that we have been used to.
21 It also brings in risk classification and
22 mitigation strategies that takes us to the next
23 step.

24 [Slide]

25 I will sort of spend a few minutes on that

1 very topic. One aspect which sort of summarizes
2 Dr. Kibbe's working group's concept at the last
3 meeting was that quality has to be based on
4 knowledge, and that is an important concept and
5 that relates to science and risk-based cGMPs in one
6 of these fashions.

7 Let me explain this. Data information
8 knowledge, I think everybody understands that.
9 Today, to a large degree, FDA's responsibility is
10 to assess whether the quality of a product is
11 acceptable or not. In many ways we address the
12 question was quality built in or was quality
13 designed into the product or not in the review and
14 the inspection site.

15 The information that is generally
16 available to the review staff when they set
17 specifications is limited, and in the U.S.
18 particularly development reports and development
19 history is not available to the reviewers, which is
20 different from Europe. So, they are blind in many
21 ways and often we criticize the CMC processes as
22 very conservative. The reason for the
23 conservativeness is because of lack of information.

24 So, today it is often difficult from an
25 FDA perspective to assess whether the quality was

1 built in by design or not. The reason for that is
2 that our decisions tend to be based on data derived
3 from trial and error experiments and decisions
4 based on a univariate approach. As a result, our
5 systems are very conservative and we have to
6 monitor and inspect every step of the way. So,
7 that is one perspective on what the current
8 situation is. I know of many companies which do
9 extensive process development optimization and a
10 lot of things, but that information is often not
11 shared with the FDA for reasons of mistrust in many
12 ways--how will the agency use this information.

13 With PAT what we have tried to do is to
14 sort of shift that paradigm and say all right, in a
15 sense, when we have information that allows us to
16 have causal links established within critical
17 variables and product performance, and also our
18 ability to improve or predict product performance
19 is visible and can be utilized we can move up in
20 this knowledge pyramid whereby quality by design is
21 easier to determine. It will be limited to the
22 experimental design phase but it will be much
23 better than what we have today. Then we can start
24 focusing on a risk-based approach to GMP and CMC we
25 now focus more on critical process control points

1 rather than every step of the way.

2 Clearly, as you move up on this knowledge
3 pyramid, when you build more mechanistic
4 understanding of processes that relate to
5 performance and move towards first principles
6 things change. But that is a major challenge. Our
7 systems are often very complex in a physical and
8 chemical sense so it is highly unlikely that we
9 will reach first principles in most dosage forms.
10 In some cases, like gases, yes, we could probably
11 utilize thermodynamic principles directly but PAT
12 sort of sets up a framework for improving knowledge
13 in pharmaceutical manufacturing and improving
14 regulatory decisions. So, that is one sort of
15 learning that we have from the PAT subcommittee
16 discussions.

17 [Slide]

18 Let me sort of spend a few minutes on risk
19 and how does the agency address risk and how the
20 agency can address risk under the PAT scenario. I
21 have used the SUPAC classification of risk, level
22 1, 2 3, level 3 being the most severe risk. A
23 concept that is prevalent in many different systems
24 but I have used the GMP, which is an ISPE document
25 on good automated manufacturing practices, version

1 4.

2 Let me explain this. Impact on quality of
3 a change or of a critical variable, if we judge
4 that to be high, in the SUPAC guidance we sort of
5 came up with general consensus on what impacts
6 quality more. The SUPAC guidance says if you
7 change magnesium stearate by more than
8 such-and-such a percent then it is a major change.
9 If you change lactose at that percent, it may not
10 be a major change. So, we essentially have that in
11 there. But what we do not have is a refined method
12 of assessing risk likelihood.

13 Keep in mind that the possibility of this
14 likelihood or probability is the discussion here.
15 Is it possible that a change or a manufacturing
16 variable can impact quality and performance? Yes.
17 Is it probable? We don't know unless we have
18 better understanding. With PAT, as you move
19 towards quality by design and systems based
20 thinking, you can actually get a better handle on
21 risk likelihood and, in fact, reduce that risk
22 likelihood. What that can do is actually lower
23 your risk classification under the SUPAC concept.
24 So, something that is a level 3 change, if you
25 reduce the risk likelihood to low you could move

1 towards a level 2 change sort of a scenario.

2 [Slide]

3 Once you have reduced the risk
4 classification, you can further have a better
5 understanding if your risk priorities about where
6 to put your resources and focus on by asking the
7 question how does quality by design and systems
8 approach improve the probability of detection of a
9 deviation or a risky situation, with multivariate
10 technologies we are talking about we can actually
11 increase or enhance the probability of detection of
12 a problem and, therefore, I think the PAT concept
13 not only brings a higher level of sophistication to
14 our risk assessment which is science based, by
15 reducing risk classification we are also improving,
16 increasing or enhancing the probability of
17 detection. As a result, the risk priority where
18 the agency could focus their risk situations would
19 be lower. So, that is how I feel.

20 I think the PAT subcommittee has been very
21 valuable in sort of formulating this conception
22 framework. As we move forward, the third meeting
23 will give us the key aspects of computer system
24 validation and some of the Part 11 issues that we
25 need to address as we facilitate PAT introduction.

1 One of the thought processes right now,
2 and what we have done is to provide the
3 subcommittee with all our current guidances on
4 software validation which have been developed by
5 the Center for Devices. I personally like those
6 guidances because they are very straightforward and
7 pragmatic approaches to software validation. My
8 proposal to the subcommittee would be to take a
9 look at those and see whether we can simply refer
10 to that or adopt some of those so we don't have to
11 reinvent the wheel.

12 There are definitely issues related to
13 software security, electronic signature, electronic
14 batch records. We hope to get that information
15 from companies and from the members of the
16 committee on Wednesday.

17 I am also very excited to share with you
18 that two companies have submitted mock submissions
19 for discussion on Wednesday. One is by the
20 Bristol-Myer's PAT team. It is a wonderful example
21 of crystallization, controlling crystallization
22 on-line and sort of how does that relate to product
23 quality. So, I am excited and look forward to
24 discussing that case study with the subcommittee on
25 Wednesday. Thank you.

1 DR. LEE: Thank you. Ajaz, would you like
2 to take some questions, if any? Are there any
3 questions for Ajaz? Yes, Lem Moye?

4 DR. MOYE: I was trying to think through
5 this process and how biostatistics is involved in
6 this. I guess I was plagued by something and
7 plagues are probably at their most effective when
8 they are shared so I am going to share it with you.
9 That is, at least from my point of view, we are
10 trying to administer a process we don't really
11 understand, and we are trying to encourage the
12 evolution of a process we don't really understand,
13 and that is to say how a compound is manufactured
14 from the beginning to the end, the ingredients, the
15 quality of the ingredients, the blend of the
16 ingredients. And, from a macro point of view I
17 think we all understand how this is done, but in
18 order to completely elucidate what the critical
19 decision points are--you mentioned the word
20 optimization, that we ought to optimize this. I
21 think we can't do it without understanding it. I
22 think that is one of the points you made in one of
23 the latter slides that you provided.

24 I guess it is a curiosity to me, and I
25 don't expect anybody to answer it for me, how the

1 pharmaceutical companies have managed to escape
2 full elucidation of this. If you look at the
3 petrochemical industry, that is clearly understood,
4 what they do and also to some extent the nuclear
5 industry is clearly understood. Yet, the
6 circumstances we are in now are different. So,
7 this is a question that was too hard for me to
8 answer so what I usually do is speak to some people
9 who are smarter than I am.

10 So, I spoke to some people in chemical
11 engineering and engineering in general and they
12 made the following recommendation that I just want
13 to pass along. That is, why not begin a process
14 that has been very useful for these alternative
15 fields, and that is one of simulation? Simulation
16 techniques now are far superior than they were
17 twenty-five or thirty years ago and I think we
18 would get two things from that. Number one, we
19 would understand the process. You cannot
20 accurately simulate something that you don't
21 understand, and the process of simulation would
22 require us to begin or to continue to ask the
23 questions that we need to ask to understand this.
24 What information are we missing to fully
25 understand this, number one?

1 Number two, the output from simulation
2 allows you to identify new critical points that
3 perhaps weren't so obvious from the macro view, and
4 also allows the opportunity for further
5 optimization of the process.

6 You talk about you can't use a univariate
7 approach, it has to be multifactorial and another
8 that I heard is polyfactorial, that all suggests to
9 me that the parametric approach--we are a little
10 too immature in our understanding of this entire
11 manufacturing process to be able to come to grips
12 with it from a parametric approach. So, given
13 simulation tools are becoming increasingly useful
14 from petrochemicals right up to NASA, why don't we
15 consider using those here?

16 DR. HUSSAIN: I have a slightly different
17 perspective. I think you mentioned that systems
18 are not well understood and so forth. There are
19 two aspects to that. One is what is available from
20 a regulatory perspective and decision-making?
21 Companies, when they develop their formulations and
22 processes do validation. They do extensive
23 optimization. But often that information is not
24 fully shared by the agency. So, the agency view of
25 that is in absence of all that available

1 information. So, I am not sure I fully agree with
2 the concept that the systems are not understood
3 because we have been manufacturing and establishing
4 this for years.

5 What is missing is the ability to
6 communicate the optimization strategies to the
7 regulatory authorities, more so than anything else.
8 As we sort of move forward I think we are opening
9 up channels for further communication and bringing
10 more of these data into a decision-making process
11 which will sort of help the agency conclude the
12 optimization aspects that industry itself has done.

13 The other aspect I think is that in many
14 ways the pharmaceutical dosage forms are far more
15 complex. When you deal with solids, physical
16 chemical systems, understanding and using
17 simulation tactics for that is far more difficult.
18 I think petroleum would be a very simple system to
19 simulate compared to pharmaceuticals. So, I think
20 we have to, in a step by step fashion, sort of
21 proceed and sort of bring some of this knowledge
22 in.

23 DR. MOYE: Well, let me ask you directly.
24 Do you think simulation is an admissible procedure
25 even though it is more complicated than in the

1 petrochemical field? And, I will accept your
2 representation of that. Do you think it is an
3 admissible strategy?

4 DR. HUSSAIN: In fact, I have been looking
5 at that very question with respect to fluid
6 dynamics and how some of that can come in. At some
7 point, I think as we make progress eventually there
8 will be a role for that. I am looking at Ken
9 Morris who has recently published in two
10 publications in this area. One was sort of
11 modeling the blending operations and predicting
12 what the blending conditions should be for a higher
13 scale, and so forth. So, there is already a lot of
14 progress. When will that become valuable from a
15 regulatory perspective? In due course of time I
16 think we will move in that direction.

17 DR. LAYLOFF: I would like to reinforce
18 that. You are dealing with a very heterogeneous
19 system and in the process stream you have particle
20 size ranges; differences in density of the various
21 particle portions of the stream. When you start
22 talking about moving to fingerprints and signatures
23 it means that you really can't identify all those
24 dimensions when you try and move back statistically
25 to a more behavioral type approach to it rather

1 than a quantitative simulation.

2 DR. MOYE: Again, I don't deny it is
3 complicated. I mean, it is one of the reasons we
4 are here talking about it. I just think that
5 simulation procedures and algorithms have evolved
6 far beyond what they were even fifteen or twenty
7 years ago and that there may be an aspect of that
8 that would be worth including in a simulation.
9 Also, simulations are evolving. The first models
10 are going to be clumsy and cumbersome but as
11 experience grows, as expertise grows, as the
12 modeling tools get more sophisticated you will get
13 some useful output if sincere effort is put into
14 the model.

15 DR. LEE: Yes, I do agree that simulation
16 has a role. I think it would really put how much
17 you know to the test. If it doesn't fit, that
18 means that we don't understand. As little as I
19 understand the process, I think PAT appears to make
20 the entire process more transparent; that you have
21 lots of information. In fact, I don't know why
22 can't you shut down the process if you are willing
23 to set some specifications along the way? I guess
24 for PAT, as I understand it, you collect
25 information as you go along and you can anticipate

1 the range which you can tolerate. Can't you just
2 say, okay, this is how much I can tolerate and then
3 if there is any venture outside these boundaries
4 then the process should shut down.

5 DR. HUSSAIN: It is possible, yes.

6 DR. KIBBE: Let me inject. I think in the
7 evolution of any technology, and our industry is
8 relatively old in a lot of respects and, quite
9 honestly, I was pleased to see that we recognize
10 that manufacturing came out of compounding and
11 didn't come out of direct application of, say, the
12 petrochemical industry's way of processing. We are
13 in the process of moving incrementally forward. I
14 think the application of models to the system is
15 useful, but I think the original models that we
16 come up with will be oversimplifications and will
17 gradually iterate.

18 We are looking at PAT now, whereas the
19 next iteration in our ability to control very
20 complex systems--and we don't need to know every
21 aspect of the complex system well to be able to get
22 to an endpoint that is useful and viable. It is
23 almost evolutionary in that we are going from
24 end-stage testing to in-process testing which is
25 the direction of practically every industry over

1 the years. Quite honestly, a lot of what we have
2 done in the past has been almost superstitious in
3 the way we have done it. We have made a good
4 tablet this way; we are not going to make it any
5 other way because that is the way we made a good
6 tablet.

7 There is a wonderful example from Samurai
8 sword-making which is made under an extremely
9 ritualistic method because they didn't understand
10 metallurgy but they knew if they followed every
11 single one of these steps they ended up with a
12 wonderful sword. Well, as we get more and more in
13 depth either through direct measurement with some
14 of these more sophisticated in-process tools or the
15 application of more sophisticated modeling, I think
16 we are going to be improving continuously.

17 What I see here, which is more important
18 than all of the science and all the technology, is
19 an opening of a window and a reduction in suspicion
20 between the regulatory agency and the regulated
21 industry on making improvements in process control
22 and in end-product quality. In the past I think we
23 have seen real reticence to improve products at all
24 and you see some wonderful examples in the industry
25 of products that are being made today the way they

1 were made in 1932 because no one wants to come
2 forward and improve the product for fear of what
3 that means in terms of the marketplace and the
4 regulation of the product. I think what we have
5 done here and what I think Ajaz and Helen have
6 tried to do and what the industry has responded
7 positively with is moving away from that old "heels
8 dug in" process that we had into this.

9 First, I agree with your concept of
10 putting models to it. I think it is going to be
11 iterative. We are going to have information. We
12 are going to put models to it. Those models will
13 work in some cases; won't work in others. We will
14 get more information out of the models. We will
15 get more information out of what we call
16 fingerprinting and together the whole process will
17 move forward. As long as we maintain the open
18 dialogue between the regulators and the regulates,
19 I think we have a good shot at it.

20 DR. LEE: Judy, would you like to say a
21 few words?

22 DR. BOEHLERT: I would just like to make a
23 comment. Another area where I think we are going
24 to improve the way we look at processes is
25 improving the way we look at the input to those

1 processes which are the raw materials. Right now
2 we look at the active ingredient and we do a pretty
3 good job there but not perfect because we are
4 looking at polymorphism at this meeting. But
5 excipients is a very big issue where there hasn't
6 been a lot of attention, particularly to physical
7 properties. We do the testing that is in the
8 Pharmacopeia and say, okay, we are done. I think
9 the PAT approach is going to force us to take a
10 much closer look at those raw materials and control
11 them better than we have in the past, and that is
12 an evolving area and many people are looking at it
13 but we are not there yet.

14 DR. LEE: Leon?

15 DR. SHARGEL: Yes, I have a couple of
16 comments, perhaps related but looking at it a
17 little differently. I think the PAT is quite
18 interesting. However, from the point of view of
19 older or previously approved drug products, when we
20 have new technology we often have new standards and
21 new tests for things that might not have been
22 noticed in the original manufacturing process.

23 So, the first question is how will these
24 PAT effects or new standards be affecting older
25 products that are already manufactured? The second

1 is that we often have some products that are
2 probably low volume. By that, I mean only a few
3 batches per year are manufactured and the cost of
4 PAT is going to be high for those small
5 manufacturers who are making smaller volume
6 product. If the cost is very high and regulatory
7 impact is high, then there will be a loss of these
8 products to the marketplace. So, I am wondering if
9 the agency or anyone has considered these issues.

10 DR. HUSSAIN: Well, I think with respect
11 to the PAT we were very, very clear that this is
12 not a requirement for anybody. This is simply for
13 companies that have the know-how, that have the
14 technology but are hesitant to apply and utilize,
15 this would benefit that. Eventually, I think in
16 the short-run or in the very near future what we
17 hope is that maybe a few handfuls of companies will
18 move in this direction because we are not planning
19 for everybody to do this. As the knowledge and
20 information grows, I think if this makes business
21 sense everybody will move in that direction
22 automatically if it makes business sense.

23 There are two incentives that we are
24 trying to sort of provide. One is what we are
25 calling a safe harbor concept. The term safe

1 harbor may not be in the guidance but a research
2 exemption type of a term will be there. What it
3 simply means is that the current products, as being
4 manufactured and released, are fit for intended
5 use. We have approved those. So if you identify
6 problems when you use the new technology, that is
7 not going to negate those products anyway. And, we
8 have learned with any new technology, like HPLC and
9 so forth, how to manage that. So, that is not a
10 major challenge from one perspective.

11 The other aspect was that in many ways we
12 are sort of changing the paradigm here. In fact,
13 the argument you posed was for some slow volume
14 products and that this may be a problem. You don't
15 have to do it for the low volume products to start
16 with, but I think a better answer to that is that I
17 think we can actually move to miniaturization of
18 the manufacturing process in a continuous mode.
19 There are some wonderful experiments being sort of
20 proposed. I can't mention the company but it
21 actually goes to a continuous manufacturing mode
22 and the entire manufacturing unit would be on a
23 desk top sort of thing. So, I think the paradigm
24 will shift and the shift will keep occurring in all
25 aspects. Tom?

1 DR. LAYLOFF: I was going to say that when
2 we looked at the PAT technology there was always
3 the question as to whether it was tied to a process
4 step or a product step because the signature is a
5 product step but the technology itself is a process
6 step. You link it to a process rather than a
7 product. So, if you start looking at a process you
8 can put the PAT technology in and then, of course,
9 it doesn't care what product it is looking at
10 because you establish signatures for the range that
11 you are doing. It has nothing to do with volume.
12 It is concerned with how you monitor a process step
13 rather than a product.

14 DR. SHARGEL: I understand the idea of the
15 process. The thing is if you have a product that
16 is not large and you want to now use a new
17 technique to look at the process, that becomes a
18 business decision whether you want to move to the
19 new approach or continue with what has been useful.
20 However, as we have new processes we often have new
21 standards and then, again as you are saying,
22 whether you are phasing in new and older standards,
23 as sometimes happens, that impact then the
24 versatility of the new process whether it is
25 dedicated to a large volume product may not be as

1 easily done where you are using a tablet press for
2 two or three different products every six months,
3 or something. So, these are some of the issues to
4 look at.

5 DR. MOYE: Can I respond to that? I take
6 your point but it doesn't have to be a total loss
7 for a small company to assume this new process
8 paradigm. For example, there may be some
9 identification of a new optimization procedure that
10 would allow for more cost efficiency, and a low
11 volume producing agent could take advantage of that
12 and also the product might be safer. So, there may
13 be some definite advantages to the switch even
14 though there might be increased cost in the short
15 term.

16 DR. LEE: Efrain?

17 DR. SHEK: I want to address my comments
18 to what Judy was talking about, the excipients.
19 They are very, very critical, you know, and today I
20 don't think we have a good way to handle it. Some
21 of the aspect is basically getting a partnership
22 with the excipient manufacturers. Basically, I
23 think our business as a pharmaceutical is a small
24 part of it and that is an economical fact and
25 reality, and changes in those excipients are really

1 affecting any optimization or even simulation that,
2 you know, we have done before.

3 I am intrigued by the simulation aspect.
4 I talked with chemical engineers, and looking at,
5 let's say, the most economical process to make
6 solid doses or tablets, I don't think today, as far
7 as I know, there are good models to even do a
8 scale-up. So, you can optimize it in a small scale
9 and then you start all over as you increase. There
10 has to be a way to model and predict basically what
11 you expect to be happening.

12 The other aspect which we have to take
13 into account is that today the investment over the
14 years for equipment and unit operating processes is
15 extremely expensive. I believe there are better
16 ways to make tablets with other forms which will be
17 predictable as well as you predict for making
18 liquids, where I think we have models today. But
19 this is a tremendous not only product shift but an
20 economical shift to replace the equipment that we
21 have today. So, at least I look at the PAT as a
22 way to collect a significantly huge amount of data
23 and maybe with this data you can go to the next
24 step and understand the process better and take the
25 next step.

1 DR. LEE: Well, now you hit on a very
2 important point. You said you have lots of data,
3 lots of information. Can you share it? I hope it
4 can be shared.

5 DR. HUSSAIN: I think there are sort of
6 three points that I wanted to respond to, if I may.
7 One, I think the simulation aspect is a wonderful
8 sort of step towards, you know, the first principle
9 of getting into that and I think that will be the
10 goal of sort of bringing the knowledge of
11 pharmaceutical manufacturing to such quantifiable
12 and predictable model. Essentially, I think that
13 is all of our dream anyway. I think I fully
14 support that. I just want to make sure that my
15 comment did not come across as not supporting that.

16 Efraim raised several issues. One was the
17 issue of excipients and he pointed out that the
18 pharmaceutical volume is a fairly small volume, and
19 the suppliers of these excipients apply to a much
20 larger volume and if we start, you know, sort of
21 making more requirements on these excipients, then
22 either they won't sell it to us or the prices will
23 sort of go up. So, that definitely is sort of one
24 concern.

25 But in the PAT concept, if you really look

1 at it, in a sense it allows you to handle the
2 variability associated with the incoming raw
3 materials in a different way. You have two
4 options. One option is to apply stringent incoming
5 raw materials specifications and not use materials
6 that do not meet all the physical attributes. That
7 would sort of add to the cost but, at the same
8 time, you could actually say I will simply use USP
9 NF sort of criteria and the physical attributes
10 that are different lot to lot, I will manage that
11 with a process which will be flexible enough to
12 adopt that. So, that is the concept the PAT sort
13 of brings in, that is, you will blend until it is
14 uniform rather than blend to ten minutes because
15 blend to ten minutes assumes that your raw
16 materials are similar all the time. So, if you
17 blend until it is homogeneous you can accommodate
18 certain variabilities that are inherent in your
19 starting raw material.

20 That is the reason I felt that, instead of
21 moving towards a functionality test and requiring
22 those in the USP, you may just manage the
23 variability in more intelligent ways with the
24 processing technologies that are currently
25 available. So, that was sort of one aspect.

1 DR. LAYLOFF: I don't think the excipient
2 industry is going to create a standard for the
3 pharmaceutical industry, but I think that you can
4 establish robustness on the signature or
5 fingerprint to have a control which allows that
6 variability because you define a certain
7 fingerprint and you could have robustness on the
8 critical control points.

9 DR. LEE: Toby?

10 DR. MASSA: Ajaz, you and I have talked
11 about this many, many times. I think for PAT to
12 have acceptability within the industry--I still
13 don't think it is clear to a lot of us in industry
14 how this will impact development and validation.
15 It is being discussed with a smaller group of
16 people and I think for this to have universal
17 acceptance, since it has been discussed that PAT
18 will change our concept of validation as we know it
19 today, and I truly believe that based on everything
20 I have heard, I think we have to be broader in the
21 message that we are sending to industry. It is not
22 clear to industry as a whole how this will impact
23 validation as we know it today. Validation really
24 has two meanings depending on whether or not you
25 are talking about the European concept of

1 validation or the U.S. concept of validation. So,
2 I think that is the first thing that really needs
3 to be addressed.

4 The other thing, and it is tied to that,
5 is that we need to make it clear how all of the
6 data will be handled under Part 11. Part 11 is an
7 extremely burdensome regulation on industry and
8 there is a study that PHARMA will be releasing in
9 the not too distant future that shows that the cost
10 impact of Part 11 to every company is over 100
11 million dollars to make their systems to be totally
12 Part 11 compliant. We have to make it clear what
13 the safe harbor is going to be for all the data
14 that the computer systems that are going to handle
15 all of the data that will be generated on Part 11.

16 So, I think those two things really need
17 to be made clear. I know that is still evolving
18 but before PAT is going to get the acceptance that
19 we want it to have and the impact that we want it
20 to have those two things really do need to be
21 delineated for industry.

22 DR. HUSSAIN: Well, in terms of the first
23 comment, the message not reaching a wider audience,
24 we hope that the future workshop that we are
25 planning as well as the GMP initiative could be an

1 example would sort of start highlighting some of
2 the advantages and how this will impact on
3 validation, the review and so forth.

4 The second point you made with respect to
5 Part 11, I think we understand the challenge ahead
6 and we are starting to sort of focus our discussion
7 on those very topics on Wednesday. At the same
8 time, what the GMP initiative has done is move
9 responsibility of Part 11 to CDER now. So, that
10 gives us a better handle on looking at the PAT and
11 those issues and coming to something more rational
12 that is conducive to innovation and new technology.
13 So, that is a significant challenge and we hope to
14 start addressing that soon. I don't have an answer
15 today for you.

16 DR. KIBBE: A couple of things that came
17 out of some of the other comments--I don't want to
18 drag on this discussion interminably but, first,
19 PAT is going to give us, I believe, a much tighter
20 understanding of the variability of the system. I
21 think some people worry that that will mean a
22 higher cost to control those variables, and we need
23 to keep clear that if there is variation but if it
24 is livable, even though it is statistically
25 significant it isn't clinically significant we can

1 still live with it. I mean, the cost benefit of
2 cleaning it up or not cleaning it up has to be
3 worked out.

4 I think PAT is going to give us an
5 opportunity to go to almost batchless
6 manufacturing. With batchless manufacturing
7 validation of the process can be measured in terms
8 of how many days does the process run smoothly
9 rather than how many batches do I have to
10 manufacture. Then, if we go to batchless
11 manufacture, if we go to a complete flow process
12 manufacture, then perhaps we can validate on the
13 same equipment that we are going to use
14 continuously because the amount of output is going
15 to be 24 hours a day, 7 days a week and, instead of
16 having to scale up from a batch of a 200,000
17 tablets to a batch of 10 million, we just turn the
18 process on and let it keep rolling and when it
19 starts to vary outside of the parameters we have
20 set for it, then we make corrections to it. I
21 think it is going to save companies a lot of money,
22 and I think companies can look at smaller, more
23 efficient production lines, smaller, more efficient
24 continuous processing from beginning to end.

25 Also, I don't necessarily agree with Tom

1 on our excipient suppliers. We might not be their
2 largest buyers but we are a significant purchaser
3 and if there is going to be an improvement in what
4 we can do, if they will improve what they do then
5 the negotiated cost back and forth between what it
6 costs us to get it and what it costs them to do it
7 we might actually get some tighter controls on some
8 of the excipients. I am thinking in terms of
9 compressible excipients and things like that.

10 So, I see this down the road as a real
11 win-win situation not only for the manufacturers
12 but the end users and even for the suppliers who
13 have an even better idea of what they need to
14 supply and how to do it.

15 DR. LEE: And I think certainly for the
16 American public. Well, I think it is a very
17 interesting subject. We can go on forever and
18 certainly this is a concept like the early days of
19 software, and I hope that we see wonderful things
20 happening with that. Anybody else want to say a
21 few words about the PAT before we take a break? We
22 are way ahead of schedule but I am kind of worried
23 about the afternoon. I propose that we take a
24 break and reconvene at about 10:35. Thank you.

25 [Brief recess]

1 DR. LEE: So far we have had a very good
2 discussion and now we will introduce the section on
3 other updates, risk-based CMC review. Is Dr. Chiu
4 available?

5 **Other Updates**

6 **Risk-Based CMC Review**

7 DR. CHIU: I will need technical support.
8 Good morning.

9 [Slide]

10 Dr. Vilayat Sayeed and I will give you an
11 update to the CMC risk-based review. This is a
12 project initiated in the year of 2000.

13 [Slide]

14 As you recall, the project is actually
15 looking at performing CMC reviews based on risk of
16 the product, based on product quality risk. At the
17 time we proposed this we were looking at the
18 products and tried to find out the attributes and
19 also the acceptance criteria to define a product as
20 low risk. Then, if we compiled a list of drugs
21 which should be considered low risk, then we will
22 have reduced CMC oversight with respect to
23 information submitted to the agency. Perhaps we
24 will eliminate most of the supplements to the NDA
25 and the ANDA. What would be left would be mainly

1 the changes described in the law. We will reduce
2 the CMC information needed to be submitted to an
3 original ANDA and to the annual report of an
4 approved NDA and ANDA.

5 [Slide]

6 Over the years, since the year 2000, we
7 have had a number of internal discussions. We
8 brought the topic to the CMC, to the components
9 coordinating committee meetings. We had internal
10 scientific rounds. We had many meetings among the
11 reviewers. We also brought this topic to this
12 committee twice, once in November, 2000 and in July
13 2001. There was an AAPS workshop. Through those
14 meetings we received many useful, constructive
15 comments.

16 [Slide]

17 This project is a three-tier process, as
18 you know. The first tier includes two steps and we
19 are in the first tier. The first one, step A, is
20 to establish attributes and acceptance criteria
21 which we can use to define a low risk drug. We
22 are going to issue a draft guidance, hopefully
23 early next year, to define the attributes and
24 acceptance criteria. We will then have public
25 comments. After that, we will finalize the

1 guidance and based on the attributes and criteria
2 we will propose a drug list which will be
3 considered low risk with respect to quality. We
4 will publish that list as a draft. Then we will
5 have comments from the public on whether that list
6 is realistic, whether other products should be on
7 the list, whether some products should not be on
8 the list.

9 After receiving the comments, then we will
10 finalize the drug list after internal medical
11 consultation. That is tier two, which is the
12 medical safety evaluation. Once we finalize the
13 list, then applications for those drugs considered
14 low risk will have less FDA oversight. However,
15 whether a company will be eligible for that
16 privilege will be based also on their GMP
17 compliance history. So, that is tier three.

18 [Slide]

19 We talked among ourselves about the
20 general principle for the final list drugs. In
21 this diagram, on the Y axis is the probability of
22 detecting product defects or criteria attributes.
23 When you have a high probability of detection, then
24 the risk is low. When you have a lot probability
25 of detection the risk is high. On the X axis is

1 the complexity of the drug substance, drug product
2 characterization. So, simple molecules would be
3 considered low risk and macromolecules, complex
4 molecules or complex dosage forms would be
5 considered high risk. It also depends on the
6 complexity of the mechanism of product performance.
7 If it is simple immediate release, it would be low
8 dosage, low risk. If it is targeted release, then
9 it could be high risk. It also depends on
10 manufacturing technology. So, a simple synthesis
11 would be considered low risk. However, maybe
12 formation of recombinant cells, formation of
13 liposomal products would be considered high risk.

14 We are actually looking right now at this
15 high probability of detecting and low complexity as
16 low risk products. I believe, you know, in the
17 future when we gain experience with this project
18 and also ways for implementation of on-line or
19 in-line testing we will be able to expand this
20 area. The medium and low risk area could be
21 shrunk. So, this is what we are working on.

22 [Slide]

23 We formed two working groups to look at
24 the drug substance characteristics with respect to
25 attributes and acceptance criteria, and we have

1 another subgroup working on drug product. Now, you
2 know, we are more or less in the stage of
3 finalizing the draft guidance and soon it will be
4 out for internal comment. Dr. Sayeed will describe
5 to you our current thinking. So, without further
6 ado, Vilayat.

7 DR. SAYEED: Good morning, everybody.

8 [Slide]

9 Yuan-yuan has basically explained the
10 objectives and other aspects of this initiative so
11 I am going to go right into what we have done for
12 to how to achieve this objective.

13 [Slide]

14 What I am going to do, I am going to
15 present the drug substance and drug product
16 decision trees which we have developed for
17 identifying low risk candidates. These trees were
18 developed by the general principle which was
19 discussed as to the probability of detection and
20 the complexity, and I am not going to go into the
21 details of this chart. The focus of the working
22 group was to find or identify drug substances and
23 drug products which would fit into this box, here,
24 where the failure for the probability of detection
25 is high and the complexity is low.

1 Having this principle in mind, the first
2 question which was raised for the drug substance
3 was what drug substance would actually fit into
4 these criteria. The general consensus in the
5 working group was that a synthetic drug substance
6 and simple inorganic salts would actually meet
7 these criteria.

8 [Slide]

9 So, the first question on the slide on the
10 drug substance decision tree is, is the drug
11 substance of synthetic origin or a simple inorganic
12 salt? If the answer for this is no, then this drug
13 substance is not suitable for low risk
14 consideration. If it is, then you move on to the
15 next level.

16 At this level there are certain
17 exclusions. The question was raised can all
18 synthetic drug substances fit into this concept?
19 The answer by the working group was no, not every
20 drug substance would meet this.

21 [Slide]

22 On this slide certain exclusions are
23 included. Here are the exclusions. If a drug
24 substance happens to be a radiopharmaceutical, a
25 peptide or an oligonucleotide, then if the answer

1 for this is yes, this drug substance cannot be
2 considered for low risk; and if it isn't, then you
3 move on to the next level.

4 For the next level we have addressed
5 issues relating to the drug substance
6 characterization, its specifications and its
7 stability issues. The question here, at this level
8 is, is the drug substance well characterized, and
9 are the specifications used to control the drug
10 substance contemporary, and is the drug substance
11 stable at ambient conditions? If the answer for
12 this is no, it is not, then the consensus in the
13 working group was that the drug substance is not
14 suitable for low risk consideration. If the answer
15 is yes, then the drug substance is a suitable
16 candidate for the low risk assessment.

17 [Slide]

18 Here you see a little box. What we have
19 done here, we have identified that if there are any
20 physical characterization issues with regard to the
21 drug substance. These issues will not be
22 considered at this level, whereas these issues will
23 be moved on and considered at the drug product
24 level. So, if there are any physical property
25 issues with the drug substance, those issues need

1 to be identified in the drug substance and will be
2 considered in the assessment of the drug product.

3 [Slide]

4 With the baseline established, the first
5 question asked for the drug product is, is the drug
6 substance assigned as a low risk? If the answer is
7 no, if it is not there, then the drug product is
8 not a suitable candidate for low risk
9 consideration. If the answer is yes, then you move
10 on to the next level.

11 [Slide]

12 At this level what we have done is we have
13 identified certain dosage forms which the working
14 group thinks will fit into that general principle
15 where the probability of detecting a failure is
16 high and the complexity of the product is low.

17 [Slide]

18 These drug products were identified as IR
19 oral solids or topical liquids or sterile solutions
20 of simple solids. So, this is what we think are
21 drug products or dosage forms which would fit into
22 this general principle concept. If the answer for
23 this is no, then the drug product is not a suitable
24 candidate for low risk consideration. If the
25 answer is yes, then you move on.

1 The same question was raised in the
2 working group whether all IR solids and liquids
3 will fit into these criteria. Obviously, the
4 answer was no. So, we have included some
5 qualifiers on the next slide.

6 [Slide]

7 The qualifiers are for the solids. We are
8 saying is the strength per unit at least one
9 milligram or one percent by weight? If it is
10 anything less than that, we think it is not a
11 suitable candidate. For the liquids we are not
12 using the strength; we are using the solubility
13 ratio, the intrinsic solubility ratio. We are
14 saying if it is not less than 1:30, then it may not
15 be a suitable candidate. If the answer for this is
16 no, then the drug product is not a suitable
17 candidate for low risk consideration. If the
18 answer is yes, then you move on and look into other
19 aspects of the drug product.

20 [Slide]

21 On this slide what we have done is we have
22 looked into the interaction of the drug with the
23 excipient. What we are saying is if there are any
24 known interactions reported, if there are reported
25 interactions between the drug and the excipients,

1 then this product may not be a suitable candidate
2 for this CMC low risk assessment. If the answer
3 for this is yes, then the drug product is not a
4 suitable candidate for the risk assessment. If the
5 answer is no, then you can move on to the next
6 level.

7 [Slide]

8 At this level what we have done is we have
9 looked into the physical property of the drug
10 substance, which we have left open on the drug
11 substance tree and this is where we are capturing
12 that part. We are saying if there is a reported
13 impact, like if the physical properties of the drug
14 substance are known to have some impact on the
15 product performance, then this drug product may not
16 be a suitable candidate for this low risk. Are the
17 differences in the physical state of the drug
18 substance reported to have an impact on the
19 performance of the product? If the answer for this
20 is yes, then you are saying the drug product is not
21 a suitable candidate for low risk consideration.
22 If the answer is no, then you move on to the next
23 level.

24 In the following few levels, what we have
25 done is we have captured the aspect of the product

1 specifications, product stability, product
2 degradation and packaging and storage, and all of
3 those things are covered in the next few levels.

4 Here we are saying if the drug product
5 meets the contemporary standards, you know, if the
6 answer for this is no, then the drug product is not
7 a suitable candidate for low risk consideration.
8 If it is yes, that you do have product
9 specifications which conform to the contemporary
10 standards, then you move on to the next level.

11 [Slide]

12 At this level we are capturing the
13 stability and the degradation of the product. We
14 are saying do you know if the degradation of this
15 product is predictable and if the degradants are
16 controlled? So, the question is, is the drug
17 product degradation profile predictable and are the
18 degradants controlled? If the answer for this is
19 no, then the drug product is not a suitable
20 candidate for low risk consideration. If the
21 answer is yes, then you go on to the next level.

22 At this level we are capturing the product
23 storage and packaging. What we are telling here is
24 that for now we will only consider products which
25 are stored at controlled room temperature and which

1 do not require any special packaging. If the
2 answer for this is no, then the drug product is not
3 a suitable candidate for low risk consideration.
4 If the answer is that, yes, it doesn't have those,
5 then you move on.

6 [Slide]

7 At this level we are capturing a little
8 bit of product history. We think we need to know
9 at least a couple of years of real-time stability
10 of the product on a minimum of three commercial
11 batches for the product to be placed in this
12 program. So, if the answer for this is no, then
13 the drug product is not a suitable candidate for
14 low risk consideration. If the answer is yes, then
15 you do have a product which qualifies as a
16 candidate for low risk assessment.

17 [Slide]

18 In conclusion, I would like to acknowledge
19 the individuals who have spent a lot of time and
20 effort in developing these trees. Thank you.

21 DR. LEE: Thank you. Gloria?

22 DR. ANDERSON: Would you comment on your
23 definition of complexity? Based on what you said
24 about single synthetic components, something to
25 that effect, I am trying to get a picture of how

1 big a molecule would be, if that is how you define
2 complexity as opposed to some smaller molecule with
3 a really horrible function group on it.

4 DR. SAYEED: We are not going to
5 functional groups. Did you want to comment on
6 that?

7 DR. CHIU: Yes, we are not going to base
8 on molecular weight of the molecule. What we are
9 going to base on is how easy it is to characterize
10 the molecule. If one can use appropriate standard
11 methodologies such as IR, UV and MR, and element
12 analysis, then it is considered well characterized.
13 When we talk about macro protein molecules, even
14 with those tools you cannot characterize them.
15 When we talk about single molecules, because
16 sometimes you have combination products; you have
17 two or three drugs at the same time and you may
18 have multiple active ingredients, we will not
19 consider that, you know, simple.

20 DR. ANDERSON: I understand that but is it
21 possible you could have a compound, a molecule that
22 is easy to characterize, that can be well
23 characterized and have a really bad functional
24 group on there that could put it in another
25 category? That is really what I am talking about.

1 DR. CHIU: That would be caught by the
2 other criteria in terms of stability, if you have
3 degradation products whether you would detect that.
4 So, the specifications and the stability will catch
5 your concern.

6 DR. ANDERSON: So this is the first step
7 here.

8 DR. CHIU: Right.

9 DR. ANDERSON: Okay, thank you.

10 DR. CHIU: Yes, the first step.

11 DR. LEE: So, I guess everything is
12 relative.

13 DR. CHIU: Because there are three
14 elements you have to fit all three elements
15 together to be considered low risk.

16 DR. LEE: I see.

17 DR. CHIU: So, it is not either/or.

18 DR. SHEK: A couple of quick questions.
19 I will start from the end. The last one says are
20 there at least two years real-time stability data.
21 My question is does that apply to NDAs as well as
22 ANDAs, this decision tree?

23 DR. SAYEED: Yes, this decision tree
24 applies to all applications basically.

25 DR. SHEK: So, by definition, two years

1 data wouldn't apply for NDAs?

2 DR. CHIU: No, the idea of three years
3 data does not mean the specific product from a
4 single company. It means whether you ever have two
5 years data for that drug, regardless who makes
6 that.

7 DR. SHEK: Right, but if it is a new
8 chemical entity and an NDA is being filed, by
9 definition it wouldn't fit into this category.
10 Right? So, a new chemical entity will never be
11 able to through this decision tree.

12 DR. CHIU: Well, not necessarily because
13 some NDAs do have more than two years stability
14 data in the file.

15 DR. SHEK: On commercial batches?

16 DR. CHIU: Yes, because not necessarily
17 are all NDAs first time around in this country.
18 You know, occasionally we get NDAs with batches
19 from Europe but those will be rare. So, I think
20 you are right, most of the time a molecular entity
21 may not fit as a low risk, but occasionally will.
22 Most ANDAs will be qualified so that is why we
23 proposed this truncated ANDA.

24 DR. SHEK: If we go up the tree will we
25 come out with a definition of what are contemporary

1 standards?

2 DR. CHIU: Yes. Yes, in the draft
3 guidance we will explain what is contemporary
4 standards. We propose mainly following ICH or FDA
5 guidance.

6 DR. SHEK: And if we go to the top of the
7 tree, I think this is just the CMC aspect, and
8 maybe it was there and I just missed it, but will
9 there be any evaluation even before that of whether
10 there is a therapeutic index?

11 DR. CHIU: Yes. That would be the second
12 tier, the medical consultation. Yes, there we
13 would look at the safety and the medical risk.

14 DR. SHEK: And that will happen first?

15 DR. CHIU: That will happen after we
16 propose the list of drugs. Then the medical people
17 can look at those drugs and decide.

18 DR. SHEK: Thank you.

19 DR. LEE: Art?

20 DR. KIBBE: Just a couple of questions.

21 The question I have is about drug excipient
22 compatibility issues. If there are known excipient
23 compatibility issues but the product in question
24 doesn't contain that excipient, and most good
25 manufacturers would try to avoid excipients where

1 there is a problem, then it would still be no?

2 Even though there was a known issue with a
3 different excipient, the product would not pass?

4 DR. CHIU: No, no, that is not the case.
5 We are talking about the excipients used in the
6 product.

7 DR. KIBBE: Right, not just that there is
8 an issue.

9 DR. CHIU: No.

10 DR. KIBBE: I noticed that if they have a
11 milligram or less than one percent they are not
12 considered low risk, which means that all
13 homeopathic remedies are high risk and we should
14 start to evaluate those!

15 [Laughter]

16 I just throw that out. The question I
17 also have is would you accept a petition from a
18 manufacturer for exception based on data they have
19 that would answer the issue on any one of these
20 decisions?

21 DR. CHIU: We will issue a draft guidance
22 to explain all those criteria, and we will get
23 input from manufacturers and from the public and
24 then we will finalize that. I also said we will
25 propose a drug list and then we will seek comments

1 from outside. At that time the pharmaceutical
2 companies can propose drugs which are not on our
3 proposed list. In the future, when this is
4 finalized, we will continue to accept petitions
5 from companies if they have, for example, improved
6 their specifications; they now have contemporary
7 specifications so they should be included in the
8 list. We will continue to revise our list of
9 drugs.

10 DR. KIBBE: Thank you.

11 DR. LEE: Judy?

12 DR. BOEHLERT: I have a few questions. In
13 the drug substance decision tree you say that the
14 drug has to be stable under ambient conditions. I
15 am wondering if you are going to define what you
16 mean by that because stable is in the eye of the
17 beholder, and what do you mean by ambient? ICH
18 conditions?

19 DR. CHIU: Yes, ICH conditions. We really
20 mean ICH conditions. If you store under ICH
21 conditions and it shows that it is stable.

22 DR. BOEHLERT: Stable means meets
23 requirements?

24 DR. CHIU: It means it meets the
25 specifications.

1 DR. BOEHLERT: Right now it doesn't really
2 say that. The other issue that you talk about are
3 physical properties. The way it sounds now is that
4 if you need to set a specification for a physical
5 property, such as particle size or maybe even
6 polymorph, then it would automatically not qualify
7 for this treatment and I am wondering why--

8 DR. CHIU: No, no. I don't think that is
9 the case.

10 DR. BOEHLERT: That is what I heard.

11 DR. SAYEED: What we are trying to say is
12 you identify those characteristics in the drug
13 substance but those characteristics will not be
14 used in saying whether this drug substance is high
15 risk or low risk. What we are going to do is what
16 kind of impact those characteristics they will have
17 on the drug product performance.

18 DR. BOEHLERT: Well, say they do have an
19 impact on drug product performance but you have
20 contemporary specifications; they are controlled;
21 you know what they are and they are controlled in
22 every batch, why would that change things?

23 DR. CHIU: I see.

24 DR. SAYEED: That is a good thing because
25 again we go back to the level of controls we have.

1 I mean, at least for now we want to deal with
2 things that are just straightforward and simple.
3 We don't want to get into how much control we can
4 have on each company and each product. So, for now
5 we want to keep it simple and maybe as time goes on
6 and we learn more about it we can move into that
7 area of you have the control so you can go ahead
8 and use it.

9 DR. BOEHLERT: If you don't want to use
10 the term contemporary specifications because I have
11 applied some of these newer controls such as--

12 DR. SAYEED: I mean, most of these things
13 may have the controls but we are saying even if
14 these controls happen to have any effect on the
15 performance, then we will not use it. That doesn't
16 mean that you are not going to control it; you
17 control it but you can't use that drug substance.

18 DR. CHIU: The proposal right now is that
19 we would like to be rather more conservative at the
20 beginning so we will take comments. If people
21 strongly believe this is well controlled and they
22 should be on the low risk drug list we will
23 consider that. But at this time, you know, we just
24 want to be rather more conservative.

25 DR. LEE: We will take two more questions,

1 so Marv and then John.

2 DR. MEYER: The one milligram as a cut-off
3 point, how was that selected and what will you do
4 with multiple strengths, say half a milligram and a
5 one milligram tablet? Where will it fall?

6 DR. CHIU: The reason we picked one
7 milligram is because we thought that for blend
8 uniformity there may be issues so we thought it may
9 not be considered a risk. I see your point about
10 multiple doses and we haven't discussed that.
11 Maybe we will go back to think about when there are
12 multiple doses.

13 DR. MEYER: Any idea how many drug
14 products will fall into the low risk category?

15 DR. CHIU: Actually, it is very difficult
16 to come up with physical attributes or chemical
17 attributes so we asked our reviewers, based on
18 their review experience, which drugs they consider
19 to be really, really low risk, and we actually
20 obtained something like 60 drugs. Then we went
21 back to look at more than 300 applications and
22 based on that data mining we came up with those
23 criteria. So, I believe we will, you know, have
24 many more than just 60 drugs.

25 DR. MEYER: I would caution you that the

1 reviewer system didn't work very well in picking up
2 drugs with a high risk for therapeutic problems in
3 the generic field. You had some very strange drugs
4 on that list.

5 DR. CHIU: That will be the next tier.
6 The second tier will look at the medical safety.
7 So, right now we are just looking at the physical
8 characteristics, chemical characteristics. But we
9 will take into account the medical safety.

10 DR. LEE: John?

11 DR. DOULL: I would like to go back to the
12 excipient issue. You said that the yes/no question
13 for excipients was whether they interacted with the
14 active ingredient, drug. How about the inherent
15 toxicity of the excipient? That is not part of the
16 consideration? In other words, you could put a
17 drug in a low risk category even though it had a
18 highly toxic excipient. Is that true?

19 DR. CHIU: Well, the toxic excipients will
20 be studied during your NDA stage and the safety
21 data to assure that the excipients used are not
22 toxic. When you have an ANDA the review process
23 will also catch toxic excipients. So, I think that
24 probably will not be an issue.

25 DR. DOULL: I was just concerned that if

1 that is the criteria, then it omits the toxicity,
2 inherent toxicity of these.

3 DR. CHIU: You know, there is no
4 difference from active ingredient, toxic or not.
5 The agency evaluation includes the toxicity
6 evaluation.

7 DR. LEE: Maybe I should ask a question to
8 close it. It may be a silly question. What is the
9 motivation behind this?

10 DR. CHIU: The motivation behind this, we
11 have a multiple motivation because we are looking
12 at everything. When we do an evaluation we look at
13 the risk. Even the CMC review is to identify what
14 are the risk factors; what are not risk factors so
15 you can determine what is the critical process
16 control and what are the release specifications.
17 This is just an additional part of the risk
18 assessment and risk management.

19 The second reason is because the agency
20 always has limited resources. We want to put our
21 resources in places where more extensive review and
22 evaluation is needed rather than giving every drug
23 the same intense evaluation. For those low risk
24 drugs, you know, we do not need such an oversight
25 as high risk drugs. So, those are the reasons.

1 DR. LEE: So, this is some kind of a
2 triage.

3 DR. CHIU: Yes.

4 DR. LEE: Thank you.

5 DR. MEYER: Can I ask a real quick
6 question?

7 DR. LEE: Me?

8 DR. MEYER: No, no, I want to ask someone
9 who knows!

10 [Laughter]

11 Would recall history play a role in this?
12 Would you look at that also?

13 DR. CHIU: I think in the GMP compliance
14 part of the history we will look at recalls; we
15 will look at deviations such as a warning and all
16 those factors involved in GMP.

17 DR. LEE: Toby, one last question?

18 DR. MASSA: On August 8 of '01, industry
19 provided a readout from the workshop that Dr. Chiu
20 and I co-chaired on this topic. I would suggest
21 for the committee could get insight on over 500
22 participants both from industry and FDA, that the
23 AAPS has a web site containing those comments and
24 many of the comments that Dr. Chiu mentioned are
25 contained in that document.

1 To the point that you raised, the key
2 thing that industry felt is the ability to control
3 and characterize; complexity, not as big an issue;
4 dosage form, not as big an issue as long as it is
5 characterizable and controllable. Those are the
6 things that industry really felt very strongly
7 about. There is an extensive amount of information
8 on the feed-out from that workshop for the
9 committee's consideration.

10 DR. LEE: Do you have to be a member to
11 access those sites?

12 DR. MASSA: No, I think that is available
13 to the public.

14 DR. CHIU: Yes, the report is on the web
15 site of AAPS.

16 DR. LEE: Thank you very much. Well, I
17 think that we are getting back on schedule and we
18 come to a very interesting topic, blend uniformity.
19 Ajaz Hussain will tell us about what is going on.

20 **Blend Uniformity**

21 DR. HUSSAIN: This is an update since we
22 had an extensive discussion on the PQRI proposal.

23 [Slide]

24 Let me sort of walk through the background
25 history here. The issue that we are talking about

1 is assuring and documenting adequacy of mixing
2 operations. I think it is equally an issue of
3 documentation as the assurance because sampling has
4 been identified as a challenge.

5 PQRI's proposal essentially is a proposal
6 of using stratified sampling of dosage units during
7 routine production to document adequacy of mix. As
8 an awareness topic, we brought this issue to the
9 advisory committee on November 28, 2001, and with
10 an extensive discussion of the proposal on May 8,
11 2002. Tom Garcia presented this proposal and we
12 discussed it and there was a general endorsement of
13 the proposal.

14 There were two recommendations. One was
15 from the chair person, saying that you essentially
16 need some additional peer review for that. Dr.
17 DeLuca had that document peer reviewed and you have
18 those reviews in your handout. But FDA had started
19 a panel peer review process and we provided our
20 comments to the PQRI on August 14, and PQRI
21 essentially came back with a further analysis and
22 addressed the comments we had raised and we met for
23 about three hours on October 17. So, it happened
24 late last week. I am just going to report on that
25 and some next steps.

1 [Slide]

2 Let me talk to you about the FDA peer
3 review process. This peer review process was set
4 to have an additional peer review which did not
5 include members of FDA staff who participated in
6 the PQRI proposal itself. So, Dr. Chiu, Joe
7 Famulare, Frank Holcomb, myself, Stella Machado Yi
8 Tsong and Shen Meyiu, who is in the audience, sort
9 of looked at this proposal. Stella and Meyiu Shen
10 are from the biostatistics department and Dr. Chiu
11 you already know. Joe Famulare is from the Office
12 of Compliance; Frank Holcomb, from the Office of
13 Generic Drugs.

14 We found that the concept of stratified
15 sampling was acceptable to us, but we arrived at
16 that conclusion from a very different perspective.
17 We focused our attention on the science and
18 engineering of blending, compaction and capsulation
19 operations, and we felt that based on our
20 understanding and the publication by Tom Garcia and
21 Jim Prescott of PQRI, which was published on the
22 root cause analysis of blending problem, that
23 became the basis for accepting this proposal.

24 Further, examples of stratified sampling
25 data that were made available to us by individuals

1 sort of supported this further. Then, the PQRI
2 decision trees and scientific justifications
3 clearly outlined the whole process. So, those are
4 the three-pronged aspects that we looked at.

5 [Slide]

6 The type of examples that we received
7 which, unfortunately, were not submitted to PQRI,
8 which helped us move toward stratified sampling
9 were this. I actually shared this example with you
10 on July 19, 2001 as part of the PAT discussion.
11 The question of a representative sample was raised.

12 This is a wonderful example that make a
13 case, a scientific engineering case for stratified
14 sampling. This is a commercial product where the
15 blend sample analysis passes without any problem
16 and USP content uniformity passes without any
17 problem. But when you do a stratified sampling you
18 tend to pick up segregation towards the end of the
19 product run.

20 Similarly, Pfizer had shared with us an
21 example of when they had put near-infrared at line
22 and they were doing 300 table analysis or more you
23 could see some of the problems similar to that in
24 their production.

25 There was another case study which I did

1 not get a chance to plot of about 18 manufacturing
2 lots. It came from a generic firm which
3 essentially showed the same thing, that you can
4 pass USP and you can pass the blend testing, yet,
5 you can have a segregation problem. So, in a sense
6 today we may be having a problem so the stratified
7 sampling may make better sense, to move in that
8 direction.

9 [Slide]

10 The PQRI data mining statistical
11 effort--FDA sort of had a different perspective on
12 this. We looked at this information as supporting
13 data and the statistical simulation and assumption
14 of normality was the primary focus, is it normally
15 distributed? Our interpretation, which is outlined
16 in the report we sent to PQRI, was that deviation
17 from normality suggests potential content
18 uniformity problems. I think that is how we
19 interpret that issue. Normality itself I think
20 sort of suggests a problem.

21 We asked for additional justification
22 based on what we heard from you and our
23 analysis--sample size, issues with respect to
24 routine production; how does it relate to batch
25 size; how does it relate under different

1 conditions. We raised some questions about
2 categorization of blends to readily and marginally
3 complying based on an RSD value of four percent,
4 and what the implications of this categorization
5 would be on routine production. The sample size is
6 small. It is in tablets and you are basing an
7 estimate, or estimating variance on a small sample
8 size which is less robust now compared to what you
9 had when you had large number of samples in the
10 validation run. So, what will that do?

11 [Slide]

12 The PQRI response--you have a handout of
13 the PQRI proposal but I do not plan to go through
14 it point by point, but just to summarize for you
15 the highlights of the discussion we had with PQRI.

16 The points PQRI came back with I think
17 made sense to us and sort of helped us make a
18 decision to accept the proposal. These included
19 that in general PQRI agreed that normality includes
20 lack of homogeneity. That is in quotations because
21 that is from their slide presentation.

22 The type of segregation that is during
23 start-up or run-out will not be found by testing
24 powder in the blender. I think that was obvious to
25 us but I think sort of points to why stratified

1 sampling is a better reflection of a manufacturing
2 process or system. Stratified sampling
3 specifically targets locations which have a higher
4 risk of producing failing content uniformity
5 results. I think we could see some of the examples
6 from information that we have.

7 The issue that we struggled with most was
8 the sample size. Dr. Kibbe had raised that issue
9 at the advisory committee last time and we had
10 discussed that. We deliberated on this quite a bit
11 and the question came out to be is this a
12 representative sample. I think that became the
13 question. In validation, for example, you are
14 looking at 20 locations and essentially you are
15 representing five percent of the batch every time
16 you take a sample. More sampling locations would
17 not change this substantially. The number of
18 locations, 20 for validation seemed appropriate.
19 Essentially, the argument PQRI proposed was that
20 sampling here is dependent on sampling
21 representative of the population. That, we felt,
22 is a good starting point for that.

23 [Slide]

24 One issue which we are still struggling a
25 bit with, at least in my mind I am struggling with

1 this because although this looks simple on paper
2 this could pose potential problems during routine
3 production for the operators and for how companies
4 will manage this, is the implication of finding a
5 high RSD value during routine production is the
6 issue.

7 Remember, the proposal is to classify or
8 categorize blends as readily meeting or marginally
9 meeting the criteria based on an RSD, relative
10 standard deviation, value of four percent or less.
11 If the relative standard deviation estimated is
12 less than four percent, it is classified as readily
13 complying. If it is not, it is marginally
14 complying. For readily complying products standard
15 testing is proposed, and the standard testing is
16 USP type, stage 1, where you look at 10 tablets and
17 the mean has to be between 90-110 percent and the
18 relative standard deviation is less than or equal
19 to five percent. You could go to stage 2 where N
20 equals 30 and when the RSD is not met. There the
21 RSD value for stage 2 is less than or equal to six
22 percent.

23 The potential dichotomy of classifying
24 this as readily complying based on four percent and
25 routinely seeing a high RDS poses a question--what

1 happened? So, that had to be addressed, and what
2 do you do in those circumstances.

3 Just to sort of complete the thought
4 process, tightened specifications or tightened
5 testing was recommended by PQRI for products that
6 are classified as marginally passing. That means
7 you are looking at 30 tablets and the mean between
8 90-110 percent and an RSD less than six percent.
9 The proposal also went on to say that when five
10 each of consecutive batches meet an RSD of less
11 than or equal to five percent, then you revert to
12 standard testing.

13 [Slide]

14 In response to sort of our question, PQRI
15 came back with an additional comment saying that
16 they proposed to add that when performing standard
17 testing--I am at the bottom part of the slide--when
18 performing standard testing, when the RSD of one
19 batch following stage 12 testing is greater than
20 five percent, then you will switch to tightened
21 testing. So, that is what the new PQRI proposes.

22 I think it sounds logical, but in terms of
23 actually doing this, switching back and forth from
24 testing and so forth at the operator level, I am
25 not sure how much of a challenge this will pose. I

1 think it is acceptable but I think we have some
2 questions on the logistics.

3 [Slide]

4 The next steps are that we will have an
5 internal FDA meeting. We met on October 17th and
6 we did not meet after that. We will bring together
7 all the thoughts to define an outline for a new
8 draft guidance based on the PQRI proposal, defining
9 both review and compliance roles; assess and plan
10 for training needs; assign the responsibility to a
11 small group of individuals to draft the guidance.
12 We will publish the draft guidance to seek public
13 comments. Formal training of FDA staff, especially
14 investigators who will be dealing with these I
15 think is necessary but I think we will have to see
16 what sort of training will be needed, and then
17 proceed to a final guidance.

18 [Slide]

19 I do want to sort of say a few things
20 about the other peer review comments that you have
21 in your handout. Ken Morris was one of the
22 reviewers also. For our review we did not have
23 those comments that you have in your handout. I
24 went back to look at those comments from the
25 outside peer review process. There was a range of

1 comments.

2 All the concerns that were expressed in
3 this, I was happy to note that we captured those in
4 our review, except for certain aspects.
5 Implications and perceptions resulting from
6 continued recommendation of blend testing during
7 validation was raised, especially by the European
8 folks--in a sense, doesn't it contradict what you
9 are trying to do? Also, some of the criticism was
10 increased focus on end-product testing to db
11 quality, that is, moving away from building quality
12 in the paradigm; and new technological solutions
13 ignored. Those are sort of the comments.

14 I just want to sort of address that. Keep
15 in mind that the PQRI working group was asked to
16 focus on the existing problem within the confines
17 of the draft ANDA guidance. So, since they did not
18 cover that, they were not asked to cover that and,
19 therefore, we did not want to bring those comments
20 into our evaluation.

21 [Slide]

22 But I do want to address a potential
23 perception of a dichotomy between what we are
24 trying to do here with the stratified sampling and
25 the PAT. I do not see that as a dichotomy. So,

1 let me explain that.

2 We are in the current situation of
3 univariate testing to document the quality
4 approach. That is reality; that is today. We are
5 using traditional methods and the current PQRI
6 proposal and draft guidance will be in line with
7 that. At the same time, I think we will offer in
8 the draft guidance some opportunities to bring in
9 at-line methods which could be very rapid and the
10 draft guidance may include information on the use
11 of NIR methods itself.

12 But under the PAT scenario where we will
13 move towards a different paradigm, where you have
14 multivariate quality by design approach, where
15 somebody could have on- and/or at-line testing
16 methods for all critical components and processes,
17 where you are looking at homogeneity with respect
18 to drug as well as all critical components,
19 excipients and so forth, that is a high level. So,
20 we are not requiring that because that system is
21 adequate for intended use. But if somebody goes to
22 that, the PAT guidance will allow that to happen.
23 Then the question comes why would anybody do that?
24 What is the incentive?

25 I think the incentive would be what we

1 have heard from many companies, to do the right
2 thing. For first-time manufacturing it makes
3 business sense. It makes all sorts of sense from
4 an efficiency perspective. But also from a
5 regulatory perspective there is another set of
6 incentives that come through. It is the risk
7 itself because now you have focused attention on
8 the entire system and you are better able to
9 control that. So, you have a lower risk leading to
10 a lower regulatory concern. So, that is the added
11 incentive that sort of can come through this
12 process.

13 [Slide]

14 So, the new technology solutions and the
15 PAT, just to sort of wrap up my thoughts on that,
16 the draft guidance may include information on the
17 use of NIR methods. I am not promising that but we
18 will try to do that. The PQRI blend uniformity new
19 technology group has already proposed validation
20 criteria for NIR and it will be published as a USP
21 PF article so that already is a source of
22 information, plus there are other excellent
23 monographs on NIR validation, and we have our own
24 laboratory experience with NIR and NIR imaging
25 methods so we are in a good position to sort of

1 give some guidance on how one would do this
2 at-line.

3 The proposed PAT guidance will further
4 elaborate on how to introduce new technologies to
5 improve process understanding and efficiency. So,
6 it is win-win and we are moving in a step by step
7 fashion.

8 [Slide]

9 I will just sort of share some data with
10 you. Here is our most recent publication that is
11 on the web site of AAPS PharmSciTech. This was
12 done in our lab by Rob Lyon and others where we
13 looked at near-infrared spectral imaging for
14 quality assurance of pharmacology products,
15 focusing on analysis of tablets to assess powder
16 blend uniformity. Here you can do this in a matter
17 of seconds, and the issue of sample size and so
18 forth is not an issue. Although the challenge here
19 that we are facing is the scale of scrutiny, it is
20 a fraction of a tablet so it is far more sensitive.

21 So here are four examples of commercial
22 blend of flurosemide tablets versus experimental
23 blends with various degrees of blend homogeneity
24 and you can see how easily one can pick this up.
25 So, there is still some work that needs to be done

1 with respect to acceptance criteria but the
2 technology is there.

3 [Slide]

4 With the PAT concept, focusing on
5 multivariate, I do want to sort of address the
6 issue of dissolution. When we focus only on the
7 drug there are many circumstances where there is a
8 risk of non-homogeneity with respect to other
9 components. For example, here is a case study on
10 what happens when you don't have adequacy or
11 uniformity of mix with respect to magnesium
12 stearate. Here dissolution failures occur at the
13 early part of the run and the later part of the
14 run. So, the stratified sampling plan for
15 dissolution is a question but, at the same time, I
16 think with the PAT we can address all these issues.

17 [Slide]

18 Just to illustrate that point further,
19 here is an excellent example from Pfizer presented
20 at our PAT subcommittee. If you look at the
21 control blend, and the focus is on the green spots,
22 and look at the problem blend, look at the green
23 spots, control blend had normal resolution; poor
24 blend had slow resolution. Matrix level
25 differences relate to distribution and particle

1 size of disintegrant within that blend. And, blend
2 can lead to dissolution challenges too because of
3 non-homogeneity of the excipients.

4 [Slide]

5 So, sort of in a continuum, I think the
6 PQRI proposal is acceptable. It is a step above
7 the current USP requirements, and it is an
8 improvement in terms of focusing on the stratified
9 scheme to making the sampling more representative.
10 That sort of covers one aspect.

11 In the future new technology will further
12 help to improve but, as we have said already, PAT
13 and new technology are not requirements. These are
14 options available for companies which can do this.
15 So, with that I will stop. The USP content
16 uniformity is just for your information so that you
17 know what all that is.

18 DR. LEE: Thank you very much. Any
19 questions for Ajaz? Yes, Marv?

20 DR. MEYER: This is a somewhat political
21 question I guess. Some people accuse the agency of
22 implementing guidances while they are still in
23 draft form. I notice on page five, under "next
24 steps," you have draft guidance training of FDA
25 staff and then final guidance. Are you training

1 these people to implement the draft guidance?

2 DR. HUSSAIN: What we do is when we are
3 ready to have a final guidance ready to go out, we
4 train on that. Actually, the training just before
5 the final should help us to fine-tune that. That
6 has been our way of sort of making sure the final
7 guidance has captured every part. It is done at a
8 later point when we are ready to issue the final
9 guidance.

10 DR. LEE: Art?

11 DR. KIBBE: When you are talking about the
12 number of times you sample throughout the process,
13 you are saying you are going to sample at 20
14 different places unless you have a low percent RSD
15 and then you will sample at 10 different places?
16 Is that right?

17 DR. HUSSAIN: No, the 20 locations are for
18 the validation run. So, for the validation
19 experiment essentially you have three samples
20 collected at 20 different locations so you have a
21 total of 60 units being analyzed. In routine
22 production if you have classified your powder blend
23 as readily complying, having less than four percent
24 RSD during the validation, then you take 10 tablets
25 from 10 different locations. Although you will

1 take three tablets from 10 locations you will
2 analyze only one each from different locations. If
3 you don't meet the marginally complying or if you
4 are marginally complying to that, then you will
5 analyze 30 tablets from 10 locations.

6 DR. KIBBE: I just got lost on your
7 numbers.

8 DR. HUSSAIN: During routine production
9 the number of locations is 10.

10 DR. KIBBE: So, 10 times during the tablet
11 run.

12 DR. HUSSAIN: Right.

13 DR. KIBBE: And how many tablets at each?

14 DR. HUSSAIN: Stage 1 would be one from
15 each location, so 10 total. Stage 2 would be three
16 from each location, so that would be 30 total
17 during routine production.

18 DR. KIBBE: And we expect to be able to
19 get statistically significant understanding of the
20 first million tablets by looking at one tablet?
21 Right?

22 DR. HUSSAIN: As I said, the question is,
23 is it representative. Unfortunately, if you look
24 at the current standards, these are minimal
25 standards. These are the minimal standards of

1 today so tomorrow you can have a better system with
2 PAT. So if you want to go for lower risk, go to
3 PAT.

4 DR. MOYE: Can I follow-up on that?

5 DR. LEE: Sure.

6 DR. MOYE: There are standards for that
7 methodology that have been available now for about
8 forty years on determining the appropriate sample
9 size for the given background rate, if you will. I
10 take it that has not been implemented here?

11 DR. HUSSAIN: It is a loaded question and
12 the answer to that is two-fold. One is the GMP
13 process essentially is a process that focuses on
14 building quality in. So, the combination of all
15 the GMP requirements of documentation, checking and
16 so forth, and all that, allows one to use USP type
17 standards to release and that is the logic that the
18 current system works under.

19 The sample has to be representative and
20 GMP plus the USP type is sort of the minimum
21 standard that we use today. A statistically based
22 sampling scheme I think is what we started from
23 years ago, in the 1950s is when that came about.
24 Then, we have the current system of GMP plus
25 compendium standards as being the minimal

1 standards.

2 DR. MOYE: Okay, that is where we have
3 been but where are we going? Let me ask you
4 formally, do you anticipate at some point in the
5 foreseeable future being able to implement more
6 standard methodology into this process, into the
7 sampling process?

8 DR. HUSSAIN: Well, I think there are two
9 scenarios. Definitely, with the PAT we are moving
10 in that direction. Just to share the example
11 Pfizer shared with us at the science board, and so
12 forth, our current standards are what we call zero
13 tolerance standards. If you look at the USP, at
14 stage 2 no tablet should be outside 75-125 labeled
15 amount, and the RSD that we accept is about 7.6
16 percent. If you know it is a normal distribution,
17 you know there are several units outside that
18 75-125. It is simply a matter of chance whether
19 you find that unit and reject that lot or you
20 don't. So, unfortunately, the current standard
21 that we have does not fully take into consideration
22 the underlying statistical principles.

23 DR. MOYE: Well, what do we do about that?
24 How do we agree that it doesn't? What happens
25 next?

1 DR. HUSSAIN: It has been the standard for
2 years so what we are trying to do is help improve
3 that in a step by step fashion, bringing more
4 science into it.

5 DR. MOYE: Then, just to push you, what is
6 the next step here? I mean, now we are talking
7 about sampling, if I understand right, one or two
8 tablets per million.

9 DR. HUSSAIN: It could be that.

10 DR. MOYE: Okay, so what then specifically
11 is our next step?

12 DR. CHIU: Right now the USP sampling plan
13 is that you take 10 tablets from a million tablets
14 of a batch, regardless where you pick them. The
15 new proposal, the stratified methodology, is that
16 you will have to identify during the validation of
17 these 20 locations which are critical. So, those
18 are the locations which may have deviations because
19 of blending. So, therefore, one way you look at it
20 is that during the blending validation you identify
21 the critical points. Then for product, at release,
22 you also identify these 10 critical locations.

23 Right now we know the initial location and
24 at the end of the batch would be most vulnerable to
25 be outside the limits. So, that would be

1 definitely picked up. The rest of the locations
2 will be based on manufacturing to identify other
3 critical locations. So, those 10 tablets will be
4 much more representative of a batch so you can
5 catch your deviation easily. That would all be
6 performed, you know, during the validation period.
7 So, I think this proposal is a much better way to
8 assure product quality and it is an improvement.
9 It is not perfect. If you want to do statistics on
10 a minimum batch you probably need more than a
11 thousand tablets to be tested. So, our idea is
12 that you have process control and you have release
13 testing and the testing has to be more
14 representative per batch.

15 DR. LAYLOFF: Let me comment--

16 DR. HUSSAIN: No, let me answer that. The
17 answer I think is simply this, the testing is only
18 one small part of the system. I mean, I think you
19 have to look at it in that perspective because the
20 GMP requirements require you to qualify every step
21 of the way and you are monitoring every step. So,
22 this is one small part of the entire quality
23 system. Can the sampling be improved? Definitely.
24 But for an entire systems approach, you have to
25 look at it from that perspective because you have a

1 validated batch and then you have minimal testing
2 to essentially ensure that the validation worked
3 every time. So, it is a gross failure test from
4 one perspective.

5 DR. LEE: Tom?

6 DR. LAYLOFF: Yes, I was going to comment.
7 I think we have lived with the statistical
8 absurdity of assuming that the batch is a normal
9 distribution and that a few tablets are
10 representative of this normal distribution.
11 However, I went through probably the content
12 uniformity on 20,000 batches that we had analyzed
13 in our laboratory and it is absolutely startling
14 that it works. I mean, we don't find the failures
15 there. I have actually taken cases where I had my
16 laboratory with automated analysis run 600 tablets
17 out of a batch and I think the controls, the GMP
18 controls are what makes it work because it is
19 statistically absurd.

20 DR. MOYE: I guess if you have a problem
21 that is hyper prevalent, then I imagine that this
22 small sample might be of some benefit and I would
23 agree that sampling four out of a million is better
24 than sampling two out of a million, but I don't
25 think it is very much better. But if you have a

1 problem that is not so hyper prevalent then, of
2 course, this is going to fail. If I understand you
3 right, you are telling me that there are additional
4 steps or assurances that you take and that it is
5 inappropriate maybe to make too big of an issue
6 about the statistical aspect of sampling because
7 anything that this inadequate step procedure
8 misses, the other fielder will catch. Is that
9 right?

10 DR. HUSSAIN: If you take a systems
11 approach to that in the sense of raw material
12 qualification with documenting that, rechecking
13 that, every step is sort of followed and documented
14 and signed by two people. So, that is the system.
15 The redundancies that are built in, in many ways
16 end-product testing, if you have built quality in,
17 is redundant to start with. So.

18 DR. LEE: I want to suggest that you two
19 go for lunch, get together at lunch. I think from
20 a statistical point of view it doesn't make sense.
21 Is that right? But, yet, in practice it seems to
22 work and I think that perhaps for products of high
23 quality it really doesn't matter. It reminds me of
24 getting speeding tickets. Hundreds of people get
25 speeding tickets. But let me turn to Toby.

1 DR. MOYE: In Houston more than one or two
2 per million get speeding tickets!

3 [Laughter]

4 DR. MASSA: I think we have struggled with
5 exactly the issue that you are talking about and
6 Ajaz' point. I think none of us agrees that--you
7 know, regardless of what sampling plan you use, I
8 think we all agree that the rationale of sampling
9 from such a large batch was something that we all
10 questioned. I think where we will feel comfortable
11 and where we do take comfort in the current
12 situation is that most of us work toward building
13 quality into the manufacturing process, not testing
14 it in as a result of either end-product or blend
15 uniformity testing. We look at critical process
16 parameters and we know that when you add a drug to
17 a blend you have gone through great pains in
18 development and validation to look at critical
19 parameters like mixing speed and mixing time to
20 know when you have achieved homogeneity of the mix.

21 Granted, some of the issues we have
22 identified as a result of that process point to the
23 fact that even though you may have achieved
24 homogeneity at the time of blending, sometimes you
25 get post blend transformations that cause you to

1 want to look at the end-product. In parallel with
2 our effort of looking at end-product testing, we
3 spent a lot of time in our analytical technologies
4 group putting a proposal together to USP on NIR
5 testing of the blend because we think testing of
6 the blend using NIR is probably a more viable
7 alternative to the end-product testing because it
8 is looking at a critical process parameter rather
9 than looking at an end product.

10 I also think that, on Ajaz' point, we will
11 all be very happy when we can all do content
12 uniformity testing on every tablet going through a
13 line. I don't know when that is going to happen
14 and when that technology is going to be
15 commercially feasible, but we have talked about
16 that. As we do that, we are going to need a
17 different regulatory paradigm because you are going
18 to be testing every tablet in a batch. You are not
19 going to test 10 tablets or 30 tablets, and they
20 are not all going to pass.

21 To your point, we may find that, you know,
22 out of a batch of five or ten million tablets that
23 we may have 10,000 tablets that we identify as we
24 go through testing every tablet. That doesn't mean
25 that the rest of that batch is bad as long as we

1 can figure out where to segregate those failing
2 tablets. I don't think that is too far in the
3 future. I think the efforts that we are working on
4 for PAT and the GMP initiative will ultimately get
5 us there so that we won't have to worry about
6 statistical sampling.

7 DR. GARCIA: Toby, this is Tom, Tom
8 Garcia.

9 DR. LEE: Yes, Tom, could you speak louder
10 please?

11 DR. GARCIA: Sure. The blend uniformity
12 working group, when we devised our sampling scheme,
13 we used a lot of operating characteristic curves
14 and we specifically tested the number of tablets
15 tested per location. What we demonstrated is that
16 by increasing above the curve the numbers that are
17 in the recommendation for both validation and
18 routine testing we really didn't gain a lot of
19 increased power in discriminating. For example, if
20 you see ROC curves in the recommendation, each one
21 of those points is a result of taking 5000
22 simulated samples from a batch of known standard
23 deviations and in each one of those you could see
24 that as we increased the higher numbers of samples,
25 there isn't a whole lot of difference in the

1 discriminating power of the curves. So, that is a
2 strong argument for the question on the sample
3 number.

4 The second point I would like to make is
5 that the group felt that it is more important how
6 and where you take the tablets or the capsules in
7 the batch rather than the number that you take.
8 Right now we are just looking at random samples.
9 For example, we take 30 tablets and subject them to
10 USP testing. With the proposal that we are putting
11 forth we are specifically targeting problematic
12 areas in the beginning of the batch, end of the
13 batch and during bin changeovers. So, you can see
14 that if there is a problem with a batch we are a
15 lot more likely to pick that up, even with the
16 number that we are taking, than if we continue with
17 random sampling. That is all I have.

18 DR. LEE: Thank you. Art?

19 DR. KIBBE: I think that statistically
20 speaking the way we end-stage test is like the
21 "emperor's new clothes." We think we have
22 something that makes sure that our batch is good
23 and all the product we put out is good, but it
24 really is ghosts and mirrors. There is no way of
25 statistically proving that. However, that evolved

1 over at least as long as I have been around. The
2 beginnings of this all started with equipment
3 was--you know, if you could get 10,000 tablets out
4 in an hour you were lucky, and now we are at a
5 completely different stage.

6 What has happened industrially is that the
7 evolution of the method of getting to the point
8 where we now turn the tablet machine on has gotten
9 tighter and better, and what we are really
10 depending on is the process and not the end-stage
11 test. The end-stage test is kind of like Linus'
12 blanket. It makes Linus feel good but it is not
13 really solving his problems. The sooner we can get
14 to the described situation where we actually are
15 running each tablet through NIR and looking at the
16 uniformity on the surface of the tablet as an
17 indicator of what the tablet looks like, and the
18 sooner we get in-process controls that we are
19 really happy with, the better off we are going to
20 be in the long run. I am just happy that we are
21 moving in that direction.

22 DR. LEE: Very well. Thank you very much,
23 Ajaz.

24 DR. LAYLOFF: Could I make a comment also?

25 DR. LEE: Brief.

1 DR. LAYLOFF: Brief, okay. I was back on
2 the ground floor of content uniformity when we were
3 doing digoxin and developed the single tablet
4 method instead of averaging 20 in a mortar and
5 pestle, and we found tablets that ranged from
6 50-300 percent in the same bottle.

7 Now, one of the things that you see with
8 this variance level is that there is an analytical
9 variance that is coming in there also. The HPLC
10 procedures themselves will run about one percent on
11 consecutive injections. However, you are talking
12 about a sample workup there also. So, you are
13 looking at about 2.5 percent CV for the identical
14 amount of material for an analyst taking it from
15 the beginning to the end so you are looking at an
16 aggregate response. Content uniformity was a very
17 big issue and it has been very well addressed.
18 That is why I did about 20,000 batches to look at
19 it.

20 DR. LEE: Thank you very much. The next
21 item on the agenda is open public hearing. There
22 was one person expressing interest to do so but he
23 could not make it. That means that there is no
24 open public hearing for this session. I propose
25 that we adjourn for lunch but because in the

1 afternoon we have a couple of phone-ins we cannot
2 be one hour ahead of schedule. Let's say that we
3 come back here at 1:30 and I suggest that the
4 committee members study the background about the
5 issue to be discussed, polymorphism, over lunch.
6 Thank you.

7 [Whereupon, at 11:50 a.m., the proceedings
8 were recessed for lunch, to reconvene at
9 1:30 p.m.]

1 A F T E R N O O N P R O C E E D I N G S

2 DR. LEE: The topic this afternoon is
3 regulatory issues related to crystal habits,
4 polymorphism. The committee is well rested and
5 ready to go, and Gary Buehler is going to introduce
6 the topic for us.

7 **Regulatory Issues Related to Crystal Habits**8 **- Polymorphism Introduction**

9 DR. BUEHLER: Thanks, Dr. Lee and thanks
10 to the committee for inviting me to introduce this
11 very important topic to the Office of Generic
12 Drugs. I am Gary Buehler. I am the director of
13 the Office of Generic Drugs.

14 [Slide]

15 The topic this afternoon is regulatory
16 issues related to the crystal habits or
17 polymorphism in ANDAs. I will give a short, brief
18 introduction and, believe me, mine will be the
19 least scientific of the presentations. Then
20 Lawrence Yu will present scientific considerations
21 of polymorphism in ANDAs. Our expert comments will
22 consist of Ken Morris, from Purdue University, and
23 Leslie Benet, on the phone, from the University of
24 California. Dr. Harry Brittain wasn't able to be
25 with us this afternoon so he will not be making an

1 address.

2 [Slide]

3 The title of my presentation is
4 polymorphs--what's the problem? Over the past year
5 or two we have asked this question a number of
6 times to the advisory committee to address the
7 polymorph issue. I know some of you have wondered
8 why we are spending this much time on polymorphs;
9 it seems like a simple issue to you folks. You are
10 scientists; you understand it. I am sort of a
11 quasi-scientist. I am a pharmacist; I am not a
12 Ph.D. I have had difficulty in understanding this
13 topic and people have explained it to me a number
14 of times and it is my unfortunate position to have
15 to explain this topic to lawyers many times because
16 the polymorph issue often sort of flows over into
17 the legal arena and we have to explain the issue to
18 our lawyers. That is why somehow I have to figure
19 it out and I have to have a fairly simple
20 explanation of it.

21 [Slide]

22 I tell our lawyers that polymorphs are the
23 same but maybe they are different. I say, you
24 know, just take it from there. They just look at
25 me with sort of a funny look on their face and they

1 say, "how can something be the same but, yet, be
2 different?" I say, "well, the same crystal
3 structure; different form. They look different but
4 they are the same." So, they say, "continue."

5 [Slide]

6 So Lawrence gave me this example, diamonds
7 and coal. Diamonds and coal are obviously very
8 different looking but they are both carbon. Take
9 it one step further and we talk about coal in an
10 ANDA. Is coal bioequivalent to a diamond? I don't
11 think we will ever find that out. Does coal
12 exhibit the same identity, strength, purity,
13 quality and stability? Again, we probably will
14 never find that out. But I think everyone in the
15 room agrees coal and diamond are different.

16 [Slide]

17 Let's take one a little bit easier to
18 understand and a little bit easier to apply to
19 pharmaceutical formulations, crystalline sugar and
20 powdered sugar. I don't know how many of you out
21 there are bakers but you know that we can't
22 substitute crystalline sugar for powdered sugar in
23 many recipes that we use. They are both sugar and
24 if we put them in water they both dissolve and they
25 both will make our coffee sweet. But if you look

1 at a box of crystalline sugar and a box of powdered
2 sugar, pound for pound the crystalline sugar box
3 will be twice as big. Two pounds of crystalline
4 sugar equal about one pound of powdered sugar in
5 bulk. When we dissolve them we probably could make
6 a bioequivalent formulation but there would be some
7 formulations that probably wouldn't be
8 bioequivalent, depending on how the product was
9 formulated.

10 I use this example for our lawyers and
11 they actually seem to get it a little bit; the
12 light goes on a little bit. They all recognize
13 crystalline sugar and powdered sugar; they have all
14 seen it and they all recognize it as being quite
15 different looking, and they will recognize that it
16 is all sugar.

17 [Slide]

18 The 314.94(a)(5), which is an ANDA
19 regulation, states the active ingredient in an ANDA
20 is the same as that of the reference listed drug.
21 All ANDAs have a reference listed drug that is the
22 innovator product, and the active ingredient in an
23 ANDA product must be the same.

24 [Slide]

25 What is the "same"? Our regulation

1 preamble clarifies the definition of "same" to meet
2 the same standards for identity as described in the
3 USP. In some cases, however, FDA may prescribe
4 additional standards such as crystalline structure
5 and stereoisomeric mixture. If you have any
6 questions as to what is the same and what isn't the
7 same, you are directed to call the Office of
8 Generic Drugs.

9 [Slide]

10 What is polymorphism? Different physical
11 forms of the same chemical structure. This is a
12 very simple definition. This is my definition that
13 I use for the lawyers. Lawrence will give a
14 definition that I believe will occupy three or four
15 slides. But basically this is it. Different
16 polymorphs may exhibit different properties,
17 including stability, very importantly stability,
18 and bioavailability. This is the critical
19 consideration for ANDAs.

20 [Slide]

21 With modern technology, the identification
22 of multiple polymorphs has become easier. Some
23 people have made actual science out of identifying
24 polymorphs for drug products. Because of their
25 unacceptable properties however, the majority of

1 these polymorphs have little utility and cannot be
2 developed into quality products.

3 [Slide]

4 Let's go into a little history of what the
5 problem is for the Office of Generic Drugs. Again,
6 the problem overflows into the legal arena. On
7 September 29, 2000 a citizen petition was filed by
8 Glaxo SmithKline for cefuroxime axetil, the
9 innovator product Ceftin. The petition requested
10 the FDA deny approval of any ANDA for cefuroxime
11 axetil whose active ingredient is wholly or
12 partially in a crystalline form. The innovator
13 product uses entirely the amorphous form for
14 cefuroxime axetil, or require stringent drug
15 substance and drug product specifications for solid
16 state form, including the content of the individual
17 polymorphs.

18 [Slide]

19 There was also a USP monograph petition
20 because the USP monograph at that time specified
21 that the polymorphic form of cefuroxime axetil be
22 the amorphous form. We met with USP on the
23 monograph issue and we met numerous, and I do mean
24 numerous times with the lawyers in drafting a
25 37-page response that detailed our scientific

1 position on polymorphs. This response is in the
2 public record. I believe it has also been provided
3 to the advisory committee as background information
4 on a couple of occasions.

5 [Slide]

6 Another fairly important drug is
7 omeprazole. About four months before the pediatric
8 exclusivity for Prilosec was due to expire we were
9 informed of a possible polymorphic issue. I really
10 can't give a whole lot of information on this
11 particular issue because although it was made
12 public to the various generic applicants, it was
13 not made public to the general public. But after
14 significant review of the available data, and again
15 many meetings with both the review division who did
16 the initial review on Prilosec, the Office of
17 Generic Drugs and our Office of Chief Counsel, the
18 issue was addressed.

19 [Slide]

20 Lastly, fluoxetine; this is Prozac. On
21 July 18, 2001, about two weeks before the pediatric
22 exclusivity for Prozac was due to expire, we were
23 informed that aaiPhARMA of North Carolina held a
24 patent on one polymorphic form of fluoxetine. They
25 asserted that their patent claimed the drug product

1 or method of using Prozac and should be listed in
2 FDA's Orange Book. However, only the NDA sponsor
3 is authorized to request a patent listing in the
4 Orange Book and aaiPhARMA was informed of that so
5 they, therefore, requested Eli Lilly, the NDA
6 applicant, to list this particular patent in the
7 Orange Book.

8 [Slide]

9 Eli Lilly informed aaiPhARMA that they did
10 not plan on listing the patent in the Orange Book
11 because they did not believe that the polymorphic
12 form claimed the approved drug product. aaiPhARMA
13 appealed back to the FDA and FDA went back to Lilly
14 and said will you reaffirm that this patent will
15 not be listed in the Orange Book?

16 Understand the significance of the listing
17 of the patent into the Orange Book. If this patent
18 were listed in the Orange Book the pending ANDA
19 applicants for any pending ANDA for fluoxetine at
20 that time, and there were 20-plus applicants, would
21 have to certify to this particular patent as to
22 whether they infringed it or they did not infringe
23 it. The certification usually is in the form of
24 what we call paragraph 4 certification which
25 challenges the particular patent. In doing so,

1 they would give either the patent holder or the NDA
2 holder an opportunity to sue them. There would be
3 a 45-day waiting period that would ensue
4 immediately and during that period the innovator
5 company or patent holder could sue each ANDA
6 applicant, and that would trigger a 30-month stay
7 of approval and the Office of Generic Drugs would
8 not be able to approve any fluoxetine products
9 during that 30-month period.

10 So, that is the legal significance of this
11 polymorph issue. In this particular case, Eli
12 Lilly replied back to the FDA that it was not
13 listing the patent. Therefore, it kept the door
14 open for the approval of the ANDAs for fluoxetine
15 and, in fact, on August 2, I believe, the first
16 ANDAs for fluoxetine were approved. Those were the
17 ANDAs that had 180-day exclusivity. Then the
18 subsequent January, about 20-plus additional ANDAs
19 were approved for fluoxetine. There are quite a
20 few of them now.

21 [Slide]

22 aaiPhARMA then asked FDA to list the
23 patent. aaiPhARMA was not giving up. They asked
24 the FDA if Lilly wouldn't list the patent, they
25 wanted us to list the patent. But we replied that

1 only the NDA applicant can list the patent in the
2 Orange Book. aaiPhARMA sued us. Well, we are
3 being used to being sued. We get sued pretty
4 regularly, and this was another one. We were sued
5 in North Carolina I believe--I think it was in
6 Richmond. Eventually, to make a long story short,
7 aaiPhARMA lost the lawsuit and they also lost the
8 appeal. The lawsuit was not whether their patent
9 should be in the Orange Book; the lawsuit was
10 whether they could list the patent, they, the
11 patent holder could list it and not only the NDA
12 holder. The court affirmed that our regulations
13 state clearly the NDA holder is the only one that
14 can list the patent. FDA cannot do it and the
15 patent holder cannot do it.

16 These three cases just portray the
17 problems that we have encountered in the Office of
18 Generic Drugs over polymorphs. It is a simple
19 scientific issue, we believe, and can be explained
20 in fairly simple scientific terms, but as it
21 overflows more and more into the legal arena, it
22 becomes more and more complicated for the Office of
23 Generic Drugs.

24 [Slide]

25 In summary, an ANDA applicant is required

1 to demonstrate that their proposed product meets
2 the standards for identity, exhibits acceptable
3 stability, and is bioequivalent to the reference
4 listed drug. We believe that is the criteria for
5 polymorphs. We examine every ANDA through
6 bioequivalence testing, through the data that they
7 submit in the manufacturing and control section of
8 the ANDA, and make sure that each ANDA meets the
9 standards for identity and standards for
10 bioequivalence, and we believe that that is the
11 criteria for polymorphs. Thank you. Questions for
12 me?

13 DR. LEE: Questions? I don't hear any.
14 Thank you. I understand that Dr. Nair Rodriguez is
15 on the phone.

16 DR. RODRIGUEZ-HORNEDO: Yes, I am on the
17 phone. Can you hear me?

18 DR. LEE: I don't think we can hear you
19 very well.

DR. RODRIGUEZ-HORNEDO:
20 Well, I can hear you and I have no questions right
21 now.

22 DR. LEE: Can you hear me?

23 DR. RODRIGUEZ-HORNEDO: Yes.

24 DR. LEE: Good. If you have questions,
25 just shout please. Welcome to the committee.

1 DR. RODRIGUEZ-HORNEDO: Thank you.

2 DR. LEE: Les Benet, are you on? It is
3 past 1:30 already. Les, are you there? I guess
4 not. Les will make a grand entrance.

5 [Laughter]

6 Lawrence, if the worst comes to worst you
7 will need to repeat what you said.

8 **Scientific Considerations of Pharmaceutical**
9 **Solid Polymorphism**

10 DR. YU: That is fine.

11 [Slide]

12 Good afternoon. Distinguished chair and
13 members of the FDA Advisory Committee for
14 Pharmaceutical Science, my FDA colleagues and
15 distinguished guests, it is my pleasure and
16 privilege this afternoon to discuss with you
17 scientific considerations of polymorphism and
18 ANDAs.

19 [Slide]

20 During my presentation I will try to
21 address three questions. What is polymorphism?
22 How does polymorphism affect pharmaceutical
23 properties of drugs? To what extent should
24 scientific considerations be given to polymorphism
25 in ANDAs?

1 [Slide]

2 This is basically a sketch to
3 differentiate habits, internal structures,
4 crystalline forms, amorphous forms, as well as the
5 hydrate forms. As you can see here, the compound
6 could have a difference in terms of external habits
7 and internal structure. Crystalline habit is
8 defined as altered appearance of a crystal. If you
9 go to the Smithsonian Museum you can see a variety
10 of forms of altered appearance or in scientific
11 terms crystal habits.

12 You could have different internal
13 structures. Here we show a crystalline or
14 amorphous. The definition of crystal is uniform
15 arrangement of atoms or molecules, while the
16 amorphous form is defined as ununiform or
17 disordered arrangement of molecules or atoms, as
18 you can see here.

19 For crystalline forms you could have two
20 single molecules or you could have what we call
21 molecule adducts. For single molecules and many,
22 many other things the academic definition we call
23 polymorphs. In other words, all kinds of crystal
24 forms consist only--only--in the drug substance or
25 active pharmaceutical ingredients. Otherwise we

1 call it molecular adducts, which could be
2 stoichiometric or nonstoichiometric. If it is
3 stoichiometric you have a fixed ratio of compounds
4 to the solvates. If the solvate is water, we call
5 it hydrate; otherwise we call it solvate. There is
6 a fixed ratio of drug molecules to solvates. If
7 there is no fixed ratio we call them
8 nonstoichiometrics. You could have a channel; you
9 could have a layer or you could have the cage,
10 which is really quite unusual for us to see in the
11 pharmaceutical field. As I said, for an academic
12 definition, sometimes polymorphs refer to all kinds
13 of crystals of a single or pure drug substance, as
14 shown here.

15 Therefore, the ICH Q6A definition of
16 polymorph is basically including crystalline forms,
17 amorphous forms, solvates and hydrates. That is
18 the regulatory definition of polymorphism, as you
19 can see here. The ICH Q6A definition, again,
20 includes crystal forms, amorphous, solvates and
21 hydrates.

22 [Slide]

23 There is a variety of methods available to
24 categorize the polymorphic forms of drug
25 substances. A few are here, crystallography or

1 x-ray pattern diffraction; microscopy; thermal
2 analysis or DSC and TGA; apparent solubility;
3 intrinsic dissolution; infrared absorption or Raman
4 spectroscopy; and finally solid-state nuclear
5 magnetic resonance.

6 Although there are all kinds of methods
7 available to characterize the crystallography or
8 the form of drug substance, the key method to
9 differentiate the polymorphism is non-equivalent
10 crystal structure--non-equivalent crystal
11 structure. This is a definitive term existing of
12 polymorphic forms. The other methods are what we
13 call supporting resources. If the supporting
14 resource is validated with crystallographic method,
15 certainly this method can be utilized to
16 differentiate the polymorphic forms or polymorphs
17 of the drug substance. So, once again, the
18 existence of polymorphic form is non-equivalent
19 with crystal structure, for example, non-equivalent
20 x-ray diffraction patterns. Other methods are
21 supportive.

22 [Slide]

23 All kinds of physical chemical properties
24 can be affected by polymorphs. What is relevant to
25 the pharmaceutical properties here is the melting

1 point; hygroscopicity; chemical and physical
2 stability; apparent solubility and dissolution;
3 bioavailability and bioequivalence and, finally,
4 manufacturability.

5 Although all these properties could
6 potentially affect the polymorphic form, they do
7 not always. In other words, if you see different
8 polymorphic forms and you say you can impact
9 different bioavailability, this is not true. It
10 could potentially impact bioavailability but not
11 always. Not always. I will try to use the same
12 example to show you how the polymorphic forms
13 potentially affect these properties listed in this
14 slide.

15 [Slide]

16 First there is the melting point. About
17 ten years ago when I was working in the laboratory
18 on fluoroquinolone, we received a start form of
19 this specific quinoline. Actually, this start form
20 is very, very hygroscopic. In fact, if you take a
21 few grams out and expose it to the air, a few
22 minutes later, five minutes or so, the solid form
23 becomes liquid. It is totally liquified. It is so
24 hygroscopic that it is impossible to work with.
25 So, you go through the soft form selection as well

1 as what we call polymorphic form selection.

2 Certainly as a scientist you have a
3 microscope in the lab and the first thing you want
4 to look at is what kinds of crystal form does soft
5 form have. In this case we will also certainly
6 increase the temperature. As you can see under
7 (a), when the temperature increased about 142
8 degrees the polymorphic form, in this case solid,
9 is melted, liquified and recrystallized. It gives
10 you a very beautiful needle-like picture. When the
11 temperature continues to increase to about 168,
12 form II here, it is again melted, liquified and
13 recrystallized. The melting point of form III is
14 about 202 degrees of C after that and when the
15 temperature increased beyond this, this basically
16 is a form III, melted and degraded.

17 So, if you started with a polymorph (a)
18 you can see three peaks. You can see polymorphic
19 I, polymorphic II and polymorphic III. However, if
20 you look at (b), if you start with polymorphic (b)
21 you do not see peaks in polymorphic I and
22 polymorphic II. This is polymorphic I, this is a
23 II and this is a III.

24 [Slide]

25 As we can see, definitely the polymorphic

1 forms affect the melting point. This is how the
2 polymorphic form affects the hygroscopicity. You
3 can see here form I and form III. Form III is much
4 less hygroscopic than form I, picking up 4.5
5 percent moisture from the humidity from 0.1 to
6 about 80, while form III only picks up about 0.5 or
7 less percentage of moisture. That shows that the
8 polymorphic forms or polymorphism will affect the
9 hygroscopicity of the drug substance.

10 [Slide]

11 This is solubility. As you can see,
12 polymorphism certainly affects solubility
13 tremendously. The more stable the polymorph is,
14 usually it is less soluble. This shows here that
15 form III is much, much less, at least 30-fold less
16 soluble than form I.

17 [Slide]

18 Having said that, in order to show the
19 polymorphic form effect on bioavailability I will
20 have to pick up a poorly soluble drug because
21 highly soluble drugs are all highly different
22 solubility but they don't necessarily translate a
23 difference in bioavailability. So, the drug I
24 picked up in this case is a carbamazepine, which is
25 well familiar to you I am sure. With this

1 carbamazepine you have a form I, form II and
2 dihydrate form. This is basically an intrinsic
3 dissolution experiment. As you can see here, form
4 I has a much higher intrinsic dissolution than the
5 dihydrate form and is higher than form II. Form II
6 has a much higher dissolution rate than the
7 dihydrate form.

8 [Slide]

9 How does this translate into the
10 bioavailability? As you can see here, this is
11 bioavailability conducted by comparing a solution
12 versus form I and versus a dihydrate form. This is
13 a suspension so you don't have exclude the
14 potential effect of formulation. As you can see
15 here, the solution is much more bioavailable with a
16 much higher absorption compared to form I and
17 compared to the dihydrate form. As you can see
18 here, the dihydrate form has a Cmax value around 2,
19 while form I has a Cmax value about 3.5 while the
20 solution has a Cmax volume of 4.5. The same thing
21 is true with respect to absorption, what we call
22 the area under the curve or AUC. So in this
23 respect, for poorly soluble drugs the polymorphic
24 form does impact, does affect bioavailability under
25 the same formulation conditions.

1 [Slide]

2 Lastly, the polymorphic form will affect
3 manufacturability. With different polymorphic
4 forms different manufacturing processes maybe have
5 to be designed in order to manufacture quality
6 products. So a polymorphic form will affect
7 manufacturability. On the other side, the
8 manufacturing process could potentially result in
9 inter-conversions of polymorphic forms so we have
10 to be careful. For example, milling or
11 micronization, wet granulation or spray-drying,
12 those processes will potentially result in
13 polymorphic inter-conversion, for example, form I
14 could potentially change to form II. I say
15 potentially. It is most unlikely to happen but
16 sometimes it does happen.

17 [Slide]

18 With this introduction, I want to discuss
19 with you the decision tree developed for
20 polymorphism in ANDAs. The objective of the
21 decision tree is basically for evaluating when and
22 how polymorphs in a drug substance in ANDAs should
23 be monitored and controlled. Basically, during the
24 development of those decision trees we have to
25 consider two basic principles. One is ICH Q6A

1 decision trees on polymorphism. The second is the
2 biopharmaceutics classification system. The ICH
3 Q6A decision trees were introduced on May 9 at the
4 previous advisory committee meeting.

5 These decision trees basically apply for
6 the polymorphic screen of new drug applications,
7 not for abbreviated new drug applications. We also
8 introduced the concept of biopharmaceutics
9 classification system into the decision trees for
10 abbreviated new drug applications. So, before I
11 talk about those decision trees I want to talk
12 about this ICH Q6A very briefly and also spend
13 three slides on the biopharmaceutics classification
14 system.

15 [Slide]

16 This is basically an overview of the ICH
17 Q6A decision tree: investigating the need to set
18 acceptance criteria for polymorphism in drug
19 substances and drug products for new drug
20 applications. Again, this ICH Q6A is applied for
21 new drug applications. They consist of three
22 parts. Part one, do multiple polymorphic forms
23 exist? Therefore, new drug applications tend to
24 begin with polymorphic screening or what we call
25 diligent polymorphic screening.

1 Part two is routine polymorphic testing of
2 drug substances. "DS" stands for drug substance.
3 "DP" stands for drug product valuable. Part three
4 is routine polymorphic testing of drug products
5 valuable. So this is to see if there is a need to
6 set up acceptance criteria for drug substances or
7 drug products for new drug applications.

8 [Slide]

9 Now let me introduce very briefly
10 biopharmaceutics classification system concept,
11 which has been discussed many, many times at this
12 FDA advisory committee meetings, previous meetings.
13 As you can see here, when a solid dosage form, such
14 as a tablet or capsule, is given to a patient the
15 solid form tablet or capsule will disintegrate in
16 the stomach. Where the disintegration of the
17 tablet or solid dosage forms will occur, dissolved
18 and undissolved drug will be emptying from the
19 stomach to the small intestine where the solution
20 or disintegration continues to occur so the
21 dissolved drug will cross the intestinal membrane,
22 going through the liver and reach the systematic
23 circulation.

24 So, the processes involved in this
25 determines rate and extent of absorption including

1 gastric emptying, transit, dissolution, absorption
2 and metabolism. When we talk about the
3 bioequivalence studies, the factors involved in
4 dissolution and absorption have a potential effect
5 of products--gastric emptying, transit and
6 metabolism will be involved but most unlikely.
7 Because of that, we have a dissolution rate and we
8 have an absorption rate. The solution rate can be
9 expressed traditionally in equations as we have
10 here. We have D as the diffusion coefficient; S as
11 dissolution surface area; H as aqueous boundary
12 thickness; C as solubility and C₁ as concentration
13 in the dissolution media. Absorption rate as a
14 determining factor is the permeability. So for the
15 dissolution rate another big determining factor is
16 solubility. So, the key factors involved in limits
17 to the oral drug absorption here are solubility and
18 permeability--from solubility to permeability, two
19 key parameters.

20 [Slide]

21 So, basically this is how the BCS was
22 developed. The biopharmaceutics classification
23 system is a scientific framework for classifying
24 drugs based on their aqueous solubility and
25 intestinal permeability. When you have two

1 variables, each variable has two levels. You have
2 four classes, as shown here. Class I we call
3 highly permeable, highly soluble compound. Class
4 II is poorly soluble, highly permeable. Class III
5 is highly soluble, poorly permeable. Finally,
6 Class IV is poorly soluble and poorly permeable.
7 This has been a scientific investigation for the
8 last ten years.

9 [Slide]

10 The title of the guidance was waivers for
11 in vivo bioavailability and bioequivalence studies
12 for immediate release solid oral dosage forms based
13 on the biopharmaceutics classification system. The
14 guidance was mainly drafted by Dr. Ajaz Hussain,
15 who is sitting here. This guidance basically
16 correlates in vitro dissolution to in vivo
17 absorption. That is why, on this scientific
18 principle and knowledge, you can use in vitro
19 dissolution in in vivo studies.

20 [Slide]

21 Having said that, we come back to the
22 decision tree for polymorphic forms. Basically, we
23 have developed three decision trees for polymorphic
24 forms in abbreviated new drug applications.
25 Decision tree number one investigates the need to

1 set acceptance criteria of polymorphic forms. In
2 other words, we want a decision tree if there is a
3 need to set up acceptance criteria for drug
4 substances and drug products. If there is no need,
5 then there is no need for us to look at the
6 decision tree number two and decision tree number
7 three.

8 If there is a need in decision tree number
9 one, we come to decision tree number two. Decision
10 tree number two, instead of evaluating if it is
11 necessary to set acceptance criteria for a drug
12 substance, it tells you how to set basic acceptance
13 criteria for a drug substance.

14 Decision tree number three basically
15 illustrates if there is a need to set acceptance
16 criteria for drug products and if there is a need
17 how to set up acceptance criteria for drug
18 products.

19 [Slide]

20 Now let's go into detail one by one for
21 these three decision trees. That is the center for
22 our discussion today. Starting with the first
23 question, are there known polymorphs with different
24 apparent solubility? If the answer to this is no,
25 then basically no further testing of polymorphic

1 acceptance criteria for both drug substance and
2 drug product is necessary.

3 If the answer is yes, we come to the next
4 question, are the known polymorphs highly soluble?
5 In other words, are all these polymorphs highly
6 soluble? If this answer is yes, then you come to
7 the no further testing of polymorphic acceptance
8 criteria for drug substance and drug product. If
9 the answer is no, you go to decision tree number
10 two.

11 I spent three slides to introduce the
12 biopharmaceutics classification system. What this
13 means is I introduced the solubility classification
14 in order to answer this question. Are all known
15 polymorphic forms highly soluble based on the BCS
16 solubility criteria, classification criteria from
17 BCS classification system?

18 Let me explain, first, there are known
19 polymorphs with different apparent solubility. Why
20 do we ask this question up front? Let me introduce
21 that.

22 [Slide]

23 In the ICH Q6A decision trees start with
24 due diligent polymorphic screening. This is for
25 innovators, for NDAs. For ANDAs we tend to receive

1 many, many applications, sometimes up to 20, for
2 the same drug substance. So, because each company
3 uses a different route of synthesis or sometimes
4 uses a different process it gives FDA reviewers a
5 good picture of what might be happening, what might
6 be going on for this specific drug substance. In
7 general, each applicant needs to have adequate
8 knowledge of drug substance polymorphism to make
9 appropriate decisions, otherwise we don't know
10 whether it is necessary to set up criteria or not.
11 So, we have to have adequate knowledge of the drug
12 substance polymorphic forms to make appropriate
13 decisions. Each applicant has a unique approach.
14 They may use different unique approaches to address
15 polymorphic issues. The knowledge or information
16 on polymorphic forms may come from literature; may
17 come from patents; may come from compendia; may
18 come from experience or whatever approach the
19 generic company uses.

20 DR. LEE: Oh, I think this is Les.

21 DR. BENET: Yes, this is Les.

22 DR. LEE: Les, welcome to the committee.

23 DR. BENET: Thank you. I can't get on to
24 the video because I don't know my password, or
25 something.

1 DR. YU: Shall I continue?

2 DR. LEE: Yes, please.

3 DR. YU: I want to repeat this slide since
4 it was interrupted. In general FDA receives many
5 ANDA applications for the same drug substance.
6 Each sponsor will need to have adequate knowledge
7 of drug substance polymorphism in order for them to
8 make appropriate decisions. Each applicant has a
9 unique approach to address polymorphic issues and
10 the polymorphic information may com from
11 literature, patents, compendia, their own
12 experience or whatever approach they prefer or they
13 want to use.

14 The key point here is that decision tree
15 number one emphasizes knowledge to convince us,
16 FDA, to say you now can reproducibly or
17 consistently manufacture generic products which are
18 equivalent to the reference listed products. We
19 emphasize knowledge; we emphasize information in
20 the decision tree for different approaches. You
21 may choose your own approach and we want to know
22 that knowledge and information to convince us that
23 you can consistently, reproducibly manufacture the
24 quality product which is equivalent to the
25 reference listed product.

1 [Slide]

2 Also I want to discuss examples of
3 polymorphs appearing and disappearing, sometimes
4 called the mystery of polymorphism. As you can see
5 for this specific product, we have alpha, beta and
6 gamma. The melting point for the alpha is 59-60,
7 beta 63-64, gamma 69-70. So, there are three
8 polymorphic forms. In 1921 alpha and beta were
9 discovered in Australia. All alpha converted into
10 beta. As you know, there are many, many
11 polymorphic forms. The most stable form tends to
12 survive. When you start with polymorphic screening
13 you tend to discover the least stable form first
14 and the most stable form you will discover last.
15 So, once you discover the most stable form, in
16 many, many cases you actually cannot go back to
17 discover the least stable form or even use the same
18 approaches, in this case alpha converting into beta
19 but not gamma.

20 About 15 years later the gamma was
21 discovered in a different country. In this case
22 either alpha or beta converted into gamma. This
23 basically follows the principle of a theory of
24 thermodynamics because the most stable form will
25 exist. So, the unstable forms, like alpha and beta

1 convert into the gamma. However, 50 years later
2 alpha was discovered in India, and no beta and even
3 gamma is mentioned. So, what I want to say with
4 this slide is with the current technology that we
5 have right now it is very difficult, even with due
6 diligent screening, to say I have discovered all
7 the polymorphic forms. It is very difficult to
8 say. So, in this regard we have to take risk
9 management. We have to evaluate risk versus
10 benefit--risk versus benefit.

11 [Slide]

12 Also, in decision tree number one we have
13 to address thoroughly the stability. This BACPAC
14 guidance applies to new drugs as well as to ANDA.
15 Generally, only two physical properties of the drug
16 substance, morphic form and particle size, are
17 considered critical for evaluation of equivalence.
18 So, in order to show the equivalence of physical
19 properties conformance to established acceptance
20 criteria for morphic form, or where acceptance
21 criteria do not exist, the isolation of the same
22 form or mixture within the range of historical
23 data. This is the basic BACPAC I.

24 What I want to show is that even though it
25 is not necessary to set acceptance criteria under

1 all kinds of scientific considerations, there is
2 not much risk to not setting up acceptance criteria
3 but scientifically it is a good idea to have
4 initial scientific characterization of the
5 polymorphic forms using different approaches, such
6 as x-ray powder diffraction, DSC/thermoanalysis,
7 microscopy and/or spectroscopy, to provide
8 historical data even though FDA does not ask for
9 acceptance criteria for drug substance forms and
10 drug products, it is still a good idea to have
11 initial characterization so in the future if a
12 manufacturing process changes you know that the
13 polymorphic form is equivalent to the original form
14 manufactured.

15 [Slide]

16 Now let's move to decision tree number
17 two. In decision tree number two the first
18 question is, is there a polymorphic specification
19 in the USP? If the answer is no, you basically set
20 up new polymorphic acceptance criteria. If the
21 answer is yes, you basically evaluate if the USP
22 polymorphic specification is adequate. If it is
23 adequate, if it is okay you basically set up USP
24 polymorphic specification. If it not, you set up
25 new polymorphic specification.

1 Why is that? Let me explain why. In
2 general USP does contain melting point ranges but
3 not necessarily polymorphic specifications. So
4 even though the melting point range may be
5 considered as a specification, FDA wants to
6 evaluate to make sure that the melting point in the
7 range of the specification is specific, unique and
8 what is the intent of the so-called polymorphic
9 specification. If there is no polymorphic
10 specification in the USP, certainly we will say set
11 up new criteria. Even if for the generic form you
12 use different polymorphic forms, even though the
13 USP has a very good specific specification, this
14 specification may not be sufficient for the generic
15 firm so this time we have to set up a new
16 specification. So, decision tree number two is a
17 little bit straightforward.

18 [Slide]

19 Let's move on to decision tree number
20 three. That is a little bit complicated for drug
21 products. The first question we ask is, is there
22 sufficient concern that polymorphic acceptance
23 criteria for a drug product should be established?
24 This time we ask a scientific question for each
25 individual application to see if there is concern.

1 If the answer is no, certainly there is no need to
2 set polymorphic acceptance criteria for drug
3 products. If the answer is yes, go to the next
4 slide.

5 Let me explain what is sufficient concern.
6 It sounds ambiguous; it is very difficult to
7 understand. Let me explain why. If there is in
8 general--I want to emphasize the two words, "in
9 general," not always but in general so there are
10 exceptions. In general, there should not be a
11 concern if the most stable polymorphic form is used
12 or the form is used in a previously commercialized
13 product. That gets a little bit tricky because for
14 a specific drug substance where there have never,
15 ever been discovered any crystal forms and the only
16 form we have had is an amorphous form. So, we know
17 amorphous exists, exists very nicely as relatively
18 stable.

19 So, in this case most likely it is not
20 necessary for us to have a concern. However, if we
21 know that a crystal form exists and we know the
22 reference listed drug uses the amorphous form there
23 is a potential for this amorphous form to convert
24 into a crystal form and under this scenario there
25 is a concern. So, therefore, we have to look in

1 general in many cases we have to look case by case,
2 but the principle is that in general there should
3 not be a concern if the most stable polymorphic
4 form is used or the form is utilized in a
5 previously commercialized product. In your
6 background information we say extraordinary
7 formulation or manufacturing process effort. This
8 has sometimes been deleted. This means work in
9 progress.

10 [Slide]

11 If the answer is yes, the next question is
12 does the drug product dissolution testing provide
13 adequate controls if the polymorphic ratio changes?
14 If the answer is yes, you basically use the
15 solution as test to set up criteria, otherwise you
16 will have to use solid state or other criteria.
17 For the acceptance criteria for the drug product
18 you may use other approaches such as solid
19 characterization method, which is much more
20 complicated.

21 Why do we think in general dissolution can
22 be utilized for the testing if the polymorphic
23 ratio changes? Let's look at the BA/BE guidance
24 here. It is recommended that the sponsor select
25 the agitating speed and medium that provide

1 adequate discriminating ability, taking into
2 account all the available in vitro and in vivo
3 data. So, we believe that the solution test can
4 frequently detect the potential conversion of
5 polymorphic forms. In rare cases solid
6 characterization methods have to be utilized.

7 [Slide]

8 So in this presentation I have discussed
9 what is polymorphism; how does the polymorphic form
10 affect pharmaceutical properties of drugs; and to
11 what extent should scientific considerations be
12 given to polymorphism in ANDAs. Thank you for your
13 attention and thank you for your time.

14 DR. LEE: Thank you, Lawrence. Are there
15 any questions for Lawrence?

16 DR. MOYE: Yes, I have two points that are
17 really going to demonstrate my ignorance about
18 this. This discussion of polymorphism is bringing
19 back memories. Not all of them are good memories
20 but they are memories.

21 You made, I thought, a very clear
22 demonstration for the argument that polymorphs are
23 worthy of investigation. You set up a scheme which
24 reflected the observation, I think, that we have to
25 be concerned about more than solubility. We also

1 have to be concerned about permeability. Right?

2 That is why you have the 2 X 2 table.

3 DR. YU: Correct.

4 DR. MOYE: So it is possible that
5 polymorphs could have low solubility and high
6 permeability.

7 DR. YU: Correct.

8 DR. MOYE: It is also possible that they
9 could have high solubility but low permeability.

10 DR. YU: Correct.

11 DR. MOYE: So now I am confused. When we
12 go to your flow chart on the first slide--and I
13 didn't want to interrupt your presentation when you
14 were bringing it up--can you explain to me if
15 polymorphs can be highly soluble but have low
16 permeability, why you say there is no further
17 testing if all known polymorphs are highly soluble?
18 Isn't it possible that they could be highly soluble
19 but have low permeability and wouldn't you want to
20 know that? I mean, what did I miss?

21 DR. YU: Thank you for your excellent
22 question.

23 [Slide]

24 What this means is if all known
25 polymorphic forms are highly soluble--what this

1 means is in general the solution of a drug
2 substance will have a limited effect on
3 bioavailability. Now, they could have a different
4 permeability, like ranitidine, but as long as the
5 polymorphic form is highly soluble the effect of
6 the polymorph on bioavailability, the chance is
7 very low. Therefore, we feel it is not necessary
8 to do any further testing or acceptance criteria.

9 DR. MOYE: So, to make sure I understand
10 your answer, you are saying that if all of these
11 polymorphs are highly soluble--

12 DR. YU: Correct.

13 DR. MOYE: --you are saying it is unlikely
14 that you will have some with high permeability and
15 others with low permeability?

16 DR. MEYER: I think the answer to that is
17 probably that if they are highly soluble they go
18 into solution quickly, and once they are in
19 solution then all things are equal in terms of
20 permeability.

21 DR. MOYE: Thank you. I have one other
22 question. I was trying to follow this BACPAC
23 acronym you mentioned. Let me just ask you
24 directly, could BACPAC be used to avoid complete
25 testing of the characteristics of polymorphs using

1 state-of-the-art procedures? In the interest of
2 time, let me ask what I really want to ask here.

3 DR. YU: Could you say that again, please?

4 DR. MOYE: Yes, could this BACPAC be used
5 as a way to avoid complete testing using
6 state-of-the-art procedures for the characteristics
7 of polymorphs? Are you providing a way for people
8 not to test with BACPAC?

9 DR. YU: No. In the decision tree we
10 basically take account mainly of solubility. We
11 have not taken account of stability. Hopefully,
12 stability will be taken care of by BACPAC I. That
13 specifically means if there are no acceptance
14 criteria for drug substance or drug products, if
15 there is any possibility--number one, if there are
16 no acceptance criteria for a drug substance and
17 drug products with respect to polymorphic form,
18 that is number one. Number two, under this
19 scenario if there is any possibility of something
20 going wrong with respect to the polymorphic form
21 change, this is where we want to go back to BACPAC
22 I because BACPAC I is suggested to have an
23 equivalency test. In other words, if you make some
24 process changes, make sure that the polymorphic
25 form has not been changed.

1 DR. MOYE: So, is the idea that it is too
2 burdensome to replace that last phrase with further
3 research has to be carried out to examine the
4 characteristics of polymorphs rather than rely on
5 historical data? I guess I am just asking why rely
6 on historical data if there is the opportunity to
7 gain new data even in the absence of acceptance
8 criteria.

9 DR. YU: You are basically suggesting if
10 it is always necessary to have acceptance criteria.

11 DR. MOYE: I think I am just revealing my
12 ignorance here.

13 DR. YU: Certainly, if there is no
14 need--there is a difference in terms of initial
15 categorization of polymorphic form and so-called
16 acceptance criteria. Acceptance criteria just
17 means you need to test every single batch. For
18 initial historical data, this means you do not have
19 to test for every single batch once it is released.
20 For scientific data it is not necessary for the
21 firm to do extra work without value added. That is
22 what we mean here. Certainly, we want to make sure
23 the form has not been changed and then we have the
24 BACPAC I guidance.

25 DR. LEE: Anybody else? Do you have any

1 questions for Lawrence?

2 DR. RODRIGUEZ-HORNEDO: I have a brief
3 question. Can you hear me?

4 DR. LEE: Yes, we can hear.

5 DR. RODRIGUEZ-HORNEDO: Lawrence, I would
6 like to hear your comment on whether the term
7 polymorphism on your molecular adduct will cover
8 other than solvates. Say that you have an
9 excipient within a crystalline matrix that is not a
10 solvate--I don't know of anything on the market
11 like that but we may be seeing something in the
12 future. Say you have an active excipient and you
13 have a sugar in a crystalline matrix.

14 DR. YU: I am not quite sure I understand
15 the question but I will try to answer. If not,
16 please ask again. I have one slide to
17 differentiate crystalline form, amorphous form,
18 hydrate and nonstoichiometric. I think your
19 question, to come back to this specific case, is
20 whether a crystal form, such as stoichiometric
21 solvates or hydrates, or nonstoichiometric
22 inclusion compound--you could have a channeling,
23 layering or caging. What you are referring to is
24 probably caging instead of solvate or hydrate.

25 DR. RODRIGUEZ-HORNEDO: I was referring to

1 a stoichiometric system. Say that you have a 1:1
2 ratio where instead of water and an active product
3 ingredient you have a sugar and an active product
4 ingredient. Would that substance fall into this
5 category of polymorphs?

6 DR. YU: Ken, you seem to understand, can
7 you repeat the question?

8 DR. MORRIS: Yes, this is Ken. You are
9 saying essentially if you have either a co-crystal
10 or a solid dispersion with another substance in
11 addition to the chemical entity. Right?

12 DR. RODRIGUEZ-HORNEDO: That is correct,
13 Ken.

14 DR. MORRIS: Right. So, she is asking
15 whether or not if in addition to my molecule I now
16 have a 1:1 correspondence between not a salt or a
17 pro-drug but a separate molecule that
18 co-crystallizes into the same regular structure,
19 does that get considered as a polymorph since the
20 chemical entity is the same?

21 DR. SHEK: Is the chemical entity the
22 same?

23 DR. MORRIS: Well, you are assuming
24 another solvate. You are assuming that the
25 co-crystal component is not the active ingredient.

1 Is that correct?

2 DR. RODRIGUEZ-HORNEDO: That is correct.
3 Instead of water, let's say, a hydrate or another
4 solvate you would have a sugar.

5 DR. MORRIS: So, you have a glucose--

6 DR. RODRIGUEZ-HORNEDO: Yes.

7 DR. SHEK: What will be the difference
8 between that to a complex, and the question is
9 whether that is still the same entity.

10 DR. YU: So, what will be different
11 between solvates--

12 DR. HUSSAIN: I think that is not just one
13 entity; that is more than one entity. Solvates is
14 slightly different. If there is an intentional
15 co-crystallization it becomes a slightly different
16 question I think. That is not what I think what
17 the polymorphism discussion is about. So.

18 DR. RODRIGUEZ-HORNEDO: Well, perhaps that
19 is something that could be discussed.

20 DR. CHIU: If a crystal contains the sugar
21 and the active ingredient in a complex, you know,
22 it depends on what kind of bounding it has. If it
23 is covalent bound, then it becomes a new molecular
24 entity. If it is not covalent bound it would be a
25 complex. So, based on our classification of drugs,

1 the first one would be classified as type one and
2 the other one would be type two. So, it is not
3 considered polymorphous anymore.

4 DR. RODRIGUEZ-HORNEDO: Thank you for the
5 answer. That is something to be discussed later I
6 think because if we think of water, water is
7 hydrogen bounded to the active ingredient in the
8 crystal, to the active substance. What I am
9 thinking of is uncovalent bounding.

10 DR. LEE: Go ahead.

11 DR. MORRIS: I was just going to say I
12 think the precedent, in part, is if you are going
13 to distinguish that with the crystal, then what
14 happens when you start talking about glass
15 solutions, which is already approved as the same
16 thing in some cases? So, you are treading a thin
17 line there. It has to be negotiated I think.

18 DR. LEE: Well, let's focus on
19 polymorphism and then move on to other entities.

20 DR. YU: Correct, yes.

21 DR. LEE: Thank you very much, Lawrence.

22 Let me call on Ken Morris and then Dr. Les Benet.

23 **Expert Comments**

24 DR. MORRIS: Thanks, Lawrence. Thanks for
25 inviting me, Ajaz and Vince.

1 [Slide]

2 What I was asked to do by Lawrence was to
3 comment on the questions that you have regarding
4 the decision trees. I should preface this by
5 saying that at the last scientific advisory board,
6 where I was a guest, I made a couple of
7 observations on the presentation Steve Miller gave
8 about the results of the workshop on deciding what
9 polymorphic screening strategy should be employed,
10 and one of the things that we discussed was
11 impurities, which we will get back to.

12 This led to a discussion from OGD that
13 included the concept of sort of focused screens for
14 the purpose of ensuring purity with respect to
15 generics. So, that is sort of the backdrop of this
16 and how my hat got into the ring. In case you
17 don't know, I am from Purdue University.

18 [Slide]

19 The questions are detailed here that were
20 posed to us, myself and Les. Do the proposed
21 decision trees adequately address the key polymorph
22 issues? Decision tree number one specifically;
23 decision tree number three specifically; and then
24 additional considerations. I have sort of broken
25 this down--I only have ten slides here I

1 think--into those subdivisions as a framework for
2 what I am going to say.

3 [Slide]

4 I had to take this opportunity though
5 before I start because it is going to look like I
6 am taking some shots at the decision trees, but I
7 want to state at the outset that the decision
8 trees, to me, represent a real advance over the old
9 check-list approach, and having grown up in
10 industry using check lists and being frustrated
11 with the fact that you couldn't use them very
12 effectively much of the time, I really see this as
13 a big advantage. It really encourages the
14 inclusion of proper scientific processes. It gives
15 you the opportunity to make decisions based on the
16 science and proceed based on your decisions, and
17 gets rid of a lot of this incentive for testing
18 into compliance so you can finish your check list
19 in time to not be the bottleneck in development.

20 It also allows the industrial scientist to
21 logically develop appropriate tests. This is
22 fairly important and one of the things we will talk
23 about. I think that if you are faced with a check
24 list and you are restricted to certain tests you
25 will use them and try to make them work even when

1 it flies in the face of the logic.

2 It also, in my experience, facilitates
3 rational risk assessment by the regulatory and
4 management teams within industry as well as FDA.
5 Finally, and perhaps more relevant for today's
6 discussion, it really does level the playing field
7 for generic companies by allowing establishment of
8 reasonable expectations based on the science
9 instead of holding them to unreasonable goals.

10 [Slide]

11 Let's sort of progress the way we
12 outlined. The first issues were--and I sort of
13 combined these a little bit--do the proposed
14 decision trees adequately address the key polymorph
15 issues? Specifically for one, are there other
16 issues with respect to characterization that FDA
17 should consider?

18 I have couched these comments basically in
19 the contest of sameness rather than the definition
20 of sameness, rather by the fact that amorphous
21 forms, solvates, hydrates are considered under the
22 same umbrella. We talked about this last time a
23 good bit.

24 Given that, the first comment I have for
25 decision tree one is that if polymorphs are not

1 known, or no monograph is available, do they have
2 to be screened for? I think you have sort of
3 answered this question to a degree, Lawrence. I
4 think the answer to the question is yes. The open
5 literature will very often contain a fair amount of
6 data on older compounds and high profile compounds
7 but there will be some for which it doesn't exist,
8 or if they are so old that it didn't get the sort
9 of scrutiny that you want, or if you are changing
10 dosage forms. We will get to this later but it
11 sort of reflects on Prof. Rodriguez' question.

12 Additionally, the solubility determination
13 of meta-stable forms really has to be scrutinized
14 for conversion artifact. So, if you are looking at
15 the criteria of are all known polymorphs highly
16 soluble, aside from the question of what
17 constitutes high from not high solubility, which I
18 think is a little more straightforward for most of
19 us, you have to be very careful when you are trying
20 to determine the solubility of meta-stable forms.
21 It has been well established for years that you
22 will get conversion. So, if you measure the
23 solubility at an infinite time scale for any form
24 it will always be the solubility of the most stable
25 form. The question of the kinetics of conversion

1 and of other techniques which are relatively well
2 known for estimating the solubility of meta-stable
3 forms would have to be included in this sort of a
4 rationale and certainly in terms of the review of
5 such an application.

6 [Slide]

7 Just a comment on melting point as an ID
8 test for all of the forms under consideration,
9 again given the fact that this includes everything
10 from amorphous forms through solvated forms, we
11 have to be pretty careful when we use a melting
12 point as a test. The reason is sort of illustrated
13 here with a paper from Matsuda that shows the
14 powder x-ray fraction DSC and TGA for--what is
15 it?--six different forms of the same compound in
16 principle.

17 Sort of like the example that Lawrence had
18 shown, if all you do is do a quick melting point
19 scan, either using a melt temp or even an
20 inexperienced thermoanalyst, you will end up with
21 one melting point for all these forms, yet they are
22 very dramatically different not only in their
23 crystal structure but in their thermal behavior.
24 Some are solvates; some are hydrates; and some are
25 what would traditionally be called polymorphs.

1 Lawrence and I have spoken about this before his
2 presentation, but the more revealing yet common
3 tests may be much less ambiguous and require
4 similar resources. By the time you determine
5 melting points and determine that the melting point
6 is what you think it is, it may have been just as
7 cost effective to run a powder x-ray diffraction
8 pattern or have it contracted out.

9 [Slide]

10 Moving on to number two, which is
11 highlighted here in blue with Lawrence's point of
12 different polymorphic forms and allowing tighter
13 specification, tighter specifications may have to
14 be negotiated with changing suppliers. This is a
15 little bit similar to the excipient discussion we
16 had earlier today. One of the things that came out
17 of the last scientific advisory board was this fact
18 that on sale-up perhaps the largest source of
19 unexpected polymorphic forms showing up is
20 differences in purity profiles. Nair has several
21 elegant examples of this but I think those of us
22 who have worked in API can tell you, as Steve Berne
23 always says, the best polymorph screen is to scale
24 up.

25 This is in part because as the chemists

1 get better at developing their synthetic pathways,
2 the material gets purer and typically impurities,
3 if anything, will tend to stabilize meta-stable
4 forms, and this is often the case with these
5 disappearing polymorphs that David speaks about in
6 his talks. As a note, virtually all of the
7 disappearing polymorphs can be recrystallized using
8 sometimes Herculean efforts but can be found again,
9 which speaks to the same issue. Therefore, when
10 you are changing a supplier, whether you are
11 changing your own process within your company or
12 whether you are getting it from a different source,
13 differences in impurity profiles really should be
14 included.

15 Also, included in this, I would say for
16 your own safety if I am using raw material,
17 particularly API that I am getting from a third
18 party, I would very much want to know, if not have
19 a say in the final crystallization and drying
20 conditions. People are very reluctant to open up
21 their DMFs even if you are a good customer, but
22 typically they will share that with you. Even if
23 they won't share the specifics of synthetic
24 pathways, they will almost always share that with
25 you.

1 [Slide]

2 Another issue that I think comes from that
3 decision tree and speaks a little bit to what we
4 talked about last time is what is reasonable. So,
5 if you are going to ask companies--instead of an
6 innovator company that may only have three to five
7 projects a year, if you are going to ask a company
8 that has forty projects a year to do this sort of
9 an assessment early on in their program, what is a
10 reasonable request versus an unreasonable request
11 when you are doing what I would call a more focused
12 polymorph screen?

13 This comes actually from the workshop that
14 we had with OGD but I have sort of broken the
15 levels of difficulty in terms of characterization
16 of polymorphs into what is routine; what is
17 difficult and sometimes unreasonable; and what is
18 sort of cutting edge and not realistic to expect
19 unless something is really on fire.

20 In the routine section what I have
21 included is identification and quantitation of
22 mixed phases in the API itself. I wouldn't say
23 this is trivial to do but it is really quite
24 routine. It can be done by powder x-ray
25 diffraction, thermal analysis and spectroscopic

1 methods. These days, as we talked about last time,
2 you can buy a relatively inexpensive powder x-ray
3 diffraction unit for about the same price as an
4 HPLC. So, it is not really talking about a
5 different level of investment in terms of
6 resources.

7 The other thing that I consider to be
8 quite routine is identification of high levels of
9 mixed phase in product. It has to be relatively
10 high, obviously, for reasons that we can discuss if
11 anybody is, you know, still dying to talk about
12 this. I know Art is.

13 What is difficult and perhaps unreasonable
14 on a case by case business is quantitation of trace
15 amounts of phases in API and product. But if you
16 have very small amounts of a phase in an API,
17 forget the product for the moment but in the drug
18 substance itself, it can be very difficult to
19 determine.

20 One of the most sensitive methods is
21 differential scanning calorimetry but because of
22 the tendencies for transformation during the
23 experiment this may be problematic. X-ray is, of
24 course, our sort of gold standard by the levels of
25 detection can be quite high, and we will talk about

1 that in a moment. You can do it by synchrotron
2 which is becoming more accessible. This is why it
3 is in the difficult and not impossible or cutting
4 edge section. Raman mapping, which is becoming
5 very much more common and, in fact, Ajaz showed
6 some spectroscopic maps that sort of reflect the
7 fact that the technology has really caught up with
8 the need in terms of a lot of these mapping
9 strategies. Advanced powder x-ray diffraction--I
10 will show you a quick example which allows us to
11 look at small amounts in API and product.

12 The other difficult category I have here
13 is quantitation of phases in drug product. This is
14 particularly true of amorphous systems because with
15 a crystalline compound you have the advantage that
16 you have specific signature or fingerprint of the
17 crystal structure to deal with. With amorphous, by
18 definition, you have an amorphous signature to deal
19 with which means it is not distinct and it is
20 certainly not directly relatable to a structure as
21 far as we know.

22 But even with two crystalline phases, two
23 or more crystalline phases in drug product, if it
24 is not at the high level that we talked about in
25 the routine, it immediately drops into the

1 difficult and perhaps unreasonable.

2 Finally, for cutting edge I have here as
3 prediction of structures from powder patterns.
4 This is becoming more and more prevalent and,
5 hopefully, within the next five years will become,
6 if not routine, at least be promoted into the
7 difficult category which will allow us to look at
8 changes that may occur, relate them to a specific
9 structure and then be able to reproduce the
10 material and determine any liabilities.

11 [Slide]

12 I won't go through this chart but this is
13 something I use when we teach solids to the
14 graduate students. Basically, it is the sort of
15 thing that I think would properly be in any sort of
16 document that a generic or innovator company,
17 likewise, would be using in terms of looking at
18 their screen. That is, to detail the solid
19 modifications that are possible and then at least
20 give a representative response that you might
21 expect to see for specific methods of analyses. We
22 have an analogous table that talks about the levels
23 of detection and the levels of quantitation to be
24 expected as well for different types of systems.

25 [Slide]

1 Moving on to number three, which starts
2 with the previous slide and now talks about the
3 drug product, and with the notation that you saw
4 earlier with dissolution testing frequently
5 detecting potential conversions which certainly is
6 the case often. There are a couple of caveats
7 here. One of those is that dissolution testing may
8 often be correlated to known transformations, but
9 if you don't know the transformation then the
10 chances of correlating this become much smaller of
11 course. In fact, you may get transformations
12 during dissolution testing that are relatively
13 unimportant in vivo. You don't really know that
14 from the face of it because if dissolution occurs
15 quickly enough and absorption occurs you may not
16 really see the effect of it until you get to
17 bioavailability.

18 Given the demonstrated liability, if you
19 know you have a liability for inter-conversion
20 during dissolution, should the statistics be
21 improved? That is, should you be looking at larger
22 numbers of samples? It is a little bit like our
23 discussion earlier, but here you have a very
24 focused target with respect to the numbers of
25 tablets if you are using dissolution testing, and

1 it depends not only on just the raw number of
2 tablets but on how reproducible the profiles are.

3 As the final point on this topic, there
4 may be other techniques. Even though it says in
5 rare cases solid characterizations may have to be
6 used, in some cases it may be that other techniques
7 are less energy, less resource intensive than
8 dissolution testing which might allow better
9 statistics with less incremental investment. This
10 falls fairly neatly into the PAT discussion
11 actually but, for those of you who are not aware of
12 that, there are some other techniques that are in
13 play.

14 [Slide]

15 The observation on the last decision tree
16 that the most stable form is used or the form used
17 in a previously commercialized product means that
18 there shouldn't be a concern, and certainly this is
19 logical on the face of it but there are a couple of
20 points that center on amorphous and hydrated forms
21 that Lawrence touched on. I have sort of detailed
22 here in brief fashion. Amorphous forms may have
23 been stabilized by unique formulation or processing
24 strategies not easily reproduced. Under those
25 circumstances this should be included as a

1 cautionary statement. In other words, if you are
2 formulating with an amorphous compound that has
3 been the subject of some specific formulation
4 strategy to make it stable, which is usually the
5 case. There are I don't know how many amorphous
6 forms that are stable on their own but not very
7 many, I can tell you that. Then, this may be an
8 additional caution for somebody reformulating.

9 Hydrates are easily altered in subsequent
10 processing. This has been demonstrated over and
11 over again. So, I would say that this statement in
12 general should not be a concern if there may be a
13 number three here that encompasses something of a
14 caveat with respect to amorphous and hydrated
15 forms. We should realize, given these statements,
16 that it is possible to build in in-product
17 characterization as a requirement if you have
18 established that there could be changes. So, you
19 have to establish whether or not that is important
20 fairly early on, otherwise you may be building in a
21 level of testing that need not necessarily relate
22 to the performance.

23 [Slide]

24 The second to the last part of the
25 question was on approaches and challenges for

1 establishing specs for polymorphs in products and
2 also, in your experience, how often would you
3 anticipate such a spec is necessary?

4 Let me answer the second part first. I
5 would say only occasionally usually. On the other
6 hand, when it is important it is very important.
7 To this end, I would reiterate something that
8 Lawrence alluded to and I said last time, which is
9 that a focused polymorph screen early in the
10 development process for a generic is a great
11 investment. It is a relatively low resource
12 activity and it could save you an awful lot of
13 problems down the road.

14 These are just examples of powder x-ray
15 diffraction methods for drug substance in a
16 product. This is again a relatively high dose so
17 it falls into our almost routine category. But in
18 the range from 3-30 percent we have an RSD of 5
19 percent and good recovery. This is from work that
20 Dave Bugay and Ann Newman have done, and I believe
21 published when they were still at Bristol-Myers
22 Squibb.

23 [Slide]

24 Here is an example of the analysis on a
25 pretty much traditional powder x-ray diffraction

1 lab machine using a bit of an alteration of
2 parallel optics, showing the calibration curves of
3 glycine compacts. So, we are analyzing the whole
4 compact now in transmission mode x-ray diffraction.
5 Here we are getting down to approximately 0.5
6 percent calculated detection limit, and very good
7 linearity for the two forms. Now, even this is
8 within a compact, this isn't a tablet; this is all
9 drug substance so this is just a hint of things to
10 come. I would not call this routine in any sense
11 of the word.

12 [Slide]

13 The last slide I have is on the additional
14 considerations that should be addressed on the
15 issue of manufacture ability or process ability
16 when different forms are present.

17 This is a great question. The downside is
18 that so little is known that it is a little too
19 early to answer it. It is a subject of ongoing
20 research in Minnesota and Purdue and in many
21 companies, many of the companies discussed here
22 today. The issue should be addressed when the
23 potential is identified in formulation or process
24 development, however. This could be acknowledged
25 in the charts. The idea that by the time you get

1 to processing, that is not really the time you want
2 to start doing your exploration in terms of what
3 problems you are going to have during processing.
4 You would like to try to identify those early given
5 all of the subtleties and vagaries of scale-up in
6 the way we do it. Maybe this will become valuable
7 as background for companies in subsequent
8 trouble-shooting as well and, certainly, when
9 looking for root causes you would like to have this
10 in your back pocket.

11 That is the extent of what I had to share.
12 I will be glad to entertain questions if there are
13 any.

14 DR. LEE: Thank you, Ken. Any questions
15 from the committee members?

16 [No response]

17 Thank you. Les, are you available?

18 DR. BENET: I am here.

19 DR. LEE: Good. The AV specialist asks
20 you not to use your speaker phone, if possible.

21 DR. BENET: Okay.

22 DR. LEE: Thank you. Please proceed.

23 DR. BENET: I can't get off it. I have to
24 call you back.

25 DR. LEE: No, don't go away.

1 DR. BENET: I can't get off the speaker
2 phone without disconnecting.

3 DR. LEE: I see, okay.

4 DR. BENET: I can do that; I will call you
5 right back.

6 DR. LEE: Thank you. Nair, are you still
7 there?

8 DR. RODRIGUEZ-HORNEDO: Yes, I am here.

9 DR. LEE: Are you using the speaker phone?

10 DR. RODRIGUEZ-HORNEDO: No, I don't have a
11 speaker phone.

12 DR. LEE: Good, Les, you sound much
13 better. Thank you very much. Please proceed.

14 DR. BENET: Thank you for giving me the
15 opportunity to make a presentation. I apologize
16 for getting on late but I was having trouble
17 connecting to FDA because I didn't know my
18 password. In addition, as opposed to last year
19 when I did this, I can't get a very large view of
20 what is being presented so I am really having
21 difficulty seeing the slides but I will move
22 forward to my first slide.

23 [Slide]

24 Lawrence asked me to discuss
25 considerations of polymorphism in therapeutic

1 equivalence.

2 [Slide]

3 So, my short answer is no altered
4 regulatory approach is necessary, Vince, if you
5 are running out of time, I can stop right now.

6 [Laughter]

7 DR. LEE: No, Les. No, we encourage you
8 to elaborate a little bit.

9 DR. BENET: Okay. So, under those
10 conditions, let's look at the definitions and the
11 criteria related to therapeutic equivalents and
12 where polymorphism considerations might be
13 relevant.

14 [Slide]

15 If we look at the FDA definition of
16 therapeutic equivalents, it is as quoted here: drug
17 products are considered to be therapeutic
18 equivalents only if they are pharmaceutical
19 equivalents, and they can be expected to have the
20 same clinical effect and safety profile when
21 administered to patients under the conditions
22 specified in the labeling. So, we have terms that
23 need to be defined within there, pharmaceutical
24 equivalents and expected safety and efficacy
25 profile.

1 [Slide]

2 On this slide we have the four criteria
3 that are listed for pharmaceutical equivalents:
4 The product must have the same active ingredient;
5 must have the same dose form, given by the same
6 route of administration; and identical in strength
7 or concentration. We will return to these four
8 criteria in a minute.

9 [Slide]

10 Let's go back to the definition of
11 therapeutic equivalents in terms of the criteria of
12 same clinical effect and safety profile.

13 [Slide]

14 Under FDA regulations what criteria must
15 be met for expected same clinical effect and
16 safety? First is the products must meet compendial
17 standards, and we will talk about that for a
18 second. So, if a particular polymorphic form or
19 the limits of a particular polymorphic form in
20 terms of physical chemical criteria are required in
21 the compendial drug product monograph and a product
22 fails these criteria, then the product cannot be
23 considered therapeutic equivalent.

24 There are things that at least look like
25 there are these kind of criteria in the compendial

1 standards. If we look at warfarin sodium, it talks
2 about a crystalline form versus an amorphous form.
3 But if it did not meet the compendial standards,
4 then there is no way that a compound can be
5 therapeutically equivalent independent of any
6 biologic studies.

7 [Slide]

8 The second area is that to have expected
9 same clinical effect and safety, it must meet
10 appropriate bioequivalence standards. As you all
11 are aware, that means that it must have comparable
12 bioavailability, and the FDA published definition
13 says the rate and extent of absorption of the test
14 drug does not show a significant difference from
15 the rate and extent of absorption of the reference
16 drug when administered in the same molar doses, the
17 same therapeutic ingredients under similar
18 experimental conditions in either a single or a
19 multiple dose.

20 [Slide]

21 So, what we need to look at is significant
22 difference and under similar experimental
23 conditions, as I show highlighted on this slide.
24 The significant difference definition is 80-125,
25 and I have been very pleased this past year with

1 the FDA changing the terminology in the Orange Book
2 in terms of the what the criteria are and the fact
3 that it is not just 80-125 but it must be within
4 the 90 percent confidence interval around the Cmax
5 and AUC.

6 [Slide]

7 So, the question on this slide then is can
8 polymorphism affect rate and extent of
9 bioavailability? The answer of course is yes. But
10 does that have a consequence in terms of the
11 adequacy of the present bioequivalence criteria?
12 My answer is no because, as Lawrence showed in his
13 introduction--and I am not really sure I needed to
14 make this presentation because he covered this--no,
15 the product either passes or fails the
16 bioequivalence criteria. So, this makes the
17 assumption, going back to therapeutic equivalents,
18 that the definition of pharmaceutical equivalence
19 is adequate.

20 [Slide]

21 That pharmaceutical equivalence states, as
22 we see on this slide, that the two different
23 formulations contain the same active ingredient.

24 [Slide]

25 On my second to last slide the question

1 would be are two different polymorphs the same
2 active ingredient? In the response to the
3 questions raised earlier in discussion and also
4 Lawrence's slides, it was the assumption that only
5 drug in solution is active. So, if we believe that
6 only drug in solution is active, then the bottom
7 statement there is that two different polymorphs
8 will always be the same active ingredient.

9 However, if there is the possibility that
10 the action of drug occurs through interaction of a
11 receptor, for example, with solid drug particles,
12 then two different polymorphs could possibly not be
13 the same active ingredient.

14 [Slide]

15 But my conclusion is that drugs, to get
16 across membranes and to be active, must go into
17 solution and, therefore, as shown on the last
18 slide, I don't think we have a problem at least in
19 terms of therapeutic equivalents. No altered
20 regulatory approaches are necessary. Thank you
21 very much.

22 DR. LEE: Thank you, Les. Any questions
23 for Dr. Benet?

24 [No response]

25 I think we are convinced.

1 DR. BENET: Great.

2 DR. LEE: Good job, Les. Any other
3 questions? If not, since Dr. Brittain is not
4 coming, we are now going to take a break. So, I
5 propose we take a break and come back at 3:15 and
6 then the committee will address the different
7 questions. Les, are you going to stay with us?

8 DR. BENET: I will come back at 3:15.

9 DR. LEE: Thank you very much.

10 [Brief recess]

11 **Committee Discussion**

12 DR. LEE: Nair, are you there?

13 DR. RODRIGUEZ-HORNEDO: Yes, I am here.

14 DR. LEE: Les?

15 DR. BENET: I am here.

16 DR. LEE: Very well, thank you. Feel free
17 to participate. We have Lawrence who will show us
18 decision trees one and three again at the
19 appropriate time and he will show us the five
20 questions. In a way the consultants have provided
21 answers for us and I think it is time for the
22 committee to speak up on how the committee feels
23 about those questions, the answer to the questions.
24 I have asked Nair to study the background and more
25 or less lead the discussion. Are you ready, Nair?

1 DR. RODRIGUEZ-HORNEDO: I will be happy to
2 do that, however, I need some help since I do not
3 have the FDA slides through the video.

4 DR. LEE: Oh, no, you don't need the
5 slides.

6 DR. RODRIGUEZ-HORNEDO: That is okay. I
7 will try to lead the discussion on the phone.

8 DR. LEE: Okay. So, question number one,
9 do the proposed decision trees adequately address
10 the key polymorph issues, stability and
11 bioavailability, that should be considered in FDA's
12 regulatory assessment on an ANDA? That is the
13 question.

14 DR. YU: Vince, do you want to address the
15 following question first and then come back to the
16 first overall question?

17 DR. LEE: All right. So reading again for
18 the benefit of Nair, decision tree number one, are
19 there other issues with respect to characterization
20 of polymorphic forms that the FDA should consider?

21 Decision tree number three addresses the
22 necessity of having a polymorph specification for
23 drug product when using the most stable or
24 previously used form.

25 Please comment on methods, approaches and

1 challenges for establishing specification for
2 polymorphs in drug products. Also, in your
3 experience, how often would you anticipate that
4 such a specification is necessary?

5 DR. MEYER: Vince, let me ask a couple of
6 questions that would help me understand whether the
7 decision trees are adequate or not.

8 DR. LEE: Okay.

9 DR. MEYER: I don't know whether the
10 answer is it is theoretically possible, or it is
11 probable, or what. Let's say we have an NDA
12 approved with polymorph 1, an ANDA with polymorph 2
13 and they both have been shown to be bioequivalent
14 and have similar dissolution but the ANDA polymorph
15 2 can convert during storage to polymorph 3, which
16 then affects its bioavailability. Is that
17 possible? If so, is it probable. If so, how can
18 we control that and monitor it?

19 DR. MORRIS: Yes, it is clearly possible.
20 In fact, that is one of the issues that actually
21 Nair had raised last time. The propensity of
22 transformation between forms may not be the same,
23 and this is true of amorphous forms as well. If
24 you have two different forms, both of which are
25 bioequivalent, they may or may not have the same

1 propensity to transform to yet another form. I
2 think the decision tree addresses that by assuming
3 that you are using the most stable or marketed form
4 but, to answer your question, that is certainly
5 possible.

6 DR. LEE: Yes, Leon?

7 DR. SHARGEL: Well, I think that question
8 could be both for the innovator side as well as the
9 abbreviated or generic side because in stability
10 how long it stays on the shelf, we wouldn't know
11 that. But, in general, both sides of the industry
12 do dissolution and do bioequivalence, at least on
13 the initial ANDA batch, followed up by periodic
14 stability studies. So, at least we do know
15 something about the characterization at that point
16 in time. You may or may not even notice an
17 inter-conversion.

18 DR. BENET: Vince, can I make a comment?

19 DR. LEE: Yes.

20 DR. BENET: I think the criteria that
21 Marvin raised, under our present operational
22 procedures, could definitely happen. We
23 immediately get to decision tree number two where
24 it says are all known polymorphs highly soluble,
25 and the answer would be no. Then, if we went to

1 decision tree number two, I don't think we have
2 criteria today--let's go back a minute. We don't
3 have any criteria that say that you must meet
4 bioequivalence, that a generic or an innovator must
5 meet bioequivalence criteria during the shelf life
6 of that product. We only have it when you carry
7 out the study. Some of us have said that we should
8 have criteria like that. So, I think under the
9 present situation we would not have adequate
10 protection and the decision trees wouldn't be
11 adequate unless we had a USP polymorphic
12 specification that actually addressed that.

13 DR. HUSSAIN: The aspect I think of a
14 bioequivalent study at the beginning and towards
15 the end of shelf life, the way I look at that
16 scenario is we have adequate in-process and other
17 specifications that are tested throughout the shelf
18 life. In fact, part of the stability requirement
19 or dissolution is part of that. So, we do test for
20 dissolution. If we have confidence in the
21 dissolution test as an indicator of change or no
22 change, if your dissolution criteria are being met
23 you address that scenario that way. If you have
24 doubts in your dissolution test, then that opens up
25 that possibility.

1 DR. LEE: That seems reasonable to me.

2 DR. MEYER: But that is assuming your
3 dissolution test can detect differences between
4 polymorph 2 and 3 let's say in the generic. I
5 agree with Leon that this applies also to the NDA
6 product. But we are assuming that the dissolution
7 can detect that change.

8 DR. MORRIS: Can I just state something?
9 I guess whether or not dissolution correlates
10 directly to bioavailability is sort of a different
11 question in a sense, but if there is a difference
12 between 2 and 3 that is significant enough in free
13 energy to cause changes in solubility, then if it
14 doesn't show up in the dissolution you would have
15 to say it doesn't; there is not a large enough
16 solubility change to make a difference, I mean just
17 from a practical standpoint. That is not
18 commenting on whether or not dissolution to
19 bioavailability correlate. That is not my area.

20 DR. MEYER: Which is kind of the issue I
21 am raising. Have they been shown to correlate? I
22 guess maybe there was one example shown today,
23 polymorph 1 and polymorph 2 that had different
24 dissolution characteristics, but I don't know if
25 that was carried out to bioavailability or not. It

1 seems to me that one way to handle that, and I am
2 not an expert in that field and I have no idea how
3 difficult it is to test for polymorph 2 and 3 in
4 the intact dosage form--if that can be done fairly
5 readily, then it seems like that ought to be what
6 is done.

7 DR. SHEK: Well, I think that is a
8 technology issue because you might have mixtures
9 and not purely one or the other, and that is where
10 it gets complicated. But if I might just add to
11 the points here, talking about in general there
12 should be a concern. If the most stable polymorph
13 form is used, that is okay, but number two, it is a
14 previously commercial product. I can see a
15 scenario where an innovator might choose to use a
16 less stable polymorph and stabilize it in the
17 formulation, or the synthesis of the API is such
18 that this polymorph is stable.

19 Now, when you have somebody else coming
20 in, and if it is an ANDA with only three-month
21 stability data being accepted, how do you have the
22 assurance that now you don't have something in the
23 formulation, a different excipient that can trigger
24 and now the most stable polymorph will be less
25 soluble? The question still coming back is, is

1 that biologically significant? I think that is
2 basically the litmus test.

3 DR. HUSSAIN: That sort of hinges on how
4 you establish your dissolution specification and
5 how it relates to bio.

6 DR. BOEHLERT: I was going to comment
7 along the same lines because I think it is
8 certainly possible. If I were to formulate a
9 product and have a dissolution test and get results
10 in the high 90s on a general basis and set a Q that
11 is low enough I could, indeed, also produce a
12 product that meets requirements and is quite
13 different, and that could be due to a polymorph or
14 it could be due to something else. And, how would
15 one distinguish? It still meets requirements but
16 it is clearly not the same and I don't know if
17 bioequivalence is impacted in that case.

18 DR. YU: Could I comment? Essentially
19 based on Marvin's comments, there is a possibility,
20 I would say a distinct possibility. Now, when you
21 come down to the possible dissolution and
22 solubility, those that are potentially affected by
23 variability the likelihood is that those are poorly
24 soluble. When it is down to the poorly soluble,
25 usually when you use free energy for forming

1 conversion--we have to take it case by case is what
2 I mean. If there is a possibility to convert from
3 polymorphic 2 into polymorphic 3 and there is a
4 great possibility, then we have to look at if this
5 happens, the conversion and there are two products
6 with polymorphic 3 bioequivalent or not because
7 that is only in rare cases that that might be
8 happening. Certainly we have to make sure that
9 this can detect a potential impact. I say this is
10 theoretically possible. In reality it may not be
11 happening.

12 DR. HUSSAIN: Let me throw in one more
13 wrinkle then. In a sense, you could have changes
14 in polymorphic form of excipients and that could
15 affect dissolution and could affect everything else
16 and we don't even want to ask that question today.

17 DR. KIBBE: I was going to go in that
18 direction just a second ago; you beat me to it.
19 Right now we look at the changes in dissolution for
20 anything in terms of shelf life. We don't test
21 bioequivalency at the back end. Those changes in
22 dissolution can be a result of anything changing,
23 ignoring polymorphs, excipients, aging, whatever.
24 If we see those changes, then we use that as a
25 quality control so why should polymorph concerns be

1 any different than the general concern we have in
2 the general product?

3 Now, if we really are concerned that we
4 are missing a significant change in bioequivalency
5 because our dissolution profiles aren't good
6 enough, then we need to go back and do two-year old
7 bioequivalency studies on already marketed
8 innovator products to see if there is a change
9 because we know the dissolution profiles are good
10 because they collect that data. Now we are asking
11 a different theoretical question, which is we are
12 all comfortable with dissolution projecting
13 bioequivalency and once we have established it we
14 are happy that dissolution will allow us to catch
15 any changes in that, but have we tested it? That
16 is independent of a polymorphism issue. Right?
17 Which is I think one of the things which Les was
18 getting at. Because we know that dissolution is
19 indicative of bioavailability but not guaranteed.
20 Have we ever really done that test? And, that is
21 completely different than the issues we are talking
22 about today.

23 Looking back on polymorphism might be just
24 one factor that might create a problem but we don't
25 know that for a fact, and as long as we are happy

1 with dissolution as a measure of changes with
2 aging, I think we should be happy with dissolution
3 as a measure of changing with aging regardless of
4 whether it is a change in excipients, which I think
5 might be more likely, than a change in polymorphs.

6 DR. MORRIS: If I could just add to that,
7 there are a number of cases where different
8 particularly hydrated and amorphous forms, as well
9 as polymorphs, show differences in dissolution and
10 they are also translated into plasma concentration.
11 There is a fair literature on that. We work on
12 trying to develop methods for quantifying
13 polymorphs in dosage forms, however, to Art's point
14 and to Tom's point as well, he didn't tell you but
15 when we were talking he was saying that even if you
16 determine differences in polymorph ratio in the
17 final dosage forms, there is no guaranty. You
18 could pass spec fine with that determination and
19 still fail dissolution because of particle size and
20 other issues that Art had raised. Not that I am a
21 big fan of determination but it is just not the
22 only variable with respect to dissolution and
23 availability I think.

24 DR. BENET: I am convinced that the
25 dissolution is satisfactory in its present state.

1 DR. LEE: Would you repeat that please,
2 Les? We could not hear what you said.

3 DR. BENET: I am convinced that we have
4 adequate protection with dissolution criteria at
5 the present time for the dosage form over its shelf
6 life because if I change that then I feed in
7 problems.

8 DR. LEE: Okay, thank you.

9 DR. MEYER: Lawrence, under decision tree
10 three, I guess the second diamond down, the
11 question is does drug product dissolution testing
12 provide adequate controls to determine polymorphic
13 ratio changes? How are you going to test that?
14 Are you going to make different formulations or
15 several formulations with different polymorphs and
16 look at dissolution and then look at something
17 else? How are you going to know that?

18 DR. YU: Sometimes you look at other
19 decision trees and you tend to adopt them, you
20 know, but you don't know how to answer them. This
21 is actually similar to ICH Q6A, and the decision
22 tree over there basically says does drug product
23 performance testing provide adequate control if the
24 polymorphic ratio changes, such as dissolution? If
25 we truly want to know, if there is a concern,

1 unlikely as it is that there is a distinct
2 possibility--we have to ask this question first.

3 So, the likelihood is extremely low but
4 for us, we, indeed, want to demonstrate that the
5 dissolution testing can provide adequate control
6 for polymorphic ratio changes and then we will have
7 to prepare product with different polymorphic forms
8 and evaluate the bioequivalence study. Sometimes
9 if there is greater possibility for potential
10 conversions--we know there is a variety of crystal
11 forms exists, for all kinds of reasons if an
12 amorphous form is used the chance is extremely low
13 and, certainly, we are confident that this
14 dissolution method can detect potential polymorphic
15 changes for the long run but at the initial stage
16 we may have to do bioequivalence studies, yes.

17 DR. HUSSAIN: I think in general,
18 especially while developing the BCS guidance, we
19 did a lot of data mining to look at how good the
20 dissolution is. In general, I think it tends to be
21 quite sensitive to changes in formulation, and so
22 forth. But I think as we look forward to more
23 complex drugs, dosage forms and so forth, there is
24 a strong need for understanding dissolution and how
25 we set specifications more based on physical

1 chemical attributes. So, that is sort of a concern
2 that I have. I think we need to keep in mind how
3 we set dissolution specifications and make sure
4 those are set appropriately. I think there is room
5 for improvement in that also.

6 DR. MEYER: Under decision tree number one
7 you define highly soluble in terms of the BCS
8 classification. Now, are we really going to have
9 whatever it is, six or seven pH's for each of the
10 polymorphs?

11 DR. YU: The chance certainly is very low
12 but we define that as known polymorphs that are
13 highly soluble. Looking at it another way, you
14 look at the most stable form. The most stable form
15 actually determines our own answer to this question
16 because the meta-stable form tends to have high
17 solubility in the most stable form. So, what we
18 actually look at for solubility when we ask this
19 question is the solubility of the most stable form.
20 It is not necessary for you to get all the other
21 information in order to answer this question. In
22 other words, it is not necessary to get the
23 solubility of a meta-stable form to answer this
24 question because we know the solubility of the
25 meta-stable form will be higher than the most

1 stable form under the same conditions.

2 DR. MEYER: My objection is if they are
3 all known polymorphs, highly soluble as defined by
4 BCS--

5 DR. YU: So, you are suggesting we should
6 have considered change, for example, the most
7 stable form?

8 DR. MEYER: Either you do all the forms,
9 like you say, and all the pH's, like BCS says or
10 you have some modification of that.

11 DR. YU: Excellent. That is a good
12 suggestion, yes.

13 DR. LEE: Leon?

14 DR. SHARGEL: I want to address this first
15 part in terms of the more stable form or less
16 stable form. I think Gary Buehler hit it on the
17 nose that litigation is often the driving force in
18 this area, as well as patents. When a generic is
19 coming on the market, looking at the API, we will
20 certainly look at whether the polymorphic form will
21 or will not infringe on the innovator patent. So,
22 it may certainly be a different polymorph than the
23 innovator.

24 The second is that if the product, once
25 made, is shown to be bioequivalent in similar

1 dissolution, do we really have to worry so much
2 about this part of the decision tree if our final
3 product is going to be bioequivalent, stable and
4 show adequate dissolution?

5 DR. MORRIS: Can I ask you when you say
6 this part of the decision tree, are you talking
7 about the solubility part?

8 DR. SHARGEL: I am talking about
9 characterization or trying to always choose the
10 more soluble or more stable polymorphic form. If
11 there, indeed, is patent literature or something,
12 perhaps taking the cefuroxime axetil as an example,
13 the amorphous was used by--was it Glaxo? In any
14 case, the crystalline form would be naturally more
15 stable than the original form in this particular
16 case but they both seem to be adequately
17 bioequivalent and the USP modified the monograph
18 accordingly.

19 DR. YU: Yes, the case you are talking
20 about--I don't know this case, but if all these
21 forms, amorphous form and crystalline, are highly
22 soluble, therefore, most likely they will not
23 affect the bioavailability so it is not necessary
24 to do any further testing or polymorphic acceptance
25 criteria for drug substance and drug product.

1 DR. MEYER: But the argument in this case
2 was the crystalline form was less soluble than the
3 amorphous form in terms of greater solubility, and
4 that was the rationale. The crystalline form, of
5 course, was more stable but less soluble in terms
6 of rate of solubility.

7 DR. YU: Yes, the crystal form--maybe one
8 form is less soluble than the other but this does
9 not necessarily mean these two forms are not
10 bioequivalent.

11 DR. MEYER: Why do we need the first part
12 then?

13 DR. MORRIS: No, they are not
14 bioequivalent, if you look, the pure crystal and
15 pure amorphous is what Leon is saying. He is
16 saying that they are not bioequivalent as the final
17 drug product. The formulation, the way it was
18 made, is bioequivalent and produces the same within
19 the confidence intervals or demonstrates
20 bioequivalence.

21 DR. YU: So, Leon, what exactly is your
22 question?

23 DR. SHARGEL: I don't know how much we
24 need to worry about solubility and such at this
25 stage as the real stage is in the product itself.

1 We characterize the polymorphs anyway as a
2 necessity, as I said, because of the science and
3 maybe political science from the point of view of
4 patents but the final analysis is the finished
5 dosage form.

6 DR. YU: In other words, what you are
7 suggesting is we don't have to worry until we go to
8 decision tree two to set up the specification.

9 DR. SHARGEL: We do need specifications.
10 I am not arguing about that.

11 DR. YU: Certainly, decision tree number
12 one is to give you a scientific justification to
13 provide an opportunity to not set up any
14 specification at all. If you want to go through
15 this one and set up specification, that is okay.
16 Your answer to the first question is yes; the
17 second question is no; and you go to set up
18 specification if you like. That is okay too. Yes.

19 DR. HUSSAIN: A question that sort of
20 comes up, I think the language and the terminology
21 we are using become critical beyond the political
22 science that comes in. The decision tree says are
23 all known polymorphs--do you see a problem with
24 that? I think with the software we are seeing now
25 we can predict all possible polymorphic forms based

1 on the chemical structure but, in reality, in terms
2 of getting those polymorphs in a physical sense is
3 not always easy. So, can you just give some advice
4 on the language, how this should be structure?

5 DR. LEE: Well, I think what we are
6 looking at is if polymorphism is believed or
7 suspected to be the cause of the
8 problem--right?--what should we do?

9 DR. YU: I think Ajaz' question is what
10 defines "known." What does "known" mean? So,
11 should it be experimentally verified or just
12 verified by the computer?

13 DR. KIBBE: I think to change it from
14 "known" to "available." If one company uses a
15 particular polymorph and I can get my hands on the
16 same polymorph I am finished. Okay? So, it is are
17 there available polymorphs with different apparent
18 solubilities, and am I using the same polymorph or
19 does mine have the same solubility as theirs? I
20 don't think someone making a product needs to have
21 clearly available to them all the possible
22 polymorphs or all that have ever been discovered.
23 They have to deal with what is available in the
24 marketplace that can be used.

25 DR. MORRIS: Yes, I sort of see where you

1 are going there but I think there is a problem
2 there. I would agree to the extent that there are
3 a lot of compounds that are known to form solvates
4 that might have 20 different solvates, and I agree
5 that if you are not using that in your process
6 there is not a lot of reason to go after it. But
7 because of some of the differences, as Leon was
8 talking about, the differences in the development
9 process and the raw material supplier, I think you
10 have to screen to the extent that you know that you
11 are not probing an area and confirmation space,
12 which is the software that Ajaz was referring to,
13 that will now be stabilized by your system. If you
14 go into polymorph predictors you can find, you
15 know, a thousand forms and, obviously, if you can
16 isolate, you know, ten of them that wouldn't be
17 unusual. Of those ten, maybe only two are really
18 in an energetic range to be significant. But even
19 the polymorph predictors don't typically predict
20 solvate forms and certainly nothing is going to
21 predict amorphous forms very well at this stage.
22 So, I think you are still forced on the empiricism
23 of screening to the extent that it encompasses the
24 exposure that you expect your material to be
25 subject to, particularly if you are doing wet

1 granulation, as we talked about before. If you are
2 going to DC or direct compression, maybe there is
3 an even little narrower focus to your screen.

4 DR. HUSSAIN: That sort of brings me back
5 to what Leon was trying to get at probably. In a
6 sense, the regulatory question essentially then
7 becomes if you have selected a supplier of drug
8 substance for your product, then that becomes your
9 material of interest. Why go to anything beyond
10 that?

11 DR. MORRIS: Well, in terms of your
12 supplier that is fine but, again, if you look at
13 the examples of conversion during processing even
14 or storage, particularly if you are using a
15 different form than already has a history, I don't
16 see that that let's you off the hook in any way. I
17 just think that it focuses much more on what you
18 have to worry about so you don't have to worry
19 about the hundred forms. If you are just using an
20 aqueous-based system, then you are not going to
21 use--

22 DR. HUSSAIN: What I was driving at was,
23 in a sense, to qualify any given product
24 formulations, hopefully, you go through the
25 development; you go through the stability; you go

1 through the bioavailability anyway. But now your
2 material is what you are starting with and you just
3 focus on that material rather than looking for all
4 possibilities and sort of the physicochemical
5 attributes would just focus on that material rather
6 than looking at all possibilities.

7 DR. MEYER: Maybe that could be in the
8 sense of does your polymorph convert to another
9 form, and are the two forms, two or more forms, do
10 they have different solubility? Are they both
11 highly soluble? So, you focus in on what is being
12 used in that application.

13 DR. HUSSAIN: And when there is a change
14 in supplier, then everything kicks in.

15 DR. MORRIS: I see what you are saying.
16 Yes, certainly and that is what we were talking
17 about earlier. If you change your supplier and
18 they have a different crystallization step or a
19 different profile--I guess one of the exceptions
20 would be in a case, as you were discussing, where
21 you are now seeding amorphous material with
22 crystalline material. That is very nerve-wrecking.
23 I realize that so far it has been, you know, okay
24 but, to me, that is the sort of thing that really
25 bears monitoring because here you are sort of

1 setting things up to fall down the thermodynamic
2 hill.

3 DR. KIBBE: What you are suggesting I
4 think is that it is really easy to get past the
5 beginning and to decision tree two; that it is hard
6 to, say, blow off any concern about polymorphism.
7 What I was saying is that if yours and the
8 innovator's are the only available forms, then you
9 are done. I mean, if the two are the same
10 polymorphic forms, you are done. That is the only
11 way you would get out of here without doing any--

12 DR. YU: That is correct and, actually, in
13 many cases despite the fact that the computer
14 predicts ten solvates, in reality we can only
15 discover one or two or, in many cases one
16 polymorphic form and we don't have to worry about
17 this in the future. So, if we can use decision
18 tree number one at least to avoid unnecessary
19 testing down the road--if you want to go to
20 decision tree number one and if you want to always
21 test to set up specifications, that is okay.

22 DR. MORRIS: And to your point, Art, and
23 it is sort of something I talked about in the
24 slides I presented, inclusive of amorphous and
25 solvate or hydrate forms you have to have the

1 caveat that if there is something in the innovator
2 product or even in other generic products that has
3 been specifically done to stabilize an otherwise
4 highly meta-stable phase, then you are adding
5 another dimension to the risk that has to be
6 assessed. I am not saying that it still doesn't
7 pan out to be--you know, once you have settle on
8 that form it gives you a much higher level of
9 confidence.

10 DR. LEE: I guess what we are hearing is
11 that there is an attempt to write specifications
12 but there are so many exceptions.

13 DR. HUSSAIN: It is sort of a balancing
14 act where we actually bring the right science to
15 bear on the type of questions we are asking because
16 one of our challenges, I think, that we face is
17 that generally in the drug approval process we have
18 much more limited data as opposed to the new drug
19 review process. So, some of the decisions with
20 respect to stability, and everything, is on
21 somewhat more limited data. So, I think it is a
22 balance that we have to strike that has enough
23 characterization to work on some of the other
24 challenges that we face.

25 DR. LEE: Or, to sum things up, you can

1 say that science will take care of itself.

2 DR. YU: It all comes down to if the firm
3 has provided adequate information to convince us
4 that they can produce the generic product which is
5 high quality, which is equivalent to the reference
6 listed product. It all boils down to this
7 question.

8 DR. MORRIS: Yes, if I can sort of
9 summarize what I think, I mean, it is a case by
10 case basis in a sense but that is not a bad thing
11 because the decision tree still gives you the
12 framework to work by, but no matter how much we try
13 to take the science out of the decision-making
14 process, not at the FDA but in terms of our general
15 techniques for coming down to specific cases, you
16 are always going to apply the science that is
17 appropriate at the level that it is appropriate. I
18 think that is all that the decision tree is trying
19 to do, to say where do you need to apply what
20 science. That is what it boils down to. What
21 science there is will depend on the case.
22 Otherwise, you can't classify anything. I mean, we
23 have a separate decision tree for polymorphs and
24 hydrates and then hydrates and amorphous which is
25 just too cumbersome to even do. So, I think that

1 the concept is sound and it is just a matter of us,
2 as a community, saying, you know, you have to give
3 your scientists freedom to do what they need to do
4 when they need to do it. In that case it works
5 pretty well.

6 DR. LEE: Thank you. Is everybody
7 comfortable with that?

8 DR. MEYER: Let me raise just one question
9 about the footnote in decision tree three. It
10 bothers me, unless you have data to back it up
11 which you may very well have, in footnote two it
12 says dissolution testing with appropriate
13 dissolution may frequently detect potential
14 conversion of polymorphs during storage of the
15 product. It refers to the product I believe. In
16 rare cases dissolution testing is not able. How
17 many "frequent" examples do you have where you are
18 able to see the polymorphic conversion in a product
19 during storage that was picked up by dissolution?

20 DR. YU: I guess this comes back to the
21 same question about drug products or drug
22 substance, interactions, excipients, drug substance
23 interactions. It comes down to this, that in this
24 case, for example for some poorly soluble drugs,
25 like carbamazepine, you can develop dissolution to

1 detect the difference. However, for highly soluble
2 drugs, and most polymorphic forms are highly
3 soluble, probably it is very difficult. So, what
4 you come down to in the decision tree is the
5 likelihood that the drug is poorly soluble,
6 therefore, if there is a potential conversion,
7 potential solubility change, the likelihood very
8 often will be that it can detect potential changes.

9 DR. MEYER: I don't disagree with your
10 statements. I am curious as to whether Gary can
11 talk to lawyers or appear in court and say, oh, we
12 frequently can detect and someone then will say,
13 well, give me twenty examples, or ten, or something
14 other than carbamazepine.

15 DR. YU: We actually have a working group
16 which is collecting approved ANDAs to see those
17 decision trees. So far our situation is pretty
18 good.

19 DR. HUSSAIN: Let me sort of rephrase
20 that. That is an important point because I think
21 the language matters here. I think our knowledge
22 base or database that we have for dissolution, in a
23 sense when you look at dissolution you are looking
24 at a complex system, not just polymorph changes.
25 The entire system is changing, and so forth. So,

1 what that essentially does tell me is that that box
2 could essentially read that dissolution testing is
3 a sensitive indicator of changes that occur that
4 relate to dissolution changes. I mean, that is
5 what we are talking about, not per se a polymorph
6 change.

7 If you break it down to polymorphic
8 conversion, I don't think anybody has the data.
9 The argument is supported that dissolution changes
10 are reflective of solubility changes and,
11 therefore, the logic is there but I am not sure the
12 data is there that goes to that point.

13 DR. RODRIGUEZ-HORNEDO: I agree with what
14 Ajaz said. I am more comfortable with the
15 terminology based on solubility because actually I
16 have seen some cases, and we have studies some in
17 our lab, where if you have very fast polymorphic
18 conversion to the more stable form the dissolution
19 test is not going to be discriminating. So, I
20 would think that the terminology in footnote two is
21 a little bit confusing.

22 DR. SHEK: But wouldn't then the question
23 be is it significant? If the dissolution doesn't
24 pick it up, is this conversion from one polymorph
25 to the other significant biologically?

1 DR. HUSSAIN: It won't be. I mean, that
2 is the basis of the current system.

3 DR. LEE: It seems to me that there are
4 some suggestions for changing the wording.
5 Anything else? No? Done. Any other comments? It
6 seems to me that obviously polymorphism is quite
7 important for certain drug substances. I think
8 that specifications might be useful as some kind of
9 guidance but I don't think we can be rigid in the
10 wording. I think that is the message.

11 DR. YU: Yes, thank you.

12 DR. LEE: Is there anything else?

13 DR. MEYER: You didn't cover number C,
14 about the extraordinary formulation or
15 manufacturing process.

16 DR. YU: I am sorry, that was deleted.
17 The working group realized that that sentence is
18 very vague. We had to delete this sentence. Thank
19 you.

20 DR. LEE: Thank you very much. I think
21 that is about it for polymorphism.

22 Ajaz asked me to make a comment about my
23 observations on this committee, and I promise I
24 will not spend lots of time on it.

25 First of all, I think it is a wonderful

1 experience and it is wonderful because of the
2 diversity, and because of diversity I think we have
3 to learn how to be quick thinkers and also to act
4 in a fair manner.

5 I am very please to see that a
6 subcommittee structure is evolving. As I said
7 earlier this morning, it is very scary to be able
8 to understand all the issues and I think the
9 subcommittee structure will help to deal with some
10 of this a little bit.

11 I think I also began to see, as Helen said
12 this morning, that there is kind of follow-up,
13 continuity. I think we are getting there but
14 oftentimes my concern is that some of the issues
15 kind of last for a long time so that what we have
16 recommended today or talked about today may not be
17 shared, or our successors may not be privy to what
18 has been discussed before and I think that maybe
19 some kind of archives would be useful. I think I
20 see that some kind of structure is evolving in the
21 sense that we have these--what are these called,
22 Ajaz?--awareness and some things will follow down
23 the line. I often wonder whether or not a two or
24 three times a year meeting is sufficient.
25 Everybody is busy but I hope that with the

1 subcommittee there will be more informed discussion
2 about the issues.

3 When I first took over the chair, I was
4 not really aware about the statute. In fact, as
5 scientists we tend to be spontaneous; we like to
6 discuss matters ahead of time but because we also
7 wear another hat all the discussions have to occur
8 in public. So, I think that may be something that
9 needs to be changed in some way. But in the end, I
10 thought there is a strong partnership between the
11 regulators and the scientific advisors. I think in
12 a way we are a member of the community. I think
13 today we have seen several of these scenes play out
14 again. Questions were asked from the
15 statistician's point of view; things don't seem to
16 make much sense and, yet, it worked.

17 So, I just as I begin to understand how
18 the operation goes, it is time to go, not that I
19 want to stay on forever. But I think some of the
20 things I see changing are, number one, the
21 subcommittee structure, and I think there may be a
22 better access to the information database. I am
23 rambling here, but maybe how the focus is organized
24 would be quite useful. I think the presentations
25 are getting to be very constructive in the sense

1 that you kind of point out important issues and
2 oftentimes for those of us who might be busy, may
3 not study every single document carefully. I tried
4 to set up the subcommittee structure. It seems to
5 work but I think, again, that we are still kind of
6 hindered by how readily the information is
7 available. So, if you have a web site you can
8 instinctively go to where to find the actions, the
9 suggestions that we have.

10 Committee members, other opinions? I
11 think everybody is anxious to go.

12 DR. HUSSAIN: All right, just a few
13 thoughts to close this day, I think this morning we
14 have seen a whole host of topics from the PAT
15 subcommittee report on what we are trying to do
16 there with respect to blend uniformity, with
17 respect to CMC risk-based review and polymorphism.
18 If you look at the underlying discussion and
19 themes, there are many common issues. I think
20 ending the discussion today with polymorphism sort
21 of reinforces some of the basic fundamentals that
22 we have, for example the dissolution test; how good
23 is it; how do we set the specification; and how do
24 we do the right type of testing. So, the bulk of
25 this committee in trying to bring more focused

1 discussion on the science of our test procedures,
2 and so forth, really comes home to sort of bring
3 standards that are well grounded in science.

4 At the same time, I think what the PAT
5 initiative also serves is to take the next step.
6 If you look at polymorphism, if you want to
7 characterize polymorphic forms or particles size
8 you are going to do that from a very small sample
9 size. Where is that sample coming from? Is it
10 representative? Because we are making major
11 decisions on all these aspects on few samples. If
12 we are just figuring out sampling strategies for
13 blending, a fifty-year old operation, you can
14 imagine where we are in that sense. You can also
15 see why the CMC review is so important, and the
16 risk-based approach is so difficult to adopt
17 because of the unknown aspect that we struggle
18 with.

19 So, I think what we have tried to do is
20 set up challenges, and identify challenges to be
21 addressed by the current system and also, at the
22 same time, develop a new system which actually
23 overcomes some of these challenges. So, I hope you
24 can see all these interconnections between the
25 topics we have discussed and will continue to

1 discuss with you. Again, thank you. It was a
2 wonderful day.

3 DR. LEE: I think in a way you mentioned a
4 very important point. I wonder whether it would be
5 useful for the committee to identify two or three
6 issues to work on. I think it is very important
7 for us to anticipate where science is moving in the
8 next five years. We have to respond to the issues
9 that you raise but, hopefully, we, the scientific
10 community, response more in a proactive way.
11 Again, I want to emphasize the partnership, members
12 of the same community.


13 Thank you very much for today's
14 discussion. Tomorrow we are going to come together
15 at 8:30 again. Have a good evening.

16 [Whereupon, at 4:05 p.m., the proceedings
17 were recessed, to resume at 8:30 a.m., Tuesday,
18 October 22, 2002.]

19

CERTIFICATE

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.


ALICE TOIGO