

UNITED STATES OF AMERICA
 DEPARTMENT OF HEALTH AND HUMAN SERVICES
 PUBLIC HEALTH SERVICE
 FOOD AND DRUG ADMINISTRATION
 CENTER FOR DRUG EVALUATION AND RESEARCH

0240 '99 APR 15 11:11

+ + +

ENDOCRINOLOGIC AND METABOLIC DRUGS

ADVISORY COMMITTEE

+ + +

MEETING NO. 72

+ + +

Friday, March 26, 1999

+ + +

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service; the Food and Drug Administration makes no representation as to its accuracy.

The Advisory Committee met in Versailles Rooms I, II, and III, Holiday Inn, 8120 Wisconsin Avenue, Bethesda, Maryland, at 8:00 a.m., Henry G. Bone, III, M.D., Chairman, presiding.

PRESENT:

- HENRY G. BONE, III, M.D., Chairman
- KATHLEEN REEDY, Executive Secretary
- JULES HIRSCH, M.D., Member
- D. ROGER ILLINGWORTH, M.D., Ph.D., Member
- ROBERT A. KREISBERG, M.D., Member
- MARK E. MOLITCH, M.D., Member

PRESENT (Continued):

GLENN BRAUNSTEIN, M.D., FDA Consultant

JOSE FRANCISCO CARA, M.D., FDA Consultant

COLLEEN A. COLLEY, Pharm.D., FDA Consultant

SAUL GENUTH, M.D., FDA Consultant

ROBERT MARCUS, M.D., FDA Consultant

MARIA I. NEW, M.D., FDA Consultant

RICHARD J. HAMMES, R.Ph., M.S., B.C.N.P.,

Consumer Representative

JAMES H. LEWIS, M.D., Guest Expert

LEONARD B. SEEFF, M.D., Guest Expert

NORMAN FLEISCHER, M.D., Guest Expert

REBECCA W. KILLION, Guest Expert

JAMES M. BILSTAD, M.D., FDA Representative

DAVID GRAHAM, M.D., M.P.H., FDA

Representative

SOLOMON SOBEL, M.D., FDA Representative

ROBERT TEMPLE, M.D., FDA Representative

JEFFREY MILLER, M.D., Public Comment

ROBERT BUSCH, M.D., Public Comment

STEVEN V. EDELMAN, M.D., Public Comment

PATRICK J. BOYLE, M.D., Public Comment

ANNE PETERS, M.D., Public Comment

RAMACHANDIRAN COOPAN, M.D., Public Comment

PRESENT (Continued):

TOM HALSTEAD, Public Comment

MEHMOOD KHAN, M.D., Public Comment

THOMAS J. MOORE, Public Comment

JOSE LOUIS BAUTISTA, M.D., Public Comment

DANIEL EINHORN, M.D., FACP, FACE, Public
Comment

WILLIAM CLARK, M.D., Public Comment

VINCENT PEARSON, Pharm.D., Public Comment

SIDNEY M. WOLFE, M.D., Public Comment

STEPHEN CLEMENT, M.D., Public Comment

GERALD FAICH, M.D., MPH, Sponsor
Representative

PHILIP HOME D.M., D.Phil., Sponsor
Representative

MARK PIERCE, M.D., Ph.D., Sponsor
Representative

PAUL WATKINS, M.D., Sponsor Representative

RANDALL WHITCOMB, M.D., Sponsor
Representative

ROBERT ZERBE, M.D., Sponsor Representative

C-O-N-T-E-N-T-S

	<u>PAGE</u>
Introductions	6
Conflict of Interest Statement	8
Background and Purpose, James M. Bilstad, M.D.	10
Public Comment:	
Jeffrey Miller, M.D.	17
Robert Busch, M.D.	21
Steven V. Edelman, M.D.	24
Anne Peters, M.D.	27
Ramachandiran Cooppan, M.D.	30
Tom Halstead	33
Mehmood Khan, M.D.	36
Thomas J. Moore	40
Patrick J. Boyle, M.D.	48
Jose Luis Bautista, M.D.	53
Daniel Einhorn, M.D.	55
William Clark, M.D.	59
Vincent Pearson, Pharm.D.	61
Presentation by Sidney M. Wolfe, M.D.	64
Presentation by Stephen Clement, M.D.	76
Presentation by David J. Graham, M.D.	82

C-O-N-T-E-N-T-S (Continued)

	<u>PAGE</u>
Sponsor's Presentation:	
Introduction, Robert Zerbe, M.D.	191
Description of Hepatic Events, Paul Watkins, M.D.	197
Estimate of Incidence, Mark Pierce, M.D. and Gerald Faich, M.D.	213, 230
Comparative Safety of Alternative Therapies, Philip Home, M.B., Ph.D.	236
Benefits of Rezulin Therapy, Randall Whitcomb, M.D.	246
Risk-Benefit Summary, Robert Zerbe, M.D.	269
Committee Questions of Sponsor	280
Summary, Charge to the Committee, and Introduction to Questions, James M. Bilstad, M.D.	364

P-R-O-C-E-E-D-I-N-G-S

(8:10 a.m.)

CHAIRMAN BONE: Good morning, everyone. I'm Dr. Henry Bone. I'm calling to order the 72nd meeting of the Endocrinologic and Metabolic Drugs Advisory Committee.

The topic today is a discussion of experience since approval for marketing of the benefits and risks of troglitazone, and also there will be some discussion of triple therapy involving this agent with sulfonylurea and metformin in the treatment of Type 2 diabetes mellitus.

The first item will be to go around the front table to introduce the people who are here from the agency and from the Advisory Committee, and I think we'll just begin with that. Perhaps we'll start at the far right with Dr. Temple.

DR. TEMPLE: Thanks. I'm Bob Temple. I'm CDER's Associate Director for Medical Policy.

DR. BILSTAD: Jim Bilstad, Office of Drug Evaluation 2.

DR. SOBEL: Saul Sobel, the Metabolic and Endocrine Drug Division.

DR. GRAHAM: David Graham, a medical epidemiologist in the Office of Postmarketing Drug

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 Risk Assessment in CDER.

2 DR. SEEFF: I'm Leonard Seeff from the
3 NIDDK and the VA.

4 DR. LEWIS: I'm James Lewis from
5 Georgetown University. I direct hepatology there.

6 DR. ILLINGWORTH: Roger Illingworth,
7 Oregon Health Sciences University, Portland, Oregon.

8 MR. HAMMES: Dick Hammes, pharmacist,
9 University of Wisconsin.

10 DR. GENUTH: Saul Genuth, Case Western
11 Reserve University.

12 DR. BRAUNSTEIN: Glenn Braunstein, Cedars-
13 Sinai Medical Center, Los Angeles.

14 CHAIRMAN BONE: Henry Bone, Michigan Bone
15 and Mineral Clinic at St. John Medical Center in
16 Detroit.

17 MS. REEDY: Kathleen Reedy, Food and Drug
18 Administration.

19 DR. MOLITCH: Mark Molitch, Northwestern
20 University in Chicago.

21 DR. NEW: Maria New, Cornell University
22 Medical College.

23 DR. KREISBERG: Bob Kreisberg, Birmingham,
24 Alabama.

25 DR. COLLEY: Colleen Colley, VA Medical

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 Center in Portland, Oregon.

2 DR. FLEISCHER: Norman Fleischer, Director
3 of Endocrinology at the Diabetes Research Center at
4 Albert Einstein College of Medicine.

5 DR. MARCUS: Robert Marcus, Stanford
6 University and the Veterans' Affairs Medical Center in
7 Palo Alto.

8 MS. KILLION: Rebecca Killion. I'm a Type
9 2 diabetic. I'm here as a patient representative.

10 DR. HIRSCH: Jules Hirsch, Rockefeller
11 University.

12 Henry, I can't see you. So send up a
13 rocket if you want me to comment or something.

14 CHAIRMAN BONE: All right. Perhaps during
15 the discussion we can arrange to have the projector
16 moved.

17 All right. Thank you all.

18 The next item is the presentation of the
19 meeting statement by Kathleen Reedy, the Executive
20 Secretary.

21 MS. REEDY: Conflict of interest statement
22 for the Endocrinologic and Metabolic Drugs Advisory
23 Committee, March 26th, 1999.

24 The following announcement addresses the
25 issue of conflict of interest with regard to this

1 meeting and is made a part of the record to preclude
2 even the appearance of such at this meeting.

3 Based on the submitted agenda and
4 information provided by the participants, the agency
5 has determined that all reported interests in firms
6 regulated by the Center for Drug Evaluation and
7 Research present no potential for a conflict of
8 interest at this meeting with the following
9 exceptions.

10 In accordance with 18 United States Code
11 208(b), full waivers have been granted to Dr. Mark
12 Molitch, Dr. Glenn Braunstein, Dr. Henry Bone, and Dr.
13 Saul Genuth.

14 Copies of these waiver statements may be
15 obtained by submitting a written request to FDA's
16 Freedom of Information Office, located in Room 12A30
17 of the Parklawn Building.

18 In the event that the discussions involve
19 any other products or firms not already on the agenda
20 for which an FDA participant has a financial interest,
21 the participants are aware of the need to exclude
22 themselves from such involvement and their exclusion
23 will be noted for the record.

24 With respect to FDA's invited guests,
25 there are reported interests which we believe should

1 be made public to allow the participants to
2 objectively evaluate their comments. Dr. Norman
3 Fleischer would like to disclose for the record that
4 he serves as a member of Parke-Davis' Speakers Bureau.
5 In addition, in 1998, Dr. Fleischer attended a meeting
6 on Rezulin sponsored by Parke-Davis.

7 With respect to all other participants, we
8 ask in the interest of fairness that they address any
9 current or previous financial involvement with any
10 firm whose products they may wish to comment upon.

11 CHAIRMAN BONE: Thank you.

12 The next item on the agenda is the remarks
13 upon background and purpose by Dr. Bilstad, Director
14 of the Office of Drug Evaluation 2.

15 DR. BILSTAD: Good morning. First I would
16 like to address the question why we are having this
17 Advisory Committee meeting.

18 First slide.

19 There continue to be reports of serious
20 hepatotoxicity despite labeling recommendations for
21 frequent monitoring of liver function tests, which
22 currently are recommended at baseline and monthly
23 thereafter for the first eight months.

24 In addition, recently we have become aware
25 of a number of reported cases that appear to have had

1 a very rapid course, which raises questions about the
2 adequacy of frequent monitoring to prevent liver
3 failure.

4 Next.

5 On the other hand, troglitazone has a
6 different mechanism of action from other approved
7 drugs to treat diabetes, and it is effective in
8 helping to control hyperglycemia in Type 2 diabetics.

9 We want to review with the Committee the
10 experience with the drug since it was approved for
11 marketing, and we are asking for the Committee's views
12 on the assessment of the drug's overall benefits and
13 risks.

14 Next.

15 I would like to briefly review some
16 aspects of the regulatory history for troglitazone.
17 The NDA was received by FDA in August of 1996, and it
18 was designated as a priority application because of
19 its different mechanism of action.

20 It was presented to this Committee on
21 December -- in December 1996. At that time,
22 hepatotoxicity was discussed only to a limited extent.

23 The Committee recommended approval for use
24 in Type 2 diabetics who were currently taking insulin,
25 but were not adequately controlled.

1 Next.

2 Less than two weeks after the 1996
3 Advisory Committee meeting, a safety update was
4 submitted to the FDA that included additional cases of
5 hepatotoxicity. The drug was approved for marketing
6 in the end of January 1997, and the labeling
7 indication was as discussed at the Advisory Committee,
8 for patients who were taking insulin but were judged
9 not to be adequately controlled.

10 Next.

11 The labeling at the time of initial
12 approval included hepatotoxicity information and the
13 precautions and the adverse reaction sections based on
14 the information in the safety update. The labeling
15 stated that 2.2 percent of patients in the controlled
16 clinical trials had an AST or ALT greater than three
17 times the upper limit of normal.

18 The labeling also reported that two
19 patients had developed jaundice while taking
20 troglitazone which subsided after the drug was
21 stopped.

22 Next.

23 Efficacy supplements were submitted by the
24 sponsor to expand the indications. In August 1997,
25 labeling was approved that allowed for concomitant use

1 of troglitazone with the sulfonylurea drug to improve
2 glycemic control.

3 At the same time, the labeling indication
4 was also expanded to include monotherapy with
5 troglitazone, provided that the patients had not
6 previously been well controlled on sulfonylureas.

7 Next.

8 The first fatalities associated with
9 hepatotoxicity were reported to the FDA by Parke-Davis
10 in October 1997. Shortly thereafter, the labeling was
11 changed to include a bolded warning about the
12 possibility of hepatic failure, including death.

13 Recommendations for monitoring liver
14 function tests were also included in the labeling.
15 The recommendation at that time was that they be
16 checked during the first one to two months of therapy
17 and every three months thereafter during the first
18 year.

19 Next.

20 Additional reports of serious
21 hepatotoxicity were received, and in December 1997, a
22 boxed warning about the possibility of hepatic failure
23 and death was added to the beginning of the labeling.

24 The recommendations for monitoring liver
25 function tests were also changed. Testing was

1 recommended when therapy with troglitazone was
2 started, to be repeated every month for the first six
3 months, and every two months for the remainder of the
4 first year.

5 Next.

6 The last labeling change was made in July
7 of last year. At that time the monthly monitoring of
8 liver function testing was extended from six months to
9 eight months.

10 In addition, the statement was added that
11 troglitazone therapy should not be started if the ALT
12 was great than one and a half times the upper limit of
13 normal. A statement was also included in the boxed
14 warning that if an ALT was obtained in the range of
15 one and a half to two times greater than the upper
16 limit of normal, LFTs should be repeated within a week
17 and then repeated weekly until the levels returned to
18 normal.

19 The primary issue that we are bringing to
20 the Committee is for your consideration of the
21 potential overall benefits and risks for the labeled
22 indications, i.e., for the combination with either
23 sulfonylurea drugs or with insulin and for
24 monotherapy.

25 We are, however, also asking the Committee

1 for comments on the proposed indication for
2 combination with sulfonylureas and metformin.

3 We also want to raise the issue of how
4 successful the labeling recommendations for monthly
5 monitoring during the first eight months of therapy
6 are in reducing the cases of liver failure.

7 Dr. David Graham from FDA's Office of
8 Postmarketing Drug Risk Assessment will later address
9 a number of issues relating to hepatotoxicity.

10 I would also like to point out that we
11 recognize the difficulties in attempting to define and
12 quantitate the potential long-term benefits of drugs
13 used in the treatment of diabetes. The sponsor will
14 be addressing potential benefit issues with
15 troglitazone, as well as issues relating to
16 hepatotoxicity.

17 That concludes my opening remarks, and I
18 look forward to the presentations today and to the
19 discussion on certainly this very difficult and
20 challenging subject.

21 Thank you.

22 CHAIRMAN BONE: Thank you very much, Dr.
23 Bilstad.

24 In a moment we'll start the open public
25 hearing section of the meeting. As I usually like to

1 remark, it's a very American characteristic to have
2 such an opportunity in deliberations of a committee
3 advising a drug registration authority, and I look
4 forward to the remarks that will be made by each of
5 the people who are scheduled to talk.

6 I will ask the people to stay within the
7 time that they've agreed on with Kathleen Reedy, the
8 Executive Secretary, and I'll give you a little signal
9 at four minutes like this, and please. As I said,
10 she's discussed this with you I know.

11 She has a comment to make first of all
12 about the materials.

13 MS. REEDY: Because of the number of
14 people who requested to speak in the open public
15 hearing, letters that were submitted for the open
16 public hearing are printed and available, and everyone
17 at the table has them in their folder, and there are
18 a stack of them where you picked up the agendas if you
19 would like to have a copy, but there are about six or
20 eight letters and another six or eight letters that
21 respond to the citizens petition, which you'll hear
22 more about.

23 CHAIRMAN BONE: Thank you very much.

24 The first speaker in the open public
25 hearing -- with this large number of speakers, it is

1 Dr. Jeffrey Miller of Thomas Jefferson University
2 Hospital in Philadelphia, and if we can have people
3 sort of waiting when they're next so that we don't
4 have too much time in between just waiting for people
5 to come up to the podium, I think that will help us
6 move along.

7 Dr. Miller.

8 And I will say: would any of the open
9 public hearing speakers who have an interest that
10 could be regarded as a financial interest please state
11 that as well? We've asked that everyone be candid
12 about this.

13 Thank you very much. Dr. Miller, please.

14 DR. MILLER: Thank you, Dr. Bone.

15 Ladies and gentlemen, I am on the
16 Speaker's Bureau of Parke-Davis, Eli Lilly,
17 NovoNordisk, Knorr (phonetic) Pharmaceuticals, Bayer
18 Pharmaceuticals, to mention quite a few.

19 I am here representing the ten million-
20 plus diabetics who in this country suffer from the
21 epidemic of obesity. I'm here in my private capacity
22 with no other inclination.

23 The problem, as I foresee it, in these
24 overweight diabetics who die at the death rate of
25 about 500 people per day is that contrary to what we

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 currently believe -- and I've done a little survey in
2 my own practice, which is probably the largest single
3 handed endocrine-diabetes practice in the City of
4 Philadelphia -- is ask my patients a simple question.
5 If you don't take care of yourself as a diabetic, what
6 will happen to you?

7 And the uniform answer is, "Doctor, I will
8 die as a result of kidney failure, blindness, or limb
9 amputation."

10 Unfortunately, that is not the reality.
11 That is some five percent of total deaths. Seventy-
12 five percent of diabetics die as a result of the
13 scourge of cardiovascular disease, and the onus on us
14 as treating health care providers is to provide these
15 people with what I've termed heart healthy. We need
16 to prolong their life spans by allowing them to be
17 free of atherosclerosis, and it my belief, based on a
18 number of scientific publications, including the Paris
19 prospective study, that in order to prevent
20 cardiovascular disease, we need to go beyond simple
21 issues that we address every day, that is, beyond
22 blood glucose, beyond hypertension, and beyond
23 hypolipidemic indices.

24 We need to look at the beta cell. We need
25 to look at the entity of endogenous insulin production

1 as being potentially involved in this whole
2 atherosclerotic process, and this, I believe, is with
3 this new group of compounds, I believe, the most
4 exciting category of compounds to be around in the
5 last 40 years, the thyozolic endiones or the
6 glutazones, as I call them, have really come to the
7 fore.

8 These are agents that in numerous peer
9 reviewed articles provide cardiovascular protection in
10 terms of improved vascular reactivity, decreased
11 platelet aggregation, decrease in triglycerides,
12 improvement in lipoprotein a, improvement in overall
13 LDL characteristics from an atherogenic to a non-
14 atherogenic potential, to name but a few.

15 This agent, I believe, has gone beyond
16 simple diabetes management, has gone beyond blood
17 glucose. Diabetes is no longer a glucose disorder.
18 To myself as a practicing clinician, educated,
19 diabetes is a vascular disease, and in order to cut
20 down the thrust of this disorder on the vascular
21 system, I have relied very heavily on the insulin
22 sensitizers, first the bioguanides, the midformin, and
23 more recently the even more powerful beta sulresta
24 (phonetic), troglitazone.

25 Life is an analysis of risk and benefits.

1 On Wednesday night just before going to bed, I listened
2 to the President talking about Yugoslavia and was
3 talking about the risk of American lives in terms of
4 the benefits that we can get out of this war.

5 The same with our daily lives in terms of
6 medicine. It's a risk-benefit analysis. I
7 unfortunately recently heard about a patient, a young
8 lady on antithyroid agents for Grave hypothyroidism
9 who succumbed to agranulocytosis. That was a risk-
10 benefit analysis on the part of her physician to treat
11 her with antithyroid agents rather than radioiodine
12 which has no deaths attached to it. The risk
13 unfortunately exceeded the benefit in that situation.

14 In my own personal practice of some
15 cumulative, about 3,000 people on troglitazone, I've
16 had five liver function test abnormalities. These are
17 biochemical abnormalities, mild rises in ALT to less
18 than 100. The agent was discontinued. All five
19 improved and got better and had no permanent sequelae.

20 It is my contention that in a risk-benefit
21 analysis in this vascular scourge of diabetes looking
22 at the overall nexus of action of this very exciting
23 compound, troglitazone, that this is the forerunner in
24 order to cut down on the death by cardiovascular
25 disease.

1 If one looks at a risk-benefit analysis,
2 the benefits so far outweigh the risks that I hope and
3 my charge to the Committee is that hopefully science
4 will prevail and not emotion.

5 And I thank you very much for your
6 attention.

7 CHAIRMAN BONE: Thank you very much for
8 your remarks, Dr. Miller.

9 The next speaker is Dr. Robert Busch from
10 Endocrinology Group in Albany, New York.

11 DR. BUSCH: Thank you for the privilege to
12 be able to present to you today.

13 I'm a clinical associate Professor of
14 Medicine at Albany Medical College, but I'm a
15 practicing endocrinologist in a group of ten private
16 practice endocrinologists in Albany, New York, and I'm
17 here today representing myself, my ten partners who
18 are endocrinologists, and our 2,000 patients who are
19 on troglitazone therapy.

20 In practice, we have the luxury of working
21 with a Doctor of Pharmacy from Albany Medical College,
22 and Dr. Michael Kane has tracked our patients, two of
23 the providers who started patients on troglitazone in
24 1997. He is submitting this for publication and for
25 presentation at the American Diabetes Association in

1 June.

2 We had 460 patients who are on our best
3 effort therapy with either insulin, sulfonylurea, or
4 metformin therapy, with a body mass index on the
5 average of 31. These patients were started on
6 troglitazone in 1997, and over 95 percent of the
7 patients are still on troglitazone therapy.

8 Five patients had to be discontinued
9 because of ALT elevation between three and eight times
10 normal, which reversed to normal. That's 1.2 percent
11 of the patients the drug had to be stopped because of
12 transient ALT elevation.

13 Of the 95 percent of patients still on
14 troglitazone, the average hemoglobin A1c in these
15 patients with our best effort as endocrinologists was
16 8.2 in 1997, a B minus or C plus. Now, our average
17 A1c is 7.3, a decrease of .9 sustained over the past
18 year.

19 This is reminiscent of the U.K. PDS
20 decrease in hemoglobin A1c of .9, which significantly
21 decreased the microvascular complications of diabetes.

22 I did not bring slides today, but I did
23 bring two of my patients from Albany, Ms. Lucille
24 Lorenzo, who is a woman who has had diabetes for 20
25 years, on insulin previously. She's a mother of six,

1 grandmother of 12. Her A1c was 8.9, today is in the
2 mid-six range on troglitazone with sulfonylurea and
3 metformin.

4 A second patient, Robert Anderson, who's
5 a leasing manager for the state. Also, his avocation
6 is as a farmer, and when he was on multiple injections
7 of insulin he had significant hypoglycemic reactions
8 when he was milking his cows. Now on troglitazone,
9 repegionide and metformin therapy, he can mow hay with
10 his grandson.

11 Now, these are just two of the patients
12 that we have as a practice, that we could fill this
13 room with patients who have benefitted from
14 troglitazone. Unfortunately in our area, a lot of the
15 primary care physicians are influenced by what's in
16 the media, and they're practicing medicine by media,
17 and their patients have not been exposed to
18 troglitazone because of fear of the media.

19 Now, the public opinion is influenced by
20 whom the public hears, and oftentimes the public only
21 hears silence, and my hope and the faith that I have
22 and my patients have in this advisory panel and in the
23 FDA is you be very loud and very vocal in your
24 reaffirmation of the safety and efficacy of
25 troglitazone in benefitting the lives of our patients

1 with diabetes.

2 Thank you.

3 CHAIRMAN BONE: Thank you very much, Dr.
4 Busch.

5 The next speaker is Dr. Edelman from the
6 Veterans' Affairs Hospital in San Diego, California.

7 DR. EDELMAN: Thank you for allowing me to
8 testify before you today on this very important issue.

9 I'd first like to tell you that I am on
10 the Speakers Bureau for Parke-Davis, Bristol-Myers
11 Squibb, Bayer, Lilly, and many other diabetes related
12 companies. However, I am here today for my patients,
13 and I have come on my own time and my own money.

14 I am not only a physician who specializes
15 in taking care of people with diabetes, but I have
16 also been living with diabetes for the past 28 years.
17 Although my type of diabetes is quite different from
18 those who could benefit from Rezulin therapy, we share
19 some of the same day-to-day trials and tribulations of
20 balancing our diet, exercise, and medications in order
21 to maintain good glucose control, and it is not an
22 easy task, and the more tools we have to overcome the
23 many barriers to good glucose control, the easier it
24 becomes.

25 We know the consequences of poorly

1 controlled diabetes: blindness, dialysis,
2 amputations, heart attacks, strokes, depression, and
3 unfortunately much, much more. Every day in America
4 over 400 people die directly due to the effects of
5 diabetes, and it's so important to look at the risk of
6 Rezulin versus the benefits of improved glucose
7 control when you're looking at a very serious disorder
8 that affects the quality of life of millions of
9 Americans on a day-to-day basis.

10 If one death is too many, then, yes, take
11 Rezulin off the market, but then you must also take
12 off glucofos insulin, sulfonylureas, Motrin, aspirin,
13 Tylenol, and many other medications used to treat
14 patients with cancer and HIV.

15 I follow over 500 people at the Veterans'
16 Affairs Medical Center in UCSD who are taking Rezulin
17 therapy. You can't buy this drug back from these
18 individuals because it has helped them to achieve and
19 maintain control over their diabetes where previously
20 it was not possible despite intensive efforts.

21 This is why two of my patients, James
22 Roach and Ray Cordova are here with me today. These
23 gentlemen and many other of my patients would be at a
24 serious disadvantage if they were not able to continue
25 therapy with Rezulin in order to achieve good glycemic

1 control over the long term.

2 I challenge anybody in this room to find
3 a physician who has used Rezulin in more than just a
4 handful of patients and did not find it a very
5 impressive drug not only as in monotherapy, but also
6 as in combination therapy with other drugs and with
7 insulin, especially to achieve the clearly defined
8 goals put forth by the American Diabetes Association.

9 In my observation, the only nay sayers are
10 the individuals with little or no practical experience
11 with Rezulin, and they have not spoken to the 1.5
12 million people with diabetes who have benefitted from
13 the use of this drug.

14 Now, no drug is 100 percent safe.
15 However, diabetes is a serious disease whose
16 prevalence is increasing quite dramatically not only
17 in the United States, but also around the world.
18 Every drug has a risk-benefit ratio, and in the case
19 of Rezulin, the benefits of reducing eye, kidney,
20 nerve, and heart disease far outweigh the risk of
21 liver disease, especially with proper liver function
22 monitoring.

23 Every day 1,600 people in the United
24 States are diagnosed with diabetes, and in any 24-hour
25 period 400 people die directly due to the

1 complications of diabetes. I think we have to
2 continue to be as aggressive as possible to reduce the
3 huge amount of suffering that's associated with this
4 disease.

5 Thank you very much.

6 CHAIRMAN BONE: Thank you, Dr. Edelman.

7 Our next speaker is Dr. Boyle, Dr. Patrick
8 Boyle from the University of New Mexico Health
9 Sciences Center.

10 PARTICIPANT: It doesn't look like he's
11 here.

12 CHAIRMAN BONE: All right. If Dr. Boyle
13 appears later, if he'll make himself known.

14 We'll move on to Dr. Anne Peters, Director
15 for Clinical Diabetes Program at the University of
16 California, Los Angeles.

17 DR. PETERS: Good morning. I'm Dr. Anne
18 Peters, and if I can have the first slide.

19 I'd first like to disclose that I have
20 received funding for research, speaking, and
21 consulting from the following pharmaceutical
22 companies. I do not own stock in any pharmaceutical
23 company, and no one sponsored me to come here today.

24 This equation is central to medical
25 decision making. Recently we've all learned that the

1 risks of a drug can be sensationalized, but
2 psychologists know this. We all remember traumas far
3 more than we remember the good things that happen to
4 us. It is the bad things that we tend to recall.

5 So I'm here today to discuss the benefits
6 of Rezulin and the benefits of treating diabetes, but
7 this does not mean I do not understand the harms.

8 The woman on the right is my great aunt
9 Helen. She died last year of a drug reaction to
10 naprosin. Does this mean that I don't use drugs? No,
11 but it means that I use drugs with an appreciation for
12 maximizing the benefits and minimizing the risks.

13 But what of Rezulin? Let my patients tell
14 you. This is Delores. Because of Rezulin, she was
15 able to stop insulin.

16 This is Sheila. On a combination of
17 Rezulin and glucophage, she was able to cut her
18 insulin dose in half and is now able to lose weight.

19 This is Sylvano, who was never even able
20 to come close to a normal hemoglobin A1c level until
21 Rezulin was added.

22 This is Steffie. Steffie had such bad
23 pain in her feet and legs from her peripheral diabetic
24 neuropathy that she could barely walk in from her car
25 in the parking lot to clinic. When Rezulin was added,

1 her hemoglobin A1c level came down to normal. Her
2 pain is gone. Just a few weeks ago, she was able to
3 turn in her handicapped parking placard and now can
4 walk through the parking lot to clinic without pain.

5 And finally, this is the rabbi who says,
6 "What would I do without my Rezulin?"

7 (Laughter.)

8 DR. PETERS: Now, these are but a few of
9 the hundreds of patients I have successfully treated
10 with Rezulin, but what of the risk of diabetes?
11 Diabetes is a deadly disease. This slide depicts the
12 17 people who will die this hour because of the
13 complications of diabetes.

14 And this, this is Pat. Just last week Pat
15 went permanently blind from her diabetic retinopathy.
16 Pat and I are exactly the same age. Yet Pat is
17 gravely disabled. She asked me to ask the FDA to
18 hurry and approve new drugs that can help people with
19 diabetes so they don't have to have the terrible
20 complications she has suffered.

21 And finally, back to the original
22 equation. I do not believe that an individual
23 physician can determine the risks of a drug therapy.
24 That is for the advisory panel and the FDA to do.

25 But I ask you that you do this without

1 bias, that you do this without the bias of emotion,
2 that you do it without the bias of the media,
3 politicians, the pharmaceutical industry, anyone.
4 These decisions must be made based on the most
5 rational, scientific evidence we have available.

6 On the benefit side, in my hands Rezulin
7 has been a very safe and effective drug. I urge you
8 to keep it on the market if, on a population level,
9 this equation continues to be strongly positive.

10 Thank you.

11 CHAIRMAN BONE: Thank you very much, Dr.
12 Peters.

13 The next presentation will be by Dr.
14 Cooppan, the Jocelyn Diabetes Center in Boston.

15 DR. COOPPAN: Thank you very much, Mr.
16 Chairman.

17 My name is Ramachandiran Cooppan, and I'm
18 a senior physician at the Jocelyn Diabetes Center in
19 Boston, where I've been on staff since 1975. I've
20 come on my own volition, and I'm not representing the
21 institution, but as a physician who has spent the last
22 24 years treating patients with diabetes.

23 I'm also on the Speaker Bureau of Parke-
24 Davis, Bristol-Myers Squibb, NovoNordisk, Eli Lilly.

25 In 1998, the Jocelyn Diabetes Center

1 celebrated its 100th anniversary, an institution that
2 was dedicated itself to the welfare and care of
3 patients with diabetes.

4 In the last 25 years, we have seen a great
5 increase in our understanding of the pathophysiology
6 of Type 2 diabetes, and one thing is clinically
7 evident to all of us. We start our treatments far too
8 late with this disease. At the time of diagnosis, our
9 patients already have complications.

10 We have been hampered in the past by not
11 having available multiple strategies and therapies to
12 intervene in this disease. Furthermore, we're in an
13 era now where we have outcomes data. The U.K. PDS,
14 United Kingdom Prospective Diabetes Study, and the
15 DCCT give us the information that we need to make the
16 case that diabetes control matters.

17 When I first arrived at the Jocelyn
18 Diabetes Center in 1974, we only had the sulfonylureas
19 and animal source insulin. We've advanced our insulin
20 therapy remarkably. We now have the challenge to open
21 ourselves up to new medications in the oral arena.

22 The advent of metformin in 1993, which the
23 FDA approved in its wisdom, opened combination
24 treatment to us once again, but this was an old
25 treatment first reported in 1953 by Sam Biezer.

1 The advent of troglitazone opened a new
2 era for us. Not only did it open a window into our
3 understanding of the pathophysiology of diabetes, but
4 it addressed a fundamental defect in the disease, that
5 of insulin resistance.

6 The U.K. PDS has taught us that no
7 monotherapy is going to succeed in diabetes
8 management. The case has to be made for combination
9 treatment.

10 In a preliminary analysis of over 2,000
11 patients taken care of at Jocelyn Diabetes Center, we
12 have had no case of liver failure or jaundice. We
13 have had less than ten patients who have had elevation
14 of their liver function studies, all of which return
15 to normal with cessation of the drug.

16 This in no small way was due to the
17 exercising of a great degree of pharmaco-vigilance on
18 our part. We monitored the patients. We adhered to
19 the guidelines. We find that the insulin sensitized
20 troglitazone is a very useful tool in our
21 armamentarium, and we believe sincerely that the
22 future of diabetes management belongs to combination
23 treatment and that, indeed, we will actually see new
24 treatments emerging in the future and combinations of
25 two or three drugs will be necessary.

1 In conclusion, diabetes has been described
2 as a moving target. We need to have therapies that
3 are evolving with this disease. This disease will not
4 only test the science of medicine. It will also test
5 our art of the practice of medicine.

6 Thank you very much, Mr. Chairman.

7 CHAIRMAN BONE: Than you very much, Dr.
8 Cooppan.

9 The next speaker is Tom Halstead, listed
10 as President of Hemotherapy.

11 MR. HALSTEAD: Thank you, Henry.

12 I have no relationship to any drug
13 company.

14 Ladies and gentlemen, distinguished
15 physicians, dearest patients, of the over 3,600 FDA
16 approved drugs on the market, more than 1,100 can
17 potentially damage the liver. Despite its great
18 benefits to diabetic patients, Rezulin is a member of
19 this latter category and the subject of today's
20 discussion.

21 Could there be a way to reap the benefits
22 of Rezulin yet obviate its negative effects on the
23 liver? We believe so.

24 Hemotherapies offers the only liver assist
25 device available worldwide. Called the Biologic DT,

1 it has been cleared by the FDA for use in treating
2 patients with liver failure and patients with drug
3 overdose, including those drugs that result in liver
4 failure.

5 A single treatment by our device can
6 restore the normal liver function to patients with
7 liver failure due to drug effects. We believe that
8 this detoxification device could dramatically bolster
9 the safety profile of Rezulin and many other drugs.

10 The Biologic DT was designed to provide
11 temporary support of patients with acute liver
12 failure. Unlike renal dialysis, the Biologic DT
13 process is much gentler. The device is much simpler
14 to operate, and lifelong treatments are unnecessary.

15 Using a unique sorbin suspension, the
16 Biologic DT acts as a second liver, immediately
17 clearing drug metabolites and numerous liver toxins
18 from a patient's system. The build-up of these toxins
19 can lead to encephalopathy, coma, and even death.

20 We have seen the benefits of the Biologic
21 DT even for patients in coma due to liver failure.
22 There have been patients listed as "do not
23 resuscitate" and patients needing liver transplants
24 whose livers have been brought back to normal function
25 by the Biologic DT liver detoxification process,

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 following serious drug overdose or liver failure from
2 other causes.

3 Some researchers are even using the device
4 with previously dangerous doses of oncolytics with
5 dramatic positive effects against cancer.

6 The Biologic DT device has only recently
7 been available beyond trial centers in the U.S. The
8 Rezulin researchers community, physicians, and
9 patients were not aware of this treatment option for
10 patients developing liver problems after the use of
11 Rezulin.

12 Although we have no relationship with the
13 manufacturer of the drug, we are confident that when
14 careful liver function monitoring indicates a patient
15 is progressing to liver failure after the use of
16 Rezulin, our technology should enable a physician to
17 treat such patients and assure that they recover
18 normal liver function. The benefits of Rezulin could
19 then be provided with much greater safety, confirming
20 the wisdom of the initial drug approval.

21 We are eager to work with physicians to
22 prove the ability of this new technology to reverse
23 liver failure in the rare patient developing this
24 complication after the use of Rezulin.

25 Friends, Rezulin is only one of over 1,100

1 drugs on the market today that can potentially damage
2 the liver. In fact, one only needs to look in the
3 family medicine cabinet to find a number of drugs
4 which could result in severe liver failure. For
5 example, when abused, acetaminophen has the potential
6 to cause serious liver harm, especially when mixed
7 with alcohol, a cautionary note to any young drinkers
8 who think that taking an acetaminophen before going
9 out drinking can avoid a hangover. This is patently
10 false.

11 Further information on the Hemotherapy's
12 Biological DT device and the technology behind it is
13 available. We thank the FDA for giving us the
14 opportunity to raise this option, and our best wishes
15 to all of the patients and their families.

16 CHAIRMAN BONE: Thank you.

17 The next speaker is Dr. Khan from Hennepin
18 County Medical Center and the University of Minnesota
19 Diabetes Unit, and I understand that Dr. Boyle is
20 here. So we'll work him in in a little while.

21 DR. KHAN: Thank you.

22 Mr. Chairman, ladies and gentlemen, I
23 thank you for the opportunity to speak for a few
24 minutes in front of you. I am on the Speakers Bureau
25 for a number of pharmaceutical companies and have

1 served as a consultant and advisor to a number,
2 including Merck, Pfizer, and Parke-Davis, amongst
3 others.

4 I'm here voluntarily and on my own time
5 and expense and to express my views and experience,
6 again, with patients with diabetes in Minneapolis.

7 As head of the Department of Endocrinology
8 at Hennepin, where we have some 4,000 patients coming
9 through our program with diabetes, the vast majority
10 of those are patients with Type 2 diabetes. And like
11 many inner city hospitals in this country, a
12 significant majority of those are people of color or
13 indigent patients from the inner city population.
14 This population is a population which is considered
15 high risk for many co-morbid conditions, including
16 diseases of the liver from many causes, including
17 alcohol, including drug abuse, et cetera.

18 And in that patient population with
19 effective screening and effective monitoring, we have
20 treated several hundred patients with Rezulin, and to
21 date have had five patients with an elevated enzyme,
22 and most of those, except for one, has recovered. The
23 other one has only recently been found to have an
24 elevated enzyme, and we anticipate over time that will
25 also normalize.

1 My point that I'd like to express today is
2 that death or loss of an organ for any cause is a
3 disaster both for the patient and for the family.
4 Patients and their physicians don't choose that, but
5 just like that, patients and their physicians don't
6 choose diabetes. Of all the thousands of patients
7 that we have in Minneapolis coming to our program with
8 diabetes, none of them chose to get diabetes.

9 And when we choose to pick a drug or drugs
10 for them, we do that because we have no alternative.
11 Most of these patients, as has been shown in academic
12 trials have been through other therapies by the time
13 they come to an endocrinology practice and are
14 referred for alternatives as to what are my options,
15 Doctor.

16 And when we look at those options, we have
17 to balance what is safe for the patient and, on the
18 other side, look at it and say, "If I don't treat this
19 patient, what's going to happen?"

20 Well, we all know that diabetes is the
21 number one cause of blindness in this country. It is
22 also the major and probably will soon become the
23 number one cause of kidney failure in this country
24 also, as well as the fact of the number one cause of
25 leg amputation and a major cause of strokes, et

1 cetera. Patients don't want that either, and as we
2 treat them, what we're actually saying to them is that
3 without treatment this is the outcome, and with
4 treatment here are the possible risks.

5 And members of this Committee know as well
6 as I, in many cases better than I, that we have to
7 balance those two risks. My battle with patients with
8 diabetes -- and maybe "battle" is not the appropriate
9 word -- but the challenge that I have is trying to
10 get them motivated and maintained on their treatment.

11 Ask any physician how difficult it is to
12 maintain a patient with a chronic disease. That job
13 is not made easier when we have sensationalized media
14 that presents opinions without scientific fact or in
15 a balanced manner.

16 What that means is that not only does a
17 very difficult job in the clinical setting become ever
18 harder, but we lose patients to effective therapies,
19 and my request to you as a Committee is to look at the
20 science and the balance of the community's experience
21 with all the products, and the reality is that the
22 U.K. PDS has shown we do not have evidence that one
23 drug can maintain diabetes control. Perhaps in the
24 long term combinations of therapies can.

25 And finally, we are not just treating

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 blood sugar. I think this is getting increasingly
2 discussed in the literature. We know that diabetes
3 has multiple facets, beta cell dysfunction, insulin
4 resistance, and we in the past have been reasonably
5 successful in the short term affecting beta cell
6 dysfunction. We've not had the ability to treat
7 peripheral resistance, which probably is the major
8 cause of cardiovascular death, alongside the
9 dyslipidemia, hypertension, and diabetes.

10 And so to conclude, I would like to
11 request that we take this in a balance view. Patients
12 do not choose to have diabetes. We do not choose for
13 them to have complications.

14 Thank you.

15 CHAIRMAN BONE: Thank you, Dr. Khan.

16 Next we have Dr. Moore from George
17 Washington University, Center for Health Policy
18 Research, and we'll have Dr. Boyle after that, to be
19 followed by Dr. Bautista.

20 MR. MOORE: Good morning. My name is
21 Thomas Moore. I'm a Fellow in Health Policy at George
22 Washington University.

23 The views I'm expressing here are entirely
24 my own and do not reflect any institution with which
25 I'm affiliated or providing advice as a consultant.

1 I am the author of a book called Deadly
2 Medicine. It is a case study of the worst drug
3 disaster we had in our nation's history in which
4 thousands of heart patients died of cardiac arrest
5 because of antiarrhythmic drugs whose hazards were not
6 fully appreciate.

7 While the risks and benefits of Rezulin
8 are of quite a different order, there are some
9 surprising similarities to the discussion that is
10 being held today and the discussion that was held
11 before some of the antiarrhythmic agents were first
12 marketed.

13 An issue is not so much the risks, but how
14 we think about benefits. In this spectrum here, it
15 seems to me the top items are well known to everybody
16 in this room, and that, of course, the drugs that are
17 at the top of the benefit ladder are among the most
18 important scientific discoveries in the history of
19 medicine.

20 Let me go straight to the part where my
21 views might seem more controversial. Drugs that
22 prevent harm, and I would include in this group the
23 cholesterol lowering drugs and the hypertension drugs,
24 I place very much down the ladder of benefits towards
25 the bottom, and why do I say so? Isn't it important

1 to prevent heart attacks and strokes? And certainly
2 these drugs have that demonstrated capacity.

3 The answer is that the benefit rate is
4 very low. On an annualized basis, the treatment of
5 mild hypertension benefits about four per 1,000
6 treated per year. The best cholesterol data looks a
7 little better than that, about six per 1,000 per year
8 in terms of clinical benefit, meaning a heart attack
9 prevented, a stroke prevented or something of that
10 order.

11 Why is that important to think about in
12 discussing Rezulin? Because if you are only helping
13 about one-half of one percent per year in terms of a
14 tangible clinical benefit, in terms of making them
15 healthier, then you have to be very sensitive to the
16 risks to which you expose those patients.

17 At the very bottom of that, below drugs
18 that prevent harm are drugs that we don't know for
19 sure because they haven't been out there long enough
20 and tested for enough years, actually provide the
21 clinical benefit, and we hope through using a
22 surrogate endpoint that they do.

23 But we've had a lot of experience with
24 surrogate endpoints, and we know we're not always
25 right when we make this gamble. We have had class

1 after class of drugs where the experts are as deeply
2 committed to their patients as are the specialists in
3 this room, believed that positive antitropes,
4 antiarrhythmic drugs, fibric acid derivatives,
5 nesoldipine and Type 2 diabetes. In all of these
6 cases there were compelling reasons to believe that
7 there was a benefit. When the long-term studies were
8 done, excess mortality was seen.

9 So where does the surrogate endpoint --
10 and when we are talking about the benefit of Rezulin
11 today, we're saying what surrogate endpoint does it
12 have an effect on. How does HbA1c stand?

13 It strikes me that scientifically it is
14 actually quite superior to blood pressure and
15 cholesterol lowering as a surrogate marker because it
16 is involved with fewer and less complex body
17 processes. So on scientific terms, it strikes me as
18 a strikingly valuable surrogate endpoint.

19 But when we look at the actual clinical
20 effects of this drug as we have seen and actually
21 tested in clinical trials, the results were extremely
22 limited.

23 You can see all of the items here which
24 everyone has referred to, the U.K. PDS trial earlier.
25 The overall risk reduction with the sulfonylureas was

1 a mere 12 percent from a combined endpoint that mainly
2 included reduced incidence of photocoagulation
3 treatments.

4 When we look at the real hard endpoints
5 for diabetes related disorders, we do not see an
6 effect.

7 We have metformin, which was very
8 problematical, and in fact, in combination therapy the
9 drug seemed to have excess mortality, a warning flag
10 that should trouble us all.

11 And in addition, we know the benefits are
12 very, very small here because it took ten years and
13 hundreds of patients to even register a small effect
14 on a combined endpoint.

15 So when we make decisions about the risks
16 and benefits with drugs, we have to realize that what
17 we're doing is this -- oops, not that way.

18 (Laughter.)

19 DR. MOORE: We're gambling. We are
20 gambling with people's lives, and if you are going to
21 make this gamble, it seems to me that you need to have
22 a drug that, number one, has a dramatic effect on the
23 surrogate endpoint in question and, number two, that
24 has extremely low risk.

25 Yes.

1 Now, where in the spectrum of moving a
2 surrogate endpoint does it appear that Rezulin
3 resides? And here I think we ought to start the
4 simplest way to look at a drug is its monotherapy
5 trial.

6 The Committee did not see this. This is
7 from the supplemental indication for monotherapy, and
8 these patients were placed on this drug, and the trial
9 commenced.

10 Now, the important thing to remember is of
11 those 314 patients, a very large number of them had
12 been on a previous therapy, and so these were the
13 benefits that were seen. In fact, as you can see from
14 the medical review, they never actually achieved the
15 level of glycemic control that they had in preceding
16 therapy. They actually increased from baseline.

17 Now, I have some questions about the
18 ringing endorsements of a drug which when given to
19 patients as monotherapy, they got worse.

20 Now, fortunately, I suppose, for Rezulin
21 there was another small group of patients in this
22 monotherapy trial who had not been on -- previously
23 treated on drugs and in fact were on diet therapy
24 alone. The results were no better, and in fact, in
25 only one group of 15 patients was a benefit found in

1 HbA1c, a reduction of a little over one percent.

2 Now, the medical review, I think, says
3 rather eloquently the performance of this drug in
4 monotherapy is extremely weak, and in fact, I find
5 this to be among the weakest evidence in support of an
6 indication of a drug in many, many years of looking at
7 drugs.

8 The issue really I see in looking through
9 all of the indications is responders, and the problem
10 that I see in this drug is the low response rate. As
11 you can see, I've picked all three indications, and in
12 most cases, the response rate of the patients is
13 really quite low, best with glyburide here. That's
14 400 milligrams. For the three bar that's the 400
15 milligram dose, but the fact is in terms of achieving
16 a significant change in this surrogate marker, which
17 we hope will predict a health benefit ten years from
18 now, this is not changing the surrogate marker in a
19 large number of patients.

20 I'm equally concerned when we look at the
21 patients in whom it does achieve a significant
22 clinical effect. As you see, when patients do
23 respond, we see a significant weight gain, and I think
24 more analysis -- and I would urge the Committee to ask
25 for a separate analysis from the company of whether or

1 not responders consistently across a whole spectrum
2 have weight gain because if you have a small benefit
3 in terms of reduced complication of diabetes and an
4 increased risk because of obesity, it is once again
5 not clear whether you've done more good than harm.

6 Finally, let's just look at this drug at
7 its best. Here is a group of patients where you
8 really do have a significant change in your surrogate
9 marker, minus 1.29, in combination with insulin. What
10 adverse effects were observed in that very same
11 population?

12 I've subtracted this from the placebo, but
13 in hopes of making a change in a surrogate marker
14 which might help over many years, we see a lot of
15 individuals have suffered actual harm from the
16 treatment.

17 My view is this drug simply is not worth
18 the risk. It has too many problems. There are safer
19 alternatives, and more effective drugs available, and
20 I agree with the British who have found that the
21 benefits of this drug simply do not outweigh its
22 risks.

23 Thank you.

24 CHAIRMAN BONE: The next speaker will be
25 Dr. Boyle. I understand that Dr. Boyle is here now.

1 Thank you.

2 DR. BOYLE: Thank you.

3 It's an honor to have the opportunity to
4 talk to you this morning and perhaps speak on behalf
5 of people with diabetes from a couple of different
6 levels.

7 I'm a member of the American Diabetes
8 Association, National Board of Directors, and serve as
9 the Diabetes Care Management Coordinator for 3,500
10 patients with Type 2 diabetes at the University of New
11 Mexico.

12 These are the bottom line results from the
13 United Kingdom Prospective Diabetes Study, which has
14 been alluded to several times here this morning, and
15 just dropping the hemoglobin A1c from an average of
16 about 7.9 down to 7.0 reduced any endpoint associated
17 with diabetes. That's blindness, heart disease,
18 amputations, kidney failure by 12 percent.

19 It reduced microcirculatory disease by 24
20 percent, and there was a trend, although not
21 statistically significant, from macrovascular
22 complications to drop by 16 percent, but the p value
23 was .052, but all of this is going in the right
24 direction to suggest that small decrements in glucose
25 concentration lead to enormous changes in the risks

1 associated with this disease in the long run.

2 Yet one of the sad parts of this trial was
3 that in the initial one to two years shown on the X
4 axis there was a nice decrement in this marker of good
5 glucose control, hemoglobin A1c, that was not then
6 subsequently sustained in the years from two out to
7 ten years on monotherapy, and unfortunately in the
8 United States, this is a particular problem, that
9 people stick with one drug rather than thinking of
10 this as an issue that requires multiple different
11 interventions, and it's led to in the United States a
12 hemoglobin A1c on average of nine and a half percent,
13 which is well above the control group used in the
14 USPDS with diet and exercise alone.

15 So it is absolutely no wonder to us in the
16 American Diabetes Association and those providing care
17 to people with diabetes in this country that we are
18 spending \$140 billion a year taking care of this
19 disease process. That's four percent of the gross
20 national product of the United States to one single
21 disease.

22 Let me tell you about the population that
23 I serve. The 3,500 patients at the University of New
24 Mexico who have Type 2 diabetes are, in large part,
25 people of color. They are over 50 percent Hispanic,

1 and then we have about a 15 percent Native American
2 population that comprise our population, and half of
3 them are medically indigent.

4 Over \$18 million a year are spent on
5 managing their endstage complications. They are 14.9
6 percent of the total population of our university
7 hospital. This is a growing problem. It is not six
8 percent of the U.S. population. It is increasingly
9 becoming a disease that is not affecting people in
10 their 50s and 60s, but instead affecting 20 year olds
11 and now people in their teens in my state.

12 Let me tell you that in 1994, the
13 conclusion of the diabetes control and complication
14 trials for which we were members, we went out and
15 looked in the general medicine clinic and found that
16 the mean glycosylated hemoglobin in our patient
17 population was 5.6 percentage points above the upper
18 limit of normal. I'm not proud to say that, but one-
19 third of the patients were within one percentage of
20 the upper limit of normal.

21 By October and November of 1977, with the
22 advent of metformin in the United States and
23 subsequently earlier in 1997 the addition of
24 troglitazone to our armamentarium, you now seen in
25 green that we've taken the same patient population and

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 have over 50 percent of them at target by using
2 aggressive combinations of all of the available tools,
3 and in this case about 14 percent of the patients
4 overall are taking troglitazone because they failed on
5 metformin plus sulfonylurea.

6 In January of this year, taking 160 of
7 those charts, randomly selected out of the 3,500
8 patients, we now have 66 percent of our patients to
9 within the American Diabetes Association goal at less
10 than seven percent.

11 If you look at the toxicities associated
12 with each one of these medications, you can see that
13 all the common things that we use right now carry with
14 them morbidity and mortality, and in fact, if you look
15 at sulfonylureas on the far left-hand side, it's about
16 one in 30,000 mortality rate as noted in the Clinical
17 Endocrinology and Metabolism Journal.

18 In the middle, in the first million
19 patients put on metformin in the United States, from
20 the FDA's own data in a letter to the New England
21 Journal, you can see that approximately one in 50,000
22 people in the country in the first million patients
23 put on this medication, which was a major adjunct to
24 our therapy, one in 50,000 ended up suffering a fatal
25 event.

1 Finally, with troglitazone in the first
2 1.5 million patients put on this medication there is
3 about an incidence of one in 60,000 fatal events.

4 What's killing these patients? If you
5 look at 1980, going forward to 1996, you can see this
6 information from the U.S. Center for Health Sciences
7 adapted from a summary from recommendations from a
8 congressionally mandated committee called Diabetes
9 Research Work Groups, that since 1980 there's been a
10 rough 20 percent, 25 percent fall and stroke and
11 cardiovascular disease. Cancer has stayed roughly
12 steady, while diabetes has increased as a cause of
13 death in the United States by 20 to 30 percent over
14 the same time frame.

15 So I would ask you as members of this
16 Committee not to be blind to diabetes. This is a
17 major public health care problem for which we need
18 every tool that we can possibly have at this point.
19 The relative risks of using this medication are no
20 different than any other, and I would say, based on
21 our evidence from 3,500 patients, which is a small
22 microcosm of society, that this has been a major
23 additional tool for our treatment of patients with
24 Type 2 diabetes.

25 Thank you.

1 CHAIRMAN BONE: Next will be Dr. Jose
2 Louis Bautista from Fresno, California.

3 DR. BAUTISTA: I'm Dr. Bautista from
4 Fresno, and I thank you for allowing me to speak.

5 I'm here to -- I'm also a speaker, and I
6 also do research for multiple drug companies. I'm
7 here to ask you not to remove Rezulin from the market
8 for the following reasons.

9 I'm in charge of over 30,000 lives in my
10 practice. Almost ten percent of those have diabetes,
11 which is close to 3,000. I stopped at about 400, the
12 number of patients that I have on Rezulin, and two of
13 them have developed complications.

14 One is a lady that was on Rezulin,
15 developed Hepatitis A. I stopped the Rezulin. The
16 patient is doing fine now, Rezulin.

17 The other one was a young man who became
18 an alcoholic after he had family problems, on Rezulin,
19 and I stopped the Rezulin. He's doing fine. He's
20 still fighting his problems with his wife.

21 But what I have seen in the last years is
22 that the Type 2 diabetes patient is not created equal.
23 He presents or she presents with different stages, and
24 I've been able to develop on my own protocol in my
25 group, based on fasting C peptide and fasting insulin,

1 the first stage I have labeled it a normal secreter
2 which has C peptide of 3.0 to 4.0 with a normal
3 insulin, but had a normal hemoglobin A1c and a normal
4 glucose.

5 This patient, they failed diet, exercise
6 and weight loss. I put them on an insulin sensitizer
7 like Rezulin, and they do really well, but when I put
8 them on a sulfonylurea, they become hypoglycemic.

9 The second stage is a group that becomes
10 -- that I label hypersecreter, has a C peptide of
11 greater than four, elevated fasting C peptide,
12 elevated hemoglobin A1c, and also an elevated fasting
13 sugar. These patients do extremely well with Rezulin,
14 but they do lousy when you put them on the
15 sulfonylurea. They become hypoglycemic.

16 The third stage, I have labeled them as a
17 hyposecreter. They have a C peptide of 2.0, but less
18 than 3.0, has a fasting insulin level less than ten,
19 has elevated hemoglobin A1c, and also a terrible
20 fasting blood sugar. These patients do really well
21 with sulfonylureas, but they do much better when you
22 do a combination treatment with a sulfonylurea and an
23 insulin sensitizer like Rezulin.

24 And the final stage is a group that I
25 label the microsecreter, have a fasting C peptide less

1 than 1.9, a fasting insulin level of less than, God,
2 five, and also have an extremely elevated hemoglobin
3 A1c and also elevated fasting blood sugars. These
4 patients do well with insulin, but they do much better
5 when you do a combination treatment of insulin with
6 Rezulin, an insulin sensitizer.

7 So in summary, I don't have a perfect
8 protocol, but it's working for me. But what I have
9 seen is that a Type 2 diabetic patient presents in
10 different stages, and we need to be careful with
11 insulin resistance.

12 All I know is that in my group I see a lot
13 of insulin resistance. It seems like in all the four
14 stages we see insulin resistance, and we need a good
15 insulin sensitizer. I think Rezulin pound by pound is
16 the strongest we have, and therefore, I strongly
17 recommend that you don't remove it from the market.

18 Thank you.

19 CHAIRMAN BONE: Thank you very much, Dr.
20 Bautista.

21 The next speaker is Dr. Einhorn, who is
22 from the University of California, San Diego.

23 DR. EINHORN: Thank you.

24 CHAIRMAN BONE: And from Sharp HealthCare,
25 I guess, right?

1 DR. EINHORN: Yeah, a few too many words
2 there, I noticed.

3 Thank you for the opportunity to
4 participate. It's humbling to follow such articulate
5 colleagues. I've had to rewrite my talk every four
6 minutes to try to not repeat, but let's see if we can
7 offer something to the group.

8 I'm Daniel Einhorn, and I've been
9 practicing in San Diego since 1984 with my partners,
10 Eric Gold and Ray Fink, and we felt we have to support
11 this process of trying to look objectively at clinical
12 data in a peer reviewed way rather than any other
13 forum. I'm not sure we realized how popular this
14 meeting would be, but we wanted to help.

15 And my remarks are based on our experience
16 with about 1,500 patients using troglitazone by and
17 large with other agents, and this experience will be
18 presented at the annual meeting of the American
19 Association of Clinical Endocrinologists. So I want
20 to make a few disclaimers.

21 First, I've come as a private citizen,
22 paying my own way, representing my partners and my
23 patients, but like most of the endocrinologists in
24 this room, we do work with whoever can help us
25 participate in clinical research, Speakers Bureaus,

1 including those for Parke-Davis.

2 But we really have no vested interest in
3 the outcome of this meeting other than we really need
4 to help resolve the ambiguities about troglitazone
5 because we need to know what to teach and how to
6 practice.

7 We typically see more seriously affected
8 individuals with Type 2 or they wouldn't come to us.
9 So by and large, since there's no one wonder drug,
10 we're using agents in combination.

11 There's no question in our experience that
12 troglitazone has enhanced metabolic control, as you've
13 heard from the others. Of 1,500 cases, and we did
14 very careful liver and, frankly, kidney monitoring
15 from the outset as we do with any new drug, certainly
16 a new class of drugs, and out of our 1,500, we had to
17 withdraw five from Rezulin. There were three others
18 where clearly it was other issues that had nothing to
19 do with the drug, but certainly that's a safety
20 profile that seems comparable with anything else that
21 we have used, if not better.

22 I'm not sure why our numbers look a little
23 better than the numbers looked in the studies. We
24 actually do have patients that maybe take better care
25 of themselves, perhaps less alcoholism and that sort

1 of things, but still it's been a very safe drug.

2 Our conclusions really are that we have
3 been part of a kinder and gentler approach to managing
4 our patients with diabetes. I think the issues of
5 improved metabolic control are clear: improvement in
6 lifestyle. The ability to, as you heard from some of
7 the other speakers, to come to meetings, to do the
8 jobs you want to do, to be with your family the way
9 you want to be is extraordinary with combination
10 therapy as compared to how things were before.

11 Do we worry when we prescribe Rezulin?
12 Absolutely. We worry when we prescribe anything, and
13 see that as our job, as clinical endocrinologists, to
14 frankly worry about our patients and to do the
15 monitoring carefully, and I think that's not going to
16 change, and that's not going to change with any class
17 of drugs.

18 And, frankly, if this hearing today does
19 show us strong evidence that we're dealing with
20 something less safe than others, we'll turn on the
21 dime, but in our experience Rezulin has clearly been
22 safe and effective, has had no more of the risk
23 profile than other agents we've used, and has clearly
24 improved the lives of our patients with Type 2
25 diabetes.

1 Thank you.

2 CHAIRMAN BONE: Thank you very much.

3 The next speaker is Dr. William Clark from
4 Houston, Texas.

5 DR. CLARK: Thank you.

6 I'm here for my patients. I'm also on the
7 Speakers Bureau of several drug companies, but I spent
8 my own money to come here today.

9 I've had experience treating diabetics for
10 13 years. I care about the quality of their lives.

11 For the first ten years, my experience was
12 that they slowly deteriorated. Then with the
13 development of Rezulin, I felt that had a chance to
14 slow that down or maybe even improve the qualities of
15 some of their lives, and I have over 500 patients on
16 Rezulin. I've not had any elevated liver enzymes in
17 any of these.

18 I'd like to tell you about a couple of my
19 patients. One is now in his late 60s. He's published
20 a community newspaper there in Houston for over 30
21 years. He's been insulin dependent for eight years.
22 Four years ago he lost the sight in his right eye, and
23 two years ago his left eye began to fail, and he
24 started trying to sell his newspaper.

25 We got him started on Rezulin. The vision

1 in that left eye stabilized. In Texas, they have, I
2 guess, a place where the newspapers submit their best
3 work every year to see who wins the most awards. Last
4 month he won the most awards of any newspaper in Texas
5 and the most awards that he's ever won.

6 I have another patient that I think
7 Rezulin has made a big difference in. This is a
8 patient who's in his mid-50s, had a large counseling
9 practice in Houston. Two years ago his younger sister
10 died from complications of diabetes. A few years
11 before that his mother died from diabetes after having
12 both of her legs amputated.

13 James had sort of a borderline abnormal
14 GTT, had a post prandial insulin that was usually
15 between 30 and 60, but he felt bad. The oral agents,
16 the sulfonylureas made him feel worse. He gave up his
17 radio talk show. He didn't feel like running a big
18 practice. He decreased the number of his clients, let
19 his health go, and a year ago started on Rezulin.

20 Since then, I think after two weeks he
21 said he felt the best he's felt in years. He's now
22 hired back some of his counselors. He's negotiating
23 to start his radio talk show again.

24 And I don't know. I've seen in the 500
25 patients a lot of examples like this. I feel asking

1 people to treat diabetes now without Rezulin would be
2 like asking a coach to win a track meet after cutting
3 off a leg of all of his athletes.

4 I think if you notice here today none of
5 the people that are treating diabetes have advocated
6 pulling this drug off the market.

7 Thank you.

8 CHAIRMAN BONE: Thank you, Dr. Clark.

9 The next speaker is Dr. Vincent Pearson
10 from Johns Hopkins Hospital.

11 DR. PEARSON: Thank you, Mr. Chairman.

12 Good morning, ladies and gentlemen. I
13 come to you as a pharmacist, as a clinical coordinator
14 of drug information at the Johns Hopkins Hospital in
15 Baltimore.

16 I have received research support from both
17 Bristol-Myers Squibb and Pfizer, but the views I'm
18 going to express are purely my own based upon my
19 experience.

20 My charge as a drug information pharmacist
21 is to review information about drugs and drug products
22 as they are released in the market, and especially
23 when they come before our hospital's Pharmacy and
24 Therapeutics Committee for review and consideration to
25 be included onto our formulary.

1 When troglitazone was first introduced
2 over a year ago, I was very impressed with the
3 published data that was surrounding the product.
4 However, we were all acutely aware of the liver
5 toxicity that was associated with the product.
6 Therefore, the committee, in its wisdom, opted to
7 table a decision on the drug.

8 We still have yet to bring this to
9 closure. Why? Because myself and several other
10 people on our faculty have tried as best we could to
11 get our arms around the scope and the depth of the
12 liver toxicity issue, unfortunately to no success.

13 In our minds, there are several key issues
14 that I would like to leave before this Committee this
15 morning.

16 First, we must get a true handle on the
17 numbers of deaths that have been at least associated
18 with the drug and get as strong a collection of that
19 number as it can be.

20 Second, we must take that number and from
21 that ask ourselves which of those deaths are truly due
22 to the drug's playing a significant role, my personal
23 definition of the word "significant" being a large
24 enough and broad enough role such that in the absence
25 of any other confounding factors this drug can truly

1 be said to be at least a causative factor.

2 Once you've taken that number, the next
3 charge is to put that number over a denominator that
4 consists of the number of patients who have received
5 the drug and have done well on the product.

6 I'll admit my bias is towards the in-
7 patient population. So most of my experience comes
8 from those patients who have had adverse reactions due
9 to the drug. My charge is to monitor the adverse drug
10 reaction monitoring system as mandated by the Joint
11 Commission of Accreditation of Hospital Organizations
12 in our hospital.

13 Lastly, I would ask that once you have
14 looked at that numerator of deaths that are truly
15 significantly due to the drug versus the denominator
16 of people who have gotten the drug and have done well,
17 we must ask ourselves: are we comfortable with this
18 ratio?

19 If we are comfortable with this ratio,
20 then the drug deserves to remain on the market.
21 However, if we are not comfortable with this ration
22 and can truly feel that we can do better with drugs
23 that are either currently available or are coming
24 through the pipeline, then the drug deserves serious
25 consideration from being pulled from the market.

1 I will leave as my parting shot one last
2 thing that we haven't talked about this morning. As
3 a practicing pharmacist, we are charged with filling
4 medication orders for many different prescribers. One
5 of our common problems is not having the correct
6 information to field questions from prescribers
7 regarding the status of drug products.

8 Therefore, I would ask that when we
9 finally reach a decision regarding this drug, that the
10 information upon which that decision was based is made
11 readily available to most practicing pharmacists.
12 Thus, if a pharmacist gets the question from a
13 prescriber what became of troglitazone, the pharmacist
14 can confidently go back and say, "This is what
15 happened to true troglitazone, and this is the reason
16 why it happened."

17 Thank all of you for your attention.

18 CHAIRMAN BONE: Thank you very much.

19 This concludes the regular open public
20 hearing section.

21 We have now remarks by Dr. Sidney Wolfe,
22 Director of the Public Citizens Health Research Group.

23 DR. WOLFE: Thank you.

24 The last time I appeared before this
25 Advisory Committee concerning a diabetes drug was 22

1 years ago. The drug was phenformin, also said to have
2 a unique mechanism of action and whose withdrawal from
3 the market was strongly opposed by many diabetes
4 experts. Like troglitazone, it also had a unique type
5 of toxicity, lactic acidosis, fatal in about 50
6 percent of those patients who developed the
7 abnormality.

8 After a lawsuit against the FDA by our
9 organization, it was banned as an imminent hazard to
10 the public health in 1977. By then hundreds of
11 patients had died as a result of this drug.

12 I'm going to talk first about some case
13 reports concerning this drug and then some incidence
14 discussion of the problems.

15 John Doe was an otherwise healthy 45 year
16 old Washington, D.C. policeman started on Rezulin in
17 the late summer of 1998, preceded by perfectly normal
18 liver texts. Within a week after starting the drug,
19 well before he was due for another liver test, he
20 became seriously ill, was hospitalized at Johns
21 Hopkins with acute liver failure, ALT and AST of
22 around 3,000 or 70 times higher than the upper limit
23 of normal; had a consultation for a possible liver
24 transplant.

25 After a week in the hospital, he turned

1 out to be one of the few lucky patients with drug
2 induced liver failure who neither died nor required a
3 transplant.

4 Because of the incompleteness of the
5 spontaneously reported adverse reaction data currently
6 made available to the public by FDA, it's difficult to
7 accurately count even the reported number of deaths or
8 injuries caused by Rezulin or any other drug. This is
9 because individual adverse reaction reports don't have
10 a unique numerical patient identifier which would
11 allow several reports to be determined to be just
12 follow-ups on the same patient.

13 As a result, it's possible to over match
14 these reports and under count, assuming what are
15 actually several different patients appear to be the
16 same or the reverse. The fault lies with the
17 cumbersome and difficult to use format FDA is now
18 using to supply data to the public.

19 Compounding this uncertainty is the widely
20 agreed upon under reporting which may result in as few
21 as ten percent or even one percent of cases which
22 actually occur being reported to FDA.

23 With these caveats in mind, our estimates
24 of liver deaths from Rezulin up through the beginning
25 of February of '99, with the help of former FDA

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 pharmacologist Elizabeth Barbaham and Dr. Larry Sasich
2 on our staff, who did a lot of these analyses, 43
3 deaths, including American and Japanese cases, from
4 liver toxicity from this drug.

5 Although Warner-Lambert has stated there
6 have been no new liver deaths since the July
7 relabeling, this statement appears to be clearly
8 false.

9 In addition to the deaths, there are
10 several additional cases of American patients who had
11 liver transplants and survived, making an estimated 45
12 to 50 cases of liver failure, deaths and transplants
13 apparently caused by Rezulin. An estimated additional
14 60 or more patients who neither died nor had liver
15 transplants were hospitalized in whom there is
16 evidence of liver damage.

17 By June 5th of 1998, according to a
18 February 19th, 1999, several weeks ago, letter
19 published in the Annals of Internal Medicine by FDA
20 endocrinologist Dr. Robert Misbin, quote, FDA had
21 received 560 reports of troglitazone associated
22 hepatotoxicity.

23 Now just a little brief discussion of
24 incidence. The best and possibly only source of
25 information concerning the incidence of liver damage

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 from Rezulin comes from the company's own 2,510
2 patient randomized clinical trials prior to approval.
3 Of these 2,510 patients, 48, or 1.9 percent as Dr.
4 Bilstad mentioned before, had abnormal liver tests of
5 three times or greater of the upper limit of normal,
6 which is 40 as opposed to .6 percent getting placebo
7 having this much elevation.

8 Treatment was discontinued in 20 of these
9 patients because of the tests, but in none of the
10 patients getting placebo. Two were jaundiced; two had
11 liver biopsy. In 18 of the 20 cases, the patients
12 were described as having, quote, liver injury, end
13 quote, of a hepatocellular type.

14 Although information about the 1.9 percent
15 of patients with liver test abnormalities is mentioned
16 in the FDA approved label for the drug, the following
17 subgroup analysis is not. Ten of the 2,510 patients,
18 or one out of 250, had an enzyme elevation of more
19 than ten times the upper limit of normal, in other
20 words, greater than 400, and five of these had enzyme
21 elevations of more than 20 times normal, or greater
22 than 800.

23 I would estimate that even if one-fifth of
24 these patients in the real world with the highest
25 enzyme elevations, most of whom are not being

1 adequately monitored as I'll discuss in a minute,
2 instead continue on the drug because it is not known
3 they're developing a toxic reaction, there may be as
4 many as one out of 2,500 could possibly develop
5 hepatic failure, fatal or requiring transplants in
6 most cases unless the device which we heard about is
7 better than I'm skeptically thinking it is.

8 (Laughter.)

9 DR. WOLFE: The real world of medical
10 practice is unlike the artificially vigilant
11 circumstances of the clinical trial where the drug was
12 immediately discontinued in those with the worst
13 abnormalities, more than 20 times normal, because of
14 the close monitoring, and all recovered.

15 Although this clear evidence of Rezulin
16 hepatotoxicity was known to the company prior to
17 marketing and was eventually published a year ago in
18 the New England Journal, it was not discussed at the
19 December 1996 FDA Advisory Committee meeting in which
20 there was a unanimous recommendation to approve the
21 drug.

22 In addition, briefing materials handed out
23 by Warner-Lambert to members of the Committee were
24 dismissive of liver toxicity, claiming in those
25 studies reviewed, not all of the 2,510 patients at

1 that point, that the incidence of elevations of liver
2 tests above normal, quote, was lower for troglitazone
3 than for placebo, end quote, page 90 of the handout,
4 and the text concluded that, quote, elevations meeting
5 threshold criteria for clinically important changes,
6 more than three times normal, occurred at a similar
7 incidence in both groups, troglitazone/placebo.

8 Given the findings described in the
9 published article about the one out of 500 patients in
10 the randomized trials who developed severely abnormal
11 liver tests, greater than 20 times above normal, and
12 liver damage demands explanation why no requirements
13 to do liver tests was made in Rezulin's initial
14 labeling and why such recommendations were to wait
15 until it had been on the market for seven months and
16 many people were already starting to die of
17 predictable liver failure.

18 Evidence of serious noncompliance with
19 liver tests. We have obtained data on the prescribing
20 patterns and liver functions, AST, from an academic
21 teaching hospital and medical center. Actual testing
22 was compared to the amount of testing required by the
23 label at the time Rezulin was first prescribed for
24 each patient.

25 There were a total of 160 patients

1 prescribed Rezulin through the beginning of March
2 1999. Of these, 69 were first prescribed the drug
3 prior to the first relabeling in late October of '97
4 and so were not subject to any testing requirement
5 when they began using the drug.

6 Of the remaining 91, only 36, or 40
7 percent, had even a baseline liver function test.
8 Among the 40 patients prescribed the drug after the
9 third and most recent relabeling, it was still 40
10 percent. Only 40 percent had a baseline test.

11 I notice there's a little improvement in
12 the data that was just handed out that the FDA is
13 going to present, but it is still pretty pitiful.

14 At the time of the first relabeling, liver
15 function test -- late October -- was recommended three
16 times in the first month. Then it was escalated to
17 the point where in the first six months it's now
18 recommended seven times.

19 To repeat, the overall compliance with the
20 baseline testing requirement was dangerously
21 inadequate, only 40 percent of 91 patients who were
22 started on the drug after liver testing was added to
23 the label getting a baseline test.

24 Only one of 26 patients, or four percent,
25 who were taking the drug for at least six months or

1 more after the liver testing requirements went into
2 effect were in full compliance with the requirements
3 for testing after baseline.

4 A necessary precondition for a labeling
5 requirement to reduce toxicity is that the labeling
6 requirement must be adhered to. This has clearly not
7 been the case. The same lack of compliance with
8 labeling was seen with Duract, also an hepatotoxic
9 drug, and Posicor, leading to their withdrawal from
10 the market.

11 Cardiac toxicity. In a September 1997
12 memo from FDA Diabetes Group Leader Dr. Alex Fleming,
13 there's a section entitled "Troglitazone Continues to
14 Carry Concerns About Fluid Distribution Among Body
15 Tissues and Cardiotoxicity."

16 It states, quote, troglitazone is a member
17 of a class of compounds that have been associated with
18 cardiac toxicity. In Study 032, 15 patients, or four
19 percent, on troglitazone as opposed to none of placebo
20 developed peripheral edema. One patient on the drug
21 developed pulmonary edema, which is evidence of heart
22 failure. It is hard to believe that patients with
23 cardiac, liver, or renal disease would not be
24 adversely affected by the drug, end quote.

25 In reviewing the spontaneous adverse

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 reaction reports filed with the FDA, we found a total
2 of about 50 patients with heart failure, including six
3 patients who died with heart failure who were taking
4 the drug.

5 Evidence that others have decided Rezulin
6 is not necessary. Dr. Joseph Lowenstein is an
7 endocrinologist for years at Case Western Reserve
8 University School of Medicine and Meridia Hospital,
9 and now Professor of Medicine at the School of
10 Medicine at Texas Tech Health Sciences Center. He was
11 a member of your Endocrine and Metabolic Advisory
12 Committee from 1980 to 1984 and Chairman of your
13 Committee from '82 to '84.

14 In response to my question to him about
15 troglitazone, he replied on March 11th, a copy is
16 attached, quote, "You asked me if the removal of
17 troglitazone from the market would impair my ability
18 to provide good care for diabetic patients. My answer
19 is unequivocally, no, it would not. I see a large
20 number of diabetic patients in our faculty and
21 resident clinics, and I have not seen a single patient
22 in whom I thought troglitazone was essential. While
23 troglitazone appears to increase tissue sensitivity in
24 insulin, the published data fail to convince me that
25 its benefits outweigh its risks of fatal liver

1 toxicity. For this reason I do not prescribe the
2 drug, and when I see a new patient who is already
3 taking it, I discontinue it."

4 Dr. Lowenstein is hardly alone. Group
5 Health Cooperative of Puget Sound in Seattle, one of
6 the largest HMOs in the country, with 450,000 patients
7 and 575 physicians, also decided that Rezulin is not
8 necessary for the practice of good medicine and have
9 never had it on their formulary.

10 To their credit, they also never put the
11 three recently banned drugs, Redux, Posicor, and
12 Duract, on their formulary, thus sparing the patients
13 in their consumer run cooperative of a large number of
14 serious adverse reactions.

15 In other countries, such as all of the
16 European Union, diabetes patients are all cared for
17 without the use of Rezulin, as it has never been on
18 the market in the rest of Europe and was taken off the
19 market in the U.K. in December of '97 by Glaxo
20 Wellcome, its manufacturer there, because of an
21 opinion strongly shared by the British government that
22 its risks outweighed its benefits.

23 Although Glaxo has now changed its mind,
24 the British government has not and this week rejected
25 Glaxo's efforts to reintroduce the drug in the U.K.

1 In our petition to the FDA in July of 1998
2 to take troglitazone off the market because of
3 hepatotoxicity, we stated, quote, "How many more
4 Americans will have to die or require liver
5 transplants before Parke-Davis and the FDA take action
6 to protect people in this country by banning the
7 drug?" end quote.

8 It is clear one and one-half years after
9 the label first recommended liver test monitoring that
10 most patients are not getting the recommended number
11 of tests or even the baseline test. It is time for
12 the United States to join with the overwhelming
13 proportion of countries where the drug is not on the
14 market and protect American patients from a drug with
15 no evidence of a long-term benefit in mortality, as
16 mentioned before by Thomas Moore, and clear evidence
17 of relatively short-term increased risk of death from
18 liver toxicity.

19 I point out that a lot of patients who are
20 started on the drug don't stay on it for a long time
21 because it doesn't work that well or, in combination
22 with insulin, causes more hypoglycemia, not less, and
23 therefore, they are getting risks without any
24 benefits.

25 It is clear that the, quote, label remedy,

1 end quote, is not effective for those drugs which are
2 inherently too dangerous to remain on the market, such
3 as Duract, Posicor, and Rezulin. Every additional
4 month on the market for Rezulin means longer duration
5 of therapy for hundreds of thousands of patients with
6 concomitant increased risk of liver damage and
7 possible death or the need for transplantation because
8 of liver failure.

9 Thank you.

10 CHAIRMAN BONE: Thank you, Dr. Wolfe.

11 The next speaker will be Dr. Stephen
12 Clement from the American Diabetes Association.

13 DR. CLEMENT: Good morning, distinguished
14 panel.

15 My name is Stephen Clement. I'm an
16 endocrinologist at Georgetown University Medical
17 Center here in Washington, D.C. I'm here today as an
18 official spokesperson of the American Diabetes
19 Association.

20 I am pleased to be here to present the
21 American Diabetes Association's point of view
22 regarding the use of Rezulin for the treatment of Type
23 2 diabetes.

24 I think all of you understand the
25 seriousness of Type 2 diabetes and the enormous

1 challenges it poses for the health of Americans and
2 the American health care system.

3 I think all of you know the economic
4 impact this disease has and its growing prevalence.
5 We saw slides of that earlier, and I'm sure you will
6 appreciate the statement made by the Centers of
7 Disease Control and Prevention that diabetes is the
8 epidemic of our time.

9 Last, I hope all of you know or at least
10 appreciate the fact that relatively few people with
11 diabetes in this country have levels of glycemc
12 control that are equal to or even approach normal
13 glycemia, and we saw some of those slides earlier as
14 well.

15 Since we now know based on the diabetes
16 control and complications trial and the U.K.
17 prospective diabetes study that glycemc control is
18 related to the likelihood of developing complications
19 of diabetes, it comes as no surprise to health care
20 professionals who must work very hard to help patients
21 achieve the best glycemc control they can.

22 Many factors influence the ability of a
23 patient to achieve good glycemc control. Today all
24 of us are here to talk about pharmacotherapy. In this
25 regard, the American Diabetes Association's comments

1 will address two questions.

2 First, how valuable is Rezulin in
3 achieving glycemic control?

4 And, second, how should the FDA approach
5 the approval and review process of a drug that is
6 first in its class?

7 First, how valuable is Rezulin? One
8 answer is to look at the impressive rise in the use of
9 the product since its introduction just a short time
10 ago. Certainly effective sales and marketing have
11 influenced the results seen, but in addition, Rezulin
12 is a drug whose mechanism of action is not shared by
13 any other approved glucose lowering agent, and because
14 of that fact it has afforded health care professionals
15 an important advance in pharmacotherapy.

16 As a practicing endocrinologist, I often
17 face the fact that the present panel of available
18 medications, including Rezulin, cannot adequately
19 control a patient's blood glucose level. As with the
20 other endocrinologists who presented, I find that for
21 patients who are unable to achieve good glycemic
22 control on other medications or combinations of
23 medications, the use of Rezulin often has a dramatic
24 benefit.

25 This benefit can be sustained over time,

1 as well. Therefore, I have always appreciated and
2 greatly valued having available many drugs, each
3 acting in different ways to lower blood glucose.

4 To that end the American Diabetes
5 Association believes that Rezulin has been a very
6 useful drug for many patients, and its unique
7 mechanism of action has been valuable for countless
8 individuals who for many reasons cannot achieve good
9 glycemic control with the other drugs available.
10 Thus, Rezulin is clearly the major benefit to a great
11 number of patients.

12 Of course, the benefits of Rezulin must be
13 weighed against its risk, and like all other drugs, we
14 know there are risks with taking Rezulin. The
15 American Diabetes Association cannot speak objectively
16 or quantitatively about the risk of Rezulin, nor can
17 we speak about the extent to which monitoring of liver
18 tests has decreased adverse events. We do not collect
19 or review such data.

20 We do, however, respect and support the
21 FDA's review process, and we have the utmost
22 confidence in its ability to weigh the evidence and
23 decide appropriately whether the benefits of Rezulin
24 outweigh these risks.

25 The topic of benefit versus risk brings me

1 to answer the second and last question which I said I
2 would address, that is, how should the FDA approach
3 the approval and review process of a drug that is
4 first in its class.

5 Of course, one could answer that question
6 in many ways. For example, the rigor of the review
7 process could be different. Less or more data could
8 be required. The bar of effectiveness could be
9 changed.

10 Through all of these possibilities, the
11 American Diabetes Association would say that no change
12 is warranted. The approach used now is appropriate
13 and effective.

14 On the other hand, given the difficulty in
15 effectively treating diabetes and given the
16 shortcomings of all other therapeutic options, the FDA
17 should also factor those variables into the benefit
18 side of the ledger in weighing whether to approve a
19 drug that is first in its class.

20 In other words, not only is absolute
21 clinical effectiveness of importance, but some
22 consideration on the benefit side should be given to
23 a drug that represents a novel way to treat an
24 intractable disease.

25 Conversely, if a drug does not have a

1 novel mechanism of action, a risk-benefit analysis may
2 well lead to a different conclusion. Thus, we believe
3 there cannot be a single risk-benefit ratio at which
4 a drug is approved or not approved or removed from the
5 market. Other factors, such as uniqueness and the
6 benefit of its mechanism of action, must also be taken
7 into consideration.

8 With regard to Rezulin, we know that this
9 drug is unique, and it's clearly invaluable for many
10 patients. Those facts must be taken into
11 consideration. How many deaths due to this drug
12 diminish this benefit?

13 The American Diabetes Association cannot
14 answer this question or express an opinion because we
15 do not have all the facts. We trust this
16 distinguished panel and the FDA to make these
17 decisions.

18 In summary, we can say that diabetes is
19 serious. We can say that Rezulin is uniquely
20 effective, and we know that Rezulin carries a risk of
21 serious adverse events. Today the benefits of Rezulin
22 may outweigh its risks given the other therapies that
23 are available.

24 In the days to come, our knowledge about
25 the type of physiology of diabetes and its

1 complications will change, and new therapies and new
2 drugs will become available. At that time, the FDA
3 may need to reassess the benefits of Rezulin, as it
4 should all drugs previously approved to treat
5 diabetes, and the American Diabetes Association has
6 confidence that the FDA has the experience, ability,
7 and proven track record to make these decisions.

8 Thank you very much.

9 CHAIRMAN BONE: Thank you, Dr. Clement.

10 Well, we are doing very well, I want
11 everyone to know, and thank you to all. We came quite
12 close to the scheduled time.

13 The next presentation will be by Dr. David
14 Graham of the FDA Office of Postmarketing Drug Risk
15 Assessment.

16 DR. GRAHAM: I have to wait for Proxima to
17 get started. We knew they shouldn't have turned it
18 off.

19 CHAIRMAN BONE: We're going to have to
20 speak to the devices people about which projector they
21 approved.

22 (Laughter.)

23 DR. GRAHAM: Parke-Davis can vouch for the
24 difficulties we've had with Proximas.

25 CHAIRMAN BONE: Can we do something about

1 this light? This is shining directly in the eyes of
2 several of the panelists, and I hope something can be
3 arranged so that it won't do that. Thank you.

4 (Laughter.)

5 CHAIRMAN BONE: Dr. Graham is always
6 illuminating and probably doesn't need to be shiny.

7 DR. GRAHAM: Lanh, will we be airborne
8 shortly?

9 I hope that this technical glitch won't
10 count against my time, Chairman.

11 CHAIRMAN BONE: I once hear that any truly
12 innovative technology has the appearance of magic, and
13 I wonder if we could have a spell here to make this
14 work.

15 (Pause in proceedings.)

16 CHAIRMAN BONE: You know, here's what
17 we're going to do. We're going to eliminate the break
18 that was scheduled for after Dr. Graham's talk unless
19 this is ready immediately and take it now.

20 We'll be returning. I have 9:50. We're
21 going to start up no later than 10:05, and I really
22 mean start.

23 (Whereupon, the foregoing matter went off
24 the record at 9:50 a.m. and went back on
25 the record at 10:04 a.m.)

1 CHAIRMAN BONE: Will all the members of
2 the Committee, the FDA staff, and the audience please
3 take their seats immediately? We're ready to start.

4 The next presentation will be made by Dr.
5 David Graham of the FDA Office of Postmarketing Drug
6 Risk Assessment, whose technology is now working for
7 him rather than against him.

8 DR. GRAHAM: Is it all right to begin?

9 CHAIRMAN BONE: Please, go ahead.

10 The audience will please either take their
11 seats or leave. Okay? We can't have the speaker, the
12 presentation interrupted by this milling about. So
13 please sit down and listen to Dr. Graham.

14 Thank you very much.

15 DR. GRAHAM: Good morning. Thank you, Dr.
16 Bone.

17 My name is David Graham, and the slide
18 that you see here is an imagine of Jan Vermeer's view
19 of Delphht, and it's here to remind members of the
20 Committee that what follows represents our view, the
21 FDA's view, of the scientific data we have available
22 on the risks of hepatotoxicity with troglitazone.

23 So I'll provide first some background
24 information on acute liver failure. This slide shows
25 the classification system we use to classify case

1 reports of liver failure reported to the FDA. This
2 classification system is based on the length of time
3 that it takes a patient to go from the appearance of
4 jaundice to the onset of encephalopathy, which is the
5 defining characteristic of acute liver failure.

6 All of these acute, hyper acute, acute,
7 and sub-acute, are all part of the syndrome of acute
8 liver failure, but they are substratified by the time
9 it takes a patient to become encephalopathic. The
10 hyper acute is very quick, within seven days; the
11 acute within four weeks; and then the sub-acute going
12 out to 84 days.

13 It's important to understand that overall,
14 about ten percent of all acute liver failure in the
15 United States is due to drugs other than
16 acetaminophen, which itself causes about 15 percent of
17 all acute liver failure. About 70 percent of acute
18 liver failure is caused by hepatitis viruses.

19 The mortality rate associated with viral
20 acute liver failure is about 40 to 60 percent. The
21 mortality rate associated with drug induced acute
22 liver failure is about 90 percent.

23 The clinical features of acute liver
24 failure are characterized by the development of
25 coagulopathy, multi-organ failure, and sepsis. Each

1 of these is caused by the acute liver failure and is
2 not a cause of the acute liver failure.

3 Next slide, please. Oops, if you could go
4 back -- I'm sorry -- to the previous slide.

5 This slide summarizes information from
6 five U.S. transplant centers in the modern era, giving
7 information on basically what is the outcome of
8 patients who are diagnosed with acute liver failure,
9 and what we see is about 75 percent of patients with
10 acute liver failure, if you take either die or
11 transplant; if you take these two numbers and this
12 number and add them together; about a quarter of
13 patients manage to survive their acute liver failure
14 without a transplant.

15 So that means that there are patients who
16 do recover spontaneously. These are usually patients
17 with lower degrees of encephalopathy.

18 Next slide, please.

19 It's also important at this point to talk
20 about acute liver failure in diabetes. The question
21 is: does diabetes account for the reports of acute
22 liver failure we've seen with troglitazone?

23 This slide is to emphasize that there is
24 no evidence to associate diabetes with the development
25 of acute liver failure. There is a small ecologic

1 association with chronic liver disease. This is
2 suggested by the national hospital discharge summary,
3 which suggests the relative risk of about 1.3. So
4 that would be about a 30 percent increase in the
5 occurrence of chronic liver disease.

6 However, there are lots of problems with
7 this estimate. It is confounded by alcohol. Alcohol
8 causes liver disease. Alcohol is also associated with
9 diabetes.

10 Now, from the United Network on Organ
11 Sharing, we obtained data on the prevalence of
12 diabetes in patients who are registered for acute
13 liver transplantation. Now, these data were
14 facilitated by D.W. Chen and his folks at Division of
15 Transplantation in HRSA.

16 From that data, we learn that about 4.8
17 percent of patients who are registered for liver
18 transplantation in the United States over the years
19 1995 through 1998 had diabetes. That prevalence is no
20 different than that seen in the general population
21 matched for age, gender, and race.

22 So from that data we conclude that there's
23 no association between diabetes and being sick enough
24 with acute liver failure and lucky enough to be
25 registered for a liver transplantation.

1 However, if you review the literature on
2 acute liver failure, you will find that in none of
3 these is there any association made with development
4 of acute liver failure and diabetes. So from this we
5 conclude that there is no support in the literature or
6 in available data to implicate that the cases of liver
7 failure seen with troglitazone patients is the result
8 of their diabetes.

9 We'll now discuss how troglitazone is used
10 in the U.S. population. This first slide is from IMS
11 Health Data, their national prescription audit, and it
12 shows the monthly prescriptions of troglitazone in the
13 United States from the time of marketing in March of
14 1997 through December of 1998, and what we see is that
15 there was a steady increase in prescribing up through
16 late '97 and then a sort of a leveling off, if you
17 will, of prescriptions. These are monthly
18 prescriptions now.

19 Total prescriptions to date are about 7.9
20 million, and we estimate that about 1.23 million
21 patients have been treated with troglitazone in the
22 United States.

23 Next slide, please.

24 These data come from IMS Health's national
25 disease and therapeutic index, and it describes the

1 characteristics of patients treated with troglitazone.
2 What we learn is that about 43 percent of use is in
3 women. So the majority of troglitazone use is in men.

4 The mean age of a patient receiving their
5 first troglitazone prescription is 61. If you look at
6 the age distribution of how troglitazone is used,
7 about 90 percent of its use is in patients 45 years or
8 older, which corresponds pretty roughly with the
9 demography of Type 2 diabetes.

10 Next slide, please.

11 This slide comes from data from United
12 Health Care, which is a large health insurance
13 management plan with which FDA has a cooperative
14 agreement arrangement that allows us to do research in
15 the real world, in real populations, of how drugs are
16 used and what the health consequences and effects of
17 those drugs are.

18 This study is based on 9,400 patients
19 treated with troglitazone since it came on the market
20 in this database that covers 3.5 million lives in the
21 United States over a geographic distribution of nine
22 states, and what we see is that the number of patients
23 who use troglitazone long term, if you were to take a
24 cross-sectional snapshot December 31st of 1998, that
25 very few patients have continued troglitazone for a

1 long time. Most of the use of troglitazone is limited
2 to patients who have been on the drug for only a few
3 months.

4 This slide is shown by number of
5 prescriptions filled. It turns out that the average
6 prescription length is 31 days. So there's very tight
7 correlation, and this is basically one month, two
8 months, up through two years.

9 And what we see here is that if you go out
10 to this, this is the one-year mark. This next line
11 here is 13 months. Less than 16 percent of patients
12 have been on troglitazone for more than a year, and
13 that's very important for what will follow.

14 If we could go to the next slide, please.

15 Okay. We will now discuss the cases
16 reported to the FDA of acute liver failure and other
17 serious hepatic injury with troglitazone. We'll begin
18 with a few definitional slides so that the Committee
19 will be oriented to the approach that we took.

20 We used the classification scheme of
21 O'Grady published in The Lancet in 1993 of acute liver
22 failure, which we showed in an earlier slide. We
23 measured the interval from the onset of jaundice to
24 encephalopathy, transplant or death as one of the
25 metrics that we use for classifying cases into a

1 category of hyper acute, acute, or sub-acute.

2 If the timing of jaundice was unknown, we
3 used the time of onset of other symptoms suggested by
4 hepatitis, or if those weren't present in the report,
5 the date when they stopped troglitazone use as a
6 marker for that onset of jaundice.

7 Next slide, please.

8 We also classified cases as probable or
9 possible or less likely. We based our analyses on
10 those cases that we classified as probable or
11 possible. These classifications were based on the
12 presence or absence of other potential etiologic
13 factors.

14 All cases that we classified as probable
15 we believed had an overwhelming evidence that
16 troglitazone was responsible and not other potential
17 factors.

18 Two of the concepts that are necessary to
19 understand. One is the concept of rapid riser and the
20 other is the unknown riser. A rapid riser is a
21 patient in whom irreversible acute liver failure
22 developed within 37 days of a previous normal test
23 result. We came up with 37 days because we had the
24 monthly monitoring interval that's been proposed in
25 the labeling, and data on compliance with monitoring

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 that we will present in a few minutes, we used an
2 operational definition of plus or minus seven days of
3 that 30-day interval.

4 So plus seven days added to 30 would bring
5 us up to 37 days as the time period of a monitoring
6 interval under current recommended guidelines. So
7 rapid riser is somebody who has a normal enzyme level,
8 and then within that period of time goes from normal
9 to irreversible liver failure.

10 An unknown riser is a patient in whom the
11 time course of the development of abnormal liver
12 enzymes is unknown simply because monitoring was not
13 being performed.

14 Next slide, please.

15 This is an overview of the 43 cases of
16 acute liver failure and the 81 cases of hepatitis that
17 have been reported to the Food and Drug
18 Administration. Of the 43 cases of acute liver
19 failure, 38 of them were probably caused by
20 troglitazone. This is nearly 90 percent.

21 In the sponsor's briefing document, they
22 mention a number of potential confounding factors that
23 they believe may make these cases too complicated to
24 input causality. If the Committee desires during the
25 question period, we can discuss this. However, we

1 believe in our judgment and in the judgment of a three
2 to four member panel of FDA reviewers that in every
3 probable case troglitazone appeared to be responsible
4 and other factors played either no role or were of a
5 minor contributory nature.

6 Next slide, please.

7 In these 43 cases, we show the outcome in
8 this slide. About 13 percent of patients survived
9 without requiring liver transplantation. The
10 remaining patients required transplantation or died.
11 We had 70 percent of the patient population in this
12 review died from their disease.

13 This survival rate of 12 percent without
14 transplant is very consistent with the data I
15 presented earlier on the natural history of acute
16 liver failure. If you recall on that slide, however,
17 the overall survival rate for acute liver failure is
18 about 25 percent, but that's taking acute liver
19 failure of all comers.

20 We're dealing with a subset of that, which
21 is drug induced liver failure, which carries a higher
22 mortality rate and so would be expected to have a
23 lower survival rate. If you recall, the literature
24 suggests that the mortality rate from drug induced
25 acute liver failure is about 90 percent. That would

1 translate to ten percent survival rate without
2 transplant, which is very close to what we find in our
3 case material.

4 Next slide, please.

5 The important message of this slide is
6 that about 75 percent of cases have an extremely rapid
7 time course of onset and progression of this disease,
8 and what's also important to recognize is that for
9 most of these patients jaundice is the first
10 indication that they're in trouble, and by then the
11 horse is out of the barn.

12 Next slide, please.

13 The patient characteristics in the 43
14 cases were that 70 percent of them were women. If you
15 recall from the NDTI data, about 43 percent of use is
16 in women. So this is a possible risk factor, gender.
17 It's the only hint of a risk factor that we found in
18 all of our analyses. The mean age was 63, which is
19 very close, virtually identical to the mean age of
20 somebody who starts troglitazone, 61.

21 The duration of therapy, on average, was
22 about four months, and you see the range here. We had
23 cases reported at every dose level. We also had cases
24 reported with monotherapy. The number of cases at
25 monotherapy was about 20 percent of our total cases,

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 and the risk looking -- there was no way to say that
2 looking at the proportion of cases caused -- in
3 patients with monotherapy against those who were on
4 combined therapy, there's no apparent difference. In
5 other words, we don't believe that other treatments
6 that patients are receiving for their diabetes in
7 addition to troglitazone are responsible for the acute
8 liver failure. It's the troglitazone, not the other
9 drugs.

10 A couple other things just to point out on
11 the previous slide. Jaundice is the first symptom at
12 62 percent, but by the time people come and present,
13 90 percent of them are jaundiced. So there are
14 patients who might develop other symptoms of nausea,
15 vomiting, or abdominal pain or malaise, symptoms of
16 hepatitis, who within a short period of time generally
17 then go on to develop jaundice, and that's when they
18 present to the doctor, when the jaundice comes, and 90
19 percent of them have jaundice at that time.

20 And as we said before, it's a very quick
21 time from jaundice to encephalopathy.

22 Next slide, please.

23 This slide just summarizes for the
24 Committee the initial presenting indication of liver
25 injury in the 43 cases of liver failure. Most were

1 jaundice. Other symptoms of hepatitis were found in
2 24 percent.

3 In 14 percent there were transaminase
4 abnormalities. They were otherwise asymptomatic. In
5 six we don't know what the first indication of liver
6 injury was.

7 Okay. This slide needs to be focused on
8 paying attention to each bar separately so that they
9 don't relate to each other. Each represents a
10 separate category and a separate idea that I'm trying
11 to communicate.

12 For a baseline testing among these cases,
13 about 45 percent, 46 percent of the cases, 20 out of
14 43, had some time of baseline test done, but we were
15 very generous in our definition of a baseline test.
16 Basically if the report said that in the last year or
17 so some test had been done, we considered that
18 baseline.

19 In the data that I'll show later, our
20 enzyme monitoring study, that is not a definition that
21 we viewed as appropriate for baseline testing.

22 Monthly testing, there were seven cases
23 out of the 43 where there was some evidence of monthly
24 testing being done, but this is an inflated number for
25 the following reasons. It includes one patient from

1 an NIH controlled clinical trial that by protocol was
2 required to have liver enzyme monitoring. So you
3 subtract that one out.

4 Then there were three other cases that
5 were from more general populational sources where
6 monitoring was being done more or less, but there were
7 gaps and breaks in it. So they were not fully
8 compliant.

9 Only three cases, about 15 percent of
10 what's been reported to us, had full compliance with
11 monitoring.

12 Twenty-one percent of our cases, nine, had
13 what we define as the rapid rise in liver enzymes.
14 This is people who had normal enzymes and within a
15 month of that presented with irreversible acute liver
16 failure, and then for the bulk of patients, we have no
17 idea what the course of enzyme elevation was.

18 Next slide, please.

19 This slide is intended to give the
20 Committee an appreciation for what we mean by a rapid
21 riser. What we show here are the liver enzyme levels
22 and functions and the date when different things
23 happened.

24 And you can see this patient had a
25 baseline test done on February 5th of '98, an ALT of

1 20. They started troglitazone a few days later. No
2 monitoring was done until August. In August they had
3 normal testing. The patient went on a vacation,
4 experienced some nausea, had some liver tests done at
5 a hospital. I believe it was in Italy. The alkaline
6 phosphatase was 93. The bilirubin was .6. The
7 patient came back to the States. Five days later,
8 still on troglitazone, became jaundice, presented at
9 the hospital in acute liver failure. This patient
10 became encephalopathic, required liver
11 transplantation, and died.

12 The time from this normal enzyme to this
13 point of irreversibility was 15 days. It's possible
14 that irreversibility actually occurred within five
15 days.

16 Next slide, please.

17 This slide is intended to show the time
18 course in an unknown riser. This is the majority of
19 the patients the case material that we have in hand.
20 The format of the slide is identical to the previous.

21 This patient began troglitazone on
22 December 1st of '97. Two days later they had a
23 baseline test done. The ALT was called normal. We
24 discovered that the value was 11.

25 Additional monitoring wasn't done. March

1 1st the patient became anorexic, presented to their
2 doctor a few days later. The doctor got enzymes, said
3 252, a little touch of drug induced hepatitis here,
4 six times the upper limit of normal. We'll follow the
5 label. We'll stop the drug. The drug is stopped.

6 But the process was already irreversible.
7 Within three weeks this patient returns with jaundice,
8 an ALT of 1,400. Two weeks after that the patient is
9 Stage 3 coma, and three days after that a liver
10 transplant.

11 Next slide, please.

12 This slide summarized a number of
13 observations that impressed me in reading over the
14 case reports that we had. I was struck by the fact
15 that many patients had long prescriptions, and what
16 this allowed to happen was for patients to continue on
17 the drug and not come back to their doctors to have
18 monitoring performed.

19 It also allowed doctors to sort of
20 basically forget about these patients and not realize
21 that, oh, that patient is still on the drug. So that
22 was one problem area that I saw in these cases.

23 Another one is that there is, in general,
24 in the real world a time lag between when a patient
25 presents and has the blood test drawn, when those

1 results are determined, and then when those results
2 get communicated to the doctor and then to the
3 patient. There's a built in time lag.

4 Now, that time lag might be as short as a
5 day. It might be as long as a week, and I can't say
6 because we have no evidence that if the time lag were
7 instantaneous that it would make any difference, but
8 the fact is that we have to recognize that there is a
9 time lag, and it's built into the way health care is
10 delivered in the United States.

11 Another observation was that for most of
12 the patients when they present jaundiced they have
13 enough intelligence to stop the drug. However, there
14 were patients who developed nausea or vomiting or a
15 little bit of abdominal pain and who continued on the
16 drug after the onset of those symptoms. In our
17 previous slide, you see how many patients that was.

18 This can be compounded by lack of
19 physician awareness of the hepatotoxic risks of
20 troglitazone, and we have one case of acute liver
21 failure in which the patient was on the drug for
22 several months and developed a little bit of nausea
23 and a little bit of abdominal pain. They went to the
24 emergency room to be seen. This is in April of 1998.
25 So it's after three "Dear Doctor" letters and all this