

1 such as Aggrenox, is vital to our efforts in reducing stroke  
2 occurrence in patients at greatest risk, including those who  
3 have suffered a stroke or TIA.

4           As you know, Aggrenox combines two extensively  
5 studied antiplatelet agents. Clinical studies of the  
6 combination have demonstrated its efficacy for the secondary  
7 prevention of stroke, with no additional risk of adverse  
8 events.

9           Such combination therapy may also enhance patient  
10 compliance by reducing the number of pills that a patient  
11 has to take in a given day. Making this medical available  
12 to patients at risk for secondary stroke will add a valuable  
13 new option to our stroke prevention armamentarium and  
14 thereby decrease the number of Americans who suffer the  
15 consequences of a stroke. The ultimate result will be that  
16 fewer families will suffer the emotional as well as  
17 financial hardships that are commonly associated with  
18 stroke.

19           I urge you to recommend too the FDA that this new  
20 treatment be made available to patients and their physicians  
21 as rapidly as possible.

22           Thank you very much for your attention and for the  
23 opportunity to speak to you this afternoon.

24           DR. GILMAN: Thank you, Dr. Alberts.

25           Does anybody else in the room from the public who

1 wishes to make a presentation?

2 [No response.]

3 DR. GILMAN: Thank you. If not, we will go back  
4 into our regular mode and we will hear from the Food and  
5 Drug Administration now.

6 Dr. Robie-Suh.

7 **FDA Presentations**

8 **Overview of NDA**

9 DR. ROBIE-SUH: Good afternoon. I will try to be  
10 brief.

11 [Slide.]

12 This is the order of FDA presentation this  
13 afternoon on Aggrenox. I am Kathy Robie-Suh. I am going to  
14 introduce to you issues that the Division has identified as  
15 being important and warranting some consideration in the  
16 committee's deliberations today.

17 Dr. Ann Farrell will then present the results of  
18 the medical review, and Dr. Rashid will present results of  
19 the statistical review of the application.

20 [Slide.]

21 These are issues that the Division has identified  
22 as being important. First of all, the product Aggrenox is a  
23 combination product, and as we have talked about earlier  
24 today, that has some specific implications for the evidence  
25 for effectiveness.

1           There is, second, a single study presented for  
2 demonstration of effectiveness.

3           Third, there is the uncertainty somewhat about  
4 primary endpoints as specified in the protocol and what is  
5 appropriate, and so forth, as we have talked about earlier  
6 today.

7           Finally, there are considerations with regard to  
8 the study population.

9           [Slide.]

10          Aggrenox is a fixed combination of an extended  
11 release dipyridamole 200 mg and aspirin 25 mg to be dosed  
12 twice daily, providing a total of 50 mg of aspirin and 400  
13 mg of dipyridamole.

14          We might ask what do we know about the individual  
15 components of this combination product. The professional  
16 labeling for aspirin recommends once daily dosing with 50 to  
17 325 mg for the purpose of reducing the combined risk of  
18 death and nonfatal stroke in ischemic stroke or TIA  
19 patients, and this is the indication being sought for the  
20 combination product today.

21          Dipyridamole is not approved for the desired  
22 indication. The extended release formulation is not  
23 approved here in the U.S., and the immediate release product  
24 that is approved here, is approved only as an adjunct to  
25 coumadin therapy to prevent postoperative thromboembolic

1 complications, cardiac valve replacement.

2 [Slide.]

3 In order to demonstrate effectiveness of a  
4 combination product, our regulations do allow combination of  
5 the product when each component makes a contribution to the  
6 claimed effects and the dosage of each component is such  
7 that the combination is safe and effective for a significant  
8 patient population requiring such concurrent therapy as  
9 defined in the labeling for the drug.

10 So, therefore, Aggrenox has to demonstrate  
11 superiority of the combination product over each of the  
12 individual components.

13 [Slide.]

14 For this purpose, we have submitted a single  
15 efficacy study. Normally, in our approval process, the  
16 standard of evidence that is required is substantial  
17 evidence, and usually, this comes from substantial evidence  
18 that should come from adequate and well-controlled  
19 investigations (plural), and the reason for the  
20 investigations has been the need for independent  
21 substantiation of the efficacy result, but sometimes we can  
22 find independent substantiation in a single study.

23 In May of 1998, a Guidance to Industry was  
24 published describing what is needed for demonstration of  
25 effectiveness, a demonstration of efficacy of drugs and

1 biological products.

2           With regard to single studies, these are some of  
3 the criteria that were laid out as being highly desirable in  
4 a study presented for such purpose. You probably recognize  
5 this slide from a prettier picture earlier this morning, so  
6 it is nice to know our guidances are getting out there.

7           I am going to say just a word about each one of  
8 these, but before I do that, all of this presupposes that  
9 that single study certainly is adequate and well controlled  
10 in the usual sense that we look for a clinical trial.

11           That means that it has to be well designed,  
12 adequate measures need to have been taken to minimize bias.  
13 This includes minimizing baseline imbalances, being balanced  
14 with regard to risk factors, taking care to protect the  
15 blind, also with regard to having a predefined hypothesis  
16 that is being tested, and so forth.

17           So, all that being said, then, with the single  
18 study, look at these factors. Large multicenter study.  
19 Here, for each one of these factors, considering ESPS-2 for  
20 this purpose.

21           [Slide.]

22           In this study, there were over 7,000 patients  
23 randomized. One site was excluded because the data were  
24 felt to be unreliable, but you still have over 6,000  
25 patients.

1 [Slide.]

2 Is there consistency across study subsets? The  
3 effectiveness results were examined across centers, across  
4 gender, entry event, and a number of types of analyses. Dr.  
5 Farrell will show you some more of these results.

6 [Slide.]

7 Other multiple studies? Sometimes one study can  
8 be viewed as having two or more studies embedded within, and  
9 I know the example in the guidance talks about a factorial  
10 analysis, but I think for this combination product, we have  
11 talked about why pairwise is more appropriate for looking at  
12 effectiveness here, but certainly having the placebo group  
13 there and both of the component arms being shown superior to  
14 the placebo for an endpoint strengthens the trials.

15 You can also subdivide the trial and look for  
16 effectiveness in subdivisions, for instance, by geographic  
17 areas I think was one thing that was done for this trial.

18 [Slide.]

19 Are there multiple endpoints examined in this  
20 study, and endpoints that are independent, but still related  
21 to the indication we are interested in, and, yes, ESPS-2 had  
22 a number of endpoints. Again, Dr. Farrell is going to  
23 present a little bit more about some of these results.

24 [Slide.]

25 Finally, is the study statistically persuasive?

1 Again, a number of analyses were done, and looking at the  
2 efficacy analysis versus the components, I think we came up  
3 with p-values of 0.002 for Aggrenox versus dipyridamole, and  
4 0.008 for Aggrenox versus aspirin for the reduction of risk  
5 of stroke.

6 [Slide.]

7 Now, when you have a single study, you can either  
8 have a single study all by itself or usually there is some  
9 confirmatory evidence, as well, and two additional studies  
10 were submitted as additional information on this product.

11 ESPS-1 was a study which tested combination of  
12 immediate release dipyridamole 75 mg plus aspirin 330 mg,  
13 given three times a day, versus placebo for 24 months.  
14 There were some problems with the study, the protocol was  
15 not uniform, for instance.

16 Also, Study U88-0473 tested that same combination  
17 versus dicumarol. That was a small study with few events.

18 [Slide.]

19 With regard to primary endpoints, I don't think I  
20 need to say a whole lot. The identification of primary  
21 endpoint, of course, has some impact on the statistical  
22 analyses, possible interpretations of what I saw in the  
23 protocol could have been either death alone or stroke alone,  
24 win with a benefit on both death and stroke when you analyze  
25 them individually, or win with benefit on the composite

1 endpoint.

2 [Slide.]

3 Finally, with regard to the study population,  
4 ESPS-2 was a European study. There may be differences in th  
5 U.S. and European diagnosis of stroke and the practice of  
6 medicine. Also, we have to consider the target population  
7 for whom Aggrenox would be indicated, you know, considering,  
8 for instance, that the daily dose is 50 of aspirin, would  
9 be 50 mg, whereas, in the aspirin monograph a dose of 75 mg  
10 is the lowest dose labeled for the cardiac indications  
11 basically, so these are things that I would like for you to  
12 consider and discuss.

13 [Slide.]

14 Again, this is just those issues again.

15 That concludes this part of the presentation. Any  
16 questions?

17 DR. GILMAN: Any questions from the panel?

18 [No response.]

19 DR. ROBIE-SUH: Then, Dr. Farrell will present the  
20 clinical efficacy data.

21 **Efficacy Issues**

22 DR. FARRELL: Thank you very much.

23 ESPS-2 was a single trial involving 7,040 patients  
24 in 60 centers in 13 countries. One trial was excluded from  
25 the efficacy analysis, leaving 6,602 patients in the



1 modified ITT population.

2 The trial was reasonable well balanced with  
3 respect to sex, age, qualifying event, risk factors for  
4 stroke and center among treatment groups.

5 [Slide.]

6 This is the table of carotid endarterectomies that  
7 occurred prior to or during the trial. As you can see,  
8 there are a few more patients in the dipyridamole and  
9 aspirin group who underwent carotid endarterectomies and  
10 remained in the trial although this is a very small number  
11 and constitutes less than 1 percent of each treatment group.

12 [Slide.]

13 As the sponsor has already shown you, the benefit  
14 of the combination product on stroke. What I wanted to show  
15 you was the subset analysis for nonfatal first stroke  
16 showing that the combination product gives you a  
17 statistically significant benefit over its components. This  
18 was not true for fatal first stroke. In fact, all of the  
19 categories were not significant.

20 [Slide.]

21 This is looking at stroke with respect to  
22 qualifying event, and you can see the numbers across. For  
23 patients who entered the trial with a qualifying event of  
24 TIA, who experienced a stroke, there was not a significant  
25 difference between the combined product and aspirin.

1 Patients who entered the trial with a qualifying  
2 event of stroke did much better, did not reach statistical  
3 significance, but the trial was not sized to look at these  
4 separate endpoints, and it was sized for the European  
5 definition of stroke.

6 [Slide.]

7 This is basically going over the primary efficacy  
8 endpoint of death and just looking at the numbers, and there  
9 is no significant benefit here.

10 [Slide.]

11 This is a slide that I showed you earlier, looking  
12 at the cause of death as classified by the Morbidity and  
13 Mortality Assessment Group, and there was no real change for  
14 the combined product nor for the components over any of the  
15 categories of death.

16 [Slide.]

17 Looking at the efficacy endpoint of stroke and  
18 death, the combination product does not show a statistically  
19 significant benefit for the composite endpoint.

20 [Slide.]

21 This is a further analysis of the subcategory  
22 contributions to stroke and death, and it is only the  
23 subcategory of nonfatal first stroke and never died where  
24 there is a significant contribution.

25 There is virtually no change for fatal first

1 stroke, nonfatal first stroke and later died due to stroke,  
2 nonfatal first stroke and later died to other causes or  
3 death due to other causes.

4 [Slide.]

5 The sponsor did a very interesting analysis  
6 looking at the first stroke handicap categories. What your  
7 first stroke is, is the stroke you have on trial and during  
8 treatment. This is how they classified minor stroke, major  
9 stroke, and fatal stroke.

10 [Slide.]

11 Looking at the first-stroke handicap categories,  
12 the combination product appears to show some benefit in  
13 terms of having an increased number of patients experiencing  
14 a minor stroke and a decreased percentage of patients  
15 experiencing a major stroke. There appears to be no effect  
16 on fatal stroke.

17 [Slide.]

18 Here is the pairwise analysis for first stroke  
19 handicap categories based on this classification for minor  
20 and major stroke, and as you can see, the combination  
21 product produces a statistically significant benefit over  
22 dipyridamole for that endpoint.

23 [Slide.]

24 Another important category was transient ischemic  
25 attack. This is how the number of patients who reported

1 greater than 1 TIA during follow-up did. As you can see,  
2 the lowest percentage of patients is seen in the combined  
3 treatment group.

4 [Slide.]

5 Here is the pairwise analysis, which shows that  
6 the combined product produced a statistically significant  
7 benefit over dipyridamole alone. This was not seen with  
8 aspirin.

9 [Slide.]

10 This is looking at TIA by qualifying event.  
11 Certainly, the combined product was associated with the  
12 lowest frequency of TIA - 64 for those who entered the trial  
13 with a qualifying event of TIA versus 102 for placebo, and  
14 those who entered the trial with a qualifying event of  
15 stroke, 108 for the combined product versus 165 for placebo.

16 [Slide.]

17 This is first ischemic event, and certainly there  
18 appears to be a significant benefit to the use of  
19 dipyridamole and aspirin for first ischemic event, and those  
20 are the numbers - 206 for dipyridamole and aspirin versus  
21 271 for dipyridamole, 266 for aspirin, and 307 for placebo.

22 [Slide.]

23 This is the pairwise analysis which shows a  
24 benefit for the combination product over its components.  
25 One important thing to realize about first ischemic event,

1 that stroke patients made up 78 percent of the data here.

2 [Slide.]

3 In terms of adverse events, there as a high  
4 incidence of adverse events for all treatment groups  
5 throughout the trial. There was no significant difference  
6 between treatment groups for adverse events categories.

7 Some adverse events did lead to treatment  
8 cessation.

9 [Slide.]

10 Treatment cessation due to medication side  
11 effects. For headache, there was approximately a 9 percent  
12 treatment cessation rate compared to 2 for placebo and  
13 aspirin. Gastrointestinal contributed 8 percent. That was  
14 nausea, vomiting, sometimes diarrhea. Bleeding contributed  
15 1.7.

16 It is interesting to note in the data that the  
17 patients who were on either the combined product or  
18 dipyridamole tended to go off study or have to stop their  
19 medication earlier than the patients on aspirin and placebo.

20 [Slide.]

21 There was no category of serious adverse events  
22 that were greater in the dipyridamole and aspirin treatment  
23 group than other treatment groups.

24 That's it. Any questions?

25 DR. GILMAN: Thank you.

1 Questions? That was very clear and brief,  
2 appreciate that.

3 If there are no other questions, then, we will  
4 move on to Dr. Rashid, mathematical statistician.

5 **Statistical Review of Aggrenox**

6 DR. RASHID: I am going to summarize the  
7 statistical review, and since I am the last speaker, I had  
8 to cut down some of my transparencies.

9 [Slide.]

10 As Dr. Temple mentioned, that for combination  
11 drug, we looked for two combinations here, the combination  
12 versus the ingredients, in this case, Aggrenox versus DP  
13 alone, and DP was dipyridamole, and Aggrenox versus ASA,  
14 which is aspirin alone, and both test required statistical  
15 significance in favor of the combination drug.

16 [Slide.]

17 Now, this is for the stroke data. We have seen  
18 this several times. First, we look at the column, which is  
19 the p-value of Gehan-Wilcoxon test, which compares the  
20 survival curves over the two years.

21 The p-value is 0.002 for Aggrenox versus DP, p-  
22 value of 0.008 for Aggrenox versus aspirin, which is  
23 significant.

24 The next column gives information about Kaplan  
25 Meier stroke free rate, 89.9 percent for Aggrenox and 86.7

1 percent for the dipyridamole.

2 Similarly, for Aggrenox versus aspirin, 89.9  
3 versus 87.1.

4 The risk reduction, the sponsor has shown it,  
5 which is 24.7 percent reduction for the Aggrenox versus DP  
6 and 23 percent for the Aggrenox versus aspirin.

7 Another measure which gives increased is stroke-  
8 free rate increase, which is the last column, which is  
9 essentially the difference between the stroke-free rate,  
10 89.9 minus 86.7 divided by 86.7. The stroke-free rate  
11 increase of Aggrenox relative to dipyridamole, which is 3.7  
12 percent, and the same measure for the other comparisons, for  
13 Aggrenox versus aspirin, we have 3.2 percent, and those  
14 numbers in the last column look consistent, over 3 percent,  
15 but if you look at the risk reduction, the fourth column.

16 The next one, for the death endpoint, we get the  
17 p-value for Aggrenox versus dipyridamole, 0.79, so we don't  
18 have any evidence there. If you look at the Kaplan-Meier  
19 survival curve here, this is at the end of the two years,  
20 which is 88.7 percent versus 88.5 percent, only 0.2 percent  
21 increase.

22 The risk reduction is 1.3 percent, but if you look  
23 at Aggrenox versus aspirin, we see the p-value of 0.74, so  
24 we have no evidence there, and if you look at the third  
25 column, which gives Kaplan-Meier survival rate, for Aggrenox

1 it is 88.7 and for the aspirin it was 88.9, and the risk  
2 reduction is minus 2.7 percent.

3 I would say the Aggrenox group has 2.7 percent  
4 death rate than aspirin group. Survival increase we see the  
5 same thing, 0.2 percent increase and minus 0.2 point  
6 decrease. So, that is important to look at, the second row.

7 [Slide.]

8 Now, stroke or death, we had a lot of discussion  
9 about this, but I thought I should give a transparency on  
10 this. Let's look the p-value for Aggrenox versus  
11 dipyridamole, which is 0.079. I should say that is weak  
12 evidence. If you look at the Kaplan-Meier stroke and death  
13 free rate, which is 82.4 percent versus 80.3 percent, so if  
14 you go back to stroke, this is much less.

15 Now, risk reduction is 10 percent, for the stroke  
16 case we have seen it about 24 percent, and the survival rate  
17 increase in this case, 2.6 percent for again Aggrenox versus  
18 aspirin, which is second column, we have 0.084 p-value for  
19 Gehan-Wilcoxon test. Again, we have weak evidence here.

20 If you look at the stroke or death free rate, we  
21 get 82.4 versus 79.9, the risk reduction 12 percent, and  
22 survival rate increase is 3.1 percent.

23 [Slide.]

24 So, in the protocol like we had two endpoints,  
25 stroke and death, and in the clinical summary report it said



1 the other endpoint is death or stroke, so we need  
2 adjustment. If you consider two primary endpoints, we need  
3 to adjust for the error rate, so I adjusted for the two  
4 endpoints for stroke or death, and I found that for  
5 unadjusted, Wilcoxon is 0.079, which you have seen before,  
6 and if you adjust it for the multiplicity, we get 0.1579,  
7 which is double the 0.079. So, we have weaker evidence now  
8 if you adjust for multiplicity.

9 The same for DP/ASA vs aspirin group, we have  
10 0.084 for the Wilcoxon, and if you adjust for multiplicity  
11 we have 0.167, again we have weak and weaker evidence.

12 [Slide.]

13 Now, Dr. Robie-Suh discussed about the single  
14 study like you need some consistent result and substantial  
15 evidence, so I looked for composite endpoint, then region.  
16 So, there are four regions in this study - Scandinavia,  
17 Northern Europe, Southern Europe, and United Kingdom, can I  
18 compared four pairs here.

19 The first column gives you Aggrenox versus  
20 dipyridamole, and we see that only in Southern Europe  
21 Aggrenox beats dipyridamole. Also, if you look at the  
22 second column we see that Aggrenox versus ASA, only Southern  
23 Europe Aggrenox beat aspirin.

24 But if you look at the other components here, like  
25 0.51 for DP versus placebo for Southern Europe, so DP is not

1 effective there, so that drives the significance here.

2 The same thing if you look at aspirin versus  
3 placebo for Southern Europe, we have 0.27, and again if you  
4 look at Aggrenox versus ASA we have 0.05.

5 DR. KONSTAM: Can I stop you for a second?

6 DR. RASHID: Yes.

7 DR. KONSTAM: I mean so in these subsets, I mean I  
8 wouldn't expect any of these p-values necessarily to reach  
9 significance, but is there any inconsistency across these  
10 different regions?

11 DR. RASHID: I don't think so.

12 DR. KONSTAM: I mean that would be the question I  
13 would think would be asked.

14 DR. RASHID: I agree with you.

15 DR. KONSTAM: More subgroups, you are not going to  
16 see a positive p-value.

17 DR. RASHID: I am going to say that, for a single  
18 study look at the internal consistency. For example,  
19 suppose you had some centers in the United States, then, how  
20 would have been the results.

21 DR. TEMPLE: Did you do the same thing for stroke?  
22 Are we about to see that?

23 DR. RASHID: I think we have similar answer. I  
24 have that in the review, but not--

25 DR. TEMPLE: Okay, because I mean this wasn't

1 strongly positive overall. It has no chance of being  
2 positive by region, but stroke was positive overall, so that  
3 might be a more profitable place to look for consistency.

4 DR. GILMAN: It is in his review.

5 DR. RASHID: Now, the summary of issues. Primary  
6 endpoints are not well defined in advance, as you know by  
7 now.

8 Adjustments to p-values needed for multiple  
9 endpoints.

10 Two, sample size is increased at the interim  
11 analysis, so adjustment to p-value is needed for the  
12 increase in sample size.

13 The internal consistency not well supported.

14 DR. DRACHMAN: What was the third point there?  
15 What do you mean by number 3?

16 DR. RASHID: What I am saying like we have defined  
17 regions here, but we don't have the identical results here.

18 DR. PENN: If I understood you, didn't you just  
19 say that the regional comparisons didn't reach any--we  
20 wouldn't expect them to reach any statistical significance?

21 DR. RASHID: You can reject the null hypothesis,  
22 but if you look at the components, you still have--you can  
23 accept the null hypothesis, interaction there, but still you  
24 go by components, you can get significance.

25 DR. PENN: Can you tell us one clean example of

1 internal inconsistency in the study that makes you worry  
2 about the quality of the study?

3 DR. RASHID: For example, Southern Europe, like  
4 patients here had less hypertension, and patients were  
5 younger, that may be the reason it was doing bad here.

6 [Slide.]

7 So, the study has shown that the combination drug  
8 product is effective only in stroke. It is not clear that  
9 there is any added or any benefit for fatal stroke. Dr.  
10 Farrell mentioned that.

11 Significant efficacy results are not demonstrated  
12 for either mortality or the composite endpoint.

13 DR. GILMAN: Thank you. Further questions from  
14 the panel?

15 DR. CALIFF: Two things. One is you said at the  
16 beginning there was a site excluded and it was written up in  
17 the booklet. I just wanted to know how you arrived at the  
18 decision to exclude the data as opposed to including it.

19 DR. RASHID: The company will answer better.

20 DR. CALIFF: So, the company makes that decision?

21 DR. RASHID: Yes, yes.

22 DR. PENN: They listed all those reasons why they  
23 didn't include it. I mean it sounded awful.

24 DR. TEMPLE: It was sort of obviously made up.

25 DR. CALIFF: So, it was made-up data.

1 DR. TEMPLE: It sure looked that way.

2 DR. GILMAN: Other questions or comments from the  
3 panel?

4 DR. KONSTAM: You know, I mean you showed  
5 different things, and I guess the way I interpret these  
6 remarks is that there are different ways within a single  
7 trial of potentially making the argument that it is  
8 equivalent or may be good enough that you don't have two  
9 trials.

10 So, you showed some ways in which you can't  
11 necessarily support it, but I guess, to me, the way that I  
12 will wind up trying to rationalize it to approve it if we  
13 do, is, first of all, it makes it or breaks it on the stroke  
14 endpoint. That is the one that is really positive.

15 So, the question to me is going to be is that  
16 endpoint very statistically convincing, and I guess I didn't  
17 really get an analysis of that from what you showed, I mean  
18 with regard to the p-values for stroke.

19 I noticed also the other thing I would thing I  
20 would say you avoided the factorial design--

21 DR. RASHID: Yes.

22 DR. KONSTAM: --analysis, and I am not sure  
23 whether that is--I mean I actually got attracted to the  
24 factorial design during the course of the day. So, I guess  
25 I would ask are we going to be left at the end of the day

1 with a strong p-value for dipyridamole, that it is  
2 effective. We believe aspirin is effective.

3 DR. GILMAN: You mean Aggrenox or do you mean  
4 dipyridamole?

5 DR. KONSTAM: Well, I guess, number one, is that  
6 we say that in order to have a combination product, the  
7 standard is each one is effective, so I guess the first  
8 question I have been asking myself is, is dipyridamole  
9 effective, and then, secondly, is dipyridamole plus aspirin  
10 better than aspirin alone.

11 I guess the question I have is I see very low p-  
12 values for those analyses, and I guess the only question I  
13 have is should I be increasing those p-values based on any  
14 of the corrections that you think we should do, like the  
15 fact that stroke wasn't the only endpoint that was measured,  
16 the fact that there were a questionable number of interim  
17 analyses.

18 I am struck with these very low p-values for what  
19 I am looking at.

20 DR. RASHID: The first thing like we are here for  
21 the combination drug, not for the components. Okay. We are  
22 looking at the effect of the combination drug. Even we  
23 don't need placebo in the arm for the combination drug, and  
24 the literature said that.

25 DR. GILMAN: Dr. Temple.

1 DR. TEMPLE: There is no question that is true.  
2 The crucial analysis for a combination is the two-drug  
3 versus each of the one-drug treatments. There is no doubt  
4 about that.

5 But with respect to the question of what makes one  
6 study believable, we gave as a specific example--I am not  
7 breaking any new ground here--in our document that was  
8 referred to earlier, the evidence document, a case where you  
9 might choose to believe that a drug was effective from a  
10 single study, if it showed an effect, you might be more  
11 likely to accept a single study if it showed both an effect  
12 when you added it to something and when it showed an effect  
13 when it was used alone, not that the used alone is relevant  
14 to the combination, but it might add to the believability of  
15 the study.

16 So, that is a slightly subtle distinction that  
17 maybe we made more of than we should have, but I think that  
18 is the sense. It doesn't contribute to the--I mean aspirin  
19 is effective, but the question here is, is it effective when  
20 you add it to dipyridamole. That is the crucial question.  
21 We already know it is effective, but it might not add  
22 anything when you add it to dipyridamole. So, that is a  
23 legitimate question.

24 The other thing, I am looking at the analysis of  
25 the factor analysis by region, looking only at stroke, and

1 they are actually nominally significant values in the  
2 various regions, which considering that it's only half of a  
3 study, is not so shabby--I am sorry--I mean with the regions  
4 only each representing about a quarter of the study.

5           So, for stroke, which is obviously the more  
6 powerful endpoint, there was a certain consistency across  
7 regions, right, that is what you said?

8           DR. RASHID: Yes.

9           DR. GILMAN: Dr. Robie-Suh.

10           DR. ROBIE-SUH: I also wanted to say also for  
11 stroke, that also with region, there was some variability in  
12 the risk factors that may have contributed toward the little  
13 imbalances that were seen in some geographic areas.

14           DR. GILMAN: Dr. Grotta.

15           DR. GROTTA: But wouldn't the Cox analysis have  
16 taken into consideration the baseline imbalances that  
17 existed in the population or among the different groups, and  
18 that apparently was done, and still the differences were  
19 there in the treatment groups.

20           I don't know. I guess we heard this morning a  
21 very detailed analysis of the protocol, but I guess what I  
22 would sort of like to get is, is there something that we  
23 haven't already discussed that you have discovered in the  
24 data and in the analysis that you need to bring to our  
25 attention that would diminish the impact of these results,



1 because I haven't heard anything.

2 DR. RASHID: The first thing, that the sample size  
3 was based on the composite endpoint, and the sample size was  
4 not based on the death or stroke. So, there is one problem  
5 there.

6 DR. GILMAN: Dr. Kawas.

7 DR. KAWAS: I just want clarification. When you  
8 say the sample size was based on the composite endpoint, at  
9 what point in the study?

10 DR. RASHID: In the protocol, it said there are  
11 two primary endpoints, stroke and death, but the in the  
12 clinical trial report, they said the sample size was based  
13 on the composite endpoint, death or statistical stroke.

14 DR. KAWAS: So, was that at the interim time when  
15 they increased the sample size, that they said they were  
16 increasing it based on the composite endpoint?

17 DR. RASHID: I think at both times they estimated  
18 the sample size based on the composite.

19 DR. KAWAS: Both times.

20 DR. RASHID: Both times.

21 DR. KAWAS: So, even at the initiation of the  
22 study, they were generating their sample size based on the  
23 composite endpoint even though they didn't specify that  
24 initially.

25 DR. RASHID: Yes.

1 DR. KAWAS: Well, that sort of implies that maybe  
2 that was their endpoint at the beginning, which I didn't  
3 realize.

4 DR. RASHID: I talked to the sponsor on telecon.  
5 They said the statisticians identified the composite  
6 endpoint at the primary, but the clinicians identified death  
7 and stroke as two primary endpoints, so there is kind of a  
8 problem there.

9 DR. KAWAS: At the beginning.

10 DR. RASHID: Yes.

11 DR. GILMAN: At the beginning, as I understand it,  
12 there were two primary endpoints, stroke, death, not a  
13 composite endpoint.

14 DR. KAWAS: But what he just told us, I believe,  
15 Dr. Gilman, is that when they did the sample size  
16 calculation at exactly that point, somebody was calculating  
17 it based on a composite endpoint actually.

18 DR. GILMAN: Exactly right. They then changed and  
19 had three primary endpoints, as I understand the situation.

20 DR. RASHID: Yes.

21 DR. GROTTA: And why is that a problem? Enlighten  
22 me, because I don't know these things, but tell me why is it  
23 important if they then changed and based their samples size  
24 on the composite endpoint.

25 DR. RASHID: Like if they used only death, they

1 had one sample size, right? If they used only stroke,  
2 another sample size, and composite, another sample size.  
3 So, it appears to me if they just used death, then, sample  
4 size would have been higher than 5,000. That was a problem.

5 DR. TEMPLE: It would have been 50,000.

6 DR. GILMAN: Dr. Temple.

7 DR. TEMPLE: It is perfectly possible for there to  
8 be more than one primary endpoint, but the sample size will  
9 be based on the one that you think you need the larger  
10 sample for.

11 So, if you had any hope of winning on the death  
12 plus stroke endpoint, and you thought the effect wasn't  
13 going to be as large, you would make the sample size larger  
14 and then you would be way overpowered for the stroke  
15 endpoint, and you would feel good about that.

16 So, we see lots of studies designed that way. It  
17 doesn't really prove anything. But what seems to be is that  
18 there was not crystal-clear agreement on what the primary  
19 endpoint was, so there might be as many as three, they are  
20 not mutually independent, so there is going to be some  
21 correction, and you sort of have to struggle to figure out  
22 what that correction should be.

23 But as people have pointed out, you multiply these  
24 things by 3, and you are still there for the stroke, only  
25 for the stroke.

1 DR. GILMAN: Dr. Katz.

2 DR. KATZ: Dr. Grotta asked if there was anything  
3 that might make you question the p-values for the stroke  
4 endpoint that you haven't heard, and there has been an issue  
5 that the statisticians have alerted me to, and I think we  
6 have sort of been very tangentially discussing it, but not  
7 explicitly, and it is probably worth just, at the risk of  
8 entering even more arcane territory, it is probably worth  
9 just airing it and sort of dealing with it, and I will ask  
10 Dr. O'Neill from our statistical group to talk about it in  
11 detail, but it has to do with the fact that the choice of  
12 stroke as an endpoint in a trial like this may be  
13 problematic because mortality is related to stroke, or at  
14 least they might be correlated at least theoretically, and  
15 that just looking at stroke without taking mortality into  
16 account might possibly give you a biased estimate of the  
17 treatment effect.

18 Therefore, under that theory, you would have to  
19 look first at the combined endpoint, and if it doesn't reach  
20 the usual standard for statistical significance on that  
21 endpoint, then, it may not really make any sense to go back  
22 and look at stroke independently, so that the p-values, even  
23 though they appear to be quite small for stroke, might be  
24 difficult to interpret anyway.

25 He is here. He can elaborate.

1 DR. O'NEILL: I am Bob O'Neill. I am in the  
2 Office of Biostatistics.

3 The discussion I had with Dr. Katz goes along the  
4 following lines, and this is in the spirit is there anything  
5 else that is sort of percolating around that you want to  
6 sort of think about.

7 The issue of how many primary endpoints you choose  
8 is important because you look at that in terms of at the end  
9 of the day, how many different ways do you agree clinically  
10 that you can win, and if you can win in more than one way,  
11 endpoint A or endpoint B or endpoint C, if any of those are  
12 positive, and you can win any one of those ways, then, some  
13 adjustment is needed.

14 So, the issue of whether one chose just death and  
15 stroke as the two endpoints, or whether one chose three  
16 endpoints, which was the union of both of those, essentially  
17 A or B, or both, is germane.

18 It is probably not crucial to the focused  
19 interpretation of stroke alone, but this isn't the only  
20 area, this medical area, that struggles with do you include  
21 mortality in a composite endpoint, and the reason you do  
22 that is because although you would like to clinically  
23 measure the incidence of stroke, you might not be able to do  
24 it because you have other things that get in the way, and  
25 mortality gets in the way, and the statistical analysis

1 essentially has to estimate the incidence of stroke by  
2 making an assumption that if these folks had not--because it  
3 is a denominator issue, who is in the denominator--so, you  
4 have to make an assumption about had these people had not  
5 died, would they have gone on to have the same stroke  
6 occurrence as those who didn't die, so it is a competing  
7 risk issue.

8           So, what often happens in these trials is that  
9 folks union the composite endpoint, just as Bob Califf was  
10 talking about sort of earlier saying there was a fair amount  
11 of sudden deaths that were in this trial, how do we explain  
12 them, and there was a certain amount of probing of whether  
13 those events, those mortality events were really more  
14 related to stroke than not.

15           But the issue is to get out of that trying to find  
16 out what the true state of nature is, one unions the events,  
17 you say, stroke and/or mortality. So, if you look at that  
18 as the primary endpoint, and then you go and look at the  
19 subcomponents of that primary endpoint, you get a different  
20 picture of this.

21           You essentially say that on the main comparison of  
22 the combo versus the single ingredients, on classical basic  
23 comparisons, there was not a significant difference,  
24 therefore, one can say there is really no reason to go into  
25 the components, and one of the reasons not to go into the

1 component is that you really don't know if you have the  
2 right estimate of what the stroke incidence is, because in  
3 order to estimate it, you had to use statistical procedures  
4 that censored out mortality, and the censoring is  
5 informative, because mortality is related to stroke.

6           It is sort of a roundabout way, and this is not  
7 the only time this has been thought about. This has been  
8 thought about in terms of defining endpoints in AIDS,  
9 progression to AIDS or mortality, or it has been thought  
10 about in other endpoints where you have mortality that gets  
11 in the way, and it is very related to the clinical endpoint.

12           Now, this may not be crucial to your overall  
13 thoughts, but in the spirit of what else is floating around,  
14 one could conceivably say that had you designed this study  
15 in the beginning, a priori, with the primary comparison  
16 being the union, meaning A or B, and then depending upon  
17 what you find there, you go further, you would have a  
18 different decision than you are now dealing with,  
19 essentially is I am looking at these two endpoints and  
20 possibly three endpoints on equal footing, which is not  
21 necessarily the way you might want to look at it had you  
22 planned it a little differently.

23           The other thing that I find somewhat  
24 retrospectively interesting--and it is always easy to do  
25 this--is to say, look, if this trial was sized with the

1 union endpoint, as I understand it, not with that or stroke,  
2 but it was sized originally, 5,000 on the union argument,  
3 and it was sized to essentially detect with high power the  
4 difference between the combo and the placebo, not to size it  
5 to look at the difference between the combo and A, and the  
6 combo and B, but that is what we are dealing with right now.

7           That is the comparison we are dealing with. So,  
8 this trial was resized halfway through on the basis of  
9 several things. Taking a look at what the relative  
10 difference was, let's say, halfway through the trial, and  
11 upsizing the trial, not just to maintain the original power,  
12 but to maintain power against a reduced effect size, which  
13 originally was thought to be 33 percent, and is now  
14 somewhere on the order of 20 percent.

15           Anyway, these are just some other considerations  
16 around the interpretation.

17           DR. GILMAN: Thank you.

18           Dr. Konstam.

19           DR. KONSTAM: I actually am thinking about it a  
20 little bit differently. You know, I mean I understand, I  
21 mean your point is very clear, and it is that if somebody  
22 dies, they can't get a stroke, and Rob made that point  
23 earlier, so that is the issue.

24           So, if you are going forward and starting a trial  
25 new, I think this is analogous in heart failure, for



1 example, using a combined endpoint of hospitalization plus  
2 death, not hospitalization alone, so it is the same issue.

3 But I guess to me the real question is are you  
4 going to have this screwy positive endpoint because the drug  
5 is associated with some excess mortality, and therefore  
6 preventing the patients from developing stroke.

7 I guess that if you start out, as they did, saying  
8 that--there are multiple issues, but let's just assume for  
9 the sake of argument there was one question, that is, the  
10 stroke question, we think that this thing is going to win on  
11 stroke, that is going to be our endpoint, and they went with  
12 that, and now here we are at the end of the day and saying,  
13 well, wait a minute, we have this problem.

14 Now, we go back and look at the combined endpoint,  
15 the p-value goes up, but there certainly is no hint that the  
16 drug is associated with excess mortality. So, in the  
17 absence of any hint that the drug is associated with excess  
18 mortality, I guess I don't concern myself too much that you  
19 wind up with a higher p-value when you look at the  
20 combination given the fact that I take stroke as the  
21 predefined primary endpoint.

22 So, I am not sure, I mean I have to defer to you,  
23 but I am not sure that you have to revert to go to the  
24 combination endpoint that wasn't predefined in the absence  
25 of an excess mortality effect of the drug.

1 DR. O'NEILL: I hear you, and intuitively I don't  
2 feel very much different than you do. I don't know whether  
3 this is a big issue or not. I am just saying that the  
4 reason it has been dealt with this way in all the other  
5 disease areas, just as you have just indicated, is because  
6 there is some concern about whether you are able to  
7 accurately estimate what you think you are estimating, which  
8 is the stroke incidence.

9 What makes it worth thinking about here is, as Bob  
10 pointed out, a third of all the endpoints are deaths that  
11 are unexplained due to stroke. There is something else  
12 other than stroke. If you look at the collection of total  
13 endpoints, a third of the whole show is being contributed by  
14 something that is not being classified as stroke related.

15 DR. KONSTAM: Just this specific question. Is it  
16 possible that you are spuriously making the stroke endpoint  
17 more significant here through this mortality interaction in  
18 the absence of the drug being associated with an excess  
19 mortality?

20 DR. O'NEILL: That is a very good question, and I  
21 don't know. I am just speculating, I don't know. It would  
22 probably need a further look and at the end of the day may  
23 be able to say this is not the explanation for what is going  
24 on, so don't get too excited about it.

25 I am just pointing it out that there is a reason

1 why other areas deal with the composite endpoint because  
2 this is a tough one to disentangle, and there has been  
3 research on trying to decouple the contribution of  
4 individual components in a composite endpoint, and the  
5 problem with it is, it is not all that simple because some  
6 of those components censor you for being able to observe the  
7 other ones.

8           The point you are making is does it overly censor  
9 you in a way that is contributing all what you are seeing,  
10 and I don't know the answer to that.

11           DR. GILMAN: Dr. Temple.

12           DR. TEMPLE: Let me throw something else into that  
13 mix. I would be interested in what Bob thinks about it.

14           There is another set of analyses here other than  
15 the pairwise one, which in some sense goes to the larger  
16 question of whether there is some funny interaction between  
17 death and stroke, and that is the factorial analysis.

18           The factorial analyses, of course, have twice as  
19 many people in them, don't suffer from the lesion you  
20 described before. They, in fact, are significant even for  
21 the combined endpoint. I wonder if you think that is a  
22 partial mitigation, irrelevant, or what.

23           DR. O'NEILL: I think I got the whole question. I  
24 think there is two things here. It is where the traditional  
25 factorial analysis weighs in, and certainly there is some

1 convinceness there. It is sort of the effect of each of the  
2 individual ingredients which is drawing strength from two  
3 out of the four treatment groups, and that is relatively  
4 strong, and there is no evidence for interactivity,  
5 negative, synergistic or antagonistic, so there is pretty  
6 good evidence for additivity here.

7           So, what Bob is saying that that counts for  
8 something. But as I recall, the results don't change a  
9 whole lot for stroke whether you look at it that way or  
10 whether you look at it on the AB versus A, so something else  
11 is going on, on the mortality side of this that I don't  
12 fully understand, because I think if I understand the p-  
13 values that were presented, there were strong p .001 or  
14 whatever, regardless of whether you did the factorial  
15 comparison or whether you did the pairwise AB versus A, AB  
16 versus B.

17           DR. TEMPLE: They are stronger for the factorial.  
18 They are just all pretty strong.

19           DR. O'NEILL: Right, but that still doesn't get at  
20 the point I am making with regard to the contribution of the  
21 mortality, which I don't know. Maybe Charley has got a view  
22 on this.

23           DR. HENNEKENS: I want to agree in concept with  
24 the points you are making, but it is also true in going into  
25 the design of cardiovascular treatment trials, one is

1 expecting a priori 20 to 30 percent plausible benefits on a  
2 nonfatal endpoint and 10 to 20 percent on death with the  
3 knowledge that the death one usually comes later than the  
4 nonfatal endpoint.

5           So, if I were designing ESPS-2, and I were  
6 interested in finding an effect on stroke, I would study  
7 about 5- or 6,000 patients. If I were interested in finding  
8 an effect on stroke plus death, I would study 15- to 20,000  
9 patients, and if I really wanted to answer the death  
10 question, I would study 40- to 50,000 patients.

11           So, I think that the idea of increasing the sample  
12 size, implying that somehow that is moving the endpoint to  
13 making it more plausible that the death is assuming  
14 increasing importance, no, I think that what it is saying,  
15 and what I viewed that statistician on that ethics  
16 committee, who reported to the ethics committee, who looked  
17 at that combined endpoint, was basically saying, well, gee,  
18 you are going to have a much better chance to detect a 25  
19 percent effect than a 33 percent effect, but I think that is  
20 fair to assume that the effect on fatal events is smaller  
21 and delayed, and if, in fact, fatal events were really the  
22 primary moving force in the design of this study, we would  
23 have to see 15- to 20,000 patients to really answer the  
24 question of superiority of Aggrenox over aspirin or  
25 dipyridamole alone on that combined endpoint.

1 DR. O'NEILL: I hear you. The only issue here is  
2 whether you are able to unbiasedly estimate what you want to,  
3 and everybody wants to estimate, and that is stroke. So,  
4 another caveat to what you said is you would design the  
5 trial where you would hope that no one would die before they  
6 either had a fatal or nonfatal stroke, or had the  
7 opportunity to experience that, and that is the issue here.

8 The issue is you can't guarantee that. Other  
9 stuff gets in the way. In order then to estimate the stroke  
10 incidence, you have to make some assumptions about the other  
11 competing events that occur, and that is the crucial issue.

12 DR. HENNEKENS: Well, I agree with that and I  
13 think one way of doing that is to do this composite which  
14 has been done in other trials and other forms of vascular  
15 disease, and then one sees that the magnitude is smaller, as  
16 expected, the direction is in the direction of benefits as  
17 were the nonfatal, and it is not unexpected that they didn't  
18 achieve the same level of statistical significance.

19 So, I guess my own view is that I am comfortable  
20 with the fact that there is clear superiority on stroke, for  
21 what that is worth, and trends in the same direction on the  
22 combined endpoint, but though not achieving that level of  
23 significance because I know in advance that they wouldn't  
24 because I was adding a smaller effect size which I would  
25 have expected a priori in there.

1 DR. KONSTAM: But that is not necessarily true,  
2 Charley. I mean I think that, at least the trials that I  
3 have had experience with, in the majority of cases, a  
4 composite endpoint has been more convincing.

5 I mean your point about mortality is very well  
6 taken, that you need a lot more for that, but it isn't  
7 necessarily true that the composite endpoint would be  
8 associated with higher p-values than any of the components.

9 DR. HENNEKENS: It would depend on the relative  
10 numbers of both, but I think that, in general, one gets  
11 bigger effect sizes on nonfatal events, smaller effect sizes  
12 on fatal events, and the fact that the study had somewhat  
13 more depth than other studies like it, would lead to that  
14 conclusion.

15 If it had more nonfatal endpoints, as did the  
16 Physicians' Health Study, then, our composite endpoint  
17 remained statistically extreme and is driven totally by the  
18 MI result. This, I thought was more reassuring than  
19 alarming in the sense of showing the same direction and a  
20 smaller magnitude, but that is on stroke.

21 I guess one of the things about the FDA's analyses  
22 is that they were all on the combined endpoint, so they  
23 looked less consistent than the stroke findings. If one did  
24 the same analyses, which are expertly done, on stroke, one  
25 would come to the conclusion that they were quite consistent

1 across a wide range of subgroups.

2 DR. GILMAN: Dr. Drachman.

3 DR. DRACHMAN: The number of deaths may be less  
4 than the number of strokes, but the number of strokes plus  
5 deaths is greater than the number of strokes. Why, then,  
6 would you expect the significance to be less good?

7 I mean you have got a larger n, more event, even  
8 though it may not be as powerful.

9 DR. HENNEKENS: The point I tried to make is that  
10 I believe that, in general, in trials of vascular disease  
11 that occur over a two- to three-year follow-up time, the  
12 most plausible effects on the nonfatal endpoints are usually  
13 20 to 30 percent, but on death, they are more like 10 to 15  
14 percent, so that the overall composite endpoint risk  
15 reduction is a weighted average of a larger effect on the  
16 nonfatal events and a smaller effect on the fatal events,  
17 and whether that composite endpoint is more or less  
18 significant than the individual components will depend on  
19 the relative contributions of the nonfatal and fatal events  
20 in a particular trial.

21 DR. DRACHMAN: Give us some idea of the order of  
22 magnitude.

23 DR. HENNEKENS: Well, I can tell you in the  
24 Physicians' Health Study, as Bob Temple pointed out, it was  
25 stopped early because of this extreme benefit on MI, a 44



1 percent p, less than 1 in 100,000 benefit on MI, but there  
2 is only about a 4 to 5 percent reduction in the death rate,  
3 in part because the numbers of deaths were so small.

4 We had prespecified a combined endpoint of  
5 nonfatal MI, nonfatal stroke, and deaths, and when one  
6 combines that, one gets a statistically still extreme, less  
7 than .01 benefit on the combined endpoint, because there  
8 were so many more nonfatal MIs than deaths.

9 If the case had been reversed, there were more  
10 deaths than, in fact, it might have been reduced even in  
11 theory to nonsignificance in the combined endpoint.

12 DR. CALIFF: As we are finishing up the FDA  
13 analysis, I would just like a little guidance perhaps from  
14 the FDA about what--I mean I think the exercise we are going  
15 through here now is to start out with a fairly dramatic  
16 result on the endpoint of stroke, and then we sort of ate  
17 away at it with all these things that are not quite right,  
18 you know, three endpoints, competing risk, a site thrown  
19 out, all these are relatively small individually.

20 Are we using a single trial, looking for sort of  
21 an estimated p-value of less than .05 or less than 0.00125  
22 or how are we viewing this in terms of after we add all  
23 these things up in our mind about our degree of uncertainty?

24 DR. GILMAN: I think that is why we are here. Our  
25 purpose is to take all of this into account, discuss the

1 individual points, and answer the questions that are before  
2 us.

3 DR. CALIFF: So, if we wanted to say 0.25 or 0.3  
4 is okay with us, that would--

5 DR. GILMAN: No, I think we have to look at the  
6 data, discuss the data, speak about the caveats, and then  
7 answer the questions that are posed for us.

8 DR. CALIFF: Is it okay for me to ask at least for  
9 a point of view from the FDA about level of statistical  
10 certainty in a single trial as compared with two?

11 DR. GILMAN: Sure.

12 DR. CALIFF: The reason I asked that again is that  
13 if you assume you need two trial, it is 0.05-squared would  
14 be the approximate level of certainty that you would be  
15 looking for.

16 DR. GILMAN: Dr. Temple.

17 DR. TEMPLE: Well, we wrote a document trying to  
18 explain when we might rely on the results of a single study,  
19 and there were really two parts. One situation is where you  
20 already know a lot of stuff from other studies.

21 You might, for example, find it easier to believe  
22 that aspirin does something because you know so much about  
23 aspirin, and in that sort of case, you might accept  
24 conventional p-values. But there isn't as much support for  
25 dipyridamole, so you probably want something stronger.

1           We listed the things that might make you feel good  
2 about relying on a single study. Obviously, one of them is  
3 an extreme p-value. Now, you have to decide whether you  
4 think 0.003 is extreme or not, it's not 0.00125, but it is  
5 pretty low.

6           A specific other thing we gave was that you might  
7 find replication within a single study pertinent if you  
8 believed, for example, that what dipyridamole did alone was  
9 relevant to what it did when you added it to aspirin. That  
10 is a judgment call. You know, you might find highly  
11 relevant. If you do, then, you might say, well, this is  
12 sort of two studies or you might think that is totally  
13 irrelevant because the only thing you want to know about it  
14 is its role as a combination, in which case you would say,  
15 well, I don't care at all.

16           We didn't try to settle those issues. We just  
17 tried to indicate the kinds of things one might think about  
18 in reaching this, and then also regional consistency, it is  
19 very hard to put your finger on what that means. If there  
20 had been an effect both on nonfatal stroke and overall death  
21 separately, you would say, well, those are two separate  
22 things, those are two separate findings, that is pretty  
23 convincing, but you don't have that here.

24           So, it is hard to go much beyond that, but there  
25 is lot of judgment in it, and that is why we call upon

1 outside experts to help.

2 DR. TALARICO: I think the clinical significance  
3 of the endpoint also is very important in dictating how low  
4 the p-value should be. Here, we are dealing with death and  
5 strokes. It is quite different than having endpoints like  
6 heartburn or some other less clinically compelling endpoint.

7 DR. GILMAN: That is very helpful.

8 Any other comments from the FDA?

9 [No response.]

10 DR. GILMAN: Any thoughts from the sponsor that  
11 you have not communicated to us?

12 DR. HENNEKENS: On this issue about this one  
13 particular study, I think it should be mentioned that it  
14 added 30 percent to the world's literature on the aspirin  
15 therapy of stroke and TIA, it added 300 percent to the world  
16 literature of dipyridamole to prevent stroke in patients  
17 with stroke and TIA, and provides a conclusive finding on  
18 stroke that the combination is better than either component  
19 alone.

20 So, it is not just some little single study out  
21 there. I think it is making an important contribution to  
22 the totality of evidence.

23 DR. GILMAN: Grotta.

24 DR. GROTTA: It obviously has been said multiple  
25 times we are really making a decision based on the incidence

1 of stroke, and you all have provided us with this  
2 information on how stroke was identified at each site, could  
3 you just finish up in answering my previous question, once a  
4 patient was identified by the site as a stroke, then, how  
5 was this deliberated and decided upon and adjudicated by the  
6 Central Committee, was there a definition, how often did  
7 they disagree with the local sites?

8 I think these are important in my mind. I am  
9 convinced that clinically, as has just been pointed out,  
10 stroke is an important endpoint. I think any other stroke  
11 prevention drug that showed this magnitude of an effect on  
12 stroke, we wouldn't be arguing about, but I just need to  
13 know or feel a little more comfortable how the strokes were  
14 adjudicated.

15 DR. RAKOWSKI: Hello. I am Dr. Rakowski from  
16 Boehringer Ingelheim. This is basically an overview where  
17 there were, as far as the entry of patients into the trial,  
18 as far as the adjudication by the Morbidity and Mortality  
19 Assessment Group, and it essentially provides a composite of  
20 understanding of a misinclusion where patients should not  
21 have been put into the trial versus misdiagnosis where the  
22 diagnosis by the investigator was initially wrong.

23 It gives you a basic understanding of all of the  
24 various types of misinclusions and misdiagnosis, but you can  
25 see a relative balance across the treatment groups as far as

1 the entry of patients into the trial.

2 DR. GROTTA: I am sorry, maybe I didn't make it  
3 clear. The issue isn't really the entry, although that was  
4 a previous question, but the endpoint stroke, the nonfatal  
5 strokes that occurred in the trial upon which, you know, the  
6 real statistical difference between the groups rests.

7 DR. HAEHL: Disagreement with the MMAG?

8 DR. GROTTA: Yes, what I want to know is, is when  
9 the investigator filled out this form, a general  
10 practitioner in Southern Spain filled out this form and said  
11 the patient has had a stroke because their face is not  
12 normal on one side, and that was the only neurological  
13 focality that was identified, you know, I want to know how  
14 that was dealt with by the Central Adjudicating Committee  
15 and how often they disagreed with the diagnosis of stroke,  
16 and whether there were rules that they had a priori as to  
17 what had to be on this paper before they would call it a  
18 stroke.

19 I would think that when you designed a study there  
20 and set up this committee, that they established rules for  
21 what were going to be your primary endpoint. After all,  
22 that was what the investigator identified as your primary  
23 endpoint was strokes.

24 DR. HAEHL: As Dr. Pathy explained before, the  
25 procedure was based on this case report form plus the

1 additional information available. I do not have the number  
2 or the incidence of disagreement between the investigator  
3 and the MMAG at the moment at hand.

4 Do we have that? No, not at the moment available,  
5 so I cannot give you a percentage or per-treatment group a  
6 number for disagreements at the moment.

7 DR. PENN: Can you at least give us a sense of the  
8 number of disagreements, was it half, was it a quarter, or  
9 was it rare?

10 DR. HAEHL: Dr. Pathy, can you please comment on  
11 how often of rare this had happened?

12 DR. PATHY: Thank you. There were 179 cases of  
13 doubtful eligibility and 138 were actually ineligible, but  
14 that was due to a multitude of reasons, because of protocol  
15 violations or misdiagnosis, but we don't have a figure for  
16 the disagreements in the endpoint strokes unfortunately, at  
17 least I don't have one with me here.

18 DR. PENN: Do you have a sense of what that figure  
19 is? I mean was it a lot, were you debating constantly about  
20 whether the diagnosis was right, or was it very small?

21 DR. PATHY: No, it was very small, it weighed no  
22 more than 10 percent.

23 DR. PENN: Well, that is all we need to know.

24 DR. PATHY: Where there was debate was most  
25 frequently in TIAs rather than established strokes.

1 DR. GILMAN: Are we discussing now entry into the  
2 study or are we discussing the event that is counted as a  
3 stroke once a patient is on drug or placebo and in the  
4 study? I think we are sort of at cross purposes here.

5 DR. HAEHL: Our understanding was we are talking  
6 about the event, the outcome.

7 DR. GILMAN: The outcome.

8 DR. HAEHL: The outcome.

9 DR. GILMAN: Is that what we just heard about?  
10 Yes. All right.

11 Dr. Drachman.

12 DR. DRACHMAN: Although I certainly firmly agree  
13 with you, Jim, that everybody with a stroke should be  
14 diagnosed by a very good neurologist, I am more and more  
15 impressed whether if obstetricians were making the  
16 diagnosis, that would be even more impressive because there  
17 would be so much noise that defined a signal like this shows  
18 that it must be much better than we thought.

19 DR. HAEHL: May call for the next slide? Yes,  
20 that is what I was making reference to before that a  
21 misdiagnosis would certainly not support a positive outcome  
22 of a trial when it is randomized.

23 [Slide.]

24 This slide is a summary of the MMAG decisions  
25 between April 1990 and June 1995, and it gives you the



1 number of agreements and disagreements for the different  
2 conditions, death, stroke.

3 DR. CALIFF: How could you have 209 disagreements  
4 on death? Oh, the cause of death. Okay.

5 [Laughter.]

6 DR. HAEHL: No, no, let me explain that.

7 DR. GILMAN: Please, give him a chance.

8 DR. HAEHL: What has also been mentioned before by  
9 Dr. Pathy, that is a change in the cause of death when, for  
10 example, the practitioner attributed the death to chest  
11 infection, and then they changed it to the primary event,  
12 which would have been myocardial infarction or could have  
13 been stroke, and therefore, the MMAG corrected it towards  
14 the primary diagnosis rather than to the final symptom.

15 That explains the numbers, and that is the  
16 complete information on this slide.

17 DR. GILMAN: Dr. Brooke.

18 DR. BROOKE: I think what we are seeing here,  
19 which is unspoken, is the inbred cynicism of neurologists.  
20 We basically sit in a clinic and we become convinced that  
21 the only three diagnoses that general practitioners make are  
22 multiple sclerosis, stroke, and old polio, and I think that  
23 is at the basis of our skepticism about diagnoses, which are  
24 coming in from the periphery.

25 I don't entirely agree that the only thing that

1 could happen, that would increase or decrease the p-value,  
2 it depends a great deal on what kind of mishmash you have  
3 there, but it does make a huge difference on what the  
4 labeling instructions would be if, in fact--and I am not  
5 saying it is--but if, in fact, there are a lot of  
6 misdiagnoses, which neither the practitioner nor the MMAG  
7 were able to pick up, and that is the problem.

8 I mean it is not a solvable problem, and we can  
9 talk around it for a long time, but we do understand the  
10 predicament. You have to understand our cynicism.

11 DR. GILMAN: Dr. Kawas, did you have a comment?

12 DR. KAWAS: I guess I just need to say I have been  
13 quite confused by this discussion. I tend to agree mostly I  
14 think with Dr. Drachman. I don't think it matters how these  
15 people got diagnosed as long as they were diagnosed the same  
16 way in each of the four groups, and nothing that I have seen  
17 here today has suggested to me that that had not occurred.

18 I mean there is no reason to believe that they are  
19 calling stroke something different in one of the treatment  
20 groups than they are in the placebo. That being the case,  
21 whether it is done by an obstetrician or a neurologist or  
22 whatever, as long as the diagnosis is consistently applied,  
23 it seems to me the issue is irrelevant for the discussion.

24 DR. GILMAN: Any other comments from the FDA, the  
25 sponsor?

1 [No response.]

2 **Discussion by Advisory Committee**

3 DR. GILMAN: If not, then, you have all been given  
4 a couple of sheets that you already had in your packet.  
5 They address the questions that we are supposed to come to  
6 grips with now.

7 There are five of them. The first is the  
8 effectiveness of Aggrenox is being supported by a single  
9 European study. Based on this single study, has the sponsor  
10 provided substantial evidence of effectiveness of Aggrenox  
11 for the desired indication?

12 If no to (1), has the sponsor provided substantial  
13 evidence of effectiveness of Aggrenox for any other  
14 indication? If so, for what indication?

15 The third question. Would you recommend approval  
16 for Aggrenox for the requested indication?

17 Fourth. Would you recommend approval of Aggrenox  
18 for an indication other than the requested indication? If  
19 so, for what indication?

20 Fifth. Are there any particular safety concerns  
21 with use of Aggrenox?

22 Let's come to grips with Question No. 1 to begin.  
23 If I may, I think I will just briefly summarize my own  
24 position in this to get a start maybe.

25 We have about a single large trial that had

1 multiple concerns that we have been talking about all day  
2 today. My own concern is that this medication was devised  
3 in a fixed dose combination without attempts to find  
4 clinically optimal doses for this indication.

5           There was a change in the primary endpoints over  
6 time with initially stroke, death as the two primary  
7 endpoints, and then later a third was added. Yet, the  
8 randomization initially was based upon those two endpoints.

9           There is some question about the safety committee  
10 looking annually at the endpoints. I think that is still  
11 somewhat of a question, but my feeling is that the committee  
12 was looking, blinded, to which case was in which group.

13           There is some question about the addition of the  
14 2,000 cases to the originally prescribed number of 5,000,  
15 but I come away with the conclusion that there is convincing  
16 evidence Aggrenox is more effective than either of its  
17 components for stroke, but not for the composite of stroke  
18 or death.

19           So, I would be comfortable with the recommendation  
20 that it be utilized for stroke as the indication, but I am  
21 not very comfortable with the wish the company has  
22 expressed, that it be written up as indicated for stroke or  
23 death.

24           Let me see what my committee thinks.

25           DR. PENN: I agree.

1 DR. DRACHMAN: When it says for the desired  
2 indication, would you like to be very explicit--

3 DR. GILMAN: Yes.

4 DR. DRACHMAN: I mean death is not an indication.  
5 I mean that is a desired thing to avoid. So, how does that  
6 read, what is it?

7 DR. GILMAN: That is a good point.

8 DR. TEMPLE: Stroke isn't a desired outcome  
9 either.

10 [Simultaneous comments.]

11 DR. GILMAN: Stop. One person at a time.

12 DR. TEMPLE: It would be prevention or reduction  
13 of risk or some words like that.

14 DR. GILMAN: So, the company wants it to be  
15 indicated to reduce the combined risk of death and nonfatal  
16 stroke in patients who have had transient ischemia of the  
17 brain or completed ischemic stroke.

18 My suggestion is that it be indicated to reduce  
19 the risk of stroke in patients who have had transient  
20 ischemia of the brain or completed ischemic stroke because,  
21 to my mind, what the data tell us is that this medication  
22 works better than either of its components for that purpose,  
23 and I personally came away thinking that that is a  
24 reasonably robust p-value, particularly for, as Dr. Talarico  
25 said, for such a serious problem.

1 DR. DRACHMAN: Then, should it say, does it say  
2 somewhere thrombotic ischemic stroke?

3 DR. GILMAN: I think that would be a very good  
4 idea, yes. We don't want to use this for hemorrhagic  
5 stroke.

6 DR. DRACHMAN: Right. Does that include  
7 hemorrhagic ischemic stroke?

8 DR. GILMAN: Well, that has not been defined in  
9 the trial, as I understand the trial. There is no look with  
10 CT to determine whether blood was present consistently.

11 Is that correct? Let me ask the company, the  
12 sponsor.

13 DR. HAEHL: That is correct.

14 DR. GILMAN: That is correct. Thank you.

15 DR. KONSTAM: Can I just comment on that? I mean  
16 I am thinking about this. This is the first time we have  
17 talked about this. I mean the endpoint defined was stroke.  
18 What we are saying, at least some of us are agreeing that  
19 stroke was reduced, and I could justify that as the  
20 indication, that the total frequency of stroke was reduced.

21 Now, it is conceivable that there were a few more  
22 hemorrhagic strokes. We don't know that.

23 DR. GILMAN: We don't know that.

24 DR. KONSTAM: But if they were, they were, you  
25 know, far outweighed by the number of thrombotic strokes.

1 So, I mean I personally would be comfortable just saying  
2 reduction in the frequency of stroke.

3 DR. DRACHMAN: I wouldn't. This is going to be  
4 the very first question my residents are going to ask me.  
5 They will say should we do a scan, see whether there is  
6 hemorrhage in the stroke, and if there is, do we give it.

7 DR. KONSTAM: That is something different, I  
8 guess. That is I guess for which patients, what is the  
9 population for which it is indicated. In other words, the  
10 indication will be to reduce subsequent stroke. What is the  
11 population for which it is indicated?

12 There, I don't know. I mean I assume that people  
13 with hemorrhagic strokes were not permitted into the trial,  
14 right? They were not.

15 DR. HAEHL: They were not.

16 DR. GILMAN: Did they all have CT scans to ensure?  
17 No. They did not.

18 DR. TEMPLE: It means no deliberate hemorrhagic  
19 stroke, but they don't know.

20 DR. HAEHL: Patients with hemorrhagic stroke were  
21 not supposed to be included, and in 80 percent of the  
22 patients included a CT scan was there.

23 DR. GILMAN: But in order to be sure you are not  
24 dealing with an initial hemorrhagic stroke, you need a CT  
25 scan in 100 percent, not 80.

1 DR. GILMAN: Therefore, from the remaining 20  
2 percent, we assume that they didn't have hemorrhagic stroke  
3 from the clinic, but we have not a CT scan.

4 DR. DRACHMAN: But should that modify the wording  
5 of the indication? Should we put that right in the  
6 indication and the labeling?

7 DR. GILMAN: Dr. Talarico.

8 DR. TALARICO: The labeling does call for  
9 reduction of combined risk of death and not for the stroke  
10 in patient or have transient ischemia of the brain or  
11 completed ischemic stroke.

12 DR. GILMAN: That would be just fine.

13 DR. DRACHMAN: That is all right, but ischemic  
14 stroke may be hemorrhagic.

15 DR. GILMAN: What you mean is that sometimes an  
16 ischemic stroke will cause hemorrhage into the surrounding  
17 brain tissue because of damage to the blood vessels even  
18 though the basic mechanism is ischemic.

19 DR. DRACHMAN: Right, emboli typically do that or  
20 may do that.

21 DR. GILMAN: I think that what will happen is that  
22 if this drug is approved, the FDA will come to grips with  
23 the labeling with the company, taking in the light of what  
24 we have said, what we have deliberated about here.

25 DR. BROOKE: Could we take a step backwards. I



1 hate to do that, but I am convinced that there has been  
2 interesting effect shown by the combination of aspirin and  
3 dipyridamole. The question is whether it is a good thing to  
4 combine them in one drug.

5 Now, obviously, the advantage is convenience, but  
6 isn't there a severe disadvantage? The dose of aspirin was  
7 argued about for a long time. When you have a combination  
8 drug, you have set the ratio, so that it is inflexible,  
9 nobody can see whether you need less aspirin, more  
10 dipyridamole, and is that not a disadvantage?

11 I think that what I have been convinced of this  
12 afternoon is the combination of those two drugs is  
13 advantageous for the disease which has been tried. I am not  
14 sure I have been convinced that combining them in one tablet  
15 has been shown to be a great advance to mankind.

16 DR. GILMAN: I tend to agree with that position,  
17 which is why I think it is a pity that some dose finding  
18 studies were not carried out independently, looking at  
19 different doses of aspirin and of DP.

20 Dr. Grotta.

21 DR. GROTTA: Well, I mean but the fact is, is that  
22 this combination is better, and somebody else can do a study  
23 of a different dose combination and find out if that is  
24 better than this one, but we do have a result that is  
25 positive, that seems to provide an advantage to our patients

1 over what we have available now.

2 I mean I agree it would be nice if we had 10  
3 different studies of different combinations and to choose  
4 the best one. I also feel very uncomfortable with us  
5 changing the characteristics of the patient population and  
6 the study characteristics upon which we are basing any  
7 approval.

8 I would be very careful about doing that. This  
9 was a study carried out in patients with suspected ischemic  
10 stroke or TIA, and I don't think we should be any more  
11 restrictive than that in deciding which patient population  
12 should be studied just because we have certain clinical  
13 assumptions.

14 The data indicate that when patients are included  
15 with TIAs or suspected ischemic stroke, that the combination  
16 reduces the incidence of stroke. So, I really wouldn't  
17 change and be more restrictive than that.

18 As far as the death issue is concerned, to me,  
19 unless you are willing to take the indication of death away  
20 from aspirin 50 mg, I don't think that we should--I would  
21 argue that we should include death because the drug contains  
22 aspirin 50 mg for which there is already an indication that  
23 it reduces the incidence of death, and the clinicians out  
24 there are going to be very confused when you approve a drug  
25 that has aspirin in it, at a dose that has already been

1 approved to reduce death, and now you say that this drug  
2 doesn't.

3 I think we are being inconsistent and the study  
4 was not powered to look at the death endpoint really, and I  
5 don't have a problem--I disagree--I don't have a problem  
6 with the endpoint or the indication as stated.

7 DR. GILMAN: Let me respond. First, that this  
8 committee was not the committee that approved aspirin for  
9 the indication of death or stroke.

10 Second, the data that we have been presented  
11 showed no beneficial effect with respect to the endpoint of  
12 death. That being the case, I think it would be rather  
13 illogical for us to approve it for benefit with respect to  
14 death.

15 Dr. Temple, do you want to comment?

16 DR. TEMPLE: Well, we actually thought you would  
17 come to this, and we are agonizing about it ourselves. It  
18 is very unclear whether to focus most on the individual  
19 study results which show no benefit on death or on the fact  
20 that it contains aspirin, which already has a claim, which I  
21 would say we probably still think is legitimate even though  
22 it wasn't shown in this study.

23 So, I don't have any advice for you except it is  
24 really hard, and we love to listen to what you say, and we  
25 will be undoubtedly agonizing about it more.

1 DR. GILMAN: Dr. Califf.

2 DR. CALIFF: Let me just try to summarize my  
3 feelings about this. We start from a base of one out of  
4 three endpoints with a fairly dramatic result for that  
5 endpoint in terms of a p-value, and then there are a list of  
6 things which raise the level of uncertainty from that fairly  
7 dramatic p-value - the three endpoints, the multiple looks,  
8 the resizing based on looking at the result, the elimination  
9 of some patients after randomization due to a problem with  
10 the site, the fact that there are no minority patients,  
11 which we are labeling this drug for use in a population  
12 which had not to some extent been studied, and I personally  
13 think that a nonfatal measure endpoint in a disease which is  
14 often fatal, looked at in isolation, is a fatally flawed  
15 endpoint.

16 Without any mathematical way of taking all these  
17 things into account, I guess that I have to agree with what  
18 everyone else has said, is that it looks like substantial  
19 evidence, but I would be also in favor of saying death or,  
20 because I am really stuck on the point that we can't look at  
21 a nonfatal endpoint in a disease which is often fatal.

22 The issue of dose ranging, if we think we have a  
23 problem with sample size looking at a single dose when we  
24 are looking at hard endpoints, if you want to do dose  
25 ranging for a drug like this, you are going to be talking

1 about hundreds of thousands of patients.

2 I am just glad we actually have some combinations  
3 to look at now, because in most of the rest of medicine, we  
4 have got multiple drugs approved for indications with no  
5 knowledge at all about how to combine them. At least this  
6 is a start.

7 DR. GILMAN: Thank you.

8 We have heard from the sponsor. Please, this is  
9 for the committee's deliberation now with all due respect,  
10 please.

11 Dr. Van Belle.

12 DR. VAN BELLE: With respect to the mortality, I  
13 would be inclined to do two things. One is pass the buck to  
14 the FDA ultimately because it is a policy issue, as well,  
15 but I would also say that from these studies, you would be  
16 able to argue that the results are not inconsistent with a  
17 mortality reduction. I don't think that they contradict  
18 that.

19 So, then, the question comes up how seriously are  
20 you going to take the aspirin data by itself from other  
21 studies and imply the effectiveness to this particular  
22 study.

23 So, my inclination is let the FDA handle it, so  
24 that it can come up with a consistent labeling effort and  
25 that this committee would simply say that the results are

1 not inconsistent with the effects of aspirin.

2 DR. GILMAN: Dr. Konstam.

3 DR. KONSTAM: I am just trying to think this  
4 through myself. I guess I have a problem. Well, let me  
5 just say that there must be some kind of imaginative  
6 language that needs to be used in combination products,  
7 because this is an issue that is specific for the fact that  
8 it is a combination product.

9 I have trouble saying that by definition because  
10 it contains aspirin--you know, the labeling is going to be  
11 for this particular combination product--and therefore to  
12 say, well, here is a combination product, and it reduces the  
13 incidence of death or stroke, when that has not been shown,  
14 boy, I guess you could market aspirin plus ginger root, you  
15 know, and say it is for reduction in death or stroke,  
16 because anything containing aspirin has shown that, so we  
17 didn't even have to do any of this.

18 We could have just said, well, as long as the  
19 dipyridamole is not having any adverse effect, we can market  
20 it for the combination of death and stroke if that is the  
21 logic. So, I guess I am going to have trouble with that. I  
22 mean I guess I am going to have to say that I can't get past  
23 saying that the specific combination is indicated for what  
24 we found in this study, and that is reduction in stroke in  
25 the population defined, and then some additional wording to

1 say that, by the way, you know, we know aspirin is indicated  
2 for blah-blah-blah, and this thing contains aspirin.

3 You will have to work it out, but I think that is  
4 my feeling.

5 DR. GILMAN: Dr. Katz.

6 DR. KATZ: I don't know if this is terribly  
7 relevant, but you couldn't mark it ginger root and aspirin  
8 unless ginger root had an effect, as well, by the  
9 combination policy, so it doesn't necessarily address the  
10 aspirin issue, but you can't just add aspirin to anything.

11 DR. KONSTAM: But then you have to ask then, okay,  
12 following that logically, well, then, what is this  
13 combination product approved for.

14 DR. KATZ: No, I agree. I agree.

15 DR. GILMAN: Dr. Temple.

16 DR. TEMPLE: If I understand your last suggestion,  
17 you were saying focus for the combination purposes on what  
18 was shown for the combination, and then perhaps somewhere  
19 else in the indications, remind people that aspirin is  
20 indicated for that. That is not out of the question. It  
21 doesn't have to be a one-liner. It can be longer than one  
22 line and explain more.

23 I forgot before, the matter of what the right dose  
24 is, that is a somewhat tricky question here. For example,  
25 if the doses for people with coronary disease and MIs is

1 larger than 50 mg a day, you have the problem suppose  
2 someone has both and the physician wants to use a somewhat  
3 larger dose along with dipyridamole because he is convinced  
4 that dipyridamole provides a benefit, well, I mean you could  
5 do it, you could do a baby aspirin to the combination, but  
6 my question was, is the controlled release product available  
7 or going to be available as a single entity or only in the  
8 form of this combination?

9 It is not available? What is your plan?

10 DR. HAEHL: It is now not available. We have  
11 concentrated all our development on the combination product,  
12 however, if there is a population which is in need of that,  
13 then, we are certainly prepared to discuss that with the  
14 FDA.

15 DR. TEMPLE: Obviously, that problem is  
16 complicated, too, because the only combination data we will  
17 have is with the 50. Nonetheless, in response to what was  
18 said before, combinations pose that problem, and they always  
19 do.

20 The other thing is regrettably, we often don't see  
21 good dose finding when it takes 7- or 8,000 people per study  
22 to find even one dose works, so we are often devoid of good  
23 dose response data, although Rob is working on it in some of  
24 his studies.

25 DR. GILMAN: I would like to have seen at least a



1 couple of doses of aspirin with dipyridamole.

2 Are there any new points about this first question  
3 for us?

4 DR. PENN: I was just going to go ahead  
5 procedurally and propose something to vote on. Is that in  
6 order at this moment?

7 DR. GILMAN: That is perfectly acceptable.

8 DR. PENN: Since I agree basically with your  
9 position, I would vote no on Question 1 and tie that to a  
10 vote yes on Question 2, there is a specific indication which  
11 would be stroke. I don't know whether procedurally we are  
12 allowed to combine those two.

13 DR. GILMAN: Dr. Katz.

14 DR. KATZ: Yes, I think procedurally you can  
15 pretty much do anything you want, I mean as long as it is  
16 clear what you are trying to tell us.

17 But I just want to make a gratuitous comment.  
18 There has been considerable discussion about who should this  
19 be indicated in or what the actual effect is, is it stroke.  
20 I just want to remind people--and you may already be taking  
21 this into consideration in your votes--but I just want to  
22 remind folks that there is another critical phrase in the  
23 question, which is substantial evidence, and that embodies  
24 the question of is one study enough.

25 So, even though you might be thinking about that,

1 what I am hearing is a focus on what is the claim as opposed  
2 to is there substantial evidence.

3 DR. PENN: I didn't mean to imply that because I  
4 think there is substantial evidence.

5 DR. GILMAN: I thought there was substantial  
6 evidence also.

7 There is a motion on the floor which is that we  
8 say no to Question 1 and give some language for Question 2.

9 Is there a second?

10 DR. TEMPLE: Can I just ask for a clarification?  
11 A no could mean that there is no substantial evidence for  
12 anything or that there is no substantial evidence for  
13 including death. So, however you phrase it, make sure we  
14 know what you are voting.

15 DR. GILMAN: If you wish, we could discuss  
16 Question 1 and then go on to Question 2. I think what is  
17 important is that we deliver the message that seems to have  
18 at least the majority of the committee

19 DR. TALARICO: Can you separate the two?

20 DR. GILMAN: Separate the two?

21 DR. PENN: Then, I can withdraw my motion and say  
22 that I do not think there is substantial evidence for the  
23 combined indication, and make that as a motion, well, we can  
24 vote that question, I guess. We just vote the question,  
25 just call for the vote on the question.

1 DR. GILMAN: Is there a second to the motion?

2 DR. DRACHMAN: What motion?

3 DR. GILMAN: The motion was that the answer to  
4 Question 1 will be no, there is not evidence that this  
5 single study supported the indication that the medication is  
6 useful in stroke and/or death.

7 Is that right, Dick?

8 DR. PENN: Yes. Yes, that is what I intended.

9 DR. BROOKE: Stroke or death?

10 DR. GILMAN: Stroke and/or death, the combined  
11 endpoint.

12 DR. BROOKE: Isn't it stroke and death? I think  
13 it may be effective in stroke.

14 DR. CALIFF: In the composite of stroke.

15 DR. GILMAN: Let's call it in the composite of  
16 stroke and death.

17 Was there a second?

18 [Second.]

19 DR. GILMAN: There is a second. All right.

20 Discussion?

21 [No response.]

22 DR. GILMAN: All right. All in favor of the  
23 motion, please so signify.

24 [Show of hands.]

25 DR. GILMAN: Seven.

1 All opposed?

2 [Show of hands.]

3 DR. GILMAN: Three. Seven to three.

4 DR. TEMPLE: So, yes means no, right?

5 DR. GILMAN: Those in favor of the motion. The  
6 motion was that the answer to Question 1 is no.

7 DR. TEMPLE: Just making sure.

8 DR. GILMAN: But we are going to modify that  
9 response by going to Question 2.

10 DR. PENN: And the motion of Question 2 is the  
11 committee agrees that there is substantial evidence for the  
12 effect of the product on stroke.

13 DR. TEMPLE: How should someone who thought there  
14 was evidence for both vote?

15 DR. PENN: They have a choice.

16 DR. TEMPLE: Well, no.

17 DR. PENN: The people who voted, they already  
18 indicated, three people have indicated they think we are all  
19 wrong, you know, of the 7 that voted that way are wrong, but  
20 they have included their clear indications of why, and they  
21 do not want to confuse physicians about this.

22 DR. TEMPLE: Let me suggest something different,  
23 that if you believe it should be approved for both stroke  
24 and death, you would want to support this, not vote against  
25 it That will just confuse everything.

1 DR. GILMAN: Dr. Grotta.

2 DR. GROTTA: Well, you could amend your motion to  
3 reflect what Dr. Van Belle suggested, and that it doesn't  
4 exclude an effect on death, in other words, that the studies  
5 have shown that the drug reduces the incidence of stroke,  
6 and does not exclude an effect on death.

7 Then, I think that you would not have that dilemma  
8 for those three people who voted yes on No. 1.

9 DR. PENN: I would be pleased to amend it in the  
10 way you have stated it.

11 DR. GILMAN: Would you restate the motion then.

12 DR. PENN: The motion, once again, is that there  
13 is substantial evidence to support the effectiveness of this  
14 product for stroke, and that there is not enough evidence to  
15 include in the indication death.

16 Isn't that what you were saying?

17 There is inconclusive be acceptable?

18 DR. VAN BELLE: It is not inconsistent with.

19 DR. PENN: It is not inconsistent, okay, I am  
20 sorry, I chose the wrong word. Is that all agreeable, then,  
21 not inconsistent with the three people that voted the other  
22 way?

23 DR. LACEY: Not inconsistent, I am not sure I  
24 understand.

25 DR. KONSTAM: Can I restate it? I think the

1 motion would be that there is data supporting the prevention  
2 of stroke without regard to the death question is really I  
3 think what we are saying. So, you would not prejudice your  
4 vote against this motion if you felt that there was a  
5 combined indication, there was sufficient data for a  
6 combined indication.

7 It is really focusing on the stroke indication  
8 independent of the combined question, right?

9 DR. GILMAN: That is a little different from what  
10 Dr. Van Belle said now.

11 DR. CALIFF: I think it is quite different because  
12 I can't accept--I mean I will have to accept it if is a  
13 majority vote, but I would opposed vehemently--I mean you  
14 just cannot ignore informative censoring. O'Neill used the  
15 word, I couldn't think of the right word, but, you know, we  
16 will be rewriting the textbook of what we have learned about  
17 clinical trials in the last 10 years to say it that way.

18 I think the way it was stated before is fine.

19 DR. PENN: Using inconsistent I think would--

20 DR. GILMAN: It is not inconsistent with an effect  
21 on death.

22 DR. BROOKE: Do you feel you have to have death in  
23 the phraseology?

24 DR. GILMAN: I don't think that Dick particularly  
25 cares, but we have three votes that may go the other way on

1 this question unless that is included.

2 DR. PENN: Yes, and I have no problem with stating  
3 what the fact is, at least I think what the facts are from  
4 what was presented to us.

5 DR. BROOKE: It is like telling children not to  
6 stuff beans up their nose. The first thing they do is they  
7 go and do it. If you mention that it is not inconsistent  
8 with prolonging death, the person who reads the insert will  
9 think, oh, good, it prolongs life.

10 DR. KONSTAM: Maybe we could ask the agency if we  
11 just went around the room and each of us said what we felt  
12 about this, would that not be sufficient information for  
13 them? They are all nodding their head.

14 DR. PENN: We have to vote, I thought.

15 DR. GILMAN: We are supposed to give guidance.

16 DR. KATZ: I think what is most critical is that  
17 we get clear, if you can give us clear guidance on what we  
18 should do, I think the vote is, you know, it is a tradition  
19 I guess. I don't think there is anything in the law that  
20 says you have to vote.

21 But we would definitely like to get a clear  
22 picture of what you think the indication ought to be.

23 DR. TEMPLE: Well, no, there is two things. One,  
24 what do you think there is evidence for, and then there is a  
25 lot of nuances of exactly how to say it.

1 DR. KATZ: When I say evidence, that is what I  
2 mean, what you think there is evidence for.

3 DR. GILMAN: Well, folks, we have seen some  
4 evidence here that does not tell us that this medication  
5 will prevent death. I am sorry, but no matter what any  
6 other trial says or what the FDA has said before, the data  
7 do not support that claim.

8 DR. TEMPLE: Well, you actually voted on that.  
9 Three people thought maybe it did, and the rest didn't, so  
10 that one you voted on, that is clear. But the question here  
11 is we need a clear understanding of whether the committee  
12 thinks there was evidence for a different claim.

13 DR. PENN: That is a misinterpretation of that  
14 vote. I thought that the people who objected wanted  
15 consistency with other data, not just the data that was  
16 presented to us.

17 In other words, they thought that the aspirin  
18 labeling was all right, and that because we had aspirin that  
19 they would go along with that, and they didn't want to  
20 confuse physicians about it.

21 DR. TEMPLE: You may be right, that isn't--the  
22 question says whether there was substantial evidence  
23 supporting that claim, but you may be right about why people  
24 voted the way they did.

25 DR. BROOKE: Just because aspirin used on its own



1 has an effect on survival, doesn't mean that when it is used  
2 in combination with another drug that it has the same  
3 effect. I mean there is numbers of examples of drugs that  
4 don't work well together. I mean as far as death is  
5 concerned, I am not talking about stroke.

6 DR. GILMAN: Claudia, do you want to comment?

7 DR. KAWAS: There have been a lot of things said  
8 today, and I just thought I would run through the list of  
9 the ones I am not concerned with and end up with the one I  
10 am concerned with, which is exactly what we are debating.

11 I mean I know there were discussions about  
12 multiple looks with regards to safety, and that personally  
13 didn't concern me. It looked to me like--it was essentially  
14 as safety monitoring committee, and didn't affect the  
15 integrity of the study.

16 There was concern about the diagnosis of the  
17 events and the inclusion, and as I said before, I think that  
18 those were taken care of by the randomization process, and  
19 there doesn't seem to be any appearance of breaking the  
20 blind which would affect that.

21 So, as a trialist, I am not concerned about that,  
22 although as a neurologist, like many of the people here, I  
23 can say I am very concerned about accurately diagnosing  
24 strokes.

25 I was also taken with the discussion about the low

1 dose of aspirin, but I think that the consensus of the  
2 scientific community supports that even if the data doesn't,  
3 and here is another place where the data doesn't support  
4 things, and that is the aspirin and the risk of death. Most  
5 of the previous studies really haven't shown that aspirin  
6 has an effect on death even though we keep talking about it  
7 like it is clearer in the previous studies than it was in  
8 this one.

9           In regards to the questions that the FDA presented  
10 to us, I believe that this is a single study that meets a  
11 lot of their criteria. I mean specifically, it is certainly  
12 large since we have heard repetitively it is significantly  
13 added to the number of patients exposed in clinical trials  
14 to both of the drugs.

15           It does have some consistency between groups, and  
16 I didn't find that a problem, as well as multiple studies or  
17 pairwise comparisons that were satisfactory in endpoints.

18           The issues about generalizing to other populations  
19 isn't any different from any other drug we have on the  
20 market since most of our studies have not been adequately  
21 studied in other racial groups, even the ones done in the  
22 United States, much less the ones done in Europe.

23           So, the things that do concern me come down to the  
24 primary endpoints. In particular, the interim analysis  
25 wasn't prespecified, and increasing the sample size didn't

1 bother me because I think that doesn't change the results,  
2 but I do have some concern about how the endpoints were  
3 decided, and I think that is the crux of the discussion we  
4 are having, because it makes it hard to know how many  
5 corrections or pairwise comparisons we should be making.

6           The data, to my mind, suggested that there was a  
7 role for this combination, better than placebo, to prevent  
8 stroke, and that there was a clear additive effect, and that  
9 is part of the consistency of the study where the estimates  
10 really looked very consistent to me.

11           The data does not show, however, to my mind, that  
12 there is an effect on death, and the problem is earlier in  
13 the day, you know, Dr. Temple told us that how we indicated  
14 this or interpreted this was to some extent are taste, and I  
15 don't know what my taste is in this because I understand  
16 both sides of the argument.

17           I mean death is very much embroiled in  
18 cerebrovascular disease. Overall, despite the way I voted a  
19 minute ago, I do think probably death needs to be factored  
20 in, but there is not data that convinces me that this delays  
21 death.

22           That is why I don't know quite how to vote on all  
23 these convoluted suggestions that everybody is giving me  
24 with double negatives and triple, or whatever, but I do  
25 think that we just saw a factorial design that showed me

1 that there was a role for this combination as compared to  
2 placebo in prevention of stroke.

3 I am glad that the FDA uses us as an advisory  
4 committee, and they get to figure out the real answer.

5 DR. GILMAN: Dr. Talarico.

6 DR. TALARICO: Probably it might be easier if we  
7 go back to the question as questions rather than making into  
8 making motions, because the first two questions address just  
9 whether you are convinced that this study has shown enough  
10 evidence of effectiveness of Aggrenox for the desired  
11 indication, which is the combined endpoint of death and  
12 stroke, and the answer can be yes or no. Then, if not, then  
13 go to the next question because otherwise it becomes very  
14 difficult.

15 DR. GILMAN: I understand. We want to be as  
16 helpful as we can.

17 DR. TALARICO: The discussion was very  
18 informative, but I think if we can come to the vote in terms  
19 of question, it might be easier for you.

20 DR. GILMAN: We are getting a mixed signal now.  
21 Would it be most helpful to the FDA if we were to vote or if  
22 we were to go around the table and just everybody respond to  
23 Question 1 and then Question 2, and so on?

24 DR. TALARICO: The discussion is very informative,  
25 but eventually the vote has to come to the question as it is

1 posed.

2 DR. TEMPLE: But the question has to be clear.  
3 For example, if there were someone--Rob may not be such a  
4 person--who thought that the stroke thing was well done and  
5 at least they ought to get that, then, you don't want a no  
6 answer on No. 2 just because they thought it also ought to  
7 get death. That would be a confusing answer.

8 So, as long as you clarify those and say how  
9 people who think various things should vote, then, voting is  
10 fine or the recent discussion was very helpful, too.

11 DR. GILMAN: Well, then, just to reiterate, in  
12 Question 1, the three no votes were based upon their view  
13 that death ought to be included as an indication for the  
14 reason that there was some evidence suggesting that it may  
15 be helpful even though the data were not significant.

16 Is that correct, the three no votes?

17 DR. VAN BELLE: As one of the three, it just  
18 seemed to me that from the discussion earlier this morning,  
19 there was some degree of arbitrariness as to whether death  
20 is included in a labeling issue or not, and so I was just  
21 responding to Dr. Temple's comments earlier this morning  
22 that death is often included, and we have also heard some  
23 other aspects to that effect.

24 What I am saying is that when I look at the data,  
25 the data are not going to be inconsistent with that claim.

1 There clearly is no proof in these studies that death is  
2 delayed or reduced. That is not the case. But the results  
3 are not inconsistent, so it is really a question of power,  
4 and we have heard before that death as an endpoint requires  
5 much larger studies, and so you are just not going to get  
6 that.

7 So, I see it as somewhat more of a policy issue  
8 rather than a scientific issue.

9 DR. GILMAN: Yet, the question is based on this  
10 single study, has the sponsor provided substantial evidence  
11 of effectiveness of Aggrenox for the desired indication,  
12 substantial evidence.

13 Dr. Drachman.

14 DR. DRACHMAN: Just so we don't lose sight of Dr.  
15 Brooke's point, we will use aspirin for headache, yet, in  
16 this particular mode, the number of headaches in the mixed  
17 drug was greater than for placebo.

18 So, if we are thinking about something for which  
19 there is no evidence, meaning the alteration or elimination  
20 of death or reduction of death, the fact that aspirin  
21 sometimes works for a headache, sometimes may prevent death,  
22 may not provide substantial evidence here for either one.

23 Is that clear?

24 DR. TEMPLE: No, I had a flash.

25 DR. DRACHMAN: Let me say it again then, Sid. We

1 believe that aspirin by itself may reduce the amount of  
2 death in stroke. We also do believe that aspirin in another  
3 setting is very useful for relieving headache.

4 Here, in this study, as I recall the data, there  
5 were more headaches in the combined drug than in the aspirin  
6 or placebo drug, meaning that the combination is not  
7 necessarily equivalent to the sum of its parts.

8 DR. GILMAN: Well, that is because the headache  
9 came from the dipyridamole.

10 DR. DRACHMAN: Well, we don't know that.

11 DR. TEMPLE: This is 15 mg of aspirin. It is not  
12 relevant to headache.

13 DR. GILMAN: That is another point, small amount  
14 of aspirin.

15 DR. TEMPLE: I had a flash. See if this helps.  
16 In Question 2, say, has the sponsor provided substantial  
17 evidence of effectiveness for either reduction of the risk  
18 of the stroke or the reduction of risk in stroke and/or  
19 death. Then, you get both groups, one or the other.

20 Does that make sense?

21 DR. PENN: No. I think we should have a clear  
22 vote that we think that it works in stroke and get that over  
23 with. The phrasing of how we indicate the situation on  
24 death, we are argued about and we have given you clear  
25 indication that--not how confused we are--but that we don't

1 think that there is substantial evidence in this particular  
2 study.

3 DR. KONSTAM: But you want people who voted yes to  
4 No. 1 to be able to vote yes for No. 2, as well, and I think  
5 that Bob's suggestion permits that. So, I think it is just  
6 a tactic to do that, and I think it would work.

7 We already voted no, 7 to 3, on Question 1. So,  
8 those three people have carved out their viewpoint. What I  
9 think we want to say is we don't want to keep those three  
10 from voting no on 2, if we think that there is a stroke  
11 indication in there, and Bob's suggestion would do it.

12 DR. PENN: Why would you vote no on 2?

13 DR. KONSTAM: I think he doesn't want a 7 to 3  
14 vote in favor of No. 2, and then in the end of the day, not  
15 being able to figure out what that 7 to 3 vote meant, when,  
16 in fact, the three people were convinced that this agent is  
17 worthy of an indication. Right?

18 DR. BROOKE: If you couple death and stroke, some  
19 of the people that voted for stroke will not vote for it.  
20 You will lose the 7, as well as the 3.

21 DR. KONSTAM: He is the one we are advising.

22 DR. PENN: In fact, that is the case, I would not  
23 vote for something that couples it.

24 DR. DRACHMAN: Why don't we just separate them?

25 DR. GILMAN: One person at a time. Dick?



1 DR. PENN: I am sorry I interrupted that way, but  
2 I wouldn't unless somebody puts a very convincing argument  
3 it vote for a motion--

4 DR. KONSTAM: You are not being asked to. You are  
5 being asked to vote yes for No. 2 if one of these is  
6 acceptable to you, and then in the discussion, you can tell  
7 which one. We will go around the room, and we will be able  
8 to tell which one of the two, and we will give a yes vote,  
9 and it wind up with a clear message that there is an  
10 indication here, and give them the input about which one we  
11 think it is. I think we are just trying to get over a hump  
12 here, aren't we?

13 DR. TEMPLE: This was really to do what you wanted  
14 to do, get a vote on stroke.

15 DR. KATZ: Don't we already know that 7 folks want  
16 this to be approved as a treatment for prevention of stroke  
17 and that 3 people want it approved for stroke or death,  
18 don't we know that already?

19 DR. KONSTAM: I think we have already voted on it.  
20 I think we have done it.

21 DR. GILMAN: We know that.

22 DR. TEMPLE: No, the 7 who voted on the first one  
23 just said they didn't want it for stroke and death, and I am  
24 not sure where Rob is going to come out, for example.

25 DR. HOUN: But then the 7 people, why don't we

1 find out from them if they don't agree for stroke and/or  
2 death, what do they think there is substantial evidence for?  
3 So, we just focus on the 7. The 3, I think have voted--is  
4 that true that they vote there is substantial evidence for  
5 the desired indication of decreased risk for death and  
6 nonfatal stroke?

7 DR. TEMPLE: Which must include stroke.

8 DR. HOUN: It is the 7 we want to know, if they  
9 don't believe, what do they feel there is substantial  
10 evidence for.

11 DR. GILMAN: Rather than dealing slavishly with  
12 Question, let's just go around the table for the 7.

13 DR. DRACHMAN: Stroke.

14 DR. CALIFF: Stroke.

15 DR. GILMAN: The evidence is substantial for  
16 reduction of stroke, yes, but not for any other indication.

17 DR. LACEY: I echo that.

18 DR. KAWAS: Stroke.

19 DR. KONSTAM: Stroke, and I would just like to  
20 explain the vote a little bit. I think that I would agree  
21 with stroke. I take the argument around the concern about  
22 not having a combined endpoint and the possibility that by  
23 not including death in the combined endpoint, perhaps we are  
24 biasing it, but I don't believe that is going on here,  
25 because I don't think that there is any adverse effect on--

1 there is no adverse effect on mortality, and my guess is  
2 that at least components of this have a beneficial effect on  
3 mortality, so I am not concerned about that.

4           So, I am left saying that it does reduce the  
5 incidence of stroke, and I guess it would be worthwhile, I  
6 think, us spending a minute saying why it is that we believe  
7 this single trial provides sufficient evidence to support  
8 that, because I think the statistical reviewer are argued  
9 against that, so I don't know if enough of us have spoken to  
10 this specific question, what is it in the data set that  
11 really is driving us to say yes, so I will just speak for  
12 myself about it.

13           We have some very low p-values. I mean the  
14 specific p-value that I focus in on is 0.008 for the  
15 combination compared to aspirin alone in the prevention of  
16 either fatal or nonfatal stroke, and I guess that would have  
17 to be corrected down for the fact that we have a couple of  
18 different endpoints at the beginning and there was more than  
19 one look, but I think given all we know about this, I am  
20 willing to accept that as very strongly positive signal that  
21 I will accept the one trial.

22           So, that is the summation of my feeling. That was  
23 a yes for stroke.

24           DR. GROTTA: I would like to say just one thing.  
25 Again, it reiterates the point. My understanding is, is

1 that there are three drugs out there that are approved for  
2 the combination endpoint of stroke and death. There are  
3 three drugs out there--well, what is the indication for  
4 clopidogrel? Is it just stroke?

5 DR. CALIFF: Clopidogrel is stroke, death, MI, all  
6 three.

7 DR. TEMPLE: And in a very mixed population,  
8 however.

9 DR. GROTTA: Okay, and aspirin, as well.

10 DR. CALIFF: But a very similar data set in terms  
11 of its actual effect on stroke.

12 DR. GROTTA: And Ticlid is also a combined  
13 endpoint approval, it's not just stroke.

14 DR. TEMPLE: That is true, and actually some of  
15 the data came from noncardiovascular deaths.

16 DR. GROTTA: So, all I am saying is that the  
17 clinician has three drugs out there that are approved for  
18 more than stroke. This drug comes out, and we have an  
19 indication for stroke. The clinician is going to see that,  
20 when, in fact, the data shows that this drug is superior to  
21 aspirin for the prevention of stroke and in the combined  
22 endpoint of stroke and death, is at least as good. There  
23 was a statistically significant effect of this combination  
24 on the endpoint of stroke and death. It just wasn't  
25 statistically significantly better than aspirin or

1 dipyridamole.

2           So, the fact of the matter is, is that this is why  
3 I have trouble with eliminating the death issue from this,  
4 that you are going to confuse clinicians by this, and not  
5 only that, it doesn't really reflect--I mean we heard a very  
6 articulate summation of the data a minute ago by Dr. Kawas,  
7 and it seems to me that we just want to state what the data  
8 shows, what were the results.

9           Well, the results are that the drug is superior to  
10 aspirin or dipyridamole for prevention of stroke, and it is  
11 at least as good as aspirin for preventing the combined  
12 endpoint of stroke and death. So, maybe that is what we  
13 should say, but that is why I have trouble just limiting it  
14 to stroke. I don't know how I can say--I have said it three  
15 times now, so I don't think I can say it any more than that.

16           DR. KONSTAM: There is a really good point that is  
17 coming up here, which I don't think we have really touched  
18 on directly is the equivalence question. I mean if we were  
19 able to derive from the data set that the combination is  
20 equivalent to aspirin, therefore, no worse than aspirin in  
21 preventing some larger endpoint such as mortality, then, I  
22 think that would be sufficient to warrant that indication,  
23 wouldn't it?

24           DR. GILMAN: If you look at the data, there is no  
25 significant effect upon death as a marker.

1 DR. KONSTAM: I understand.

2 DR. GROTTA: Nobody is talking about death. You  
3 are confusing the issue, no one is talking about death. We  
4 are talking about the incidence of stroke or the incidence  
5 of the combined endpoint of stroke or death.

6 Nobody has suggested that there be a statement in  
7 there that says that the drug prevents death per se. It is  
8 the combined endpoint of stroke or death over which there is  
9 an indication for all the other drugs.

10 DR. GILMAN: But that has not been demonstrated in  
11 this study.

12 DR. TEMPLE: Yes, it has. Let me just make an  
13 observation. I must say I am guilty of it. We are so  
14 focused on the combination policy, which is what is the  
15 contribution of each component to it, that we have neglected  
16 the fact that the whole thing has the desired low p-value  
17 effect on stroke and death.

18 DR. GILMAN: But because it is a combination  
19 product, we are focusing on whether it is better than two  
20 other ingredients.

21 DR. TEMPLE: Well, one could separate this  
22 conceptually by saying can you show that there is a  
23 contribution of a valid kind of each component of this thing  
24 to something, and one might conclude that that is shown by  
25 stroke. A number of people have said that.

1           You then can sit back--this is a labeling thing, I  
2 must say we have not dealt with something like this, so I am  
3 out on a limb here--but one can think of what the whole drug  
4 is for without necessarily representing or requiring that  
5 each component be shown to do that. Those are separable  
6 issues potentially which we would obviously need to think  
7 about.

8           DR. GILMAN: Dr. Katz.

9           DR. KATZ: The fact that the whole combination has  
10 shown an effect on the composite endpoint largely, if not  
11 entirely, is due to the fact of its effect on stroke. So, I  
12 mean I think the question is, is it misleading--I don't know  
13 about the data for the other drugs out there--but is it  
14 misleading to tell people in labeling this has an effect on  
15 stroke or death, when, in fact, there is no evidence that is  
16 has an effect on death.

17           I mean I think we have to deal with the potential  
18 misleading nature of such a claim, and as Bob has said, it  
19 is very tough, it is hard to know what to do with this in  
20 the context of the fact that one of the components already  
21 has that indication, other drugs out there like this have  
22 that indication. It is a very tough call, but I think the  
23 question of misleadingness is worthy of thought.

24           DR. TALARICO: If people are concerned about  
25 misleading, the labeling can address the issue by showing

1 exactly what were the results in strokes and what were the  
2 results in death. So, it is not going to be just the  
3 indication for death and strokes.

4 DR. KATZ: I should say we have regularly done  
5 that, where there was a triple component endpoint, we have  
6 given the actual results with each one. Now, of course, we  
7 stick that in the clinical trial section that people may or  
8 may not read, but it could be in a more prominent place if  
9 that was thought important.

10 DR. GILMAN: Dr. Califf.

11 DR. CALIFF: Dr. Grotta couldn't have made his  
12 part of the argument as well. I mean he really said it  
13 quite well, and I can't do it any better, but the other  
14 thing for me again is that I think you are seizing on a p-  
15 value for an endpoint that you can't accurately measure, and  
16 that p-value that you are looking at is counting a  
17 denominator which is not a real denominator. It is  
18 influenced very much by the deaths which are unaccounted  
19 for, and that, combined with Dr. Grotta's reason, it is  
20 really both of those that are impacting the way I feel about  
21 this.

22 DR. GILMAN: Again, I think Dr. Katz said it very  
23 well, the data on death or stroke in fact were carried by  
24 the very robust effect upon stroke.

25 DR. CALIFF: The strokes that you think you are



1 measuring being unable to account for all the patients that  
2 were randomized. This is a well-described phenomenon in  
3 composite endpoints where there is a significant mortality  
4 in the trial.

5 DR. BROOKE: Censoring data is a problem, but we  
6 have to deal with the data that we have got. We can't  
7 imagine what it would have been if we had the censored data.  
8 Committees like this are accused of paternalism, and it is  
9 probably true.

10 I mean maybe the best way to do this is to say,  
11 look, this drug is safe, clients are the ones that decide  
12 whether they want it or not. It will fall or stand in the  
13 marketplace, and if it is not a good drug, then, eventually,  
14 nobody will buy it.

15 But I still believe there is a last vestige of  
16 academic integrity around here, and the data that we have  
17 presented showed an effect of the combined treatment, which  
18 was additive, on stroke and, of course, the results on  
19 stroke was robust enough that it carried over into a  
20 combination.

21 But I think it would be a little dishonest--I  
22 don't see what is wrong with voting one on stroke and one on  
23 death, and you were halfway around, and I thought that was a  
24 good idea. I was one of the 7, and I would vote for stroke,  
25 but I wouldn't put death in the flyer.

1 DR. GILMAN: Dr. Van Belle, you are the one person  
2 who has not spoken on that issue.

3 DR. VAN BELLE: Well, I will certainly vote for  
4 stroke, and again, as I said, it is not a principal thing  
5 with me in spite of the academic concerns on my left here.  
6 I think it is a matter of the FDA's setting up a labeling  
7 system that will not confuse practitioners, so I think it is  
8 a practical issue rather than a philosophical issue.

9 DR. GILMAN: Dr. Penn.

10 DR. PENN: I would just like to make one last  
11 point on this. We are often in a situation where we have to  
12 deal with a scientific presentation and we are limited by  
13 what is presented to us in that scientific realm.

14 On the other hand, there is a fairness issue here,  
15 and that is that we are penalizing the company for getting  
16 an equal right to market its drug the way that other drugs  
17 have been marketed for the extra indication of death.

18 So, we have to indicate that to the FDA, that we  
19 think there is something wrong here. The science is pretty  
20 clear to us, but there is a fairness issue to the company  
21 that has to be dealt with in a very positive and strong way,  
22 and to say maybe in the labeling, something to the effect  
23 that there is the same amount of information on this drug as  
24 the others for the indication, the combination indication,  
25 is a way of handling it at least in part.

1           It still penalizes the company because they can't  
2 advertise in the same exact same way, but this is not an  
3 uncommon problem that our hands are sort of tied by what has  
4 been happening in another realm, that death has been thrown  
5 in inappropriately when they didn't have the data because  
6 they didn't do large enough studies in any of these cases.

7           DR. GILMAN: Let me ask the FDA now, have you  
8 heard enough?

9           DR. TEMPLE: Can I say what I think I heard? I  
10 didn't hear anybody who, despite the concerns about multiple  
11 endpoints and all that, didn't think that an effect on  
12 stroke had been shown.

13           The closest to a negative view of that would be  
14 from Rob Califf, who thinks that you really have to take the  
15 combined endpoint and look at that, but he seemed satisfied  
16 that that was okay anyway, but may want to clarify that, but  
17 I didn't hear any other reservation about the stroke  
18 endpoint. There is a vigorous debate about what you should  
19 do about death, and we obviously have all heard that, and we  
20 will have to be imaginative, which since we are bureaucrats,  
21 is difficult, but we are going to work on it.

22           DR. GILMAN: To summarize, it appears that 10  
23 members agree that this drug has been shown to effective in  
24 stroke, and 3 believe that it should be labeled as helpful  
25 for preventing death or the combined stroke/death

1 combination. Only 3 believe that.

2 Do you want anything further on No. 2? Have we  
3 done enough? We have done 2.

4 No. 3. Would you recommend approval for the  
5 requested indication? I believe the answer is yes--no,  
6 sorry. It is late in the day. The answer to that is no.

7 DR. KATZ: I think the next two questions sort of  
8 complicate things. I think they are basically equivalent to  
9 what you have already voted on, unless you thought there was  
10 a safety problem, which is the last question, but if you  
11 don't think there is a safety problem, I think you have  
12 given us your view on the matter of approvability.

13 DR. GILMAN: Thank you. Let's just skip down to  
14 5, and I don't believe there are safety issues of particular  
15 concern, are there, members of the committee?

16 [No response.]

17 DR. GILMAN: All right. I believe we are done. I  
18 can't believe it, but we are done.


19 [Whereupon, at 4:50 p.m., the proceedings were  
20 adjourned.]

21

- - -

**C E R T I F I C A T E**

I, **ALICE TOIGO**, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.

A handwritten signature in cursive script, reading "Alice Toigo", is written over a horizontal line.

**ALICE TOIGO**