

1 of each study.

2 DR. TEMPLE: So, they weren't contributing
3 equally. So, that must be dominated by study 63.

4 DR. GILMAN: And 65. Dr. Van Belle?

5 DR. VAN BELLE: Sorry, I really don't like to
6 interrupt a presentation but since the issue has been
7 raised, one of the issues that I have with the integration
8 is that there is, in fact, no homogeneity of results. In
9 other words, you can show statistically very easily
10 throughout the studies that the frequencies are not
11 comparable and, therefore, the pooling may not have been
12 legitimate. I just wanted to raise that now and maybe the
13 sponsor will want to get back to that later on.

14 DR. GILMAN: Well, I think it is best to handle it
15 now. It has come up now, let's handle it now. So, if the
16 sponsor wants to respond, please do.

17 DR. RUPPEL: That is true, there was a significant
18 test for homogeneity in these studies but, as you will
19 notice, all of the studies are going in the same direction
20 so it is not a conflict in direction but, instead, a
21 conflict in the degree of effect across studies.

22 DR. GILMAN: But you are showing a bar graph,
23 which has implications with respect to the different effects
24 here, and I posit that that is not a legitimate way to look
25 at these data since you are comparing different groups,

1 different genders, different doses.

2 DR. RUPPEL: Mark, I think your next slide shows
3 the actual odds ratios.

4 DR. CORRIGAN: Actually, that is one of the
5 back-up slides.

6 DR. VAN BELLE: Well, I think the question is
7 whether groups that are not homogeneous with respect to
8 endpoint should be pooled, and I think the usual answer is
9 that you don't do that. At least indicate that that is the
10 case.

11 DR. GILMAN: Dr. Grotta?

12 DR. GROTTA: I guess on a related issue, what I am
13 struggling with is really sort of a meta-analysis of
14 subpopulations from different trials. What I would like to
15 see if you are going to do a meta-analysis is to take the
16 studies, all of them and all the patients in the studies,
17 and meta-analyze them for the mortality endpoint and see
18 whether by looking at the studies in a meta-analysis you see
19 an effect on mortality -- the whole studies, not the
20 subsets.

21 DR. RUPPEL: Let me pull the relevant slide. I
22 think it is M-29.

23 [Slide]

24 We felt, since we wanted to look at the
25 efficacious dose in each gender, that it would not be

1 reasonable to include the lower dose females, any of the
2 lower dose females since they would not be at an efficacious
3 dose. So, that is what we were aiming for, the efficacious
4 dose for each of the individuals.

5 DR. GILMAN: So, you pooled data across different
6 doses for all grade IV/V cases. Is that correct?

7 DR. RUPPEL: That is true; that is true. They were
8 numerically different but, again, we were striving to get
9 the efficacious dose as the pooling criteria.

10 DR. CORRIGAN: For the intended recommended dose
11 for treatment.

12 DR. GILMAN: Yet, again, you are combining
13 non-homogeneous populations. I think Dr. Van Belle's comment
14 still pertains. Dr. Temple and then Dr. Katz?

15 DR. TEMPLE: There is always a question. The
16 original people who used to do meta-analyses actually did a
17 single axis and showed where all studies were along it. So,
18 you could just look at all the studies and reach your
19 judgment about whether there was consistency. Nowadays, more
20 people are more likely to throw them altogether and get a
21 combined endpoint. But, in fact, they are telling you the
22 same thing. It is sort of obvious if you look at grade IV/V
23 that it is sort of going to come out okay when you pool
24 them. You can see that from the individual studies.

25 Whether it makes any more sense to have a combined

1 analysis than to look at them individually is sort of a
2 matter of judgment. My own view is you don't learn more from
3 that. You can, in fact, predict the outcome of that by
4 looking at the individual studies. You can sort of tell that
5 65 is not going to overwhelm 63 so it is going to come out
6 okay. But I think the argument, if it is credible, is that
7 if you look at that group in several different environments
8 it all leaning the same way, and pooling them altogether
9 doesn't make that case any better than looking at them
10 separately, I would think. So, I mean, I don't know if it is
11 good to throw men and women together or not, but it some
12 sense there is no real answer to that. But, you know, you do
13 get to look at individual studies and make of it what you
14 will.

15 DR. GILMAN: Would you like to respond to that
16 question?

17 DR. KOCH: Yes --

18 DR. GILMAN: Please introduce yourself.

19 DR. KOCH: I am Gary Koch. I am a statistical
20 consultant for Pharmacia and Upjohn. This display basically
21 has two roles. As you have heard, study 63 had a
22 confirmatory inference for the subgroup. This slide
23 basically shows how study 63 fits with the other studies in
24 that subgroup.

25 The other way in which it fits is the sponsor

1 basically is looking for an approval for a dose of 6 for men
2 and a dose of 15 for women in IVs and Vs, and this is their
3 combined data on those 2 doses for men and for women in IVs
4 and Vs. It simply gives you a picture of what they are
5 looking for an approval for.

6 Certainly, the other questions that have been
7 raised merit attention, but all this slide is trying to do
8 is give you an overall picture of how the two doses the
9 sponsor is putting forward for IVs and Vs look.

10 DR. GILMAN: Dr. Katz?

11 DR. KATZ: I still don't know, even with that
12 explanation, how the integrated analysis adds anything above
13 and beyond just looking at the trials, as Dr. Temple said,
14 individually.

15 The other question I had is how does the fact that
16 this subgroup was a retrospective subgroup in every study,
17 except 63, affect the appropriateness of this sort of
18 analysis?

19 DR. CORRIGAN: Well, part of the integration
20 questions are also going to be addressed by Dr. Marshall.
21 Maybe we can return to that because --

22 DR. GILMAN: Well, I would kind of like to have
23 the sponsor answer Dr. Katz's question now, if somebody
24 will, or maybe Dr. Van Belle will respond. Would the sponsor
25 respond please?

1 DR. RUPPEL: I am sorry, could you repeat the
2 question?

3 DR. KATZ: Yes, in three out of those four studies
4 the subgroup of interest was retrospectively designated, and
5 I am wondering how that affects the appropriateness of
6 performing an integrated analysis above and beyond any other
7 questions that there might be about the appropriateness of
8 the integrated analysis.

9 DR. RUPPEL: I think clearly everybody accepts
10 that 63 was prespecified for the high neurograde patients. I
11 think you have also heard earlier that 32 could be thought
12 of as confirmatory because essentially the exploratory work
13 had been done on 29 and 65, and we also went back and looked
14 at 32.

15 DR. KATZ: I don't think it has been established
16 that 32 -- that the effect on the neurograde IV and V has
17 been found to be confirmatory.

18 DR. RUPPEL: It has been suggested.

19 DR. KATZ: Well, a lot of things have been
20 suggested --

21 DR. RUPPEL: Right.

22 DR. KATZ: But I think that is actually an issue
23 that needs to be discussed. I just don't think it has been
24 shown yet.

25 DR. RUPPEL: I think in the integrated analysis

1 our attempt was simply to get a look at the overall picture,
2 what is the overall signal for this drug across all the
3 studies, the whole body of evidence that we have seen and
4 what we would like to go to market with. True, in some of
5 the studies this neurograde group was exploratory; in
6 others, at least 63, it was adequately prespecified. I don't
7 think that that will necessarily detract from kind of the
8 overall summary nature of the integrated analysis, and I am
9 not by any means suggesting that the integrated analysis
10 should stand by itself as supportive evidence.

11 DR. GILMAN: Not "some" were prospective; one was
12 prospective and the others were retrospective.

13 DR. RUPPEL: That is exactly correct. I apologize
14 if I suggested otherwise.

15 DR. GILMAN: Dr. Van Belle first and then Dr.
16 Grotta.

17 DR. VAN BELLE: Well, I guess questions since I
18 was the one that introduced the confirmatory/exploratory
19 rubric here. The question to the sponsor is in the setting
20 of this standard, before you did the prespecified endpoint
21 of the neurograde IV and V in study 63, did you actually
22 look at the data from study 32 in order to establish that
23 standard? In other words, when Dr. Oliva presented the data
24 the impression was created, certainly in my mind, that study
25 32 had not been looked at with that particular endpoint and,

1 therefore, could be considered confirmatory. If, in fact,
2 the sponsor used the data from study 32 to set the endpoints
3 in study 63, then I would certainly not claim that that was
4 confirmatory. So, I think it becomes quite important what
5 the process was for setting the standards for study 63.

6 DR. RUPPEL: You are correct in that the process
7 we went through when we opened up 65 and saw that 65 was not
8 positive overall, we then looked at the whole body of data
9 that we had and that led us to the prespecification for 63.

10 DR. VAN BELLE: So, I would say study 32 was not
11 confirmatory in that sense.

12 DR. GILMAN: Dr. Grotta?

13 DR. GROTTA: Just to follow this up, as I see it
14 philosophically you have done four experiments, each study
15 being an experiment, each one having a prespecified outcome,
16 and two of those were positive and two of them -- well,
17 actually only one of them was positive, the last one. What I
18 would like to see if you are going to do a meta-analysis is
19 a meta-analysis of the four studies as to what their
20 magnitude of effect was on their prespecified primary
21 endpoint. Recognizing that there is noise in there and that
22 not all the patients were dosed at the dose that you are
23 proposing, but if you are going to do a meta-analysis of
24 trials I think that is the way you do it.

25 DR. GILMAN: Does the sponsor want to respond?

1 DR. CORRIGAN: Your point is taken, Dr. Grotta,
2 but the only issue, of course, is that in the first trial
3 the endpoint was vasospasm.

4 DR. GROTTA: If you do that, you may find that
5 your meta-analysis still might be positive.

6 DR. GILMAN: Well, except that prospectively only
7 a single study showed a positive outcome with respect to the
8 prespecified endpoint.

9 DR. GROTTA: But if the others were trending
10 positive and you do a meta-analysis and you have one
11 positive trial and the others are trending that way the
12 meta-analysis may show, in fact, that there is an effect of
13 your drug across all these trials.

14 DR. GILMAN: Would the sponsor want to respond to
15 that?

16 DR. RUPPEL: Could you put up M-5, please?

17 [Slide]

18 This shows the results across all neurogrades for
19 the four studies. However, I must admit that it does not
20 include the 6 mg females from 32 or 29 or the lower dose
21 treatment arms either. It is only the 6 mg males from 32 and
22 29, and then all of the females from 63 and 65. As you can
23 see, there is clearly not a significant effect across
24 studies.

25 DR. GILMAN: There is clearly not a significant

1 effect across all studies -- I would emphasize.

2 DR. CORRIGAN: For all neurogrades.

3 DR. GILMAN: Right, for all neurogrades, in
4 mortality for all neurogrades.

5 DR. CORRIGAN: Which wasn't the primary endpoint.
6 So it really doesn't speak exactly to Dr. Grotta's point.

7 DR. RUPPEL: I apologize, I have been informed
8 that that did include the females as well from 29 and 32.

9 DR. GILMAN: That did include the women?

10 DR. CORRIGAN: At a possibly ineffective dose.

11 DR. GILMAN: Dr. Cui?

12 DR. CUI: I just want to point out that the p
13 value for study 33 in the sponsor's slides is 0.010 --

14 DR. GILMAN: Study 33? You mean 32?

15 DR. CUI: Yes, 32. Sorry. The protocol
16 prespecified to do the adjustment for the multiple doses,
17 which is three doses. So, if you adjust that the p value is
18 0.03. Then you have to account for the post hoc nature of
19 the whole thing. So that nominal p value is somehow
20 misleading. You do not mention anything about how to reach
21 that p value.

22 DR. GILMAN: In the end then, what sort of p value
23 would we look at there?

24 DR. CUI: The first thing I want to say is that
25 the primary endpoint, vasospasm, was tested at the 0.05

1 level. So, if you test at the 0.05 level generally the
2 overall efficacy conclusion will have a type-1 error rate
3 larger than 0.05. As Dr. Oliva already said, the question is
4 how much inflation of the type-1 error we are going to take.

5 There are 14 prespecified primary and secondary
6 endpoints and -- there are 14 possible endpoints. The number
7 of the combinations for the neurograde is around 31. I don't
8 want to do very conservative adjustment, just very limited
9 adjustment. So, if you adjust for 3 doses, if you adjust for
10 2 genders, if you adjust just for neurograde II, low
11 neurograde and high neurograde, then the p value should be
12 times 8. So just at that nominal p value, if it is times 8
13 it already exceeds 0.05.

14 DR. GILMAN: So that p value should be 0.08? Is
15 that what you said?

16 DR. TEMPLE: Well, I want to argue that. That is
17 for both genders so you don't have to adjust for that. But
18 if you do take 3 groups and 2 endpoints, which is not very
19 aggressive one, you come out around 0.05 or something like
20 that. So, it means it is, at best, a marginal finding if you
21 just make the absolutely minimal corrections that you have
22 to make for 2 endpoints, and there are many more, and 2
23 subgroups. But I wouldn't correct for 2 genders because that
24 includes both.

25 DR. GILMAN: Dr. Van Belle?

1 DR. VAN BELLE: I think there is really one big
2 issue here. The FDA really wants to guard against type-1
3 errors, namely, to approve a drug that doesn't work. And, we
4 got into this p value game by these kinds of considerations.
5 It seems to me that clearly some kind of adjustment has to
6 be made, whether you multiply by 3 or 8 is open for
7 discussion.

8 I think in most statistical texts the issue is
9 what is the family of comparisons across which you make
10 these comparisons, and that is not completely clear.
11 Clearly, the sponsor would like to minimize the membership
12 in the family; FDA may want to maximize the membership in
13 the family. I think we could agree that the family consists
14 of more than one comparison, whether it is 8 or 30 is not
15 clear. So, I would certainly agree that some kind of
16 adjustment has to be made, particularly since this was not
17 the primary prespecified endpoint, and I would be very
18 concerned about that. But, I would be very reluctant to sort
19 of assign a multiplier of 8, or 5, or 3 to this kind of
20 exercise.

21 DR. GILMAN: Then where would you leave us? How
22 would we interpret that result then?

23 DR. VAN BELLE: Well, I would certainly agree that
24 the p value of 0.1 is nominal in the terminology that has
25 been used before. I would have no problem moving it up to

1 0.05 even. Whether you make it 0.2 or not, I think that
2 that would create more of a discussion in my mind at least.

3 DR. GILMAN: So, to stop playing the p games, then
4 would you say that this becomes a marginal finding? Would
5 you use that term?

6 DR. VAN BELLE: If marginal means greater than
7 0.05, I would agree to that.

8 DR. GILMAN: Marginal means on the margin, neither
9 clearly positive nor clearly negative as a study.

10 DR. VAN BELLE: Well, if I were a patient I
11 wouldn't be very interested in that particular treatment.

12 DR. GILMAN: Dr. Temple?

13 DR. TEMPLE: I think that is what, at the time I
14 signed the letter, I thought this study represented. We also
15 noted the rather striking result in the male subgroup and,
16 therefore, thought that confirmation of this finding would
17 be good enough. So, we certainly didn't think it stood
18 alone. That is why we didn't approve it. Whereas sometimes a
19 mortality finding can be the basis for approval we certainly
20 didn't think that because it was kind of odd, and marginal
21 once you make minimal corrections. So.

22 DR. GILMAN: All right. Dr. Corrigan, back to you.

23 DR. CORRIGAN: Okay. I would point out that
24 mortality -- as you point to the p value game, there is
25 still a 2:1 difference sometimes, and with these numbers it

1 is better maybe to count up the individuals.

2 DR. GILMAN: Would you explain what you just said?

3 DR. CORRIGAN: Well, if you have, for instance, a
4 finding, as Dr. Temple pointed out, of no one who dies in
5 the placebo group and you can do enough statistics so that
6 that begins to lose significance, I mean it bears the
7 replication that the FDA suggested, but there was I think,
8 in 32, 19 versus 0 deaths. That is all.

9 DR. GILMAN: Dr. Penn?

10 DR. PENN: Might I suggest that a patient might
11 make a different choice than the FDA would in terms of p
12 values. If I were a patient with subarachnoid hemorrhage and
13 there was one chance in twenty that a study had shown a
14 positive drug for this I might want to take it. So, I mean
15 we are using different criteria, and I think we ought to
16 know that.

17 DR. BROOKE: Can I comment?

18 DR. GILMAN: Yes.

19 DR. BROOKE: Dr. Penn, you are right and that is
20 the sort of emotional decision versus the scientific
21 decision, and I do agree with you. I have often said myself
22 that if I had some dreadful disease I would take everything
23 I could lay my hands on whether I knew it worked or not. But
24 I am not sure that that is a good basis for making the
25 decisions that we have to make today. And, we are wrestling

1 with the four studies. I thought the addition of another
2 yellow and red bar is rather like the car advertisement
3 where you have 16 different additional features and not just
4 10 and that makes it more saleable. And, I get suspicious
5 when I see a bar that appears on a histogram that doesn't
6 have any clear reason to have been there.

7 We are wrestling with the four studies. Now you
8 have thrown the committee into disarray by introducing a
9 fifth -- oh dear, I am using my words carefully; don't take
10 offense -- a pseudo meta-analysis which can only weaken the
11 evidence of the previous four. You know, it is the old
12 argument that four reasons for an effect are much weaker
13 than one reason for an effect. So, I would just caution you,
14 if you throw that up you are going to get a lot of
15 discussion.

16 DR. CORRIGAN: Your point is well taken and it is
17 certainly not my intent to throw the committee into
18 disarray. Perhaps at this point I could invite Dr. Marshall
19 to continue the discussions.

20 DR. GILMAN: Dr. Marshall, are we in disarray? I
21 thought we were doing pretty well.

22 **Risk Benefit Assessment:**

23 **SAH Response to Specific FDA Comments**

24 DR. MARSHALL: Thank you, Mr. Chairman.

25 [Slide]

1 I must say at the outset I am impressed with the
2 sophistication and the quality of the FDA analysis,
3 particularly in the areas of safety, and I hope that I can
4 perhaps appropriately respond.

5 Being prudent, I think we will pass the slides
6 about integrated analyses because I feel the floor shifting
7 under my feet --

8 [Laughter]

9 [Slide]

10 These were the issues raised in the FDA evaluation
11 and both Dr. Oliva and Dr. Racoosin pretty much stuck to
12 their outlined slides and, as I said, I thought they did a
13 very good job in making their presentation. As I also
14 pointed out, a prudent man doesn't go back to where he
15 should or, as they often say, only a fool is his own lawyer.
16 So, I am going to try to skip through that and skin the
17 integrated analysis discussion because I think we are not
18 going to make any new ground there, and move on to the issue
19 of efficacy.

20 [Slide]

21 As you have seen with regard to the data, and we
22 have had discussion about the p values in 32 and the
23 retrospective nature, and I don't want to spend any time on
24 that but, rather, move on to some of the issues of
25 prespecified and unspecified risk factors that were raised

1 in the FDA analysis, and also to point out how the
2 neurosurgical community sees it.

3 I also feel obligated to respond to the discussion
4 of PMR2 and, having been mentored by Prof. Genet, I see his
5 boat turning over in the loch in Scotland following that
6 discussion this morning because, quite frankly, it begs
7 credulity to argue that a patient who is flaccid on one side
8 and decorticate on the other is considered better using your
9 analytical methodology than a patient who is bilaterally
10 decerebrate. It is not true; it isn't held up by any other
11 analysis. And, I think it shows really sort of cocked up
12 kind of mechanism of looking at severity because if you look
13 at all the experience in the Glasgow Coma Scale the worse
14 unilateral side is a much better predictor. So a patient,
15 for example, who is decerebrate on one side and purposeful
16 on the other does worse than a patient who is decorticate
17 bilaterally who would be thought to be worse.

18 So, I think the PMR2 issue really is a creation
19 that, in my view, has no validity and, having dealt with the
20 GCS and the problems with the imputed score which have come
21 up here, I would caution you in using that to assume that
22 you have identified a relatively good grade or flaccid on
23 one side and decorticate on another who would not meet your
24 requirement for PMR2.

25 [Slide]

1 Having said that, I would like to move on to a
2 number of the other factors. The first is the fact that
3 intraventricular blood on CT scan is increasingly recognized
4 in the neurosurgical and critical care community as a major
5 risk factor for an adverse outcome in patients with
6 subarachnoid hemorrhage, even more important than that
7 traditionally -- excuse me, let me go back a minute -- the
8 one of clot fitness which, over time, seems to have become
9 less important, perhaps in part because part of the clot is
10 evacuated and nobody knows exactly the reason.

11 The second area is the site of the ruptured
12 aneurysm, which is shown here. I would also point out that
13 generally in all the subarachnoid hemorrhage studies that
14 have been done age is viewed as a continuous variable, and
15 the cut point of 65 most of us think is no longer
16 appropriate. The cut point should be 70-75. And, if you
17 reanalyze the data you see nothing with regard to that.

18 [Slide]

19 Moving on to the next slide, aneurysm location is
20 a very important factor in predicting the outcome in
21 patients with aneurism or subarachnoid hemorrhage. For the
22 lower neurograde patients, for which the sponsor is
23 applying, one sees a consistent, unfortunate pattern from
24 the sponsor's perspective, of a bias very heavily for
25 unfavorable location of the aneurysm --

1 [Slide]

2 -- shown here, varying in the smaller studies from
3 0-20 percent, and in the most recent studies a doubling in
4 here, about a 60 percent excess, which carries with it the
5 implications in this group about doubling of mortality in
6 this specific subset. So, the factors that are really
7 important here broke against the drug and, in spite of that,
8 certainly in 63 as we have shown, there is a positive
9 effect.

10 With regard to intraventricular hemorrhage,
11 although not so strong, again a much larger population of
12 patients had it, and in 63, 65 and 29 again the frequency of
13 intraventricular hemorrhage was much greater in the
14 tirilazad-treated group, which serves to emphasize I think
15 the strength of the observation that this appears to be an
16 efficacious agent in patients with poor grade aneurysm or
17 subarachnoid hemorrhage.

18 [Slide]

19 While we have heard a lot, and appropriately so,
20 about retrospective analyses, I think that it is appropriate
21 to comment a little bit about time to dosing and time before
22 and after surgery because the trend from the time that these
23 studies were conceived, in the late 1980s until now, has
24 been to earlier and earlier surgical intervention. The idea
25 is that one can prevent a re-rupture of the aneurysm and

1 institute what is thought to be acceptable treatment that
2 may influence favorably delayed ischemic neurologic deficit
3 or vasospasm. Also as a surgeon, although I am reluctant to
4 say it, the notion might be that you could protect the
5 patient from us during surgical intervention with a drug
6 that might protect against retraction and so on.

7 So, while again I recognize that this was a
8 retrospective look, to me it is an appropriate look as a
9 clinical for an area where there might be a substantial
10 effect. Indeed, with that caveat, one notes that in the 65
11 study, which overall clearly did not demonstrate
12 significance, one sees a rather substantial effect on
13 mortality in those patients who were dosed within 24 hours,
14 and the data looks very similar obviously if you look at an
15 odds ratio but, more importantly, if you look at surgical
16 intervention, that is, the time that the drug was given
17 before surgery versus after surgery.

18 [Slide]

19 Now, in terms of looking at the data, Dr. Brooke
20 raised a very important issue, which was the whole issue of
21 death not being necessarily worse than catastrophic
22 disability. That is something I have worried about since I
23 began my work in catastrophic diseases of the nervous system
24 for many years. What we have here, on the left-hand side of
25 the slide, is the actual vehicle distribution in the 3

1 months GOS -- taking the caveat of Dr. Grotta also which I
2 would like to speak to as to when you look at outcome. In
3 the middle slide we have a vehicle group modeled with a
4 predicted 30 percent upward movement of the population. On
5 the right-hand side we have the actual distribution of
6 tirilazad.

7 What you see is the fact that you have a reduction
8 in mortality, as has been shown by Dr. Corrigan and myself;
9 an increase in good outcomes; a slight bump up in severe
10 disability which raises the issue that Dr. Brooke spoke to,
11 and I would point out that there are now a number of studies
12 in aneurysm and subarachnoid hemorrhage, as well as in head
13 injury, which demonstrate a substantial movement upward of
14 these patients -- yes, Dr. Katz?

15 DR. KATZ: Is this, and I hesitate to use the
16 word, integrated data?

17 DR. MARSHALL: Yes. This is all of the bad
18 neurograde patients together.

19 DR. GILMAN: Excuse me, these are data we have not
20 previously seen so could you explain how you integrated
21 them? Was it done in the same way as we have heard
22 previously?

23 DR. RUPPEL: No, these were combined to see how
24 they fit the model. The 30 percent was the maximum
25 likelihood estimate from a model and we projected the

1 vehicle predicted model and then just looked to see how well
2 the observed tirilazad GOS response matched the predicted
3 model.

4 DR. TEMPLE: But just pooling all the patients,
5 each one counting one?

6 DR. RUPPEL: Exactly.

7 DR. TEMPLE: So they just threw all the patients
8 together as if they were in a single study.

9 DR. GILMAN: Well, then I have to say that this
10 has the same objections that we referred to earlier in
11 throwing together data from people treated with different
12 doses, different genders, and various different studies, and
13 prospective versus retrospective analyses, etc. These, by
14 the way, are all retrospective, I assume. Right?

15 DR. MARSHALL: Well, taking those caveats, Dr.
16 Gilman, I think the point that I wanted to make from the
17 slide with regard to severe disability is the fact that if
18 you look at two years they move disproportionately, 2.5-1,
19 to better grades rather than stay the same or down. In other
20 words, 56 percent of these patients will be located in the
21 good or moderate distribution at 2 years in this population.
22 This has been seen and replicated in a number of studies.

23 DR. BROOKE: Can I get clarification on that?

24 DR. GILMAN: Yes.

25 DR. BROOKE: A 30 percent shift upwards, how is it

1 calculated? I mean, if you shift from a neurograde IV to
2 III, is that 15 percent?

3 DR. MARSHALL: No, no, it is the total N, the N of
4 the population, not weighting each grade up. And, this is in
5 keeping with our experience in the large head injury trials
6 as well.

7 I would made one other point since I saw Dr. Leber
8 here this morning and we had a discussion in June. One of
9 the problems with the severe disability category is that it
10 is profoundly broad. It goes from patients who are
11 institutionalized, non-ambulatory, who can't feed themselves
12 to people who can live alone but they can't get from
13 Gaithersburg to the Capitol for example. I think there is a
14 problem there, and if a new, more extended GOS is used it
15 may make it easier in these studies to look at that over
16 time.

17 The point to make simply was that severe
18 disability, and I said I appreciate Dr. Brooke's comment, is
19 a category with a large potential for improvement over time.
20 In fact, that is what we have seen in these patients over
21 time.

22 DR. GILMAN: Dr. Marshall, could I stop you for a
23 moment?

24 DR. MARSHALL: Sure.

25 DR. GILMAN: You said, "and this has been

1 replicated in many other studies." Could you discuss which
2 studies you are referring to?

3 DR. MARSHALL: Right, there is a Danish study; t
4 there is a Scandinavian study, both published, looking at
5 3-month, 6-month and 2-year outcomes of aneurysms and
6 subarachnoid hemorrhages and what you see in patients who
7 fall using the GOS, which we all recognize was developed
8 primarily for head injury as an outcome measure, is that
9 those patients, indeed, move up rather than die, become
10 vegetative, that is deteriorate, or remain within the
11 severely disabled category.

12 DR. GILMAN: These are patients treated with
13 tirilazad?

14 DR. MARSHALL: No, I am just talking about in
15 general the issue is what happens to patients in the severe
16 disability category, and I think there is some data -- Dr.
17 Wilke is here, from pharmacoeconomics, if you wish to
18 discuss this issue in more detail later we could perhaps
19 talk about that.

20 [Slide]

21 Another very important issue, appropriately raised
22 I thought and very well done by the FDA, was the issue of
23 identifying the target population. I would like to make a
24 couple of preliminary comments that were not dealt with on
25 the slide because the implication I got from listening to

1 the talk, and perhaps it was in error, was the notion that
2 somehow intubated patients are likely to be conversational
3 with the physician caring for them, and if you calculate the
4 percentages of patients who were intubated in the two
5 groups, in terms of looking at comparability, they were 55
6 percent in the placebo and 50 percent in the tirilazad
7 group. Intubation in patients with this disease is an
8 indication of a significant subarachnoid hemorrhage and a
9 patient who is in real jeopardy of death or severe
10 disability. The notion that you couldn't get a score in 41
11 percent of the patients is included in large measure, and
12 there is a large experience with imputed verbal and eye
13 opening scores in these patients. I mean, literally more
14 than 100,000 patients have been studied. In structural
15 diseases of the nervous system you can calculate, with about
16 a 98 percent accuracy, based on the motor score and knowing
17 whether there is eye opening or not what the patient's
18 verbal score would have been.

19 DR. KATZ: If you actually look -- and Dr. Oliva
20 talked about this a little bit, the patients who had an mGCS
21 of 7, not including a verbal score, who were intubated --
22 well, actually if you don't look at the ones who were
23 intubated, if you look at the ones who actually had a verbal
24 score but had a 7 just adding the 2 components, eye opening
25 and motor, on average those patients had a verbal score of

1 2.2 or something like that. So, on average those patients
2 were a neurograde III.

3 DR. MARSHALL: I think that one of the problems is
4 that with regard to neurograde one of the difficulties is
5 the march of time, as Dr. Corrigan referred to, and the
6 difficulty with the neurograde III patient is that they
7 really fall into two groups, those who have focal deficits,
8 usually because of the site of the hemorrhage, and those
9 with depressed levels of consciousness which the Japanese,
10 in fact, routinely divide into IIIBs, and they perform
11 exactly like the IVs, and if you go back through this data,
12 which I hope to show you, that is exactly what you see.

13 I mean, I think you have raised a very good point
14 with regard to looking through the data as it was presented
15 by the sponsor. I had asked them to do a number of analyses,
16 which I would like to show you, to make the point that I
17 think you can identify an appropriate target population at
18 high risk given the concerns that you have raised about a
19 potential signal in the patients in better grade.

20 [Slide]

21 This is one way of looking at the data, and these
22 are patients with a motor score of 5 or less, that is,
23 people who do not obey command and have, by definition in
24 the CRF, a depressed level of consciousness. Just in looking
25 at where you wind up with the total N provided by the

1 sponsor in the IVs and Vs, what you see is that you wind up
2 with 92 percent of the patients. So, simply by saying
3 patient no longer obeys commands, purposeful or worse, and
4 has a depressed level of consciousness in terms of eye
5 opening you can pick up what I believe is an appropriate
6 target population of patients who are at high risk of death
7 or severe disability.

8 DR. GILMAN: Now, what are you showing us here?
9 What is the integrated score from?

10 DR. MARSHALL: It is not an integrated score --
11 oh, that is just the total of these populations. In other
12 words, in 32, 97 percent of the patients would have fallen;
13 in 29, 87 percent; in 65, 93 percent; and in 63
14 approximately 90 percent of the patients would have met the
15 criteria of depressed level of consciousness, not obeying
16 commands. In total, 92 percent of the patients in the study
17 would meet that definition of a bad patient in terms of a
18 subarachnoid hemorrhage.

19 DR. GILMAN: I don't think that that was
20 compatible with the analysis of those cases, unless I am
21 mistaken. The IV by V subgroup represented only 19 percent.

22 DR. MARSHALL: That wasn't the point. The point
23 was if you take 100 percent of the IVs and Vs and simply
24 used the definition of a Glasgow Coma Scale of 5 or less and
25 depressed level of consciousness you still wind up with 92

1 percent of the cohort. That is the point.

2 DR. OLIVA: Are you changing the definition --

3 DR. MARSHALL: I am just trying to validate -- the
4 FDA raised in your report the issue as to whether or not one
5 could easily or appropriately identify the patients at risk,
6 the population at risk --

7 DR. OLIVA: No, no, no, that wasn't what I said.
8 What I said was can we easily identify the IV/V grades.

9 DR. MARSHALL: Right, and I am showing you a way
10 to identify them that eliminates the confusion with regard
11 to the so-called neurograde or any other indicators, and to
12 go back to what is easily done in every critical care unit
13 worldwide, in millions of patients daily, which is to take
14 the motor score and the depressed level of consciousness,
15 which is very simple to do and can be extracted from the CRF
16 in this study without difficulty. That is my point. We can
17 argue about it but that was the point.

18 DR. TEMPLE: I think the contention is that if you
19 eliminate the need for doing the verbal score which is
20 confused by the fact that some people are intubated, what
21 you are saying is you can get most of the relevant patients
22 without using that.

23 DR. MARSHALL: You said it better than I did.
24 Right. Correct, exactly. And, they can be identified within
25 the IVs and Vs --

1 DR. TEMPLE: Pretty well, yes.

2 [Slide]

3 DR. MARSHALL: And, if you go to the more severe,
4 that is patients who are withdrawing to pain, all of whom,
5 we know, are not speaking and do not have eye opening, then
6 you wind up with this and, again, if you analyze the data,
7 and I recognize that this is retrospective, you see the same
8 trends in relative efficacy doing it this way.

9 So, I think one part of the discussion to focus
10 on, if the issue of labeling were to come up, is that a
11 better mechanism potentially to identify these patients is
12 to use the GCS as it was intended and in a patient with a
13 depressed level of consciousness because, to me, that is the
14 appropriate cohort that is easily recognizable.

15 [Slide]

16 Now, in looking at the classification schemes and
17 their effects, what one has here, again combining the data
18 in response to an anticipated question from Dr. Gilman --
19 this is the modified GCS, the World Federation Neurograde,
20 and the Glasgow Coma Scale best motor score.

21 [Slide]

22 The next issue was the whole question of
23 complementary increased risk in the neurogrades I through
24 III.

25 [Slide]

1 If we look at the data that was presented by Dr.
2 Racoosin with regard to mortality at 3 months, what you see
3 is what you showed, a difference of about 1 percent here and
4 approximately 2.5 percent there. Then, combining the data,
5 perhaps with some objections, one sees no substantial
6 difference. But the concern was clearly appropriate to
7 raise.

8 [Slide]

9 These are the odds ratios for these. Let's forget
10 about the integrated to save me from being attacked again
11 about that issue, but to say that one really doesn't see
12 much.

13 Then, I asked the sponsor to carry out a number of
14 other analyses to look at --

15 DR. GILMAN: Excuse me, could you go back to the
16 previous slide?

17 [Slide]

18 DR. MARSHALL: Sure, you want the odds ratios, Dr.
19 Gilman?

20 DR. GILMAN: Yes. What are you showing us here?

21 DR. MARSHALL: This just shows the odds ratios in
22 the four studies of the neurograde patients I through III.

23 DR. GILMAN: I see.

24 DR. MARSHALL: Where the issue of harm has been
25 raised --

1 DR. GILMAN: I see.

2 DR. MARSHALL: -- by the agency.

3 DR. GILMAN: All right.

4 DR. OLIVA: I just have a comment. The Y axis is a
5 logarithmic scale, is it not?

6 DR. MARSHALL: Yes.

7 DR. OLIVA: So, really that shrinks the
8 differences in odds ratios across different studies because
9 they are just slightly above 1.

10 DR. MARSHALL: Well, except 32 obviously.

11 DR. OLIVA: I am just saying if you change the
12 scale --

13 DR. MARSHALL: Well, 32 doesn't go above the line
14 no matter what you do. Right?

15 DR. OLIVA: Right. My point is if you change the
16 scale of the Y axis you would see the difference much
17 better.

18 DR. KATZ: Exactly. I mean, what appears to be a
19 lack of any sort of a signal -- we can argue about whether
20 or not there is a signal but the apparent lack of a signal
21 here is entirely related to the mode of presentation. If you
22 just look at the numbers, as we have done, they are what
23 they are. We can argue about whether or not they are
24 meaningful but at least they are plain.

25 DR. MARSHALL: Well, let me show you another way

1 that I asked the sponsor to look at the data in all of these
2 four studies with regard to the issue of signal, and that is
3 to use a hard endpoint which is the patient obeying
4 commands, all of whom should pretty much, by definition,
5 fall in the III, II, I category, in an attempt to see if
6 there was a signal there. What you see -- let's ignore these
7 two and let's look at 65 and 63 where this was raised by the
8 agency, and what you see is in the vehicle group 11.5, 10.6,
9 so about a 1-point difference but flipped around now from
10 the I through III as they were defined. Then, looking at 63,
11 about a 1 percent difference in the other direction.

12 So, I think using obeys commands as a hard measure
13 of patient function, one sees something that looks a little
14 bit different but, again, it is hard to see, from my
15 perspective, that this is a signal.

16 DR. GILMAN: So, what is your conclusion from
17 that? You are not seeing any change.

18 DR. MARSHALL: Right, but the issue is that the
19 agency has raised the concern, Dr. Gilman, that there is a
20 signal of increased mortality in the group I through III. In
21 looking at them on the basis of a hard measure as the
22 patient is inputted into the study -- obeys commands, motor
23 score 6, we see no harm. I think that suggests that the
24 signal, if there, is very weak and not detectable using an
25 alternative mechanism to look at relative goodness of the

1 patients in that group.

2 DR. GILMAN: Let me ask the agency whose data did
3 you use for classification into grade? Did you use the
4 sponsor's data or did you have your own data?

5 DR. OLIVA: No, we used the sponsor's data.

6 DR. GILMAN: They used the sponsor's data. So, are
7 you saying that the sponsor's data were flawed?

8 DR. MARSHALL: No, no, I was just saying that if
9 you look at the Is through IIIs, and perhaps we can go back
10 to that slide --

11 [Slide]

12 In Dr. Racoosin's presentation, she pointed out
13 appropriately -- I mean, she showed it for varying time
14 efforts but at the 3-month date what the agency raised
15 concern about was the 7.8 and 10.5 difference, although
16 clearly it is not significant, and this difference in 65 of
17 about 1 percent.

18 I then said, from my perspective, could we look at
19 a hard endpoint -- obeys commands. There is no scale
20 involved; it is very straightforward. We know it is a very
21 good predictor of relative outcome. What you see when you
22 look at motor score 6 --

23 [Slide]

24 -- and this slide combines patients who are not
25 obeying commands, perhaps those hanging on the edge of III

1 and IV, and what you see again -- forgetting 32 and 29 -- is
2 that in 65 you see a very small difference, and here you see
3 the same difference you saw when you include those patients
4 who might be sort of a beginning bad grade III -- a little
5 bit larger difference but clearly not significant.

6 So, the point was that I don't believe, and I
7 think the sponsor doesn't believe as well obviously, that
8 there is a signal in the I through IIIs of harm. That was
9 the point.

10 DR. GILMAN: Dr. Brooke?

11 DR. BROOKE: I don't mean to interrupt you in
12 midstream. I wanted to congratulate you. It is really nice
13 to hear somebody who is obviously involved in clinical
14 medicine talk. You are pulling out the groups, of course,
15 that all of would have been fascinated by rather than the
16 ones that are rather artificially pulled out.

17 Unfortunately, it is all retrospective, as you
18 have commented, and there are two reasons for a
19 retrospective analysis. One is that you can't believe that
20 you have spent ten percent of your life on a study which is
21 negative --

22 [Laughter]

23 And, the other one is in planning for the next
24 study so that you can really answer the question which
25 should have been answered in the first place. I wonder which

1 of the attitudes you prefer to adopt for this particular --

2 [Laughter]

3 DR. MARSHALL: Well, this is the reason why I
4 became a CEO of managed care for a while in San Diego -- I
5 am just kidding! My point only was that in reading through
6 this and having been involved as an investigator in the
7 American subarachnoid hemorrhage studies, and as the
8 principal investigator of 36 which we have not yet come to,
9 one of the head injury studies, one is always concerned
10 about the whole notion of imputed scores, weighted scores,
11 and so on. Dr. Wayne Alves is here, from the University of
12 Virginia, and we wrestled with a lot of this in the 17 and
13 36 study, and we carried out a validation study to look at
14 the issue of imputed scores with regard to the verbal and
15 eye opening score. I think, from my perspective as a
16 clinician, I would like hard numbers that I can have
17 absolute confidence in, and the GCS has stood the test of
18 time and has been validated now in a number of diseases. It
19 falls down in stroke because in stroke, obviously, you are
20 worried in most instances about focal deficit and,
21 therefore, using the worst GCS initially when the concern
22 was preventing cerebral vasospasm a focal deficit was
23 appropriate.

24 What should have happened, in retrospect, if you
25 look at the CRF, is that they should have switched it when

1 the endpoint became mortality and they did not, and I think
2 that leads to a certain amount of noise which the agency
3 appropriately in their analysis pointed out. I think that
4 criticism was correct.

5 I then said let us look at a number of other
6 variables to look at relative wellness or illness, percent
7 intubated, to see if there is a difference. Is it true that
8 the agency has identified an imbalance that says the placebo
9 group is worse? That does not appear to be true. It is off
10 by less than a percentage in literally thousands of
11 patients.

12 When I saw the PMR2 calculation, I then went back
13 and looked at it and said, now, is it logical based on what
14 we know about patients behave that someone who might be
15 flaccid on one side and is decorticate on the other falls
16 out of a bad classification? And, the conclusion was of
17 course not. It doesn't make sense and when, in fact, you go
18 back and recalculate the data it blows the whole PMR2 thing
19 away. I mean, it is not a valid construct. It was not
20 prespecified by anybody. It was a mechanism used to look for
21 potential harm or effect of the agent. I think somebody said
22 it is counter-intuitive and it is just not right because we
23 know that in that setting the worst side predicts the
24 outcome even if the best side is substantially better. A
25 decorticate patient, bilaterally decorticate, does less well

1 than a flaccid patient on one side who is withdrawn or
2 focused on the other. They do worse.

3 DR. GILMAN: Do you want to respond to that, Dr.
4 Cui?

5 DR. CUI: I just have a quick comment. Certainly I
6 do not have insight or a medical reason for why PMR2 is
7 significant, and Dr. Marshall suggests that there is some
8 literature saying that some other factors are important. But
9 I want to point out that basically before doing a trial we
10 only have a guess; what will happen we don't know. We don't
11 know which is a good predictor -- maybe in the literature
12 but when you do the trial that may turn out to be not so
13 important.

14 I just want to point out that in this trial I used
15 the PMR2. I identified the PMR2 in the control group. The
16 patients with PMR2 had a significantly higher mortality rate
17 as compared to the patients without PMR2.

18 The other thing is about the rupture locations. I
19 did try to check that. Actually, I tried to be fair. I
20 checked all the baseline --

21 DR. MARSHALL: I am sorry, I couldn't hear you.

22 DR. CUI: I tried to be fair to check all the
23 prognostic factors. Something like the baseline blood
24 pressure seems, to me, not significant. Some things the
25 sponsor checked, say, the age, therapy and that kind of

1 thing I regard as outcome instead of baseline measurement.
2 So, I basically don't want to use that. If you want, I can
3 explain.

4 But the rupture location, to me, it is very hard
5 to use because for rupture location there are four or five
6 categories, like middle cerebral and posterior cerebral,
7 that kind of thing. So, if you say that is important the
8 question is -- you have that table showing that it is a bias
9 against the drug in terms of the distribution of rupture
10 location. My question is which category you used to select?

11 DR. MARSHALL: That is very straightforward. I
12 mean, posterior circulation aneurysms are those that appear
13 on the vertebral or basal artery and its tributaries,
14 including posterior cerebral, and that is a well-defined
15 adverse risk factor forgetting about this trial.

16 DR. CUI: I want to know if the mortality rate was
17 associated with the posterior cerebral in the control group.

18 DR. RUPPEL: In the 63 study the patients -- you
19 want the vehicle group? The patients in the vehicle group
20 that had the posterior cerebral rupture location had a 50
21 percent mortality as compared to 41 percent in the others.
22 In the 65 study there was a little larger spread. It was 50
23 percent for the vehicle patients with the posterior, compared
24 to 36 percent in the other locations.

25 DR. CUI: Okay, but for PMR2 the mortality rate is

1 an 8-fold increase.

2 DR. MARSHALL: You know, I have to say that I
3 attempted, having received the report only fairly recently
4 with PMR2, to not only recreate it but it was very hard
5 because it wasn't quite specified how you got the patients.
6 I also tried to validate it on over 100,000 patients, about
7 8,000 with aneurysm or subarachnoid hemorrhage, 56,000 head
8 injuries, and another catastrophic disease of the nervous
9 system and, in fact, I found the data to go in the other
10 direction. That is exactly what was found by Brian Genet and
11 Graham Teasdale in the initial worldwide studies of the
12 Glasgow Coma Scale. That is, to make it very brief,
13 unilateral badness, as you have defined it, ordinarily in a
14 very large cohort does not overcome one side that is worse
15 in your definition. So, I couldn't replicate it. It does not
16 go along with previous experience. I understand you made the
17 observation. It was unspecified obviously and it is
18 interesting, and perhaps it may be useful and unique
19 somehow, but it has not been seen before and is not
20 validated.

21 DR. CUI: That is my concern. If you find
22 something unique, and you know everything is done in a post
23 hoc way and subgroup analysis, there is potentially a lot of
24 bias introduced. That is exactly the problem I have in
25 interpreting this trial. Say, for rupture location -- not

1 this one --

2 DR. MARSHALL: Well, we can put that up. It broke
3 basically against the drug 2:1, and that has an unfavorable
4 bias --

5 DR. CUI: Right. That exactly shows how bad the
6 subgroup analysis is. If you use the subgroup analysis
7 everything is biased, no matter the direction of the bias
8 but it is the bias. A lot of bias is introduced and we don't
9 know what will happen.

10 DR. MARSHALL: Well, I think there is a little bit
11 of a difference when one has risk factors for which it is
12 well known, for example, that they have a adverse effect on
13 outcome and you identify them, you prespecify them, and they
14 are there or they are not. I mean, here the fact is that
15 aneurysm location, unfortunately, if one would like to have
16 truly balanced populations, broke against the drug in every
17 study. It is just chance. But it has a significant potential
18 effect on the overall outcome and in spite of that we saw a
19 beneficial effect of tirilazad.

20 I will return to this issue in response to Dr.
21 Racoosin's remarks about 17 where this was such an egregious
22 imbalance in the frequency of patients with bilaterally
23 unreactive pupils that completely explains your concern
24 about herniation. But this is an example of what can happen.
25 These are clinical trials. We are not studying people under

1 the kinds of conditions would like in a laboratory and, in
2 fact, people are now saying we should have a specific scale
3 for ventricular hemorrhage and subarachnoid hemorrhage, and
4 in fact many patients with posterior circulation aneurysms
5 weren't even operated on until microsurgery, and Dr. Drake,
6 who was the father really of posterior circulation surgery,
7 would never operate in those patients early because of the
8 excessive risk. So it is a different ball game. They are
9 much more difficult technically and they do worse.

10 DR. GILMAN: Let's pause here. There are a number
11 of questions from around the table. Let's stay on this
12 point. Dr. Grotta and then Dr. Katz, then Dr. Temple.

13 DR. GROTTA: Well, the fact of the matter is that
14 we can criticize the people for looking at a post hoc
15 analysis of these studies, and we have to do the same thing
16 or at least the agency does. I mean, really your safety
17 analysis and the risks to the good grade patients is purely
18 based on post hoc analysis of the data.

19 What Dr. Marshall is simply showing is that if you
20 identify the good patients in another way, that is by their
21 motor scores as opposed to their grade I, II or III, that
22 the so-called higher mortality that you think you are seeing
23 in the good patients disappears or is certainly attenuated.
24 So, you know, we have to be consistent here.

25 Personally, I am not bothered at all by this. I

1 mean, I don't really think that the safety issue really is a
2 big one. I think that it is all post hoc generated, and I
3 also feel that the identification of patients clinically is
4 not a big problem. We use the Glasgow Coma Scale and the
5 Hunt and Hess Scales take two seconds to carry out in an
6 emergency room every day. We impute the verbal score all the
7 time.

8 I think that rather than focusing on the real
9 issue which is whether efficacy has been demonstrated, we
10 are getting hung up on whether we can identify the patients
11 or whether there are safety issues which I think are really
12 based on post hoc, unreliable analyses.

13 DR. GILMAN: Maybe Dr. Racoosin wants to respond,
14 but she did comment on how meager the data were concerning
15 adverse events.

16 DR. RACOOSIN: Safety by nature is a post hoc
17 review. Its efficacy -- we know that there are prespecified
18 endpoints and there is a certain level of statistically
19 significant that has been established that needs to be met
20 in order to get a win. In safety we have to review the data
21 in the way we think will best identified safety issues. We
22 can argue about the strength or weakness of the signal, but
23 I just want to clarify that this is the nature of the safety
24 review.

25 DR. GROTTA: Well, that is fine but then you can't

1 criticize Dr. Marshall for using the same post hoc way to
2 identify in another way the same patients you are trying to
3 say are at risk, and he is saying -- at least I think he is
4 saying that if you simply define the good patients another
5 way this safety concern disappears.

6 DR. RACOOSIN: I understand that. My concern is
7 that the sponsor has put forth the group that they are
8 asking for approval in, and those were the groups that we
9 used to review the data, the safety data and the efficacy
10 data. So, I can understand the point of wanting to find
11 other clinical measures of severity of these patients, but
12 the application is asking for approval for an indication in
13 a subgroup that has been established that is different from
14 the groups that Dr. Marshall is describing.

15 DR. GROTTA: You are right, they asked for
16 approval in IV and V, and so it is valid to look at safety
17 issues in group IV and V. But then you were trying to say
18 that there are safety issues in another group, the good
19 patients, and that is not the group that they are looking
20 for approval in. So, he is simply saying that when you
21 define the good patients another way you don't see the
22 safety concerns.

23 DR. RACOOSIN: Could I just respond to that? I
24 understand that that is where they are asking for approval.
25 So, you could also call into question, well, why did I

1 discuss the exposure in head injury patients, and why did I
2 discuss the exposure in the stroke patients. Any patients
3 who have been exposed to the medication are pertinent to the
4 safety review.

5 DR. MARSHALL: I would like to point out though
6 this is the difference we are talking about, forgetting my
7 reconstruction of this using obeys commands, we are talking
8 about a 1 percent difference in 65 in 500 patients and 2
9 percent here. I mean, we are not talking about a
10 significantly large signal suggesting anything.

11 DR. GILMAN: Dr. Katz, then Dr. Temple.

12 DR. KATZ: A few things, first of all, the
13 relative risk is whatever it is, and we can go back and look
14 at what we calculated it to be but we thought it was
15 reasonable to draw attention to it. It seemed relatively
16 big.

17 The other thing, just to emphasize what Dr.
18 Racoosin said, looking at the complementary subgroup in the
19 subarachnoid hemorrhage studies is very relevant because
20 there is a question, given the combined concern about
21 whether you can identify these people but these are people
22 who might get treated, and that is something you need to
23 think about.

24 The other thing is you presented 3-month. Judith
25 also looked at 20-day mortality, the reasons being the ones

1 she described, which are that when you are looking at a
2 drug-related adverse event it is perfectly reasonable to
3 think about events that occur in close temporal association
4 with the treatment. As time goes on other events that cause
5 death begin to accrue, and you might lose what appears to be
6 a signal. The relative risk may go down, which we saw, in
7 time. So, if you look closer, and given the half-life of the
8 drug, 20-day mortality is a reasonable thing to look at. At
9 least in one study where the relative risk goes down in time
10 the risk difference stayed the same so that it is really
11 sort of a power question. The difference is still there, you
12 just don't pick it up significantly and it is all related to
13 what happened early. So, you know, there are different ways
14 to view this. I think there still is a signal there, and
15 some of this obscures that.

16 I have a couple of other questions also. I won't
17 say always but we are often faced with the fact of a sort of
18 retrospective identification of covariates that tend to make
19 the analysis look better, and it is always obvious after the
20 fact that these were the covariates that should have been
21 included in the analysis. If it is so obvious and these are
22 all so well known, they could have been included in the
23 protocol, and have it prospectively stated that the analysis
24 was going to be adjusted according to these covariates --

25 DR. MARSHALL: But they were prespecified and

1 identified for posterior circulation aneurysms and --

2 DR. KATZ: In the primary analysis of the data? I
3 don't think so. You might have even stratified the
4 randomization if you thought that was the case. So, I think
5 this is all coming after the fact.

6 The other question I have is about PMR2 because it
7 was the most powerful predictor of outcome in this data set.
8 You said that you didn't exactly understand how we
9 determined it.

10 DR. MARSHALL: No, I said I was trying to identify
11 the sample size from which it came and I couldn't get the
12 numbers to match up according to the worst motor scores that
13 were reported in the CRFs. The other problem I had with it,
14 quite frankly, is the historical evidence --

15 DR. KATZ: Well, that is what I am asking --

16 DR. MARSHALL: -- at the worse side overcomes a
17 better score bilaterally dramatically. So, if somebody is
18 flaccid on one side and withdraws on the other, which would
19 not fall within your PMR2 calculation, those patients
20 traditionally do much worse. And, you said, no, they don't;
21 they do better than the patients who are bilaterally
22 decerebrate. That just isn't so.

23 DR. KATZ: Well, one of the questions I had was
24 you said you took this definition and validated it against
25 these other data. I am just wondering how you did that.

1 DR. MARSHALL: Not validated. I mean, what you
2 have done is you basically identified an unspecified point,
3 which is perfectly fine, and then you tested and trained on
4 the same data, which you caution us all the time not to do.
5 I then went back and said, okay, maybe this is real. I mean,
6 this is an interesting observation. I tried to identify the
7 cohort of patients from which you then tested the assumption
8 and couldn't get the numbers to match in terms of the total
9 number of patients. I then went back to our own very large
10 database based on clinical trials, some sponsored by the
11 government and some sponsored by the pharmaceutical
12 industry, and looked in those databases in three major
13 diseases and could not confirm this observation. In fact, I
14 found the contrary, which is that the worse side dictates,
15 if you use the worst motor score, the outcome in these
16 patients with a substantial degree of power versus what you
17 found.

18 So, it is an interesting observation. I can't
19 confirm it. I couldn't identify your N properly within the
20 database. So I don't know what to make of it. I mean, I did
21 the best I could to try to get at it. I thought it was
22 interesting. It was important to me personally because it
23 goes against all of our experience in 1970.

24 DR. GILMAN: Dr. Temple next.

25 DR. TEMPLE: Well, I think you are probably

1 demonstrating why after the fact subset analyses are
2 treacherous because this was a very powerful predictor. We
3 see that all the time, the expected covariates don't work
4 out and something clearly unexpected turns out to be the
5 major predictor.

6 DR. MARSHALL: Right.

7 DR. TEMPLE: That is not unusual. I wanted to make
8 one point though, which is that the definition of the risk
9 groups I through III has particular weight here because it
10 is by removing them that you get all the good news.

11 DR. MARSHALL: Absolutely.

12 DR. TEMPLE: So, that all seems very reasonable,
13 but if the complementary set is the group that in some sense
14 has to do badly, or a little badly, if the other group is
15 going to do well --

16 DR. MARSHALL: I think what I am saying here is
17 there is no difference in the well patients and you see a
18 substantial effect, or the sponsor is proposing that there
19 is a substantial effect throughout the trials whether the
20 endpoints were the same, whether pseudo meta-analyses are
21 justified -- that is the point.

22 DR. TEMPLE: I understand. My prediction, however,
23 is if you divide patients according to this method you will
24 find that the complement of that no longer shows the
25 benefit.

1 DR. MARSHALL: Well, I showed you, in fact, that
2 that is not the case and --

3 [Slide]

4 -- if you look at this slide, what you see is what
5 the agency showed in 63, which is that it is about the same
6 and the difference becomes much smaller in 65. I just think
7 it is a very weak signal and I don't think it is real, and
8 that is what I am saying. It is half a percentage point in
9 303 patients in the vehicle group and here it is 2 percent.
10 Nobody would even look at that and say it is a trend if you
11 had a favorable effect of an agent.

12 DR. TEMPLE: Right, it is very hard to say these
13 things are real and that is what Judith said, and you sort
14 of do your best. But, the as defined group that turned out
15 to be the dividing line that lead to wow, we win here and
16 this isn't so good has particular credibility in answering
17 that question --

18 DR. MARSHALL: Sure.

19 DR. TEMPLE: -- because there is always the
20 concern that you can slice data any way you want and
21 eventually, if you keep doing it, you will find a group that
22 looks better --

23 DR. MARSHALL: There is no question and, as I said
24 at the outset, I was impressed with the care and
25 sophistication of the analysis carried out by the agency

1 because I think they raised a number of very valid points. I
2 think there are appropriate and meaningful responses to
3 those points. I mean, you know, we will show you what we
4 found. We felt that since there was concern raised in the
5 report with regard to the whole application of the World
6 Federation Neurograde and confusion as to whether patients
7 were categorized correctly, we have a hard point,
8 universally accepted, as Dr. Grotta said, easy to apply, and
9 we didn't see anything. I mean, that is the best I can tell
10 you.

11 DR. GILMAN: Can I interrupt at this point to
12 summarize and see if we agree about where we are now?

13 Dr. Marshall, if you now recategorize these cases
14 as the more severe grades we find, according to your --

15 DR. MARSHALL: Less severe. These are less severe.
16 These are less severely affected patients. Less severe
17 patients are shown in these two slides, and I am saying we
18 do not see harm.

19 DR. GILMAN: All right, you are saying we do not
20 see harm, and can you now tell us what happens when you look
21 at the worst grade cases, having decanted some of those
22 worst grade cases into the better grade cases --

23 DR. MARSHALL: We showed that.

24 DR. GILMAN: -- with respect to efficacy?

25 DR. MARSHALL: I have already shown you that

1 slide. We can go back to it. We showed the motor score.
2 There is another slide, perhaps I omitted it but basically
3 --

4 [Slide]

5 -- less than or equal to IV, which is the more
6 severe patients, which the same thing. What you see is, in
7 fact, a more robust effect in 63. The mortality difference
8 is even greater, that is, in favor of the drug, and you get
9 a little closure of the trend in 65.

10 So, what I think it does, it shows you it confirms
11 the observation of a very strong trend in the studies -- not
12 getting back into the arguments of the validity of 32 and 29
13 about retrospective identification of that group. This is
14 all neurogrades, predominantly, obviously, heavily loaded by
15 the IVs and Vs because, by definition, these patients are,
16 at best, withdrawing to pain which means they all pretty
17 much fall into the IV and V category and the effect is still
18 robust and still maintained. So, this is an even-handed
19 application throughout the population.

20 DR. GILMAN: How many cases have you moved?

21 DR. MARSHALL: Eight percent of the patients would
22 have been in IV and V and they dropped out. So it would be 8
23 percent. The distribution of those patients is the same. So
24 there was no real change.

25 DR. GILMAN: So, again, this is a retrospective

1 look at efficacy and these are data we have not had a chance
2 to examine in detail.

3 DR. MARSHALL: Well, Dr. Gilman, in all fairness,
4 I didn't suggest this be done to demonstrate efficacy. It
5 was simply really designed primarily to address the issue
6 which the agency appropriately raised about classification
7 of patients, and I wanted to show that you could very
8 easily, as Dr. Grotta said -- he said it in two seconds. He
9 is quicker than I am; it takes a bit longer -- to get the
10 patients classified appropriately and it holds up very well
11 using a very hard, easily determined validated measure.

12 DR. CUI: May I ask a question? How do you combine
13 the studies?

14 DR. MARSHALL: I am not sure because I didn't
15 create the slide.

16 DR. GILMAN: Use the microphone, please. Identify
17 yourself and give us your answer.

18 DR. CORRIGAN: Yes, I am Dr. Corrigan again. I am
19 just going back to your point and the committee's. It would
20 probably be more useful here to look at the individual
21 studies.

22 DR. MARSHALL: But the point is also this was not
23 added together properly, as somebody pointed out. It adds up
24 to 169, not 270.

25 DR. CUI: May I make some comments on this slide?

1 Actually, one thing I want to say is that this finding
2 doesn't contradict our finding based on PMR2. This finding
3 still tells you that the patient's situation is really bad;
4 then you will see the larger treatment effect. This is one
5 thing.

6 DR. MARSHALL: That has nothing to do with PMR2
7 because --

8 DR. CUI: Right.

9 DR. MARSHALL: -- we excluded the top two
10 categories that make up more than half the patients.

11 DR. GILMAN: He understands that.

12 DR. MARSHALL: It has nothing to do with it.

13 DR. CUI: But it seems that the patients here have
14 best motor scores less than 4.

15 DR. MARSHALL: Equal to or less than 4.

16 DR. CUI: Equal to or less than 4. So, those are
17 severe patients. Right?

18 DR. MARSHALL: Absolutely.

19 DR. CUI: For the severe patients you will see
20 larger mortality trends. That is the same thing. The second
21 thing is --

22 DR. MARSHALL: It is not larger mortality trends.
23 The issue is also that, although it was done to demonstrate
24 validating the identification of the patient, it still
25 supports the fact 63 is a strongly positive study.

1 DR. CUI: Another thing I want to comment on is
2 the post hoc analysis. I agree we are doing post hoc
3 analyses but the motivation for that is because of the
4 subgroup analysis in nature. There is a substantial
5 difference between our post hoc analyses and the sponsor's
6 analyses in terms of the purpose. Our post hoc analysis is
7 in order to use our results to show there is a significant
8 finding --

9 DR. MARSHALL: But in all fairness, this is a
10 response to your criticisms of the issue of patient
11 identification, and that is the only reason it was
12 presented, and how else could I respond by showing you that
13 I can validate the data, using the scales used within the
14 study, except to show you something that is universally
15 accepted? And, I have shown you that.

16 DR. GILMAN: Dr. Penn?

17 DR. PENN: Larry, you could help us a little bit.
18 If you had designed the study you probably would have
19 stratified for a number of things --

20 DR. MARSHALL: Right.

21 DR. PENN: -- differently than it was stratified
22 for.

23 DR. MARSHALL: Yes.

24 DR. PENN: And, you would have chosen different
25 endpoints undoubtedly with the knowledge you now have. The

1 issue we are going to have is, given what we have got, not
2 being prospective, how are we going to make these types of
3 judgments?

4 The second thing I want to say that I agree
5 entirely with being able to identify the population groups
6 that are at risk. I don't think that is going to be a
7 problem, grading the patients and having two grading scales.
8 It grates us to have two grading scales but even if you
9 didn't follow the, exactly, as you have shown, and you used
10 reasonable clinical judgment about a bad patient and a good
11 patient, they would fall into the right category. So, I
12 think that is different.

13 The problem that we have to focus on, I think, is
14 the one that we don't have prospective, appropriately
15 stratified data to prove the point, and it looks like we are
16 always teasing ourselves with Phase II, almost Phase III
17 studies, and how do we deal with that crucial dilemma from
18 the committee's standpoint?

19 DR. MARSHALL: Can I give an answer, Dr. Gilman?

20 DR. GILMAN: Yes.

21 DR. MARSHALL: Thank you. Well, first, I think the
22 point about patient identification is a real one and you
23 have addressed it, and I think there is some agreement among
24 the clinicians in the room that we can appropriately
25 identify the patients to deal with what is a purported

1 suggestion by the agency, which I don't think is supported,
2 that there is increased risk in the better grade patients.

3 I think that the argument about the integrated
4 nature of the analysis, the sort of "this is apples and
5 oranges" or "apples and airplanes" has some validity but it
6 is not entirely valid because, after all, we do change the
7 doses of drugs all the time. The meta-analyses that have
8 been published repeatedly and have been support in the PDR,
9 allowed by the FDA, have allowed very different dosing, for
10 example, for the prevention of infection from implants where
11 the doses range over wide ranges and even different
12 antibiotics the studies have been put together to show that
13 you can then conclude that implant infection is reduced by
14 the use of antibiotic therapy in a very broad sense. So, I
15 think that it is not entirely fair, in my view, to attack
16 those.

17 I think the issue of retrospective nature, of
18 going back, is obviously of concern. At the same time,
19 looking at it from the sponsor's perspective, in reading the
20 letter -- and, obviously one can interpret it differently --
21 it seemed to me that the point seemed to have been
22 established, and the neurosurgical community had
23 established, as well as with a number of regulatory agency
24 worldwide, that the effect was robust and demonstrated in
25 males, and that the issue was whether or not you could see

1 an appropriate effect -- and I agree absolutely with what
2 Dr. Racoosin said that when you are looking at risk you have
3 to look for very small signals because they are very
4 important and we must not do harm. Study 63 is a very robust
5 study demonstrating efficacy. The trend in 65 was favorable
6 a bit to the drug but did not show significance. If 65 was
7 adverse I would not be standing here because my view would
8 be that there is no evidence that this drug is effective in
9 poor grade subarachnoid hemorrhage patients. But the fact is
10 the trend in 65 was favorable, although not significant. We
11 recognize that that is the case. I recognize that is the
12 case. But the effect in 32 was remarkably robust,
13 recognizing the endpoint was vasospasm. The effect in 63 was
14 also very robust and the endpoint was prespecified. That is
15 the best answer I can give you.

16 DR. GILMAN: Thank you. Dr. Burkhart?

17 DR. BURKHART: Yes, I would like to make two
18 comments, first regarding the way we were approaching
19 safety. I think the way we looked at it is that the findings
20 in the non-subarachnoid hemorrhage studies actually did
21 influence the way we looked at the subarachnoid hemorrhage
22 studies. So, we went into those a little bit concerned. You
23 had some unusual observations coming from the stroke studies
24 and from the head trauma studies.

25 So, within that context I think there was

1 significant concern about what the bump in the relative risk
2 meant in the low neurograde patients. Now, if you had found
3 a cause to explain that increase I think you might have had
4 a much stronger signal than you had and, as you heard from
5 Judith, she was unable to find anything. Perhaps that was
6 due to the quality of the data.

7 DR. MARSHALL: Well, I agree --

8 DR. BURKHART: So, I don't think we were arguing
9 at all that there was a strong signal in the subarachnoid
10 hemorrhage studies. I think, if anything, it would be weak
11 if I were to characterize it. It is really the other
12 studies.

13 The second comment is really to have you back up
14 on the slides, back to the best noted score of 4.

15 DR. MARSHALL: That is quite a way back. They will
16 find it. Go ahead.

17 DR. BURKHART: Well, I remember the numbers.
18 Anyway, you said that about 8 percent of the patients would
19 be moving from IV/V, out of IV/V using the best motor score.
20 If you will notice in 63, there are 154 patients that were
21 in IV and V and I believe that represents 102. So, that is
22 quite a bit more --

23 DR. MARSHALL: No, wait a second. That is not what
24 I said. What I said was this is the best motor score.
25 Remember that that is the best they are. Some of these

1 patients are decorticate and decerebrate on the other side.
2 If you take that into account and if you look at the data
3 what you will see is instead of 8 percent moving 10 percent
4 moved, but the distribution with regard to outcome is the
5 same. And, I asked that question very early because, of
6 course, that is a concern that you suddenly wind up -- you
7 know, the funnel is getting smaller and smaller so,
8 remember, this was only to look at the issue, again,
9 worrying about the issue raised, I think very elegantly by
10 the agency, of classification of patients. Can we identify
11 the patients, particularly since you raised the issue of
12 harm?

13 DR. BURKHART: But wasn't your point that using
14 this approach you had 92 percent of the patients in IV and
15 V? Didn't you show us that?

16 DR. MARSHALL: No, I used the Vs and said "do not
17 obey commands" and have a depressed level of consciousness.
18 But this is just sort of trying to make the argument about
19 the issue of harm.

20 Can I go on, Dr. Gilman?

21 DR. GILMAN: Let's see if there are any other
22 questions for you at this point. No? Yes, please continue.

23 DR. MARSHALL: Yes, if I can find out where I was.

24 [Slide]

25 Two other issues were raised by the agency. One

1 was the so-called deleterious effect of nimodipine. And, I
2 think we need to review, one, what the agency approved
3 nimodipine for. It was approved in subarachnoid hemorrhage
4 patients with good grade and to reduce the incidence and
5 severity of ischemic deficits. So often, unfortunately
6 perhaps, what is said in the approval and what happens in
7 clinical practice don't seem to be coincidental. Many
8 neurosurgeons in North America lack confidence in oral
9 nimodipine. Thirty percent of the patients in grade I in the
10 United States do not receive the drug in grade I because of
11 that lack of confidence in the oral form but the
12 manufacturer saw fit not to apply for approval of the
13 intravenous form which is used throughout the rest of the
14 world. It is the standard of care worldwide in Hunt and Hess
15 IV and V patients. The Petruk study which Dr. Wiers who was
16 here, Chairman of Neurosurgery, University of Chicago, was
17 involved with and probably knows more about nimodipine than
18 anybody in the world, was a study at a dose 50 percent
19 higher than the dose used and approved by the agency. The
20 complication that led to the increased mortality is
21 hypotension, which is well-known to be associated with
22 excessive dosing. There are a number of other studies
23 looking at grade IV and V patients using the more
24 traditional Hunt and Hess which show no evidence of harm
25 and, in fact, suggest benefit.

1 So, I think that the notion that somehow there is
2 masking and unmasking of an effect of tirilazad on
3 nimodipine, to me, seems very unlikely. In other words, the
4 Petruk study, we believe, is an overdose study. The agency
5 did not approve the drug at 90 mg, and there is a
6 meta-analysis, which you may or may not like, was published
7 in 1996 in the Journal of Neurosurgery dealing with the
8 issue of overall outcome with nimodipine. So, in my view, I
9 had a great deal of difficulty accepting the notion that
10 there might be harm in association with nimodipine.

11 The last issue --

12 DR. GILMAN: Dr. Marshall, can we stop you there?

13 DR. MARSHALL: Absolutely.

14 DR. KATZ: I have a couple of questions. The
15 notion that the increased mortality in the sick patients in
16 the Petruk study were related to hypotension -- maybe a word
17 or two about how we actually know that. I mean, there might
18 have been increased incidence, I don't know, but that is one
19 thing.

20 The other thing is I am interested, because we
21 have looked through the literature and maybe we missed it
22 but we didn't find any other studies that really
23 specifically addressed the question of the effects of
24 nimodipine in the bad neurogrades.

25 DR. MARSHALL: Could I call on Dr. Wiers?

1 DR. WIERS: Well, in that study, in fact, the only
2 differences in mortality were in Hunt and Hess grade III
3 group. There were virtually no differences in the IV and V
4 groups. The difference -- there were only 7 patients, an
5 excess mortality of 6 over 1 -- 7 patients who died in the
6 grade III nimodipine group, and 3 of them died from
7 rebleeding, 1 from a radiological disaster and 1 from a
8 surgical disaster. So, there was no common thread
9 conceivable between nimodipine and those deaths. I think
10 most of the neurosurgeons in the world ignore the FDA
11 decision which was based, I think, on a misinterpretation of
12 this paper because there were no differences in the IVs and
13 Vs; it was in the IIIs.

14 DR. MARSHALL: I heard his presentation. He was
15 concerned in the worst grade patients about hypotension in
16 the IVs and Vs although it did not play out in mortality.

17 DR. WIERS: We didn't see a difference in
18 mortality.

19 DR. GILMAN: So, if I understand what has just
20 been said, the FDA's approval for grades I through III is
21 justified -- maybe not for grade III, but for grade IV and V
22 it is not currently approved but it should be. Is that what
23 we were just hearing?

24 DR. MARSHALL: Well, that is the clinical
25 impression of neurosurgeons but that may be the triumph of

1 hope over fact.

2 DR. GILMAN: But then we have the problem of where
3 this is published and what are the data that this conclusion
4 is based upon.

5 DR. MARSHALL: Go ahead, Dr. Wiers.

6 DR. WIERS: Well, this paper was published in the
7 Journal of Neurosurgery in 1998.

8 DR. GILMAN: Plus, do you know whether this was
9 the paper upon which the FDA made its decision?

10 DR. WIERS: Well, this was one of the bases upon
11 which it made its decision.

12 DR. GILMAN: Were there others showing different
13 effects?

14 DR. WIERS: Yes, there was a much bigger British
15 trial of all grades.

16 DR. DORSH: I am another neurosurgical consultant,
17 Nick Dorsh, from Sidney, in Australia. In fact, although the
18 study didn't specifically address bad grade patients with
19 nimodipine in subarachnoid hemorrhage, the British trial
20 that I am sure you are familiar with showed a very
21 significant overall improvement, and they do mention that
22 among the factors individually related to outcome were
23 Glasgow Coma Scale, etc., and adjusting simultaneously for
24 that treatment remained highly significant. That is the only
25 other study which really included any large number of grade

1 IV and V patients.

2 DR. MARSHALL: That is the so-called BRANT study,
3 run by John Picard.

4 DR. DORSH: The other study of May and others,
5 also in England -- Edward May, I mean, not me --

6 [Laughter]

7 -- did show again a strong trend towards overall
8 improvement but it only had very few grade IV and V
9 patients. But I agree that worldwide experience,
10 particularly in our country, where we are able to use the
11 intravenous nimodipine, in which it is much easier to avoid
12 the problem of hypotension, is that we would be very wrong
13 not to use it in grade IV and V patients, Hunt and Hess
14 neurograde or anything.

15 DR. GILMAN: All right. I guess that is the
16 triumph of experience over science.

17 [Laughter]

18 DR. MARSHALL: I would like to move on to the
19 issue of safety, which Dr. Racoosin has addressed in some
20 detail.

21 [Slide]

22 She did present the entry criteria, and so on, and
23 Dr. Grotta made a comment about the study. I think, you
24 know, what happens with the tincture of time if you look at
25 this is that if 81 had gone first, where there was a strong

1 trend in favor of tirilazad, then one would have clearly
2 continued. Given the fact that the studies were extremely
3 similar in terms of dosing, endpoint, etc., if one looks at
4 the combined mortality in these studies at 10 days and 3
5 months I think it is very difficult, from my perspective, to
6 say there is any kind of significant signal.

7 As Dr. Grotta pointed out not only here but
8 previously, having read some of this papers, the whole issue
9 of placebo mortalities in some of these previous drug trials
10 is an issue, and I would point out that in the lubeluzole
11 trial, at least one of them, the mortality in the placebo
12 group was in excess of what one sees here.

13 So, I think the point is that the idea that there
14 is a signal in stroke, from my perspective, is very hard to
15 see and, again, remembering that we had really divergent
16 trends -- 81 very favorable, small sample but almost 2:1 in
17 favor of the drug with regard to mortality, and not quite
18 the converse but an unfavorable signal in 88 against the
19 drug. If you look at them together, which I think is
20 appropriate, you basically see nothing.

21 [Slide]

22 We also looked at the issue about causes of death
23 that has been raised, hypotension, and I am going to come
24 back to that.

25 DR. GILMAN: Sorry, can I stop you there? Would

1 you go back to the previous slide?

2 DR. MARSHALL: Sure.

3 [Slide]

4 DR. GILMAN: What are you telling us? That if you
5 combine the two studies --

6 DR. MARSHALL: Well, they are exactly the same.
7 The mortality in one was exactly the opposite in the other,
8 and if you look at the two together you see no difference in
9 mortality in these two major stroke studies. This study was
10 stopped, 81 was stopped because of the futility of going on
11 because 88 was unfavorable. So, 81, at the time that it was
12 stopped, was quite favorable to tirilazad in terms of
13 outcome.

14 DR. GILMAN: They are very different in total N,
15 are they not?

16 DR. MARSHALL: Yes, but the difference was rather
17 large. In fact, it was disproportionate with regard to the
18 impact. The mortality difference in the vehicle group which
19 was unfavorable in 88 is essentially wiped out completely by
20 a much smaller study, showing that the trend was stronger,
21 in fact, in 81 in favor of tirilazad.

22 DR. GILMAN: Dr. Burkhart?

23 DR. BURKHART: Just to point out real quickly, the
24 difference between vehicle and drug in study 81 all occurred
25 late. You know, if you look early there is not as much

1 difference, I don't think, in 81.

2 DR. MARSHALL: We can look at the data in detail.

3 DR. BURKHART: Well, I think you are raising a
4 philosophical point. When you are worried about safety are
5 you going to focus on 3 months or are you going to focus on
6 early exposure? I mean, you are going to have to decide
7 because you may see different results. In fact, if you look
8 at 88 you will notice that the relative difference between
9 drug and vehicle declines over time and that the absolute
10 difference between the two remains the same.

11 DR. MARSHALL: I think, in all fairness, you are
12 looking at vehicle mortalities that are extremely low. As
13 Dr. Grotta pointed out, many of these run around 20 percent.
14 If you look at the differences in these studies and you look
15 at 3 months, and while I recognize that the argument can be
16 made with regard to when to look, how to look, about time,
17 when you have such a small mortality to make the inference
18 that you are seeing harm from an early signal, to me, I
19 think is troubling because I don't think the signal is
20 amplifiable and, in fact, you see exactly the opposite in
21 the other study, in 81.

22 DR. BURKHART: Well, I guess the way I would look
23 at it -- and I would not have combined the data but looking
24 at them separately as Dr. Racoosin showed them, if you look
25 at study 88 you see quite a bit of relative difference

1 between drug and vehicle within the study that reaches some
2 level of statistical significance. What you do about that is
3 another question.

4 DR. MARSHALL: Why don't we look at 81?

5 DR. BURKHART: I was just going to say you are
6 absolutely right, if you look at 81 it is completely
7 different. Now, does that mean that 88 is not true? I mean,
8 I don't know how to answer the question. I can tell you that
9 if you have one or two positive studies going the wrong way
10 out of seven, eight, nine or ten, that is an unusual feature
11 to see in a drug. We still approve drugs when that happens
12 for efficacy but for safety that is an unusual thing to see
13 in NDAs.

14 DR. MARSHALL: Well, I think we ought to put up 81
15 just in the interest of clarity in terms of the size, as Dr.
16 Gilman pointed out.

17 [Slide]

18 This is 81, day 10, 8 patients, 14 percent, 6, 12
19 and then 3 months, 33 percent, 19 patients, 10 and 19.

20 DR. BURKHART: So, the point I am making is that
21 is a remarkable change in the vehicle over time. Most of the
22 difference that is happening, that you are pointing out
23 about vehicle is occurring after the infusion, whatever that
24 means and that is an unusual feature in that data set.

25 DR. MARSHALL: Well, I think the key is whatever

1 that means. I think the interpretation could certainly be --
2 you know, I am not certain there is any effect in stroke,
3 and this is not the indication being applied for, but I
4 think it is very difficult to say that you can show harm.
5 Are we going to assume there is harm in the placebo group? I
6 think obviously not. In my view, therefore, it is
7 appropriate to combine this --

8 DR. BURKHART: It wasn't my point to say that 81
9 was showing harm.

10 DR. MARSHALL: No --

11 DR. BURKHART: Okay.

12 DR. MARSHALL: -- but what I am suggesting is that
13 given the fact that the studies were quite similar, they are
14 divergent, but what happens is you have a very low
15 mortality, as Dr. Grotta appropriately pointed out, in 88 in
16 the vehicle group and here what you have is a somewhat
17 higher mortality in the vehicle group, and these both go
18 about the point that has been in the lubeluzole trial and
19 with other trials of agents which are potentially
20 efficacious in stroke. That is all.

21 DR. GILMAN: For this committee the question
22 really revolves around whether it is justifiable to add
23 those two studies together. I think that is for each of us
24 to determine in our own minds having heard the arguments on
25 each side.

1 Please identify yourself.

2 DR. ALVES: I am Wayne Alves. I was a non-voting
3 member of the 88 safety committee. The decision to stop the
4 trial, while there was concern over the apparent signal in
5 mortality, particularly the correlation with primary cause
6 of death being neurological factors, the committee did not
7 find significant issue with respect to the SAEs or AEs,
8 except to note that the SAs were probably correlated with
9 the increased mortality. They then considered the futility
10 analysis. At that point, based on the imbalance in
11 mortality, the study was underpowered and it was futile to
12 continue. That served as the basis for their decision to
13 stop, not the mortality difference.

14 DR. RACOOSIN: Can I interrupt for one moment,
15 please? This is quoting from the abstracts, Volume 136, page
16 6, this is the abstract for study 81: The study was
17 terminated after Pharmacia and Upjohn decided not to pursue
18 the evaluation of tirilazad for this indication following
19 termination of a similar multinational study (as recommended
20 by safety and monitoring committee) due to an increase in
21 mortality rate in the tirilazad group and the outcome of the
22 futility analysis. So, this is a response to that previous
23 comment.

24 DR. HANLEY: Dan Hanley, I am a neurointensivist
25 and another Upjohn consultant. I would like to speak to this

1 issue of sample size and mortality in stroke. Understanding
2 and respecting the safety issue, 81 and 88 are small studies
3 which we just heard about.

4 [Slide]

5 This is a listing of some of the larger stroke
6 studies and the mortality in the placebo and treatment arms
7 from the literature, including the NINDS study, ECAS 1 and
8 2. You see that in larger studies the mortality ranges from
9 about 10-15 percent to about 20 percent. Death has not been
10 a selected endpoint in stroke studies because it is a low
11 frequency event and you have to get to large sample sizes in
12 order to get an adequate estimate. The sample sizes that
13 were looking at safety are the ones that we can only look at
14 for tirilazad, and it is smaller. When you do the
15 combination you begin to see that the combined data
16 approaches this range of 15-20 percent mortality rate, and
17 looks similar to all the other stroke mortality rates out
18 there. Whether that helps you with a drug safety effect at
19 90 days I don't know.

20 The other way to look at this data if you want to
21 look specifically at the drug infusion effect is to compare
22 to the day 10 data, which we will show you later on, of
23 mortality in the subarachnoid hemorrhage group of patients.
24 Those are individuals who are at the end of their infusion
25 time period. That is the only other comparison that I can

1 see that you can make to allow you to look at the safety
2 issue for drug effect.

3 DR. KATZ: We see this a lot as well. When there
4 is an outcome when folks aren't particularly happy with the
5 explanation is often that the placebo rate was too high or
6 was too low. It is what it is within the trial. That is why
7 you have a placebo group. It might be discrepant with
8 previous placebo rates. There may be explanations for that.
9 It might be that the trial is too small at that point. It
10 might be that there are different patients enrolled. We
11 don't know. It doesn't change the entire validity of the
12 analysis performed in that trial, and that is what it is.

13 DR. GILMAN: But then that again raises the
14 question as to whether one should combine two trials.

15 DR. TEMPLE: But in some sense it doesn't really
16 matter because you get to look at the two separately and
17 then you get to look at the two combined, and as usual in
18 these cases, you don't quite know for sure; you are guessing
19 to a degree.

20 DR. GILMAN: Yes, we may not have to guess if
21 there were ten more trials but there are not.

22 DR. TEMPLE: That is right. But I think from our
23 point of view it isn't that we think that there is proof
24 positive that the complementary group is harmed, or anything
25 like that, but it raises a concern that is not easy to

1 dissipate, that could make one think that you want to be
2 quite sure of the benefit. I think that is the context that
3 this is raised in. So, it is important to see how strong it
4 is and how much worry you should have.

5 [Slide]

6 DR. MARSHALL: I am not going to spend much time
7 on this because I think Dr. Racoosin covered this, and I
8 think you are left to your own conclusions as to whether
9 these very small numbers represent any kind of a signal.
10 Obviously, my conclusion is not.

11 This is 81 and 88, both separate and combined. So,
12 you have the opportunity that you are left to your own
13 devices to add this up, since I have gotten into trouble
14 adding it up together myself.

15 [Slide]

16 I would like to move on now, if I can, to talk a
17 little bit about the head injury studies. As I pointed out
18 in 36, I was the principal investigator in the trial.
19 Although involving Europe, Australia and Israel and it
20 occurred abroad, our clinical trial group managed the trial.
21 Study 17 was a domestic study in the United States and
22 Canada. As Dr. Racoosin pointed out, this study was stopped
23 after having essentially accrued all its patients, but
24 accruing additional patients to replace one center whose
25 performance and quality of data was very poor and 17, as she

1 indicated, was stopped because of concern that there was
2 excess risk in the tirilazad group.

3 She also pointed out that the issue of herniation
4 early on, between day 3 and day 7, was a major potential
5 signal of excess risk from brain swelling or increased
6 intracranial volume, as we chose to call it in analyzing the
7 data.

8 [Slide]

9 That just summarizes what I said. As she also
10 pointed out, 36 showed no evidence of harm but some
11 suggestion that in traumatic subarachnoid hemorrhage there
12 was a beneficial effect of the drug.

13 [Slide]

14 These were some of the issues when I got the data
15 and suggested that an advisory committee to the
16 manufacturer, at that time the Upjohn Company, be put
17 together. Some analyses obviously pre-recognized prognostic
18 variables. If you look at bilaterally unreactive pupils,
19 most of the people in the audience who are in clinical
20 practice will recognize that this is probably the most
21 ominous predictive signal, and there was a 7 percent
22 difference between the vehicle group and the tirilazad
23 group. There was an excess frequency of subarachnoid
24 hemorrhage and also pretreatment hypotension.

25 Certainly, I am not up here to tell you that these

1 variables are completely independent. They are not, but they
2 are not entirely interdependent either. In terms of the
3 number of patients in terms of pupillary reactivity --

4 [Slide]

5 -- what you see is that there were actually 27
6 with bilaterally unreactive pupils and in the group with 1
7 reactive pupil only there was an excess of 9 in the
8 tirilazad group.

9 If you then look at the mortality data, what you
10 see is exactly what you would expect. That is, overall the
11 population has a death rate of about 13 percent. The
12 patients with bilaterally unreactive pupils have a mortality
13 rate of approximately 3-4-fold greater. If you then
14 calculate it, you essentially wipe out the difference.

15 The other point to make here is that when you do
16 an analysis of this you have to weight it for its impact on
17 mortality, not just throw it in as one of several variables.
18 In addition, there were differences in the frequency of
19 extradural hematomas, again unfavorable to the drug. And, if
20 you look at the cause of death, identified by Dr. Racoosin,
21 which is increased intracranial pressure and, therefore,
22 herniation, this is exactly what you would expect, and you
23 would expect those deaths to be early, between days 3 and 7.

24 So, the safety monitoring committee which Dr.
25 Andrew Maas, who is the Vice Chairman of the European Brain

1 Injury Consortium, and Dr. Franco Servadi, the Secretary,
2 came to participate in, concluded that there was no evidence
3 of harm in the 17 study and that the difference between the
4 vehicle and tirilazad group could be completely explained by
5 the difference in pupillary reactivity prior to
6 randomization if one did the analysis in an appropriately
7 weighted way, and there are algorithms for weighting
8 patients which have been provided to the agency over the
9 last several years by a number of sponsors in anticipation
10 of other drug trials going forward.

11 So, as I said, I think that the evidence in that
12 study was fairly compelling, in 17, that there is an
13 appropriate, not post hoc, explanation for the difference in
14 outcome in mortality, and that it fits very nicely with the
15 observation of early deaths from herniation.

16 [Slide]

17 I would like to move on now finally to the issue
18 of increased risk in subarachnoid hemorrhage. In the filing
19 from the FDA the concern about the discontinuation rate of
20 the drug in patients was raised as a potential signal of
21 harm. I would point out that that certainly is appropriate
22 but it is also appropriate to look at mortality, the
23 frequency of adverse events and the frequency of serious
24 adverse events in terms of what you are seeing in terms of
25 the signal.

1 [Slide]

2 This is the SAE leading to discontinuation
3 analysis. One point to make is that after the first studies
4 it was decided that changes in liver enzymes of greater than
5 3-fold would lead to a discontinuation. So, you see a
6 difference in the frequencies here in this study as opposed
7 to here, that is, of the total number of patients
8 discontinued.

9 If you look at the calculated frequencies of
10 discontinuation from certain adverse events -- brain edema
11 shown here, intracranial hypertension shown here, and lung
12 edema which I am going to speak about in a moment --

13 DR. RACOOSIN: Could I ask one question, please? I
14 am sorry to interrupt. Your patients dosed under tirilazad
15 6, 644, which studies are those from? Is that just men?

16 DR. MARSHALL: That is all studies.

17 DR. RACOOSIN: And this is the pivotal trials?

18 DR. MARSHALL: It is 32, 29, 65 and 63.

19 DR. RACOOSIN: Thank you.

20 DR. RUPPEL: Larry, that does include all placebo-
21 controlled SHA studies that had a 6 mg arm.

22 DR. RACOOSIN: So that would be 19 and 7 as well?

23 DR. RUPPEL: Not 19 because it did not include
24 nimodipine. It is all placebo-controlled nimodipine studies.

25 DR. RACOOSIN: Thank you.

1 [Slide]

2 DR. MARSHALL: In looking at these adverse events
3 leading to discontinuation, as I said, we see very little.
4 Then looking at 14-day adverse events with frequencies
5 greater than 10 percent, remember, in a population of people
6 with a bad disease, cerebral vasospasm, cerebral infarction,
7 brain edema.

8 I want to make one comment with regard to
9 something that Dr. Racoosin said, which is that it was not
10 clear, and it may not have been clear in the CRF as to the
11 diagnosis of brain edema. That is made on CT scan by the
12 investigator. That is a sort of standard mechanism within
13 all of these trials. For intracranial hypertension, which is
14 a rubric under which many of these things fit, one saw
15 nothing. Hydrocephalus, pneumonia and the issue of lung
16 edema.

17 Now, the concern raised in, I believe it was 63
18 and you can correct me if I am incorrect, Dr. Racoosin, was
19 the issue of the sort of acute respiratory failure issue,
20 acute pulmonary failure and ARDS. But I think it is
21 important to point out that when you have a significantly
22 improved survival in a group of patients who are being
23 resuscitated with large volumes of fluid you have to live to
24 get the treatment, and if you die then, therefore, the
25 frequency of the events in absolute terms would go up but

1 the percent frequency is not likely to be substantial. This
2 is an observation that has been made in a number of trials,
3 those sponsored by the NIH, both head injury and other
4 catastrophic diseases, that is, if you have improved
5 mortality it is inevitable that some of the other treatments
6 such as hypervolemia therapy can lead to complications. The
7 issue is, is the quality of the complication worse than the
8 disease you are treating or does it lead to an adverse event
9 such as death? That clearly is not the case.

10 So, I was struck by your report and was concerned
11 about it, but in looking into the data I did not feel that
12 there was anything, and in terms of looking at the actual
13 percent frequencies one also sees nothing.

14 [Slide]

15 This is looking at serious adverse events in this
16 population, the total patients, and again one does not
17 really see anything in terms of a signal increasing,
18 suggesting increased risk. Again, the overall impression
19 here I think is that there is no substantial or identifiable
20 increased risk of any kind of brain signal that is a disease
21 or process suggesting harm.

22 [Slide]

23 Then looking at the others, and I have already
24 covered the issue with regard to respiratory. In the
25 cardiovascular area there was the issue of modest

1 hypokalemia. I think most of the clinicians in the room
2 would recognize that in this population of patients who are
3 cared for in critical care units a potassium of 3.2 is not a
4 substantial risk and will not lead to patient harm.

5 [Slide]

6 Then there was the issue of skin disorders. There
7 was an increased incidence of rash but, again, I think when
8 talking about a disease which has a potentially fatal
9 outcome that is a relatively small concern, although I think
10 it clearly was identifiable in the patient population.

11 [Slide]

12 So, I think that one can conclude with regard to
13 safety in terms of the subarachnoid hemorrhage patients, and
14 I have also discussed the others, that the concern about
15 serious cardiac and pulmonary adverse events seems to me to
16 not exist, and that they occurred at the expected rate; that
17 the safety profile is good for CNS events; and that there
18 were no clinically relevant changes in EKG or in cardiac,
19 liver or renal laboratory results, recognizing that modest
20 hypokalemia did occur in this patient population but, again,
21 from my view as someone who is concerned with critically ill
22 neurosurgical patients, not of any substantive concern.

23 [Slide]

24 One can conclude that the mortality in patients
25 with bad grade subarachnoid hemorrhage, appropriately

1 identified, can be reduced by almost 40 percent; that the
2 outcome occurs despite baseline imbalances against Freedox,
3 particularly in posterior circulation location and
4 intraventricular hemorrhage; that the drug has a good safety
5 profile and has a favorable risk/benefit ratio.

6 DR. GILMAN: Where did the figure of 40 percent
7 come from?

8 DR. MARSHALL: From the 37.5 overall reduction in
9 mortality in the trials when they are added together.

10 DR. GILMAN: You are adding all trials together?

11 DR. MARSHALL: Yes.

12 DR. GILMAN: I see.

13 DR. MARSHALL: Thank you very much.

14 DR. GILMAN: Any questions for Dr. Marshall?

15 Again, we have expressed some concern about adding together
16 trials from different studies. Dr. Corrigan has only two
17 slides. I assume that you can be fairly succinct and then we
18 can go to lunch perhaps.

19 **Concluding Remarks**

20 DR. CORRIGAN: I have one slide so I will shorten
21 it even further.

22 [Slide]

23 Subarachnoid hemorrhage is a catastrophic disease
24 for which there is no pharmacological agent indicated in the
25 United States. We believe that the substance of the evidence

1 that we have presented demonstrated treatment effect for
2 patients who are easily identified as being poor
3 neurological candidates for it, and we urge the committee to
4 recommend in favor of approval to the FDA. Thank you, sir.

5 DR. GILMAN: Thank you, Dr. Corrigan. Any
6 questions for Dr. Corrigan?

7 We will break for lunch in a moment but first I
8 would like to caution the committee not to discuss this
9 agent or this morning's proceedings over lunch. This is all
10 supposed to be discussed in public only. We will take a
11 one-hour break and we can reconvene at 2:25.

12 [Whereupon, at 1:25 p.m. the committee recessed
13 for lunch, to resume proceedings at 2:25 p.m.]

14

- - -

1 A F T E R N O O N P R O C E E D I N G S

2 DR. GILMAN: Before we ask for public opinion, the
3 sponsor has asked for two minutes for wrap-up time. We want
4 to ensure that the sponsor has every opportunity to present
5 their case so, please.

6 DR. MARSHALL: Thank you, Dr. Gilman. I just
7 wanted to point out that in the discussion, which got rather
8 heated this morning about the integrated analysis, that it
9 is important to recall that in study 32, first, the entire
10 study was positive; second, the study was positive for its
11 primary endpoint, cerebral vasospasm in men; and, third, it
12 was positive in the group IV and V.

13 I think looking at the entire picture, as I said
14 previously, with regard to outcomes we have a disease for
15 which there is no treatment approved, that is, poor grade
16 subarachnoid hemorrhage. We have a positive study in 63,
17 prospectively designated, and we have a positive trend in
18 all the studies, including 32 which I referred to initially.

19 So, I think while there certainly are very
20 legitimate and appropriate criticisms of the construct of
21 combining them, looking at the studies as a whole from a
22 clinical perspective we have reduced mortality, the number
23 of deaths is reduced in each instance when this drug was
24 given in a controlled trial with positive and significant
25 results in 32 and 63. Thank you.

1 DR. GILMAN: Thank you. Any other comments from
2 the sponsor? Have you had a chance to present all the
3 information you would like to present?

4 Then, from the agency, is there anything further
5 the agency wishes to present to us? If not, then is there
6 anybody who would like to present at the open public
7 hearing?

8 **Discussion by Advisory Committee**

9 If not, we will turn to the committee. The agency
10 would like us to address the issues that have been raised in
11 Dr. Katz's narrative. So, if you open your red books you
12 will find the narrative on page 23. There are 6 questions.
13 We will just go through them one at a time. I will read out
14 the first one:

15 We are very eager to hear your views on these
16 issues which include but may not be limited to the
17 following. One, a very critical question is whether or not
18 there is any bona fide finding across these four studies
19 that can be considered to have been independently replicated
20 or corroborated. That is question one. Let's deal with
21 question one first.

22 So that the agency will have the sense of this
23 committee I will just lead off by attempting an answer to
24 that question. In my view the answer to that question
25 succinctly is no. I find a series of studies that have had

1 different Ns, different populations, that have been examined
2 with different doses over time, and I find very little that
3 I can carry over between studies with respect to result.
4 Consequently, I believe the answer to question number one is
5 no.

6 Now, let me go around the table and see what my
7 colleagues think about that, anybody who wants to comment on
8 that?

9 DR. BROOKE: I would agree with your comment.

10 DR. GILMAN: Dr. Drachman?

11 DR. DRACHMAN: I would agree with that. I believe
12 the way these studies really have been used is more like
13 pilot studies. Each one led to the next, and then a backward
14 look. That is not replicating. That is moving along. We have
15 heard more and more about how to pick out the most at risk
16 subjects, and I think the ideas are good. Looking at those
17 with poor grades on the various tests makes sense,
18 simplifying really does make sense. But this is all
19 retrospective. So I view it as pilot studies, not leading to
20 a confirmation.

21 DR. GILMAN: Dr. Temple?

22 DR. TEMPLE: Just to be sure we understand, there
23 was one study that was done, based on a lot of prior
24 experience picked out, that I believe the sponsor would say
25 was prospective, number 63. So, it is a very good question

1 whether that constitutes replication. It is not two
2 independent studies, each meeting something, but they could
3 argue that there is a kernel of replication in that they
4 formed a hypothesis from a series of studies and then did a
5 study to check it out. Now, they didn't do two studies to
6 check it out, obviously.

7 DR. GILMAN: I view that as one study in which a
8 subgroup, identified prospectively, did have a beneficial
9 outcome on drug. But to answer the question that Dr. Katz
10 has posed, I do not see replication across the four studies
11 that we have examined.

12 Let me ask my other colleagues, other thoughts?
13 Does anybody disagree? Dr. Kawas?

14 DR. KAWAS: I don't disagree but I am not
15 completely sure what I think. I have four studies and it has
16 been very hard for me to put the interpretation of these
17 studies together. I see some positive effects in each study;
18 I see some negative or non-effects.

19 I think though also that this is the only time
20 among the questions that are posed to us though that we
21 might have the opportunity to come back to the issue that
22 was raised by Dr. Brooke. If we were to design a study for
23 subarachnoid hemorrhage, it seems to me that the grade IV
24 and V to prevent mortality would not be one of the first
25 things that we would be trying to do clinically. And, the

1 ethical issues that Dr. Brooke raised and everything sort of
2 factors into this.

3 If the question is are there two studies that
4 convincingly tell me that this drug works in that group of
5 patients, I think I agree the answer is probably no.

6 DR. GILMAN: Other comments?

7 DR. LACEY: Mr. Chair, I would say no. I did not
8 feel that there was substantial evidence for the proposed
9 indication in the things that I saw. I am left with too many
10 conflicts regarding issues related to gender. The issue of
11 women and the age of women as to whether or not the dosage
12 is appropriate for the older woman that has been selected
13 for the study so far -- I am not clear on that at all.
14 Various other issues that have come up have left me with
15 opposing points of view. I see a lot of promise and hope
16 related to a drug that could come into play at a later time
17 and, with that then, I would rest my point in that I say no
18 for today.

19 DR. VAN BELLE: I would agree with that. My
20 concerns are, first of all, the changing in the endpoints,
21 what I call a lily pad analysis. You step on one lily pad
22 and move to the next one. It just does not hang together in
23 terms of a confirmatory approach.

24 In addition to that, if you look at the sample
25 sizes for studies 32 and 29 in this neurograde IV group you

1 are really talking about very small sample sizes, and that
2 really leads me also to be concerned about the effectiveness
3 of the overall study.

4 Then, as was pointed out, the fact the results
5 from study 32 were used to generate the hypothesis for the
6 subsequent studies clearly does not make it a confirmatory
7 study.

8 DR. GILMAN: Don't those creatures that move among
9 lily pads hop rather than step?

10 [Laughter]

11 DR. GROTTA: I think I feel pretty much the same,
12 except that I am left with a nagging notion, when all is
13 said and done, that actually what has been shown on the
14 positive side does appear to be a consistent signal in all
15 of the studies that there is a biological activity of this
16 compound. As was brought up earlier today, even in the
17 desperate clinical situation that these patients are in you
18 would like to be able to feel that we could move ahead. But
19 having said that, you would like to see a study that is
20 positive for the particular population and for the
21 particular endpoint, and not one of these studies was
22 primarily focused and designed to test whether the drug is
23 effective in this subgroup of patients, that is, women or
24 men with severe grade hemorrhage at the appropriate doses.
25 Even the final study, 63, even though it was a prospective

1 analysis, it is only a subset of those patients and,
2 consequently, the numbers, as has been pointed out, upon
3 which the conclusion is to be drawn are very small.

4 So, I am just not confident enough that what we
5 are seeing isn't type-2 error, and I feel like another study
6 needs to be done focusing on that particular population, in
7 order to make me convinced that the drug should be
8 indicated.

9 DR. PENN: I would weigh in that I am afraid we
10 are in a type-2 error situation also. As a clinician, I
11 would love to see this available after a proper study and,
12 unfortunately, the business of taking it each step led each
13 subsequent study a little bit astray and that is the trouble
14 we have gotten into here because it appears that there may
15 well be a good biological effect, but the problem is we have
16 to deal with the data as it comes to us not as we would wish
17 to have it.

18 DR. GILMAN: Thank you, all. Question number two,
19 the question is related to practicality of determining an
20 unambiguous identification of the proposed subgroup of
21 patients with subarachnoid hemorrhage who might be
22 candidates for treatment, assuming a finding of substantial
23 evidence is made. In other words, is it possible to identify
24 a group of patients who would benefit from this medication?

25 I would say yes, that could be done. We have heard

1 a couple of different ways of doing this. The Glasgow Scale
2 is one way of looking, as Dr. Marshall did; using another
3 approach or a series of approaches could be taken. What I
4 would urge is that a consistent approach be taken and used
5 so that we don't have to go retrospectively and say, well,
6 the original protocol was incorrect, we should be looking at
7 another way of analyzed these data because we then get into
8 the problem of a retrospective study. So, I think the answer
9 to question number two is yes. I think it should be possible
10 to identify a subgroup, if one exists, that will respond to
11 this medication.

12 Maybe we should just go around the table, starting
13 off with Michael Brooke.

14 DR. BROOKE: Yes, I think subgroups can be
15 identified in a prospective trial. I rather like the way Dr.
16 Marshall identified some of the potential subgroups.

17 DR. GILMAN: Dr. Grotta?

18 DR. GROTTA: Yes, I am not bothered by the methods
19 that were used and I think it can be identified. I guess the
20 problem I have is do we know the group? While it is tempting
21 to think that we do know that it is the severe patients I am
22 not sure. That is what bothers me in part. We may be jumping
23 to a conclusion based on data that has small numbers and,
24 thereby, depriving patients who might otherwise benefit from
25 being included in another larger trial.

1 So, I don't know the answer to the question about
2 which group should be included, but I suspect there is a
3 group hidden in this population that will benefit and can be
4 identified.

5 DR. GILMAN: Dr. Van Belle?

6 DR. VAN BELLE: I am not a clinician but on the
7 basis of the evidence presented here it would seem to be
8 reasonable, but I would not want to state this too strongly
9 either way.

10 DR. GILMAN: Dr. Lacey?

11 DR. LACEY: I would agree more with the statement
12 just made. I am intrigued by the idea that there seemed to
13 be some promising groups but I am not clear at this moment
14 about what those groups are. So, I can't say that they
15 definitely can be identified. I think there is promise that
16 they can be identified.

17 DR. GILMAN: Dr. Penn?

18 DR. PENN: I think there is no problem clinically
19 about identifying these groups, and that is not a matter of
20 major concern to anyone doing these studies in a practical
21 way, and the FDA's concern with how one subgroup fits into
22 the other with the two rating scales is not a concern that
23 would in reality be of great importance. I think you can
24 find a lot of ways to identify the sickest patients, and
25 there may be a slightly better one than what was used in

1 this study but I would have no problem with the way they did
2 it.

3 DR. GILMAN: Dr. Kawas?

4 DR. KAWAS: I agree. I have nothing to add.

5 DR. GILMAN: Dr. Drachman?

6 DR. DRACHMAN: I agree, yes.

7 DR. GILMAN: Good. Thank you, all. Yes, Dr.
8 Temple?

9 DR. TEMPLE: Let me be sure I understand. The
10 concern might be that if you found a convincing effect in a
11 group, that if you defined that group some other way in
12 practice the conclusion might not apply to that group. But
13 what you are saying, if I understand it, is the definition,
14 for example that Dr. Marshall suggested, is close enough to
15 that group so that that would not be a major worry if you
16 were convinced that it was effective in that group.

17 DR. PENN: I think we can find the sickest
18 patients and that probably using a whole bunch of scales you
19 will not be off significantly. Eight percent was the
20 difference that Larry came up with for a different rating
21 scale that worked just as well.

22 DR. GILMAN: Let's go to question three then. Any
23 concomitant increased risk in the complementary subgroup of
24 patients, neurogrades I through III, which has ramifications
25 related not only to the potential inappropriate treatment of

1 these patients but also for determining the validity of the
2 beneficial finding in neurograde IV/V patients.

3 This sounds a little bit cryptic. It refers to the
4 question of whether the medication if approved for, say,
5 grade IV/V would then be used appropriately if it were found
6 to be damaging to grades I through III. That was the thrust
7 of this point.

8 DR. KATZ: Yes, that was part of the thrust. The
9 other part had to do with the fact that there was a
10 worsening in III. What did that mean for the validity of
11 the primary finding, which is benefit in IV and V? I am just
12 clarifying what the last half of that question meant.

13 DR. GILMAN: Right. So, first, I don't think
14 anybody can control how physicians will use a particular
15 medication once it has been approved. The approval process
16 can go through the FDA and there can be clear labeling on
17 the drug but then, once it is available, physicians are free
18 to use it as they see fit. If there is a clear message
19 though that this drug could be beneficial to a certain group
20 of patients and damaging to another group patients, and the
21 evidence is convincing, then I think its up to committees
22 such as this one to say, yes, this drug is effective,
23 assuming it passes safety evaluations, then the committee
24 presumably would say it is safe or has relative safety but
25 it should strictly be used for certain classes of patients

1 and no others. I think that is a reasonable outcome. With
2 respect to this particular drug it has not yet -- going back
3 to question number one -- been shown with replicated studies
4 that it is effective in a certain group of patients and that
5 it is definitely harmful in another group of patients. There
6 certainly is evidence suggesting each of those may be true
7 but it is not yet clear.

8 DR. BROOKE: I will start off. My favorite
9 position is the top of the fence, and I don't think it has
10 either been proven or disproved that this drug may be
11 harmful. I listened with interest to the interpretations but
12 I think I am not convinced that it is harmful and I am not
13 convinced that it is not harmful. I think an important
14 question is would I be comfortable recruiting patients for a
15 trial on this drug knowing what I know about the potential
16 harm, and I think I have to say the risk to benefit ratio
17 would be low enough that I wouldn't hesitate to recruit
18 patients in a trial but I am going to sit on the fence.

19 DR. GILMAN: Dr. Grotta?

20 DR. GROTTA: Well, the data certainly don't prove
21 one thing or the other. That is for sure. But I don't see
22 that that causes me significant concern about the safety of
23 the drug.

24 DR. GILMAN: Dr. Van Belle?

25 DR. VAN BELLE: The issue is I think if this is

1 going to be applied to the grade I through III population.
2 If that is not the case, then I don't think there is an
3 issue with respect to grades IV and V. So, I am not quite
4 sure yet what the question is. I would think that the
5 sponsor would not be asked to do a randomized clinical study
6 on patients in grades I through III. I mean, that just
7 wouldn't be done so I am not quite sure what the question
8 is.

9 DR. GILMAN: Dr. Katz?

10 DR. KATZ: I guess what I meant by the first part
11 of the question was do you think there is an increased risk,
12 an unacceptably increased risk or an increased risk in the
13 I, II, III patients? I mean, that is really in a
14 straightforward way what I would like to hear people
15 address.

16 DR. GILMAN: Maybe I didn't address it clearly.
17 There has been evidence shown suggesting that there may be
18 increased risk in the I through III group. I am not
19 convinced that that is correct; I am not sure that is true
20 as yet. I don't think there has been enough data presented
21 for us to be certain about that issue. Do you want to
22 comment further?

23 DR. VAN BELLE: Let me just make sure that I
24 position myself on one side of the fence or the other. So, I
25 would say that in my opinion the evidence has not been shown

1 conclusively of substantial side effects.

2 DR. GILMAN: Not side effects; damaging effects
3 upon a certain group is the question.

4 DR. VAN BELLE: Okay, damaging effects on a group
5 not intended for therapy.

6 DR. GILMAN: Yes. Dr. Lacey?

7 DR. LACEY: I will say that from the point of view
8 of the patient, if I were a patient having been graded I
9 through III of the neurogrades I would be concerned. I would
10 opt for no treatment over this treatment at this point on
11 the basis of the information I have seen. If I were an older
12 women, which I am not --

13 [Laughter]

14 -- one to be considered for treatment with the
15 drug I would be concerned that I may be getting a heavier
16 dosage than I need. So, I am concerned about the idea that
17 perhaps we have not been convinced of the safety of the drug
18 in those for whom it is proposed.

19 DR. GILMAN: Dr. Penn?

20 DR. PENN: I don't have any major safety concerns.
21 If there were a robust effect of the drug in the group that
22 is intended to be treated I think the risk/benefit ratio
23 would say to go ahead and treat that group. We are talking
24 about really trying to avoid a misuse of a drug in a group
25 of patients, but I think that that is a question of

1 physician education and should not concern us as a major
2 point.

3 DR. GILMAN: Dr. Kawas?

4 DR. KAWAS: I have the same amount of confidence
5 with regards to the deleterious effect on groups I through
6 III as I have with regards to the beneficial effects in IV
7 and V. Since everyone is talking about if they were a
8 patient, if I were a patient, with the data I have seen, I
9 would feel comfortable to be randomized if I were a
10 subarachnoid I to III to a study involving this agent. If I
11 were a IV or V I might have to think twice.

12 DR. GILMAN: Dr. Drachman?

13 DR. DRACHMAN: The risk would be modest if the
14 benefits were real. Notice that I am using the subjunctive
15 for the condition contrary to fact, and because of that, you
16 know, it is so conditional that I really do not feel that
17 this -- it is a moot point.

18 DR. GILMAN: Dr. Grotta?

19 DR. GROTTA: I would just like to come back to one
20 point, actually going back to the efficacy issue. I don't
21 feel that the efficacy is clear in either women or men at
22 this point. I think if further studies are done I would like
23 to see the benefit corroborated in both populations and at
24 the doses that are proposed, that is in men at the lower
25 dose and in women at the higher dose.

1 I don't want to open another discussion but we
2 didn't really answer the question as to whether the 6 mg
3 dose is correct in men. That was the highest dose that was
4 tested. I guess in other disease entities is when the dose
5 has gone above 6 mg/kg and I guess that is where side
6 effects were possibly seen. But, in any case, I think if
7 further work is to be done it needs to be done in both
8 populations.

9 DR. KAWAS: I did want to add that of the safety
10 data, far and away the most compelling evidence and
11 disturbing to me was what appeared to be a possible dose
12 response in the sense that the higher doses resulted in the
13 excess mortality. Although I doubt that the trend was
14 significant, each successive dose had an increasing point
15 estimate and I think that is the most worrisome of the
16 safety data rather than the other findings.

17 DR. GILMAN: Dr. Temple?

18 DR. TEMPLE: You have touched on this a little bit
19 and maybe you will want to defer it, but one of the things
20 we are going to need to ask you about is what further
21 studies are needed and appropriate. It is just worth
22 mentioning that in study 32 there was no particular
23 distinction between severity. There was an effect in men in
24 that study seen in both groups. So, it is not obvious that
25 that group needs to be abandoned either. But we will ask you

1 whether you think it would be sufficient to study just the
2 sicker ones, but you can do that later.

3 DR. GILMAN: Dr. Temple, I would like to do that,
4 if you don't mind, when we get to questions one and two that
5 have been posed for us.

6 Any other comments on question three? If not,
7 let's go on to question four. Question four concerns the
8 potential deleterious effects of nimodipine in neurograde IV
9 and V patients and the effects, if any, on the analysis of
10 the trials.

11 Now, this brings up a point of considerable
12 question in my mind because we heard that nimodipine has
13 been approved for grade I through III with the HH type
14 evaluation of patients but it is deleterious on group IV/V
15 cases. Yet, we have heard from the neurosurgeons present
16 that it is state-of-the-art to use nimodipine in essentially
17 all patients, grades I through V. I find myself puzzled by
18 that situation, and I was attracted initially to the thought
19 that perhaps should another trial be conducted that trial
20 ought to be on patients not treated with nimodipine. Now the
21 question is whether it would be appropriate or inappropriate
22 to withhold nimodipine from a group and that is a
23 significant problem.

24 So in the light of the information we have heard
25 today I am puzzled by this issue. I am not sure I can come

1 up with a formulation for you at this point. Let me ask my
2 colleagues. Let's go in the other direction this time. Dr.
3 Drachman?

4 DR. DRACHMAN: That is a very difficult question.
5 The recruitment of candidates might be badly interfered with
6 when someone would approach the family members -- the
7 patients clearly wouldn't respond themselves, saying "here's
8 what I usually do but because this trial must be done
9 without nimodipine I am recommending that we forgo the
10 customary, although non-labeled, use of the drug and use
11 this non-approved drug or placebo in its place." So, my own
12 view is, first of all, that the evidence showing that
13 nimodipine was an additional risk was rather thin, and the
14 ability to get around and deal with another study would be
15 seriously flawed or obstructed.

16 DR. GILMAN: But would you comment on your level
17 of conviction that there is a deleterious effect of
18 nimodipine on grade IV/V or can you say?

19 DR. DRACHMAN: Low level.

20 DR. GILMAN: Low level?

21 DR. DRACHMAN: Low level of worry that nimodipine
22 may have been a major contributor and should not be used in
23 future studies; that it is risky and should not be used with
24 tirilazad in a future study -- low level of concern.

25 DR. GILMAN: Thank you. Dr. Kawas?