

1 of data cutoff for submission of this application, 241
2 patients had been enrolled of which 237 had actually
3 received treatment. This was perfectly balanced
4 between the two arms. Of the 237 patients receiving
5 treatment, 81 or approximately 1/3 were found to be
6 both platinum and paclitaxel resistant.

7 Of those 81 patients 44 were in the Doxil
8 arm and 37 in the topotecan arm. Of the 37 in the
9 topotecan arm, three responded for a response rate of
10 8.1 percent. In the Doxil arm of the 44 patients
11 refractory to both drugs, six responded for a response
12 rate of 13.6 percent.

13 In this case we have chosen just the Doxil
14 arm as this was not intended to be a comparative study
15 at the time of interim analysis. The 95 percent CI
16 ranges from approximately 5 to 27 percent.

17 Combining then all of the Phase II studies
18 plus the Doxil arm of the Phase III study, we derived
19 a response rate of 13.8 percent with 95 percent CI
20 ranging from 9.2 percent to 19 percent for Doxil.

21 In terms of the safety review, this slide
22 and those following it will focus on the organ system

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that was affected by Doxil therapy. In this case the
2 manifestations of the cutaneous toxicity of Doxil are
3 grouped cutaneously.

4 Specifically you've heard previously about
5 the Palmar-plantar erythrodyesthesia that under the
6 three week schedule was in excess of 80 percent. That
7 had been reduced down to approximately 40 percent in
8 the three four-week schedules; that is, 30-47, 30-47E,
9 and 30-49 Doxil arm. Other manifestations of the
10 cutaneous effects of Doxil include rash, exfoliative
11 dermatitis, vesiculobullous rash.

12 This graph shows essentially the same data
13 but for the mucous membranes manifesting itself as
14 mucositis and stomatitis. Asthenia didn't fit well
15 into any of the categories.

16 The third grouping of toxicities was that
17 of mild suppression. Neutropenia was found to be
18 present in approximately 50 percent of incidents in
19 the three week schedule that had been reduced somewhat
20 down to 37 percent in the four week schedule. The
21 same for leukopenia, anemia, and thrombocytopenia.

22 In study 30-47 there were patients that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 required both red cell and platelet transfusions,
2 although there were no significant hemorrhagic
3 consequences of the thrombocytopenia.

4 Lastly, this graph illustrates the
5 gastrointestinal adverse effects of Doxil; nausea,
6 vomiting, anorexia, and diarrhea. The sponsor states
7 in their study report that with current 5HT3
8 antagonism that nausea and vomiting should be
9 completely medicable.

10 This last graph demonstrates the adverse
11 events under the four-week schedule using study 30-47
12 that was reviewed carefully by the Food and Drug
13 Administration. In this instance, the six most common
14 adverse effects which corresponds to that in the
15 questions to the committee are Palmar-plantar
16 erythrodyesthesia, asthenia, anemia, nausea,
17 neutropenia, and stomatitis.

18 In blue is shown the relative frequency of
19 each of these adverse events. In red is the frequency
20 of serious adverse events. Serious adverse events in
21 this case were defined as grade IV hematologic
22 toxicity and grade III and IV nonhematologic toxicity.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Note that the serious incidents of PPE is
2 significantly less, although it is still approximately
3 20 percent and can be anticipated to be so under the
4 proposed schedule.

5 In terms of summary, the sponsor has
6 submitted three Phase II trials, one Phase III trial.
7 The one Phase III trial has again close to accrual but
8 is still maturing. In one of the Phase II trials they
9 are still maturing as well. A 13.8 percent response
10 rate was determined by the agency combining the Doxil
11 arms of the four submitted studies. There was one
12 Phase II trial that revealed no responses.

13 In terms of the safety summary, clearly
14 the four-week schedule is less toxic than the three
15 week schedule. The adverse events can be grouped in
16 terms of cutaneous and mucocutaneous events,
17 hemocological, and gastrointestinal toxicities.
18 Asthenia was frequent as well. Some of these adverse
19 advents, while not necessarily highly frequent, are
20 quite serious.

21 So we return then to the question that we
22 started with. Does the committee feel that the

1 objective response determined from these trials
2 indicate that Doxil is reasonably lucky to be
3 associated with clinical benefit in this population.

4 DR. DUTCHER: Are there questions from the
5 committee? Dr. Ozols.

6 DR. OZOLS: On your review of the response
7 and efficacy, do you have any impression or analysis
8 of who you think may benefit and who we should or
9 shouldn't treat?

10 DR. FRYKMAN: Yes. We had done a
11 significantly expanded analysis over that of the
12 sponsor. It is clear that there are anecdotes of
13 patients that actually have large bulk disease.
14 Again, these are spotty anecdotes where there was
15 actually a significant response seen. Not necessarily
16 a CR but an occasional PR were noted.

17 Otherwise, it appears from what the
18 sponsor presented and in the briefing document that,
19 again, patients with apparently more aggressive
20 disease, i.e., younger, perhaps those with a higher
21 CA-125, were associated with a poor response rate.

22 Perhaps in terms of advanced histologic

1 rate or more poorly differentiated histologic rate,
2 tumor bulk, perhaps younger age, and perhaps even a
3 significant degree of prior treatment, I would think
4 those factors would be taken into consideration. But
5 the strength of the association I would not be able to
6 comment on.

7 DR. OZOLS: The other question is, you
8 know, the years -- I mean, I certainly haven't seen
9 many presentations here where you had clinical trials
10 presented by a sponsor which from different groups or
11 different agents or different parts of the country or
12 the world which I'm sure has different results.

13 I'm still concerned that we've got one
14 large trial from Europe which is failing to show any
15 possible reasonable clinical benefit when they have
16 zero out of 36 and they are still going on with more
17 patients. Do you have any sense of -- two things.
18 Have you ever seen this kind of thing before or do you
19 have any sense why this particular study is negative?

20 DR. FRYKMAN: As far as experience goes,
21 my experience is quite limited. I probably wouldn't
22 be able to comment too intelligently on that. I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 make somewhat of a statistical comment. I'm sure Dr.
2 Simon will have more to say than I.

3 If you look at the 95 percent confidence
4 interval, and if you assume it to be the fact that if
5 this trial were repeated 100 times, 95 percent of the
6 time the results would be within that 95 percent CI,
7 that in fact, albeit unusual, the response rate noted
8 in study 30-47E which was zero, although the CI ranged
9 from zero to 9.7, in study 47, which ranged from
10 something like 30 percent down to 9.7, that those 97
11 percent CI's actually do overlap. While it would be
12 an unusual occurrence, within our understanding of
13 statistics, it is actually a possibility. That is,
14 they do return something close to the same response
15 rate.

16 DR. DUTCHER: Dr. Margolin.

17 DR. MARGOLIN: Given the bias issues that
18 we discussed yesterday and the well recognized
19 difficulty of measuring ovarian cancer, are you quite
20 confident that in terms of the actual data everything
21 is clean and the responses were real responses and
22 there were no issues there?

1 DR. FRYKMAN: Yes. Well, here's how I can
2 answer this question. We were not presented with the
3 primary radiographic data so we relied on the sponsors
4 primary electronic data of tumor measurement
5 dimensions. That is, there may have been one, two, or
6 three lesions each with these dimensions and from that
7 table we could confirm the responses that we showed up
8 here.

9 The translation going from the
10 radiographic data to the autronic data, there is
11 always some question about it. Now, to the sponsor's
12 credit they also underwent an independent radiological
13 review. For the most part those were confirmed. Not
14 in every case but for the most part those were
15 confirmed. That gave us actually reasonably good
16 confidence that an independent body of a radiologist
17 and a gynecological oncologist actually did come to
18 quite close conclusions.

19 DR. DUTCHER: Dr. Nerenstone.

20 DR. NERENSTONE: I just had a question
21 about the first study. Only three cycles were to be
22 given and that would be even with delays 12 weeks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The median time to response was really in most of the
2 groups beyond that. Were there people who were stable
3 at the end of those three weeks who then went on to
4 respond and were they counted in the numerator of
5 responses or was it only at the time after those three
6 cycles that you got the responses?

7 DR. FRYKMAN: During the review of both
8 30-22 and 30-47 the response was counted at the time
9 the response occurred. If it was confirmed, then we
10 had data within four weeks or so.

11 If a response occurred and we didn't see
12 a subsequent response or the response maintained and
13 we didn't count that, what I can say is in the cases
14 of a response in 30-22 even though the duration of
15 therapy was relatively short compared to the other
16 studies, if a response was confirmed within the time
17 period that the data was sent to us by the company,
18 then that was counted.

19 DR. DUTCHER: Dr. Schilsky.

20 DR. SCHILSKY: Greg, I wanted to just come
21 back to a question that I think Bob Ozols raised
22 earlier. I'm still a little bit confused in terms of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 how the definition of refractoriness was applied.
2 There was a grand total of 26 responders across all of
3 these studies. I guess the question would be of those
4 26 individuals who responded, do you have a sense of
5 how many of them had been shown to be refractory to a
6 platinum paclitaxel combination as opposed to be
7 refractory to a platinum-based regimen followed by a
8 paclitaxel-based regimen?

9 DR. FRYKMAN: Yes. So in studies 30-22
10 the eligibility criteria for the refractoriness was
11 exactly as suggested. In other words, they could be
12 in sequence or together. When the study was done,
13 paclitaxel was not on the market yet and so this came
14 on subsequently.

15 In terms of 30-47 I believe that also had
16 the same criteria although you could see the change in
17 the standard of care over the time period of study 30-
18 22 to 30-47. In fact, this is speaking off the top of
19 my head, I would say the majority of the responses or
20 of the patients that were considered eligible in 30-47
21 had actually been concomitantly treated with both
22 paclitaxel and either carbo or cisplatin.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Not necessarily six cycles in a row but
2 these patients may have been treated with platinum up
3 front and then got hexomethomellomin and then gotten
4 something else, PP16, they still recurred, and then
5 they got combination platinum and paclitaxel.

6 DR. SCHILSKY: What I'm trying to get at
7 is, you know, if this drug is on the market and with
8 contemporary management of ovarian cancer usually
9 beginning with a platinum paclitaxel combination, for
10 women whose disease is clearly refractory to that
11 combination. What is the likelihood that if those
12 women were treated with Doxil that they would respond?
13 Is there any way we have of estimating that?

14 DR. OZOLS: That's what I asked them, to
15 see if they could get that information; did you ever
16 have a response to somebody who did not respond to
17 something else in the past.

18 DR. SCHNIPPER: I can show you if you
19 would like.

20 DR. DUTCHER: Go ahead.

21 DR. SCHNIPPER: If I could have the slide
22 on, please. As was correctly stated, in 30-22 many of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the patients were treated in sequence because of the
2 treatment guidelines at that time. By the time the
3 trials evolved, most of the patients were, in fact,
4 treated with platinum and paclitaxel in combination.
5 Of the 146 patients on the overall refractory
6 database, 115 were treated in combination of which 19
7 responded.

8 The response rate in patients who received
9 platinum and paclitaxel in combination was 16.5
10 percent compared to its sequential, keeping in mind
11 that the sequential population may have had up to five
12 regimens prior to having Doxil.

13 DR. OZOLS: Right, but those who received
14 the combination could have responded initially then
15 progressed and then gotten Doxil. The question is
16 those who received the combination up front and did
17 not respond.

18 DR. SCHNIPPER: We were looking at that
19 over the break and there were at least four patients
20 of the responders who had primary refractoriness to
21 their initial combination.

22 DR. OZOLS: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DUTCHER: Other questions for FDA?
2 Dr. Santana.

3 DR. SANTANA: When you reviewed the data
4 set, did you get an idea of these patients that
5 developed PPE how severe this was in relation to
6 having to add concomitant meds to control the
7 symptoms?

8 DR. FRYKMAN: Um, yeah. The study 30-47
9 again should be reviewed in detail. Also included
10 with it is a health care quality of life questionnaire
11 which was piloted by the company and was not intended
12 for efficacy or safety purposes. In fact, it was
13 quite illustrative. During the review of the case
14 report forms, this data had not necessarily made it
15 into an easily readable form in the electronic data
16 so, in fact, I had the luxury of having each CRF and
17 could go through and look at both what the patient
18 should do as well as what the patient's own feeling
19 about her disease was at the time of being seen. Some
20 of these patients were being seen every four weeks and
21 we had health care quality of life data on them.

22 To a certain extent ut was like actually

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 having the patient in the examining room where you
2 could ask them questions. "How are you feeling? How
3 are you walking around?" Sort of what's up. The dose
4 reductions that were carried out by the physician
5 clearly correlated with the woman saying, "Gee, it
6 hurts a lot to walk on my feet. They are blistered."
7 Or, "Gee, I'm feeling fine. I'm on my night cycle of
8 Doxil and I have very few complaints."

9 I would say that actually the health care
10 quality of life was very illustrative for me and for
11 probably other people that have looked at the data.
12 We didn't do any statistical correlations but it was
13 clearly correlated.

14 DR. SANTANA: My question was more simple.
15 How many of these patients required aggressive
16 narcotics use, aggressive analgesic use, etcetera?

17 DR. FRYKMAN: I can't tell you that
18 specifically with respect to PPE. We did obviously
19 have all the concomitant medications. It would have
20 required us to correlate each date of the medication
21 given with what was the symptom at the time.

22 The first attempt was to obviously delay

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 therapy. If that wasn't helpful, then the patients
2 had their dose of Doxil reduced. To what extent they
3 got concomitant medications for the PPE, I guess, I
4 wouldn't be able to comment on direction.

5 DR. DUTCHER: Does the sponsor have any
6 information on that?

7 DR. GORDON: I can't give you exact
8 numbers in terms of --

9 DR. DUTCHER: Would you state your name?

10 DR. GORDON: I'm sorry. I'm Alan Gordon
11 with the Sammons Cancer Center. We treated a vast
12 majority of the patients on the 47 trial and for the
13 most part most of the patients required no concomitant
14 medication. It was just a matter of watchful waiting
15 and it would gradually resolve. There were occasional
16 instances of maybe some mild analgesics being
17 administered but never any narcotics were required.

18 DR. DUTCHER: What was the time table for
19 the resolution of the symptoms? How long did you wait
20 between cycles?

21 DR. GORDON: Most of the waits were at
22 most a one-week delay. There was a rare patient that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 may have gone beyond one week. I can't recall anybody
2 specifically going beyond a one-week delay in
3 recovery.

4 DR. DUTCHER: Any other questions for FDA?
5 Thank you very much. Before we go onto the discussion
6 we do have one person for the open public hearing. Is
7 Susie Bendel here? Just state your name and your
8 affiliation and whether there is any financial support
9 for your appearance.

10 MS. BENDEL: My name is Susie Bendel. I
11 work for a private physician at the Washington Clinic.
12 I have no financial to ALZA or anybody else.

13 I originally started with Doxil on the
14 breast study. It was a taxene resistant study. We
15 used a smaller 30 milligram per meter squared dose.
16 Our side effects -- what I really want to point out to
17 the FDA that the side effects are really manageable as
18 long as the nurses and the physicians are aware of
19 them.

20 As far as the infusion reactions, those
21 are very well managed by stopping the infusion and
22 rechallenging. There's not a problem with that. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients usually become very flushed first so you
2 immediately can stop it before shortness of breath or
3 the patient becomes anxious.

4 I'm sure the reason some of the patients
5 went off trial is because they got the shortness of
6 breath and they didn't want any further treatments.
7 If you see the redness in the flushing of the face,
8 you know automatically to stop the treatment and just
9 flush and then rechallenge them and they do find.

10 As far as the Palmar-planter, we had only
11 one and that was after the patient had received almost
12 12 months of treatment. As I said, that was at 30
13 milligrams per meter squared. It was very mild. It
14 was in the winter time actually and the patient had
15 gone outside without wearing gloves and she did get
16 very cold. We encourage our patients to avoid any
17 frictions and things like that and to avoid hot or
18 cold.

19 If the patients are acknowledged and the
20 staff is acknowledged, there shouldn't be a problem
21 with any of the reactions you guys have discussed
22 today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DUTCHER: Thank you.

2 MS. BENDEL: Thank you.

3 DR. DUTCHER: Any initial discussion?

4 DR. SLEDGE: Actually, could I ask a
5 question of Bob? If we compared Doxil to doxorubicin
6 in this setting, what would you expect to see with
7 doxorubicin in a refractory setting like this?

8 DR. OZOLS: Baseline of probably just
9 essentially noise. I don't think you would see any
10 activity. You would probably see an occasional
11 response, I think. We did some studies years ago with
12 doxorubicin as a second-line treatment in just
13 melphalan resistant patients and we saw no responses.

14 There have been several other studies
15 which have shown some responses but there have been no
16 studies. This was before the day of taxene so we have
17 no data at all about Adriamycin and taxene in
18 refractory patients. I suspect it would be very, very
19 low.

20 DR. SLEDGE: At least from a response
21 standpoint this sounds like --

22 DR. OZOLS: This sounds higher than I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 certainly would have expected to see with doxorubicin.

2 DR. DUTCHER: Okay. Can we turn to the
3 questions. This application seeks accelerated
4 approval of Doxil for the following indication. The
5 treatment of patients with metastatic carcinoma of the
6 ovary who are refractory to both paclitaxel- and
7 platinum-based chemotherapy regimens. Refractory is
8 defined as a patient having progressive disease while
9 on treatment or within six months of completing
10 treatment.

11 Under accelerated approval regulations for
12 indications where the new drug appears to provide
13 benefit over available therapies, accelerated approval
14 may be granted on the basis of a surrogate endpoint
15 that is reasonably likely to predict clinical benefit.

16 After approval the sponsor is required to
17 perform a Phase IV study to demonstrate the treatment
18 with the drug is indeed associated with clinical
19 benefit.

20 For this application the surrogate
21 endpoint is objective response rate. The agency has
22 determined that from a regulatory standpoint there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 no available therapy for the proposed indication.

2 The central question before ODAC is
3 whether the findings presented in this application are
4 reasonably likely to predict clinical benefit. The
5 FDA analyses of response rates from three Phase II
6 trials and the interim findings from the Phase III
7 trial are presented in the table. The summary is as
8 presented by Dr. Frykman of 13.8 percent response
9 rate.

10 Dr. Schilsky.

11 DR. SCHILSKY: A question to the FDA. Do
12 you want us to answer this question independent of
13 whether there are any ongoing or planned trials that
14 might be appropriate to provide the confirmatory data?

15 DR. WILLIAMS: Yes. We would certainly be
16 open to your guidance if you were to decide to approve
17 this on the basis of accelerated approval. Guidance
18 on what sort of follow-up trial would be needed. That
19 would be a good question to answer. Does that answer
20 your question?

21 DR. SCHILSKY: Yes. It's not clear that
22 the Phase III trial that's recently completed accrual

1 would be able to provide the confirmatory data for
2 full approval. I want to be sure that if we answer
3 this question in the affirmative, that it will be
4 clear that there will need to be additional studies
5 beyond the Phase III trial that are appropriately
6 designed to provide the confirmatory endpoint.

7 DR. WILLIAMS: It is certainly our
8 responsibility to make sure before an approval that
9 such a trial is planned and committed to and we would
10 welcome your input on what sort of trial would be
11 necessary.

12 DR. OZOLS: I mean, the problem with this
13 question about available treatments and what is
14 community practice, I think what we would really like
15 to see whether there is a clinical benefit of this
16 agent. In the real world there are many other drugs
17 that are being used. I mean, the FDA decided that
18 available is indicative of FDA approval but that is
19 certainly not what's out there and what people use.
20 Drugs like gypcytamene BP16 and even taxene. A lot of
21 people are using drugs that do have some activity in
22 this disease.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In one sense the real measure would be to
2 see what Doxil does against the community standard and
3 that would be Doxil versus you decide. You pick. I
4 don't know if the agency would like that kind of a
5 trial or would accept that kind of a trial but that's
6 the real world.

7 DR. WILLIAMS: I feel sure we would accept
8 that sort of a trial. There was a great deal of
9 debate on this particular application within the
10 agency about the meaning of available therapy, whether
11 it should be just anything in the literature or should
12 just be what's in the label. I think we came to sort
13 of a compromise that will be published in the form of
14 a guidance soon. In general it's what's in the label
15 unless there is basically a great deal of data
16 supporting efficacy in the literature.

17 I think one of the reasons is I believe if
18 you look in the literature, you will see response
19 rates. I don't believe that this 13.8 percent
20 response rate would be in the literature as a 10.8
21 percent response rate. You would never see the trial
22 with the zero percent response rate and the others

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be inflated relative to what we see. There is
2 some lack of fairness to say here is the FDA response
3 rate versus what's in the literature. I think that is
4 some of the thinking behind.

5 The other point is that we would like to
6 encourage drug companies to update their label so that
7 we have a real effective label that does include all
8 the indications that should be in it. If the drug
9 companies have no incentive for updating their label,
10 then they may not. That's the thinking behind our
11 stance. We're not asking you to make a comparison to
12 what's out there. We're asking you to say is there or
13 is there not reasonable likelihood that this response
14 rate represents clinical benefit.

15 DR. DUTCHER: Dr. Nerenstone.

16 DR. NERENSTONE: I think that the sponsor
17 has to be commended for looking at this patient
18 population which notoriously has been under
19 represented in clinical trials in terms of drug
20 development. I think that, you know, we look at this
21 13 percent response rate and sort of shutter and say
22 it's very low but they have carefully defined the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 population that they are looking at. I think that for
2 that carefully defined population, a 13 percent
3 response rate is meaningful.

4 In terms of clinical benefit, I mean, I
5 think we would all like to have heard more that
6 patients who were enrolled on study and who got a
7 response did have some meaningful changes in their
8 life in terms of benefit of the drug. As Dr. Markman
9 pointed out, those are often not the patients who can
10 be put on these kinds of studies.

11 Anecdotally he says that he has some
12 patients. Quite honestly as a community oncologist I
13 also have had a patient who has responded
14 significantly to this medication. I do think in the
15 patient population we're looking at there is going to
16 be clinical benefit.

17 I am still concerned about the toxicity
18 and about the learning curve which the sponsor has
19 admitted there is in terms of treatment using this
20 drug. I am concerned because most clinical
21 oncologists or Gyn oncologists are not going to have
22 20 patients to learn on. You want that first and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 second patient as well cared for as the number 20th.
2 I'm still very concerned about their doses. 50 per
3 meter squared is probably fine to start with. I'm
4 concerned that 50 per meter squared four weeks later
5 is not too high.

6 DR. DUTCHER: Dr. Ozols.

7 DR. OZOLS: Yes. I mean, there are a lot
8 of issues about clinical benefit. I'm not going to
9 rehash those. I would feel much more comfortable
10 about voting yes on the first one if I didn't have
11 that European trial. Rich Simon did some back of the
12 napkin here calculations. There is something
13 worrisome about that trial of zero to 36 responses.
14 That would not have been expected with a response rate
15 of 15 percent by any stretch of the imagination.

16 Plus, if that does represent a worse group
17 of patients we've seen, and it's quite common that the
18 drug gets out into the community, that the response
19 rate may drop because we will be treating more and
20 more patients who are sick or have more disease.

21 The true benefit needs to be studied and
22 it needs to be addressed in a post-marketing very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 carefully designed study for symptomatic improvement
2 as well. I think it should be approved at this point
3 or accelerated but I would feel a lot more comfortable
4 and I would be doing it with less trepidation if we
5 didn't have that European trial.

6 DR. DUTCHER: Dr. Margolin.

7 DR. MARGOLIN: I was just going to say
8 hopefully that what we would see would be perhaps a
9 little bit of the opposite which is if an attempt can
10 be made to study the drug in patients who have less
11 volume of disease, the nonmeasurable patients with a
12 carefully designed trial, we may actually see greater
13 benefit than what we're seeing now.

14 DR. DUTCHER: Any other comments? All
15 right. So question No. 1: Do the data on objective
16 response indicate that Doxil is reasonably likely to
17 be associated with clinical benefit in this
18 population? All those who would vote yes? One, two,
19 three, four, five, six, seven, eight, nine yes.

20 All those who would vote no? Two. You
21 want to make a comment?

22 DR. SIMON: I think there was no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 symptomatology data presented. I think a 15 percent
2 response rate at that stage of disease, unless there
3 was symptomatology data, I don't think it's likely to
4 be associated with a survival benefit.

5 DR. DUTCHER: More toxicity was noted with
6 every three-week schedule and with every four-week
7 schedule. Consequently, only the latter schedule is
8 proposed for approval. Toxicity attributed to Doxil
9 in study 30-47, the largest study where Doxil was
10 given by the every four-week schedule is outlined in
11 the following table from the application.

12 Considering the efficacy discussed in
13 question No. 1 and the toxicity described above, do
14 you recommend that Doxil 50 milligrams per meter
15 squared administered intravenously every four weeks be
16 granted accelerated approval for the treatment of
17 patients with metastatic carcinoma of the ovary who
18 are refractory to both paclitaxel- and platinum-based
19 chemotherapy regimens?

20 Any more discussion about dose? Dr.
21 Nerenstone.

22 DR. NERENSTONE: What kind of package

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 insert can the FDA recommend in terms of the PPE
2 toxicity in dose reductions for those physicians who
3 are not savvy?

4 DR. WILLIAMS: I take it that you want us
5 to be as careful as possible on the package insert.
6 We will do that. It would be nice to be able to say,
7 well, start at 40 and go up. I think we would have a
8 hard time doing that without at least some data with
9 efficacy by that method. We will be very attentive to
10 maximum precautions on the label.

11 DR. DUTCHER: Do the current guidelines,
12 the current package insert, do you have any feel for
13 -- it's a much lower dose so this part of it is not in
14 there. What about infusion reaction that was
15 described that isn't even in the list here?

16 DR. WILLIAMS: We haven't attended too
17 carefully to the labeling. We generally wait to see
18 if we need to. We certainly will and we will take all
19 of your comments into consideration.

20 DR. DUTCHER: Yes.

21 DR. OZOLS: Have you started discussions
22 about what will be the appropriate post-marketing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 trial for looking at clinical benefit? I think this
2 is an opportunity to try to design something that
3 really looks again, as we talked yesterday, about
4 trying to get a better idea what clinical benefit is
5 all about.

6 I think it's going to be difficult in this
7 disease with all the problems that we've talked about
8 to really show clinical benefit. That doesn't mean we
9 shouldn't try and I think it's going to be somewhat of
10 a difficult study just comparing it against topotecan.
11 I'm not sure that will establish clinical benefit.

12 DR. WILLIAMS: You haven't voted yet on
13 whether to approve it but if you do, I would suggest
14 you follow it with a discussion of the trial design
15 recommendation.

16 DR. DUTCHER: All right. So we'll finish.
17 We'll go through the votes and then we'll have a
18 discussion because I think that clearly there is a
19 certain level of trepidation. Although people have
20 some comfort that there is some benefit here, I think
21 they really want to see some more documentation of
22 such.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We'll vote on question No. 2. Should
2 Doxil be granted accelerated approval for treatment of
3 metastatic carcinoma of the ovary refractory to both
4 paclitaxel- and platinum-based chemotherapy regimens.
5 All those who would vote yes? One, two, three, four,
6 five, six, seven, eight, nine yes. All those who
7 would vote no? Two.

8 All right. For the reasons stated -- and
9 we appreciate the honesty of our committee in terms of
10 their votes -- what would clinicians, investigators,
11 patients feel is sufficient evidence for clinical
12 benefit in either refractory ovarian or perhaps a
13 slightly less refractory group of patients? What do
14 you want to see? Dr. Ozols.

15 DR. OZOLS: Well, there is no question we
16 would like to see some impact on survival but with the
17 response rates that we're seeing in second-line
18 treatment, it's unlikely that we're going to see any
19 major impact on survival, particularly when all we're
20 seeing is partial responses. Again, that's not
21 indictment against Doxil. That's just indictment
22 against the drugs that we do have available in that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 situation. We just don't see enough good responses
2 and complete responses to second-line treatment.

3 Survival is something that we all aim for
4 but the only chance we're really going to have an
5 impact on survival is realistically what we do up
6 front with out initial chemotherapy. I think Doxil
7 needs to be tested up front but that's separate from
8 the indication that we're looking at.

9 I think the major impact we'll be looking
10 at of a drug like this is really in the second-line
11 situation the realistic benefit would be symptom
12 control and improvement of symptoms, improvement of
13 quality of life for all the reasons we're talked about
14 in the past though they are more difficult but I think
15 they need to be done.

16 I think a comparison of Doxil versus best
17 available treatment with regard to including
18 symptomatic patients would be the way to go and then
19 give a good quality of life as best that we can and
20 see if they are the real clinical benefit for that
21 group of patients.

22 DR. DUTCHER: Can you get at that if you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 took patients with only one prior therapy? One prior
2 taxel-carbo regimen or paclitaxel platinum regimen?

3 DR. OZOLS: I'm not sure I'd worry so much
4 about how much prior treatment they had. I think I
5 would really worry about the fact that they had
6 measurable and primarily symptomatic disease and see
7 if they got better.

8 DR. DUTCHER: Dr. Williams.

9 DR. WILLIAMS: There was some comment
10 about a lot of patients not being included because of
11 the lack of measurable disease. Do you think there's
12 a role for patients who are symptomatic and have
13 elevated CA-125 and then have response of both of
14 those? We've not accepted CA-125 alone at this point
15 but that have simultaneous, a considerable CA-125
16 decrease plus a decrease in their symptoms.

17 DR. OZOLS: I think there is enough
18 literature data to support the use of that group of
19 patients for exactly that kind of a trial.

20 DR. DUTCHER: Dr. Margolin.

21 DR. MARGOLIN: Well, I think we also --
22 I'm not nearly as much the expert in ovarian cancer

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that Dr. Ozols is but I think that there's a great
2 problem with symptomatic patients in ovarian cancer
3 which is that many of those patients require some kind
4 of mechanical intervention to relieve their symptoms
5 and their symptoms tend to behave in a sudden somewhat
6 unpredictable but rapidly progressive fashion.

7 It's hard to make them go away with
8 chemotherapy and we often don't try to treat them with
9 chemotherapy. I would move this drug up to earlier
10 use in a minimally symptomatic group of patients and
11 try to include those patients who don't have
12 measurable disease and try to find a way that
13 everybody could agree to use the CA-125 and some
14 careful definition of time to progression as the
15 surrogate for clinical benefit in those patients.
16 Also build in quality of life both for purposes of
17 disease control as well as because of the very
18 different spectrum of toxicities of the two drugs
19 compared with topotecan.

20 DR. WILLIAMS: Are you suggesting there's
21 a different standard for the use of time to
22 progression in this disease than breast cancer?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 You're even going another step further in considering
2 CA-125.

3 DR. MARGOLIN: Yes, because I think the
4 great majority of patients with this disease, those
5 patients whom we can impact on the most are those that
6 are the least likely to have disease you can measure.
7 Then you end up with just that one dividable endpoint
8 of survival that we talked about yesterday and it's
9 probably not enough.

10 DR. KROOK: Having been here now for about
11 20 ODAC meetings I recall that there was a drug that
12 came through for pancreas cancer that basically got
13 approval based on a clinical benefit scale. I have
14 not seen other sponsors use that but certainly we're
15 in the same type of disease as difficult to measure,
16 difficult to whatever. There are a few biomarkers
17 which are available for that disease.

18 My suggestion would be that they develop
19 some simple scale to do this, toxicity versus quality
20 of life. We have looked at, as was discussed
21 yesterday, lots of quality of life scales. It needs
22 to be simple, easy, and to the point. I go back to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that presentation, although other members may remember
2 that at least that was convincing enough to get full
3 approval, what they did in pancreas cancer.

4 Again, response rates were, if I remember
5 right, like 7 percent versus 1 percent. Yet, somehow
6 we as a group obtained the feeling that there was a
7 benefit to the patient. That's three years ago but it
8 was here.

9 DR. DUTCHER: Dr. Ozols.

10 DR. OZOLS: As Gail Hayward said in her
11 letter, and this is right and this is the most latest
12 statistics, that 50 percent of ovarian cancer patients
13 now are living five years. That's at all stages. If
14 you look back in the 1960's it was 30 percent. This
15 is a disease that is becoming more of a chronic
16 disease. Patients are living longer and longer. I
17 think that's where it becomes incumbent on oncologists
18 to be able to use your treatments judiciously.

19 You certainly can't keep everybody on
20 treatment over five years. That is why I think the
21 use of CA-125 only is wrong. Sometimes you want to
22 follow somebody who has minimal disease and no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 symptoms because you want to have these patients live
2 longer and longer with good quality of life so it's
3 going to be more difficult.

4 DR. DUTCHER: Dr. Sledge.

5 DR. SLEDGE: Actually, having heard Kim,
6 I'm wondering. It sounds like we're talking about two
7 kinds of separate issues again here which is the
8 quality of life issue versus the overall survival
9 issue. I guess my question, Bob, would be can we
10 define a quality of life type study or symptomatic
11 type study in patients who have relatively good
12 performance status? Is that conceivably possible in
13 this disease?

14 DR. OZOLS: Yes. I think it is
15 conceivably possible. It's going to have to be a
16 randomized trial and obviously you're going to have to
17 get some very careful selection criteria but I think
18 it can be done and I think it's very important to be
19 able to do that. More and more of us are doing that
20 in clinical practice here. You are using your
21 treatments more judiciously. People are living longer
22 and longer with this and you have to know when to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 treat and when not to treat.

2 DR. SLEDGE: The post-marketing study that
3 I guess would be required to support this indication
4 will probably not be the sort of trial that Kim is
5 discussing, albeit that is a very important trial.

6 DR. OZOLS: The comparison against
7 topotecan? Yeah. I don't have all the details of
8 that study but just at the end of the day you're going
9 to have two drugs that have about the same response
10 rate and you're going to have different toxicities.
11 I'm not sure what you're going to be able to say other
12 than that.

13 DR. DUTCHER: Dr. Schilsky.

14 DR. SCHILSKY: I guess I'm still a little
15 concerned about the ability to do a definitive trial
16 with anything other than a survival endpoint. My
17 concerns relate to the fact that the patients who are
18 most in need of clinical benefit are the patients who
19 are least likely to respond to the therapy.

20 As Dr. Margolin pointed out, often times
21 when they have the symptomatic need, the appropriate
22 therapy is not actually chemotherapy intervention.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 It's a different type of intervention. It's really
2 tough to pick out the patient population in whom you
3 will be able to actually demonstrate clinical benefit
4 in this particular disease.

5 I don't know what the other panel members
6 think about the potential of survival as an endpoint.
7 I never would have believed a drug that shows a 14
8 percent response rate could impact positively on
9 survival until erindotecan came long. Obviously a
10 different disease. Same level of activity that we saw
11 with that drug and subsequently followed up by two
12 randomized trials that each showed a survival benefit
13 in an advanced disease population.

14 You could argue that colon cancer and
15 ovarian cancer are two different diseases and you
16 might not be able to anticipate demonstrating a
17 survival benefit in ovarian cancer, although I never
18 would have believed you could see it in colon cancer
19 either until those two Phase III trials were
20 conducted.

21 I'm wondering if, in fact, it would be
22 possible to define a patient population. Maybe

1 patients who have progressed after front-line standard
2 combination chemotherapy. I'm not sure what are the
3 appropriate comparators. Maybe you could remind us
4 what the label says for topotecan. What group of
5 patients is topotecan currently indicated for?

6 DR. WILLIAMS: Platinum resistant, I
7 think.

8 DR. SCHILSKY: Platinum resistant. Okay.
9 So, I mean, the likelihood is that the group it is
10 used in are the patients who are platinum paclitaxel
11 resistant. It would seem that during a Phase III
12 study compared to topotecan following progression or
13 relapse after platinum paclitaxel with a survival
14 endpoint might be possible. Where it would actually
15 show that Doxil would win I don't know. It seems like
16 it would at least be possible to do that trial.

17 DR. DUTCHER: Ms. Solonche.

18 MS. SOLONCHE: Dr. Ozols has mentioned
19 whether it would be possible to do a trial that would
20 show clinical benefit and quality of life and other
21 issues. He answered the question saying, well, yes,
22 we could do it. But more than that, I think we must

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 do it. If we aren't measuring things like quality of
2 life or survival, then what are we doing this for?

3 DR. OZOLS: Yes. You know, I think you
4 can do studies where patients which you are talking
5 about waiting too late and then came as well and
6 remaining through surgery. There are a group of
7 patients who are asymptomatic but who have rapidly
8 growing disease or progressing disease. Then you're
9 not going to wait on that group of patients or they
10 become symptomatic.

11 There are a lot of judicious patient
12 selection that goes into it. By using CA-125 coupled
13 with radiographic evidence of disease, if somebody who
14 has grown in two months off treatment and you know
15 things aren't -- that would be a patient, for example,
16 you would treat. You wouldn't wait until something
17 happened.

18 What you want to avoid is sometimes a
19 patient with a rising CA-125 only for a year or two
20 years. You're just wasting your chemotherapy there.
21 Or who's got a small lesion that doesn't affect the
22 quality of life and doesn't really grow much over a

1 period of time. By selecting the right patients and
2 you know when to treat them, you could do a clinical
3 benefit analysis in that group of patients quite
4 easily. It can be done.

5 DR. SCHILSKY: You think you could not do
6 a survival endpoint in that group?

7 DR. OZOLS: With available drugs we have
8 we're still talking about response rates that are
9 going to be in the 20 or 25 percent range at best and
10 with clinical complete responses have that or a third
11 of that. We saw today only one or two percent
12 clinical complete remissions. I think clinical
13 complete responders are going to be the only ones that
14 will really impact upon survival. I think the quality
15 of life and the time to progression are important
16 endpoints in that situation.

17 DR. SCHILSKY: I mean, I must say given
18 the difficulties of actually measuring lesions in
19 ovarian cancer that we discussed already this morning,
20 I'm even more skeptical about trying to use a time to
21 progression endpoint. I'm not sure how you assess
22 progression.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I mean, there are going to be times when
2 it may be obvious but there are going to be many times
3 when it's going to be impossible. I'm real skeptical.

4 DR. OZOLS: Actually, with CA-125 data,
5 again, that situation coupled with other things, that
6 could be an indicator of progression. You could use
7 that. Again, I'm not saying you use that to dictate
8 your change in treatment or start a new treatment but
9 an indicator to stop treatment.

10 DR. DUTCHER: Ms. Solonche.

11 MS. SOLONCHE: But what about patients
12 whose CA-125 is useless? There is a large percentage
13 of patients whose CA-125 stays the same.

14 DR. OZOLS: That's true. I mean, there's
15 a subset of patients where I think the trial design
16 can be done but it's going to be difficult. You
17 certainly have to include that group of patients as
18 well, but then you would have to use other measures of
19 disease which aren't as objective.

20 DR. DUTCHER: Dr. Nerenstone.

21 DR. NERENSTONE: I think the bottom line
22 is that this drug is going to have an impact on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 survival. As Bob Ozols has pointed out, it's going to
2 have to be used somehow in the front-line treatment
3 because we know that's probably the only way you are
4 going to impact on survival. All these 10 percent, 15
5 percent drugs in the second- and third- and fourth-
6 line settings, we're not going to be able to show that
7 they impact on survival in a large patient group.

8 DR. DUTCHER: Well, that was the argument
9 for the discussion yesterday and we disagreed. We
10 asked for that so I think we should ask for that.

11 DR. NERENSTONE: I think you have to
12 decide on -- I think it may be disease specific. In
13 breast cancer it may be different than in ovarian
14 cancer.

15 DR. DUTCHER: I understand that but I'm
16 still thinking that we're talking about what means
17 that something is a step forward and how do you define
18 that.

19 Dr. Margolin.

20 DR. MARGOLIN: I think if we truly don't
21 think that this drug for this indication is going to
22 translate into some kind of clinical benefit whether

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that is survival. We shouldn't be voting for it
2 because the confirmation of this will still be in the
3 same indication.

4 DR. NERENSTONE: No. I think there is
5 clinical benefit. I've seen clinical benefit from
6 this drug. If you can actually prove that it's going
7 to improve survival, I think that is the study that is
8 going to be hard to prove. I think that the study
9 suggesting looking at people who have some symptoms
10 and seeing if there is clinical benefit to any
11 individual patient is doable and probably worthwhile
12 doing.

13 I think proving that as second-line or
14 third-line treatment you are prolonging life when you
15 only have a 10 percent response rate is going to be
16 very difficult.

17 DR. DUTCHER: Dr. Sledge.

18 DR. SLEDGE: If I could ask the FDA panel
19 members, if three years, four years from now we come
20 back and we have a front line study that shows no
21 survival advantage over topotecan and a second-line
22 study that shows no quality of life advantage, then

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what happens?

2 DR. JUSTICE: We bring it back to the
3 committee for consideration to be taken off the market
4 for that indication.

5 DR. NERENSTONE: Do you really have to
6 show advantage or can you show equality? Because
7 quite honestly using topo and using Doxil, Doxil has
8 a far preferable toxicity profile. You know, if you
9 show that they are equal, I'm not sure then you would
10 throw out Doxil and say it's no good. Do you really
11 have to show superiority?

12 DR. JUSTICE: Well, too bad Bob Temple is
13 not here because he would love to talk about the
14 problems of the equivalence trials but it would take
15 a huge trial and would be very difficult to do that.
16 I think you would have to show that you are better
17 than the controlled therapy.

18 DR. DUTCHER: Ms. Solonche.

19 MS. SOLONCHE: My concern here is also
20 that by giving approval and an accelerated track to
21 this, are we setting a bad precedent in that we are
22 accepting trials that do not show enough response rate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ad are we aiming too low?

2 DR. OZOLS: Well, my reading of the
3 regulations is that accelerated approval is really
4 raises a bar for the company now to come back and show
5 us that, in fact, it does make a difference.

6 MS. SOLONCHE: Yes, but I think one
7 problem is that the public will see this approval and
8 maybe some clinicians as well and will think, oh,
9 well, let's concentrate more on this drug. This is
10 approved. It must be better than the ones that have
11 not been approved that are used in a similar situation
12 like gemcytabine or etopacide.

13 DR. WILLIAMS: Well, this company chose to
14 proceed with this application and was the first to get
15 accelerated approval. Perhaps it will encourage other
16 companies to update their label. They were the first
17 one to demonstrate it and we don't really know if
18 these these other companies could demonstrate it. We
19 just know that this one did. This indication is no
20 longer available for the accelerated approval type of
21 approval. Anybody else could come in and show
22 clinical benefit and get the same indication.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DUTCHER: I think what we have to
2 remember is that this is a very selected population,
3 very small numbers of patients. We often learn more
4 about an agent once it's available for further study
5 than we would from the initial approval trial. For
6 example, we don't know what happened to the people
7 that were on these trials that were not in the
8 refractory group. They may have had a different
9 response. I understand your concerns and we have
10 those concerns but we don't have a lot of options
11 right now. At least we're talking about one agent
12 that has been demonstrated in a fairly cautious way.

13 MS. SOLONCHE: Right, but this drug, even
14 if it were not approved is still available to the
15 clinicians who want to use it for their patients. Are
16 we by approving it saying to people this one is better
17 than the other ones rather than what the truth may be,
18 that they were the first. You see my point?

19 DR. MARGOLIN: Well, for good or for bad
20 we can't legislate the use of the drugs after they are
21 out on the market. All you can do that for is safety
22 so once it was out on the market for Kaposi's sarcoma,

1 it was available from that time on. This is a vote to
2 recognize that certain criteria have been met that
3 will have to be followed by more rigorous data.

4 For safety purposes, it's out there and
5 you know that doctors will use it. There's no choice
6 over that and that's true for many. In fact, the use
7 of those other drugs that may be better, may be worse,
8 has come from the same thing. Gemcytabine came out
9 for pancreas and then it started to be used in others.
10 You can't do anything about that.

11 MS. SOLONCHE: Well, perhaps it's time to
12 look at the statutes and perhaps change that. Not of
13 course at this moment.

14 DR. DUTCHER: Dr. Simon.

15 DR. SIMON: I think there is a lot of
16 truth to what you say. I think when drugs are
17 available, it's harder to do clinical trials of those
18 drugs as well as other drugs. I think it was a much
19 better situation with CPT-11 when the company came to
20 us with the randomized trials in second-line colon
21 cancer with essentially palliative treatment control
22 groups in one of the trials and showed the survival

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 comparison.

2 I think it's not a good situation to my
3 mind where we approve a drug based on response rate
4 without trials in place or clear indications of what
5 those trials will be to demonstrate whether that drug
6 really does provide any meaningful benefit to women.

7 DR. SCHILSKY: My only point in bringing
8 up the CPT-11, you know, I think we'll all remember
9 that when that drug first came to the committee the
10 rate of grade III and IV toxicity that was reported at
11 that time was approximately twice the response rate
12 that was reported. Nevertheless, the drug was given
13 accelerated approval. I think most of us probably
14 were skeptical at that point that it would be possible
15 to demonstrate a survival advantage, and yet it turned
16 out that it was possible. So I only bring that up as
17 an example of a situation where a drug with a similar
18 level of activity, albeit in a different disease,
19 ultimately was able to demonstrate a survival
20 advantage in randomized trials.

21 DR. DUTCHER: Dr. Simon.

22 DR. SIMON: My only, I guess, issue here

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is I would hope that the FDA before giving the drug
2 accelerated approval that they have negotiated
3 clinical trials that they believe will be the basis
4 for approval and what those trials will have to show.

5 DR. WILLIAMS: Our approval letter
6 generally states such an agreement with specific
7 trial. We generally try to include an advisory
8 committee member in the negotiations.

9 DR. DUTCHER: Dr. Krook.

10 DR. KROOK: Simply a comment to my
11 colleague over here. I'll use the same as Rich did
12 with CPT-11. When CPT did 11 and once accelerated
13 approval happened, there was a detail man at my shop
14 fairly quickly and allowed the company to advertise.
15 What I was going to say is the same as Dr. Simon did
16 is that I think we need to set this up.

17 It also does what you say. It does get in
18 the press. Having been here again for a period of
19 time, it has taken me more than one year to understand
20 accelerated approval. I'm not sure I do yet. It is
21 a different set and it does put the drug out there for
22 people hopefully to reasonably use it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Those of us in clinical practice like
2 every "business," we know colleagues who don't know
3 how to use drugs and do. As has been mentioned here,
4 we are hopeful that people use it appropriately. I
5 think that's what you're saying, inappropriate use.

6 The other thing it does by an accelerated
7 approval, it solves some of the reimbursement
8 problems. That did not used to be a problem for me
9 and I suppose not to be talked about here, but it
10 solved some of the reimbursement problems that each of
11 us have to deal with as we go through clinical
12 practice at least for the time being.

13 As was mentioned earlier by Dr. Williams,
14 I don't think there has ever been a drug that has been
15 withdrawn but that possibility exist in this approval.
16 It's not a full approval so that possibility exist.

17 DR. SCHILSKY: Jim you know, you bring up
18 an interesting point and I wonder if the FDA has
19 thought about this. That is the ability of
20 practitioners to actually distinguish the differences
21 between accelerated approval and full approval.
22 Whether, in fact, drug companies are required in their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 advertising and in their discussions with physicians
2 to indicate whether something has been given
3 accelerated approval or full approval. I think it's
4 a difficult concept. And the fact that it may well be
5 understood in many cases to be that the drug is so
6 good that it was given accelerated approval.

7 DR. KROOK: Right. That's true. That's
8 true.

9 DR. SCHILSKY: When, in fact, I think one
10 has to be appropriately skeptical about the value of
11 the drug when it has been given accelerated approval.
12 Maybe you could all comment just to inform us a little
13 bit about what kind of instructions do you give to
14 companies about the parameters that they have to apply
15 in discussing agents after they have been given
16 accelerated approval.

17 DR. JUSTICE: Well, they can discuss what
18 is on the labeling. One thing that we have been
19 trying to do recently is to make it clear that the
20 approval is based on objective response rate only and
21 the labeling, of course, states the objective response
22 and is not based on demonstration of clinical benefit.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Of course, the company has to advertise
2 according to the approval labeling. That might not
3 get to all the issues but at least it's a start.

4 DR. OZOLS: One of the things you point
5 out about the other drugs being available is that's
6 what we started with. There are other drugs
7 available. I agree with you that no drug should have
8 the imprimatur that this is the drug of choice out
9 there for second-line treatment because obviously the
10 companies when have FDA approval, it will be something
11 that will come out in marketing.

12 Having said that, I think it is incumbent
13 on industry and the pharmaceutical industry to get
14 SNDA's out as quick as they can. The agency wants to
15 approve and make sure that the package insert tells
16 where the drug can and can't be used. Drugs like BP-
17 16 and gemcytabine should be coming up here. On the
18 other hand, the agency should make it easier for
19 SNDA's to be done as well, I guess. It's something
20 that in the real world should get out there quicker so
21 that the physicians know that other drugs have
22 activity. In the SNDA's you don't have to worry about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all the toxicity because you've gone over that in
2 detail on your prior submissions. I would like to see
3 a lot more SNDA's out there.

4 DR. DUTCHER: Excellent discussion.
5 Excellent discussion. I think a lot of food for
6 thought for the negotiations regarding this agent.

7 We are ahead of schedule so we're going to
8 start ahead of schedule. We're going to start back
9 here at 12:30 p.m.

10 (Whereupon, the meeting was recessed at
11 11:17 a.m. to reconvene at 12:30 p.m. this same day.)

12

13

14

15

16

17

18

19

20

21

22

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 (12:28 p.m.)

3 CHAIRPERSON DUTCHER: Good afternoon. We
4 are going to resume the last afternoon of this
5 meeting. We have some new people at the table, so I
6 want to go around once more and just have people
7 briefly introduce themselves. Ms. Beaman?

8 MS. BEAMAN: Carolyn Beaman, Sisters
9 Breast Cancer Network, Consumer Rep. to the Committee.

10 DR. SLEDGE: George Sledge, Medical
11 Oncologist, Indiana University.

12 DR. SANTANA: Victor Santana, Pediatric
13 Oncologist, St. Judes Children's Research Hospital.

14 DR. NERENSTONE: Stacy Nerenstone, Medical
15 Oncology, Hartford Hospital, Connecticut.

16 DR. LIPPMAN: Scott Lippman, Medical
17 Oncology, M.D. Anderson Cancer Center.

18 DR. SCHILSKY: Richard Schilsky, Medical
19 Oncologist, University of Chicago.

20 MR. GRUETT: I'm Glenn Gruett, Patient
21 Advisor.

22 DR MARGOLIN: Kim Margolin, Medical

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Oncology and Hematology, City of Hope, Los Angeles,
2 California.

3 CHAIRPERSON DUTCHER: Janice Dutcher, Our
4 Lady of Mercy Cancer Center, New York.

5 DR. TEMPLETON-SOMERS: Karen Somers,
6 Executive Secretary to the Committee, FDA.

7 DR KROOK: Jim Krook, Principal
8 Investigator, Duluth CCOP, Duluth.

9 DR. HARWOOD: Andrew Harwood, Radiation
10 Oncologist with a special interest in head and neck
11 cancer from Louisiana.

12 DR. SIMON: Richard Simon,
13 Biostatistician, National Cancer Institute.

14 DR. OZOLS: Bob Ozols, Medical Oncologist,
15 Fox Chase Cancer Center.

16 DR. WILLIAMS: Grant Williams, Team
17 Leader, FDA.

18 DR. CHICO: Isagani Chico, Medical
19 Reviewer, FDA.

20 DR. CHU: Clara Chu, Statistics Reviewer,
21 FDA.

22 DR. TEMPLE: Bob Justice, Acting Division

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Director.

2 CHAIRPERSON DUTCHER: We have a conflict
3 of interest statement to be read, please?

4 DR. TEMPLETON-SOMERS: This one is brief.
5 The following announcement addresses the issue of
6 conflict of interest with regard to this meeting and
7 is made a part of the record to preclude even the
8 appearance of such at this meeting.

9 Based on the submitted agenda and
10 information provided by the participants, the Agency
11 has determined that all reported interest in firms
12 regulated by the Center for Drug Evaluation and
13 Research present no potential for a conflict of
14 interest at this meeting. In the even that the
15 discussions involve any other products or firms not
16 already on the agenda for which an FDA participant has
17 a financial interest, the participants are aware of
18 the need to exclude themselves from such involvement
19 and their exclusion will be noted for the record.

20 With respect to all other participants, we
21 ask in the interest of fairness that they address any
22 current or previous financial involvement with any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 firm whose product they may wish to comment upon.
2 Thanks.

3 CHAIRPERSON DUTCHER: We have two speakers
4 for the open public hearing. We would like you to
5 please identify yourself, any affiliation with the
6 sponsor, or any financial support. First is Philip J.
7 LoPresti. Please come to the microphone or the podium,
8 either one.

9 DR. LOPRESTI: Good afternoon, everyone.
10 Madam Chairperson, members of the committee, and
11 representatives of the Food and Drug Administration.
12 I am Philip LoPresti, a retired dermatologist and a
13 survivor of head and neck cancer.

14 I served my residency at the Hospital
15 University of Pennsylvania, 1963 to 1966, and I taught
16 in the clinic for ten years after, doing various drug
17 studies at the time. I am Chief of Dermatology at Our
18 Lady of Lourdes Hospital in Southern New Jersey, which
19 is a Level 4 hospital, and I was president of the
20 Philadelphia Dermatologic Society, which meets monthly
21 from October to June at the five medical schools in
22 Philadelphia, often presenting rare cases treated with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 medications on study programs such as the one I
2 participated in on amifostine.

3 Therefore, I understand the position you
4 are in today in evaluating amifostine as a possible
5 help for patients with head and neck cancer. I would
6 like to preempt my remarks by stating I am not
7 associated at all with U.S. Bioscience. No one has
8 paid me to appear before the committee today. And as
9 a matter of fact, I drove down to Maryland today from
10 my home in New Jersey in my personal car at my own
11 expense.

12 I feel I am more familiar than most
13 physicians with patients who suffer from xerostomia,
14 since dermatologists are often consulted for various
15 complications of this disorder such as secondary
16 mammalian infections, bacterial infections,
17 ulcerations and viral infections. However, despite 32
18 years of practice, I never really appreciated the
19 difficulties from a patient's viewpoint until I became
20 a patient.

21 My history briefly is I was diagnosed to
22 have squamous cell cancer of the left tonsil. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 underwent a modified radical neck dissection on May 9,
2 1997. This was done at the Hospital of University of
3 Pennsylvania, and I received my radiation therapy
4 there as well. I volunteered to participate in the
5 amifostine study, and I was fortunate to be enrolled
6 by a random selection pick. I was the first patient
7 placed on the study at the University, and because of
8 the random pick and the fact that patients 2, 3 and 4
9 were not picked and several patients did not care to
10 volunteer, I was six weeks ahead of the second patient
11 in the study. I bring this out because I feel that no
12 other patient on the drug compared -- I had any reason
13 to compare to, and therefore contribute to placebo
14 effect. However, I could compare my progress with
15 radiation patients that were going through the same
16 treatments that I were, but were not on the
17 amifostine. I received a total of 6300 Gray over a 7-
18 week period.

19 I would like to share with you my
20 experiences during and after radiation. During
21 radiation, the first thing that happens, of course, is
22 that you lose the ability to taste. I always describe

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 this as eating wallpaper with the paste on it and no
2 taste. Then as the salivary glands become affected,
3 you can hardly speak above a whisper, and one really
4 dreads the nights. You can only sleep for
5 approximately one hour, and then you must lubricate.
6 And after about the third hour, you are awake and you
7 can't return to sleep. And after three hours of
8 staying awake, you are exhausted. In the early
9 morning, you finally fall asleep and then after
10 sleeping for three hours, you awake with your tongue
11 attached to the roof of your mouth and you are prying
12 your lips from your teeth. The first swallow in the
13 morning is extremely painful. It takes about 45
14 minutes to eat a bowl of dilute cereal, despite the
15 use of oral demerol. And at that time, I could only
16 eat soups. I lost 35 pounds in 7 weeks and despite
17 high calorie liquid fluid supplements. I had no side
18 effects from the amifostine. No nausea, vomiting, or
19 dizziness.

20 Now despite all these personal experiences
21 during the therapy, my side effects from radiation,
22 although similar to non-treated patients, were to a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 much lesser extent. As I sat in the radiation waiting
2 room, I spoke to many of the patients. I got to know
3 these patients because they were considering going on
4 the study and either were not chose in a random pick
5 or they had questions about the PIC line that I had to
6 have in or the side effects of the drug.

7 Of course, many of us compared our side
8 effects during our daily meetings in the waiting room.
9 In my discussion with my fellow patients, all had oral
10 ulcerations. I had none. The mucositis I
11 experienced, which I measured by the serous exudate,
12 was markedly less. I was able to eat and speak better
13 than every head and neck patient I spoke with at the
14 center, and some of my fellow patients who did not
15 receive amifostine had to be fed with G-tubes because
16 of their inability to swallow. More importantly, and
17 I think this is the most important point, in addition
18 to the milder side effects I experienced compared to
19 my fellow patients during radiation, the long-term
20 results in my opinion are even more dramatic. And in
21 a patient's viewpoint, rather spectacular.

22 I am now 22 months post-radiation. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sleep throughout the night. I eat all foods except
2 for jalapena peppers. One example I would bring to
3 your attention is that for a person with xerostomia to
4 eat bread is like just about impossible. If you try
5 to eat a piece of bread or a bagel, the little saliva
6 you have in your mouth just absorbs it so quickly,
7 even if you take a drink of water it is so difficult
8 to swallow. It doesn't give you the smoothness that
9 saliva does. Well, I can eat bread. I just had a
10 sandwich for lunch with very little help of water to
11 drink.

12 I recently had breakfast with two patients
13 who were not treated with amifostine. And I invited
14 them to have breakfast with me. I couldn't believe
15 how astonished they were that I could eat a bagel for
16 breakfast and they couldn't even think of ordering
17 that.

18 Teeth are often carious as a side effect
19 of the pH changes resulting in a high bacteria count.
20 It is recommended the use of fluoride in a mouth piece
21 daily. In July, I elected to have dental braces put
22 on because I was having difficulty with my bite. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 had prophylactic removal of the molars during my
2 surgical bout, and my teeth drifted. While I had
3 braces on, I was not permitted to use fluoride, and
4 this was a period of 7 months. I did not have any
5 caries during that 7 months, or to this day, which is
6 nearly 10 months later. I attribute this to the fact
7 that my rebound of my salivary glands due to the use
8 of amifostine. I consider my speech to be 80 percent
9 of normal. During radiation, I was using salivary
10 topical sprays, a 70 gram Salivart can, 12 cans every
11 10 days. Now I use 12 cans over an 8-week period.

12 When I was going through radiation, I was
13 told that 2 months post-radiation I would be much
14 improved, but only to expect 20 percent improvement
15 from there on. I have improved immensely in the past
16 22 months, and the improvement has been most dramatic
17 in the past 6 months. I do not have to walk through
18 life with a bottle in my hand with limited speech, and
19 I can enjoy the ability to eat all foods. I can sleep
20 through the night.

21 In conclusion, I would urge the committee
22 to consider this new use of amifostine from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient's perspective. I feel I am here on behalf of
2 many patients who cannot speak above a whisper with a
3 terrible quality of life. Surely our goal is to
4 survive. I am grateful that I am in a remission. It
5 is one thing to know that you have a life-threatening
6 cancer, but to compound this with the radiation
7 therapy that will cause tremendous changes in your
8 life via speech, eating, sleeping and tooth decay is
9 staggering to the patient. As we were taught in our
10 early training in medical school, first do no harm.
11 I would urge you to help relieve the patient of these
12 harmful side effects of radiation therapy. Also, I
13 realize one patient's response to therapy does not
14 prove definite efficacy of any medication. Amifostine
15 in my case has cut down on radiation's terrible side
16 effects dramatically. I hope you will not dismiss the
17 improvements in quality of life as trivial. They are
18 important to the patient. I know. I have experienced
19 both ends of the spectrum. I would like to thank you
20 for allowing me to discuss my history before your
21 distinguished committee. I will be happy to provide
22 the committee any first-hand experiences on the course

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 of treatment should you have any questions about this
2 form of therapy during your deliberations. Thank you.

3 CHAIRPERSON DUTCHER: Thank you very much.
4 The next speaker is Gail Broder, Cancer Survivorship
5 Alliance of South Florida.

6 MS. BRODER: Thank you for the opportunity
7 to speak on behalf of patients who can be helped by
8 amifostine. My name is Gail Broder. I am the founder
9 and President Emeritus of the Cancer Survivorship
10 Alliance of South Florida and a six-year cancer
11 survivor. I have no financial interest in the outcome
12 of the ethiol application, and I am here as a
13 volunteer without compensation or reimbursement of
14 expenses.

15 Among other things, I serve as the patient
16 representative on the Radio and Chemo Protectants
17 Guidelines Development Panel of the American Society
18 of Clinical Oncology, ASCO. Through my participation
19 on the panel, I have become aware of the potential
20 benefit of amifostine for cancer patients receiving
21 radiation treatment to the head and neck. I have not
22 personally been treated in this way and have no first-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hand experience of xerostomia.

2 Once I became aware of xerostomia as a
3 discrete clinical issue, I realized that I have two
4 friends that suffer from this disorder. Neither of
5 them has ever complained. They accept the problem as
6 a price to be paid for successful cancer treatment.
7 However, it is apparent to me that they experience
8 significant problems with such ordinary activities as
9 eating and speaking.

10 My friend, Barbara, is 39 years old, and
11 four years ago she was treated for lymphoma, including
12 radiation to the head and neck. She and I spend a lot
13 of time together. She frequently mentions that her
14 mouth is dry, and it is apparent to me that he has
15 difficulty speaking. Even though she continuously
16 drinks water, she seems never to be able to get her
17 mouth sufficiently moist to be comfortable. She has
18 told me that during her treatment the production of
19 saliva all but stopped. I confess, I didn't fully
20 appreciate the effect of her dry mouth problem until
21 she started talking to me about her dental problems.
22 In the months following her treatment, she developed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 four cavities. Before that, she had excellent dental
2 health.

3 My friend, Mort, is in his late 70's.
4 Over 25 years ago, he was twice treated with radiation
5 for head and neck cancer at the University of
6 Pittsburgh. Since then, he too has had difficulty
7 with a dry mouth, and that is over 25 years. He has
8 told me that his mouth is always dry and that he has
9 to continually lubricate it in order to speak
10 comfortable. A humble man, when we eat out, he
11 apologizes for eating so slowly. Because his mouth is
12 dry, it takes him a long time to chew and swallow
13 food. It is difficult for him to carry on a
14 conversation while taking a meal. Mort told me that
15 in the aftermath of his cancer treatment, he required
16 extensive dental treatment.

17 Since I don't have xerostomia, it would be
18 easy for me to minimize its effect on my friends. It
19 is only because I have spent so much time with both
20 Barbara and Mort that I have come to understand what
21 it means to have this disorder. I now realize that
22 the morbidity associated with xerostomia can be quite

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 significant for patients with acute and long-term
2 consequences.

3 The introduction of amifostine into the
4 pharmacy to decrease the incidence and severity of
5 radiation-induced xerostomia is a small, but
6 meaningful advance. As a member of the ASCO
7 Guidelines Development Panel, I reviewed along with
8 the medical experts the available relevant scientific
9 reports and listened carefully to the discussions. I
10 leave it to the medical experts to report the
11 scientific bases for the approval of ethyol. As a
12 cancer patient representative, however, I can say that
13 the panel developed a treatment guideline recommending
14 that amifostine be considered for reduction of
15 incidence and severity of xerostomia in patients
16 receiving radiation treatment for head and neck
17 cancer.

18 The guideline is based primarily on a
19 large Phase III randomized controlled clinical trial
20 together with numerous Phase I and a randomized Phase
21 II trial. The panel rated the level of this evidence
22 as 2 on a scale of 1 to 5. Based on this level of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 evidence, the panel graded the recommendation a B on
2 a scale of A, B, C, D, E and no grade. From a
3 patient's viewpoint, the 2B grade means the evidence
4 is reliable. In making this guideline, the panel
5 found that patients who received amifostine in the
6 randomized clinical trials had significant reductions
7 in incidence and severity of xerostomia. That the
8 drug was generally well tolerated with transient side
9 effects, and that in the randomized clinical trials,
10 there was no evidence in the overall response rates or
11 in overall survival -- there was no difference in the
12 overall response rates or in overall survivor between
13 the group that received amifostine and the one that
14 did not. Importantly, the panel also concluded that
15 there was no evidence from the available clinical data
16 that amifostine leads to the protection of tumor.

17 I have permission from ASCO to share this
18 information. The guidelines have been formally
19 adopted and will be published I am told in the next
20 few months. I understand that amifostine is
21 continuing to be studied to further substantiate the
22 panels findings, and I encourage the sponsor and its

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 partners to press on. Thank you.

2 CHAIRPERSON DUTCHER: Thank you very much.

3 Okay, we are going to then proceed with the sponsors
4 presentation. We have a lot of speakers, so just to
5 warn you, we are putting on a clock. You have your
6 full hour plus 5 minutes.

7 DR. OSTER: Okay. We tried.

8 CHAIRPERSON DUTCHER: Okay.

9 DR. OSTER: Dr. Dutcher, members of the
10 committee, Dr. Justice, representatives from the FDA's
11 Oncology Division and guests, I am Dr. Oster,
12 Executive Vice President at U.S. Bioscience. On
13 behalf of U.S. Bioscience, I want to express our
14 appreciation for having the opportunity to present
15 here today to you the supplemental NDA for the use of
16 amifostine in radiotherapy.

17 Amifostine is currently approved in the
18 United States to reduce the cumulative renal toxicity
19 associated with repeated administrations of cisplatin
20 in patients with advanced ovarian cancer and non-small
21 cell lung cancer. It is approved for similar or
22 extended indications in approximately 50 other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 countries world-wide, including the European
2 community. In the European community, an approval was
3 recently unanimously issued for the use of amifostine
4 in radiotherapy, the indication which we are going to
5 discuss here today.

6 Post-marketing experience is now available
7 from approximately 250,000 treatment cycles from an
8 estimated 83,000 patients treated world-wide. The
9 emerging safety profile from this observation is
10 consistent with the safety described in the package
11 insert.

12 The proposed new indication which we
13 present here today is for the use of amifostine to
14 reduce the incidence of moderate to severe radiation-
15 induced xerostomia. This indication has received
16 orphan drug designation by the FDA. According to the
17 FDA's guidance for the industry of standards for the
18 prompt review of efficacy supplements, a priority
19 review can be assigned if the product would be a
20 significant improvement for treatment or preventic.. of
21 disease.

22 We submitted this supplemental application

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the head and neck cancer but suffer from xerostomia,
2 which they find quite distressing, as you have heard.
3 It really interferes with a patient's daily living.

4 The data presented for you here today will
5 show that amifostine has demonstrated a clinically
6 meaningful effect on an irreversible morbidity,
7 xerostomia. We show you data from a large, multi-
8 center study using multiple, independent but logically
9 linked endpoints. The findings from this study are
10 statistically persuasive and medically meaningful. Our
11 package also contains a number of supportive studies
12 which we will partly review here, showing the results
13 from efficacy and safety which are consistent with the
14 results from the pivotal study.

15 I now would like to introduce our
16 scientific team, which has worked together to come to
17 this event today. On the left side, you see the
18 presenters, which are also indicated in your agenda.
19 And on the right side, you see those individuals who
20 have collaborated with us to present to you the data
21 in a scientific and statistical way as they will come
22 to you today. I would like to point out two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 individuals who were instrumental in initiating these
2 programs, and these are Dr. Todd Wasserman and Dr.
3 Capizzi. Without these two, we probably couldn't be
4 here.

5 The next speaker I would like to introduce
6 is Dr. David Grdina, who will present to you data on
7 amifostine's mechanism of action. Dr. Grdina is
8 professor of radiation and cellular oncology at the
9 University of Chicago. Dr. Grdina?

10 DR. GRDINA: Thank you, Dr. Oster.
11 Amifostine was the premier radioprotector developed by
12 the anti-radiation drug development program that was
13 conducted by the U.S. Army from 1957 to 1973. In this
14 program, DNA was identified as the critical target for
15 protection from radiation-induced damage. Amifostine,
16 by virtue of its positively charged amine groups, can
17 localize and concentrate in the microenvironment of
18 the negatively charged DNA.

19 However, amifostine is also a pro-drug
20 that must first be dephosphorylated by membrane-bound
21 alkaline phosphatase to its active free thiol and
22 disulfide forms. Now these metabolites are extremely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 important because they are very effective in scavaging
2 damage-producing free radicals. Now this is important
3 because 80 percent of the damage by radiation to the
4 DNA is induced through the indirect effect, and that
5 is through the formation of water-based free radicals.
6 So DNA damage by radiation induces free radicals, and
7 it is a very rapid process that is essentially
8 completed within 10^{-3} seconds. Thus, the
9 radioprotector must be present at the time of
10 irradiation. The close association of the active
11 forms of amifostine with DNA allows amifostine to
12 protect against both radiation-induced free radicals
13 and chemotherapeutic drug-generated reactive damaging
14 species.

15 The magnitude of radial protection is
16 dependant upon the intracellular concentration of
17 amifostine at the time of irradiation. This is best
18 demonstrated by analyzing normal and tumor tissues in
19 a mouse model and contrasting the levels of C-14
20 labeled metabolites of amifostine that accumulate in
21 them as a function of treatment time. Accumulation
22 varies for normal tissues with salivary gland being

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 among the most effective in taking up the drug. In
2 contrast, no accumulation above background blood
3 levels is observed in the tumor.

4 So a number of factors can therefore
5 account for the selective protection of normal
6 tissues. First, deactivation of amifostine is
7 required by membrane-bound alkaline phosphatase, which
8 is highly present in normal vascular endothelium.
9 Secondly, drug delivery to normal tissues is much more
10 efficient by virtue of their more effective functional
11 vasculature as compared to the poor vasculature which
12 is characteristic of solid tumors. Third, this allows
13 for a significant enhancement in concentrations of
14 amifostine to be achieved in normal tissues as
15 compared to tumors. And fourth, since the magnitude
16 of radial protection achieved is dependant upon the
17 intracellular concentration of amifostine at the time
18 of irradiation, the high drug concentrations in normal
19 tissues gives rise to their selective protection.

20 Finally, in summary, amifostine was
21 designed for and acts as a potent radioprotector. it
22 binds electrostatically to and shields DNA from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 radiation-induced free radical damage. With respect
2 to salivary glands, it is highly concentrated in this
3 tissue, and this is accompanied by very high levels of
4 protection to this tissue. And finally, the
5 protective effects of amifostine are concentration-
6 dependent and are selective for normal tissues. At
7 this time, I would like to introduce Dr. Brizel from
8 Duke University and the PI of WR-38, who will speak on
9 the head and neck clinical study with amifostine.

10 DR. BRIZEL: Dr. Dutcher, members of the
11 advisory committee, Dr. Justice, members of the FDA,
12 and members of the public, I would like to thank you
13 for the opportunity to be here this afternoon to share
14 with you the results of the WR-38 randomized trial of
15 radiation therapy with and without amifostine in head
16 and neck cancer.

17 I would like to begin by telling you that
18 I am a practicing radiation oncologist, and that the
19 vast majority of my clinical practice is in the care
20 of patients with carcinoma of the head and neck.
21 Radiation therapy, as you have heard, is a primary
22 treatment modality for this group of patients, either

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as definitive, curative-intent, stand-alone treatment
2 or as post-operative adjuvant therapy. Depending upon
3 the disease stage and location at the time of
4 presentation, anywhere from 30 to 80 percent of
5 patients who have this disease are potentially curable
6 of their illness.

7 Necessarily, technical aspects of the
8 delivery of radiation therapy lead to the parotid
9 glands being within the treatment fields. And as we
10 have heard, the parotid glands are very sensitive to
11 the effects of radiation therapy and consequentially,
12 patients do develop xerostomia.

13 As we have already heard very eloquently
14 from Dr. LoPresti, xerostomia very significantly
15 adversely influences the normal daily lives of
16 patients who have received head and neck radiation
17 therapy. This is the face of xerostomia, and I think
18 we don't even need to be physicians to appreciate that
19 this tongue does not look normal. The mucosa no
20 longer has its glistening moist appearance. We see
21 many cracks and fissures within the tongue.

22 You have heard from the patient's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 standpoint what this means. Let me add my perspective
2 to the picture. And that is, when I examine these
3 patients, it is necessary to use a tongue blade to
4 retract the tongue. And when I am finished and remove
5 the tongue blade, the tongue wants to come with the
6 tongue blade. That is a serious problem in my
7 opinion.

8 I would like to get a little ahead of
9 myself and take some data from the trial and show you
10 that this is a longstanding problem for these
11 patients. These 64 patients, irrespective of which
12 treatment arm they were in in this trial, had late
13 xerostomia Grade 2, which I will define shortly, at
14 the 12-month interval. Now, of those 64 patients who
15 had late xerostomia 12 months after completing
16 radiotherapy, 63 of the 64 patients still had late
17 xerostomia at the later time point. Once you've got
18 it, you've got it. This is a problem that persists
19 for these patients.

20 The WR-38 trial had four primary endpoints
21 defined. Number one was the incidence of acute Grade
22 2 or higher acute xerostomia based on radiation

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 therapy oncology group guidelines. The incidence of
2 acute Grade 2 or higher late xerostomia was the second
3 endpoint, again as defined by RTOG criteria. Acute
4 Grade 2 mucositis or higher, which is confluent
5 mucositis, was the third endpoint. And finally, the
6 fourth protocol defined primary endpoint of this trial
7 was the preservation of anti-tumor efficacy, which was
8 defined as the local regional control rates at 12
9 months after the completion of radiotherapy.

10 Secondary endpoints which were designed to
11 support and reinforce the primary endpoints included
12 the actual time to the development of acute Grade 2
13 xerostomia, the objective quantification of whole
14 saliva production, patient assessment of their
15 activities of daily living through a repetitive
16 administration of a patient benefit questionnaire,
17 disease-free survival, and finally overall survival.

18 I think it is worth defining who we are
19 talking about here. The RTOG scale defines Grade 2
20 xerostomia as moderate to complete dryness of the
21 mouth. So we are looking at this group of patients and
22 worse, and I think it is also worth noting that within

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the RTOG scale, there is no Grade 3 xerostomia.

2 Patients with newly diagnosed squamous
3 cell carcinoma of the head and neck were eligible to
4 be enrolled on this trial, and it was necessary that
5 at least 75 percent of both parotid glands be included
6 within the treatment portals. Also, patients were not
7 allowed to receive prophylactic administration of
8 pilocarpine, also known as salogen.

9 From October 1995 through October 1997,
10 315 patients were enrolled in this trial, of whom 303
11 actually received treatment and they were evenly
12 balanced between the two treatment arms. Through
13 current follow-up, the median follow-up is 26 months,
14 greater than 2 years. Patients were randomized via a
15 dynamic allocation process, but prior to randomization
16 they were stratified according to the following
17 parameters, the first of these being the treatment
18 center, and there were 40 centers that participated
19 and enrolled patients on this trial. They were also
20 stratified by site of disease, presence or absence of
21 nodal disease, Karnofsky performance status, and the
22 type of radiation therapy that they were to receive.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Specifically what that means is either definitive
2 curative-intent treatment, as I mentioned at the
3 outset or post-operative irradiation, where they were
4 further classified as being at either high risk of
5 recurrence or at low risk of recurrence. Again, they
6 were subsequently randomized at that point, either to
7 amifostine plus radiotherapy or radiation therapy
8 alone. Amifostine was given at a dose of 200 mg per
9 m² intravenously, 15 to 30 minutes before each
10 fraction of radiotherapy. Radiotherapy was given via
11 conventional once-daily fractionation at 1.8 to 2 Gray
12 per dose, to a total dose of 50 to 70 Gray. The total
13 doses of radiotherapy as well as the technical aspects
14 of the treatment were the same in both treatment arms.

15 Patient enrollment was well-balanced with
16 respect to age, gender, primary tumor site, T stage,
17 end stage and the type of radiation therapy. On the
18 next two slides, I will go into more depth and detail
19 regarding the balancing of the radiation therapy dose
20 received by the two groups of patients on this trial.
21 Total treatment time is a very important component of
22 the delivery of radiotherapy in clinical care, and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can see that both groups of patients received their
2 total treatment in the same amount of time. There
3 were no unusual prolongations in the total treatment
4 time. Similarly, we see that the median number of
5 radiotherapy fractions delivered to the two groups of
6 patients was the same.

7 This is a dose frequency histogram of the
8 radiation therapy which was delivered. And I would
9 like to work with you on this for a brief period of
10 time, because I think that it really demonstrates the
11 equivalence of the dose delivered to the two groups of
12 patients. On the Y axis, we have the percentage of
13 patients receiving a given dose, which would be
14 defined on the X axis. If we go to 90 percent and
15 then work our way across and then down, we see that
16 both groups of patients, 90 percent of them received
17 at least 60 Gray. Let me repeat, in both groups of
18 patients, 90 percent received at least 60 Gray.

19 Now we see that the median, 50 percent of
20 the patients, the median dose was 64 Gray in the
21 amifostine plus radiation group and 66 Gray in the
22 radiotherapy alone group, and although this median is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 higher than this median, that is not a clinically
2 meaningful issue. The real issue is the 60 Gray.
3 Because at a dose of 60 Gray to the parotid gland, we
4 can expect that all other things being equal,
5 xerostomia should probably be complete and long-term.
6 The parotid glands cannot tolerate that sort of dose.
7 And we see that 90 percent of the patients in both
8 groups received at least 60 Gray.

9 Turning our attention now to the first
10 primary endpoint, acute xerostomia, we see 51 percent
11 of the patients who received amifostine plus radiation
12 reached this endpoint, whereas 78 percent of the
13 patients, a significantly higher proportion of
14 patients who received radiation therapy alone had
15 acute xerostomia. Moreover, what we see is that the
16 dose of radiotherapy that is required to cause this
17 side effect is significantly lower for the patients
18 who received radiation therapy alone. At a dose of 42
19 Gray, 50 percent of the patients receiving radiation
20 alone already had Grade 3 xerostomia, whereas it was
21 not until a dose of 60 Gray that we saw 50 percent of
22 the patients developing acute xerostomia.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 Going back to our frequency histogram, I
2 think we can again see what we are talking about here.
3 At a dose of 40 Gray or 42 Gray rather, 50 percent of
4 the patients with radiation alone are already
5 developing acute xerostomia, whereas it is not until
6 we get to the dose of 60 Gray that we see this same
7 incidence in the patients receiving amifostine plus
8 radiation.

9 If we try to breakdown the incidence of
10 acute xerostomia by dose of radiation therapy, I think
11 we again take away from this that the vast majority of
12 patients received greater than 60 Gray. And for the
13 smaller group as well, we see that with amifostine
14 plus radiation, there is a lower incidence -- a
15 significantly lower incidence of acute xerostomia than
16 in those patients who received radiation therapy
17 alone.

18 Moving from acute xerostomia to late
19 xerostomia, we see a couple of things. First of all,
20 as highlighted in yellow, the amifostine plus
21 radiotherapy group had an incidence of 34 percent at
22 one year versus 57 percent for those patients who

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 received radiation therapy alone, and this is
2 statistically significant. I think it is also fairly
3 clear that our denominator is no longer the 303
4 patients who were enrolled and who received treatment.
5 We have gotten smaller. And that is a function of the
6 fact that patients do relapse and die of their
7 disease, and so they are not available, many of them,
8 for follow-up at the one-year point.

9 However, what we did to try to look at
10 this issue in more depth was evaluate late xerostomia
11 at different time points. And once again we see that
12 irrespective of the time point after treatment, we
13 have a lower incidence of late xerostomia in the
14 patients who received amifostine plus radiation
15 therapy than in the patients who received radiation
16 therapy alone.

17 A more conservative way of addressing this
18 issue, however, would be to go back and include the
19 entire denominator of 303 patients and then see what
20 the incidence of late xerostomia was. And once again,
21 we still see that there is a significant difference in
22 favor of the patients who received amifostine with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 their radiation as opposed to those patients who
2 received radiation alone, 22 percent versus 39
3 percent.

4 If we try to breakdown late xerostomia by
5 radiotherapy dose, we see the same picture again, just
6 from a slightly different perspective. The vast
7 majority of patients who were alive at this time point
8 received doses greater than 60 Gray, and there was a
9 significantly lower incidence of xerostomia for those
10 patients who received amifostine.

11 Whole saliva production at one year was a
12 protocol defined endpoint as an independent measure of
13 late xerostomia. And I think the picture becomes
14 clearer here today that the volume of saliva is what
15 ultimately determines patients' symptoms and plays a
16 very important role in their sense of well-being.

17 The median quantity of saliva that
18 patients could produce at one year if they received
19 amifostine with radiation therapy was 0.26 grams.
20 More than 2.5 times greater than 0.1 gram, the median
21 quantity which was produced by those patients who
22 received radiation therapy alone.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The FDA dental reviewer, based on the
2 pilocarpine precedent, has stated that a quantity of
3 0.1 grams would be an acceptable indicator of clinical
4 efficacy. Now this was not a prospectively defined
5 protocol endpoint, but it was identified as being
6 clinically meaningful prior to the analysis of any
7 data from the protocol. And with that in mind, we
8 looked at how many patients were able to exceed this
9 clinically significant threshold. The amifostine plus
10 radiation therapy group, 72 percent of the patients
11 exceeded the clinically significant threshold of
12 saliva production, whereas a significantly lower
13 percentage of patients, 49 percent, who received
14 radiation therapy alone were able to exceed this
15 threshold. So a significantly larger proportion of
16 patients treated with amifostine plus radiation
17 therapy were able to produce a clinically significant
18 volume of saliva after their treatment.

19 It has been proposed that another way to
20 analyze the volume of saliva would be to look at the
21 change from baseline. And I would like to state that
22 I think that this is a potentially complicated way of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 looking at the problem. And there are some potential
2 opportunities here to get confused in our conclusions.
3 Because the results, if we are looking at changes from
4 baseline, are driven by the variation in the
5 pretreatment volume. And I will show you some examples
6 in a moment to drive that point, if I may.
7 Furthermore, the change from baseline does not reflect
8 the end of treatment volume. And I don't think it is
9 so important where you start. I think what really
10 matters is where you finish.

11 Now let's go to our examples. The first
12 patient -- and these are from the WR-38 trial -- the
13 first patient started with 6.5 grams at baseline and
14 finished with just over one gram baseline. Now the
15 change looks fairly dramatic, a negative 5.5 gram
16 reduction. Patient number two started lower at 2.1
17 grams and finished with nothing at all. The change
18 was -2.1. If we just look at the change from
19 baseline, well this patient has a lower change from
20 baseline, but this is the one who is still making
21 saliva. And I think just looking at change from
22 baseline, we might reach an incorrect conclusion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I would now like to turn the podium over
2 to Dr. John Mackowiak from the Center for Outcomes
3 Research in Chapel Hill, North Carolina, to discuss
4 with you the patient benefit questionnaire.

5 DR. MACKOWIAK: Thank you, Dr. Brizel. As
6 a secondary endpoint to corroborate the evidence, the
7 primary efficacy endpoint in this study, a patient
8 benefit questionnaire was included. The questionnaire
9 included eight items, two of which to assess -- I am
10 sorry, three to assess symptoms, three to assess the
11 activities of daily living that were discussed
12 earlier, and two more to assess fluid intake.

13 The instrument was also validated and I
14 want to share with you the results I reached when I
15 did that validation. One, the instrument has high
16 reliability as assessed by test/retest and also
17 internal consistency. And two, when we do the factor
18 analysis, we found that there was a positive
19 correlation between all eight items. All of them
20 described a single factor. There were not independent
21 factors within that instrument. Therefore, subscales
22 may be helpful to understand what is going on with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patient, but not absolutely necessary for analysis.
2 The instrument was administered weekly during the time
3 when the clinical benefit was changing most often, and
4 then at months 1, 3, 5, 7, 9 and 11. And in the
5 second year of the study, it was only administered at
6 months 17 and 23 following end of radiation.

7 These are the mean PVQ scores for the two
8 groups graphed on this graph. What we see is, as was
9 described here, the rapid decline in PVQ scores during
10 radiation, the recovery initially after radiation
11 stopped, and then where you see the persistence of the
12 condition. By looking at the mean scores, you see the
13 separation of the two groups, amifostine and the
14 control group.

15 At FDA's request and with agreement from
16 the sponsor, a longitudinal analysis was conducted.
17 This was to focus on the multiple comparisons issues
18 as well as to address the issue of non-completers.
19 What I want to do is show you the results of that.
20 The model that was selected also showed that same
21 rapid decline, and then at the end of radiation, the
22 recovery and again the separation. The two lines have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a statistically significant difference as judged by
2 the overall comparison. We also saw statistically
3 significant differences at time points at end of
4 treatment, at end of RT, and also at the end of the
5 follow-up period.

6 In this graph, what I do is I show you the
7 one-year data and the reason for that as opposed to
8 extending out beyond that. It was the data that was
9 available when the data set was closed in October of
10 last year. Data after that was extremely thin, with
11 only at the 11-month point. And also from what we
12 learned earlier within this clinical trial, the
13 conditions are extremely persistent after that --
14 extending from the first year onto the second year.

15 I also wanted to share with you results of
16 one of the other items. This is the general dryness
17 question or the general condition subscale that was
18 presented in the FDA analysis. This is again using
19 the same Laird/Were model, mixed effect model, for
20 that dryness question. We see that same drop during
21 radiation, separation, and then in this case a
22 recovery within the amifostine group. The overall --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there is an overall difference between the two lines,
2 and with this question we do see significant
3 difference at all the time points. All the other
4 items when looked at separately or when collapsed into
5 subscales show a separation. However, the overall
6 significance is not reached. So what we are able to
7 see is directionality within the other items of the
8 subscales, but not a statistical significance when
9 taken one at a time.

10 This is the same -- the curve lines are
11 the same lines that you saw in the other graph. I
12 superimposed onto that the mean scores taken at each
13 time point. And I show this so you can examine the
14 goodness of fit that is so important when modeling off
15 of mean data. And in this case, the data points do
16 not lie more than a quarter point or a half point away
17 from the curve line. That goodness of fit is a
18 prerequisite to make any statistical conclusions based
19 on that model.

20 I have shown you a number of -- the PVQ
21 model and this one, and usually the difference or the
22 gap is one point or slightly less than one point. But

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I haven't yet said what one point on the PVQ actually
2 means. What is the clinical relevance of that one
3 point? In the next slide, we found consecutive visits
4 within the clinical trial where there was a one grade
5 worsening in the RTOG xerostomia scale. On those
6 exact same consecutive visits, the patients reported
7 approximately a one point decrease in their PVQ score,
8 0.96, and it was significant. On those consecutive
9 visits when there was one grade level improvement in
10 the RTOG xerostomia scale, the patients reported again
11 approximately a one point improvement in their PVQ.
12 And on those consecutive visits, all the other visits,
13 where there was no change in the RTOG scale, the
14 change in the PVQ score was not significantly
15 different from zero.

16 With that, I would like to conclude and
17 refer to the fact that as we mentioned before, the PVQ
18 scores we feel are very consistent with the other
19 finding, xerostomia, and based on the data presented
20 here and based on the per-protocol endpoint of mean
21 PVQ score and the analysis plan, we feel that the PVQ
22 does strongly support this application. With that, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would like to refer back to Dr. Brizel to conclude the
2 efficacy portion.

3 DR. BRIZEL: Grade 2 xerostomia was the
4 primary endpoint. Saliva production, PVQ scores,
5 those were secondary supportive endpoints. So let us
6 ask the question, how do those correlate with one
7 another? We see -- I really am over 18. For late
8 Grade 2 xerostomia, we see that this correlated in a
9 highly significant fashion with saliva production, PVQ
10 score, and the oral dryness question. Moreover, the
11 PVQ score was highly correlated with saliva
12 production, and reassuring to me as a clinician, oral
13 dryness was highly correlated with saliva production
14 as well.

15 Now we will turn our attention to the last
16 primary endpoint, preservation of anti-tumor efficacy.
17 As defined in the protocol, the local regional control
18 rates at 12 months were the measure of this endpoint,
19 and we see for amifostine plus radiation therapy, 72
20 percent local regional control, 71 percent for
21 radiation therapy alone. And we see that the lower
22 limit of the one-sided and two-sided 95 percent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 confidence intervals was greater than 0.8. We will
2 hear about this in more depth and detail a little bit
3 later from Dr. Koch.

4 At 18 months, local regional control 61
5 percent for amifostine plus radiation therapy, 64
6 percent for radiation therapy alone. And again, we
7 see the lower limits of the 95 percent confidence
8 intervals around 0.8.

9 I like to look at pictures better, and
10 maybe that is just because I am a clinician. But if
11 we look at the Kaplan Meier plots, which show what is
12 happening over time for local regional control, we see
13 that there is no difference between the two treatment
14 arms. These are superimposable upon one another. As
15 a radiation oncologist, I would like to digress for a
16 moment and explain why local regional control is so
17 important. Number one, radiation therapy is a local
18 regional form of treatment. So its efficacy is to be
19 evaluated within what is within the treatment field.
20 And secondly, and reinforcing this, is the fact that
21 the vast majority of patients with cancer of the head
22 and neck fail in a local regional fashion for their

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 initial failure. And we will see that in the next two
2 slides. But here we have the number of failures,
3 local regional failures, and there is no difference
4 between the two groups of patients. So from a local
5 regional standpoint, there is no evidence of
6 compromise of anti-tumor efficacy.

7 Disease-free survival, which does
8 incorporate non-local failures and deaths from other
9 causes, we again see that the number of events is not
10 different between the two treatment arms. And the two
11 Kaplan Meier plots are superimposable upon one
12 another. So again, with respect to disease-free
13 survival, there is no evidence of compromise of anti-
14 tumor efficacy.

15 Finally, the ultimate endpoint, survival.
16 Once again, if anything we see more events occurring
17 in the patients who received radiation therapy alone
18 relative to the patients who received amifostine plus
19 radiation therapy. The fact that the amifostine plus
20 radiation curve is above the radiation alone curve is
21 not statistically significant, but once again I really
22 think the important point here is that efficacy has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been preserved. There is not a hint of tumor
2 protection if we look at the survival curves.

3 For a trial of this type, preservation of
4 anti-tumor efficacy is actually a form of safety. Now
5 the more conventional form of safety that we are used
6 to thinking about is side effects related to the drug
7 itself. As we know, nausea and vomiting are two of
8 the well recognized side effects of amifostine. In
9 this trial, nausea and vomiting was usually mild to
10 moderate in severity, and only 8 percent of the
11 patients had any episodes of grade 3 nausea or
12 vomiting. The really interesting thing to me is this
13 line or this bullet right here. There were over 4,000
14 administrations of this drug during the course of the
15 trial. Fewer than 1 percent of the infusions of drug
16 were associated with grade 3 nausea or vomiting. 5HT3
17 antagonists such as zofran were the most commonly used
18 anti-emetics for patients who were on this trial.

19 Weight loss during treatment is an
20 indirect way of also looking at the nausea and
21 vomiting issue. And if someone were to have
22 persistent, ongoing problems throughout a six-week

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 course of radiation therapy, one might expect to see
2 a greater level of weight loss in that group of
3 patients. In fact, what we see is that the amifostine
4 plus radiation group of patients lost less weight than
5 the patients who received radiation therapy alone.

6 Hypotension is the other well-recognized
7 side effect of this drug, and with that awareness,
8 patients received PO or IV hydration 30 minutes prior
9 to the administration of the drug. Overall, 15
10 percent of the patients had an episode of hypotension.
11 But again, only 1 percent of the infusions were
12 actually associated with any hypotensive episodes.
13 Hypotension, if it was moderate, was only seen in 3
14 percent of the patients, and this was defined as a
15 drop in systolic pressure greater than 20 mm of
16 mercury. It was transient. There were no long-term
17 sequelae, and once again fewer than 1 percent of the
18 4,000-plus infusions of the drug were associated with
19 moderate hypotension.

20 Other grade 3/4 adverse effects which
21 occurred with a frequency greater than 1 percent were
22 skin reactions in three percent of the patients and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 febrile reactions in 2 percent of the patients. But
2 once again, fewer than 1 percent of the
3 administrations of the infusions of the drug. And as
4 we would expect, these were not seen in the radiation
5 group.

6 29 patients did discontinue their
7 amifostine during the course of this protocol, the
8 predominant reason being nausea and vomiting. I would
9 like to emphasize a most important point, however,
10 which is that 28 of those 29 patients did go on and
11 complete their full course of radiation therapy.
12 Again, we need to keep sight of the fact that the
13 objective is to give these patients optimal anti-
14 cancer treatment. So even though 29 patients
15 discontinued amifostine, 28 went on to receive their
16 full cancer treatment.

17 There were 50 hospitalizations in the
18 amifostine plus radiation group and 31 in the
19 radiation therapy alone group. Of note, however, only
20 six of those hospitalizations were attributable to the
21 drug itself.

22 To conclude the safety aspects of this