

1 presentation, at a dose of 200 mg per m<sup>2</sup>, amifostine  
2 was well tolerated. There were no new or cumulative  
3 toxicities that were identified during the course of  
4 this trial. And nausea, vomiting and hypotension do  
5 remain as the most common adverse side effects  
6 attributable to the drug.

7 To wrap this all up, we found in the WR-38  
8 trial that amifostine significantly reduced the  
9 incidence of greater than or equal to acute xerostomia  
10 and late, long-term xerostomia. Saliva flow was  
11 preserved to a significantly larger extent in those  
12 patients who received amifostine with their radiation  
13 therapy. Patient assessment via the patient benefit  
14 questionnaire also indicated clinical benefit to the  
15 patients. Amifostine did not reduce the anti-tumor  
16 efficacy, irrespective of whether we looked at local  
17 regional control, disease-free survival, or overall  
18 survival, and amifostine is safe at the recommended  
19 dose.

20 I would now like to turn the podium over  
21 to Dr. Gary Koch from the University of North Carolina  
22 at Chapel Hill, who will provide additional analysis

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and insight into the statistical aspects of this  
2 trial.

3 DR. KOCH: Thank you very much. Not very  
4 often you have faculty from Duke and North Carolina  
5 working together. But it can happen and often does  
6 happen and happens quite successfully. I have  
7 assisted U.S. Bioscience as an independent statistical  
8 reviewer for the findings of WR-38. Since the  
9 statistical results for xerostomia, as presented by  
10 Dr. Brizel, were clearly convincing, I have given  
11 primary attention to anti-tumor outcome.

12 Showing non-inferiority of amifostine for  
13 anti-tumor efficacy was a primary objective of WR-38.  
14 And accordingly, it had well-planned analyses. I  
15 requested some additional assessments to confirm the  
16 robustness of findings for non-inferiority from the  
17 planned analyses. These assessments were based on the  
18 Kaplan Meier survival curves which were included in  
19 the WR-38 study report, the briefing book, and Dr.  
20 Brizel's presentation. They are not new, but they  
21 enhance understanding for what you have already seen.

22 Their purpose was to clarify how the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 overlapping or better nature of the Kaplan Meier  
2 curves for amifostine than for RT alone convincingly  
3 supported the non-inferiority of amifostine for anti-  
4 tumor outcome. Let us now briefly review the  
5 statistical results for anti-tumor outcome.

6 The analysis plan for WR-38 prespecified  
7 the ratio of local regional control proportions at 12  
8 months as the primary criterion. And these are the  
9 proportions that are shown here. The lower one-sided  
10 95 percent confidence limit for this criterion  
11 exceeded the prespecified threshold in the protocol of  
12 .70 for non-inferiority, and that is demonstrated here  
13 and here for a one-sided confidence interval and here  
14 and here for a two-sided confidence interval.  
15 Moreover, these lower limits exceeded .80 as opposed  
16 to the specified criterion of .70. And we can see  
17 this for the rates at 18 months as well as those at 12  
18 months.

19 Now these analyses at 12 or 18 months  
20 included 127 or 126 of the amifostine patients, and  
21 they included 135 or 133 of the control patients.  
22 Thus, losses to follow-up or censoring are relatively

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 small. That is, there are about 41 or 44 patients or  
2 15 percent of the original 303 patients in the  
3 combined groups, rather than being high as possibly  
4 suggested in the FDA review. There were not that many  
5 patients lost to follow-up during the 18-month follow-  
6 up period shown here. So censoring tends to be low as  
7 censoring is usually understood to be. Also, over 50  
8 percent of the patients, 77 in the amifostine group  
9 and 85 patients in the RT alone group, completed 18  
10 months of follow-up with LRC maintained, and they  
11 should not be regarded as having censored time to  
12 event data, since they had the most favorable outcome  
13 of maintaining LRC for this entire follow-up period.  
14 Also, my understanding is that LRC rates tend to  
15 decrease slowly after 12 to 18 months, that is, most  
16 failures occur before 18 months. And so 12 to 18  
17 months is a reasonably mature follow-up period for its  
18 evaluation. As stated previously, censoring for this  
19 mature follow-up period was relatively low, less than  
20 15 percent. And so lack of maturity for follow-up  
21 data and excessive censoring are not limitations of  
22 this information.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Now a very important point in the FDA  
2 review was that evaluation of anti-tumor efficacy  
3 should account for all time to event data for all  
4 patients. And this is best done with the Kaplan Meier  
5 curves for the two groups, and they can be seen on the  
6 next display as clearly overlapping. And so these  
7 overlapping Kaplan Meier curves provide very clear  
8 evidence of what the similarity of maintaining local  
9 regional control is for the two groups. Now the FDA  
10 review has expressed some concern that the hazard  
11 ratio, as shown here, has a lower confidence limit of  
12 .64. But a hazard ratio is a very difficult concept  
13 to interpret in terms of similarity of survival curves  
14 like Kaplan Meier curves. The hazard function can be  
15 thought of as the negative slope of the logarithm of  
16 the survival curve. So a hazard function is a  
17 negative of the slope of the log of a survivorship  
18 function. That is a hard concept to understand, and  
19 the hazard ratio is actually the ratio of two such  
20 things. It is much more informative to understand  
21 non-inferiority in terms of what the Kaplan Meier  
22 estimates are doing. So we can look at the ratio of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 Kaplan Meier estimates at 12 months or 18 months, and  
2 note that their lower confidence limit exceeds .89  
3 here or .81 here. And this is a much clearer way to  
4 understand the non-inferiority or the similarity of  
5 patterns of survival over the full time course. It is  
6 also compatible with what the confidence interval on  
7 the hazard ratio indicated. And if you are  
8 interested in further understanding a hazard ratio, I  
9 have a display on a transparency that I could give  
10 comments about on that later. But I would rather you  
11 focus on the similarity of the Kaplan Meier curves, as  
12 I will proceed in the remainder of this discussion.

13 So in the next display, we have the Kaplan  
14 Meier curves for disease-free survival. Again, we  
15 note that their lower confidence limits are again  
16 above .90 over here for the ratio of the 12-month  
17 values, above .80 for the ratio of the 18-month  
18 values, and then on the next display for overall  
19 survival, we see the overall survival is clearly  
20 better for the amifostine group. And again, the lower  
21 confidence limits are in the vicinity of .99 at 12  
22 months, .98 at 18 months. Very convincing information

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for non-inferiority of amifostine relative to the  
2 control group.

3 And now if we proceed to the next display,  
4 ratios of even Kaplan Meier rates are a hard concept  
5 to understand. A usual way to proceed is to focus on  
6 the difference in success rates. So what we proceeded  
7 to do was to produce confidence intervals for the  
8 difference in the control rates as originally obtained  
9 in the analysis plan, and their lower confidence limit  
10 is -10 percent here and in the vicinity of -15  
11 percent. And so we know that the difference in  
12 control is no greater than 10 percent based on 12  
13 months and no greater than 15 percent based on 18  
14 months.

15 Now we can help to understand these  
16 quantities by recalling guidelines that apply to anti-  
17 infective drugs. For anti-infective drugs, non-  
18 inferiority is established if the difference in  
19 success rates does not exceed 10 percent when the  
20 better of the two treatments has a 90 percent success  
21 rate. Here we have the 10 percent difference achieved  
22 by rates that are in the vicinity of 71 percent.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Also, if it turns out that the better of the two  
2 treatments has a rate between 80 and 90 percent, then  
3 the confidence bound decreases to -15 percent, which  
4 is satisfied here by rates in the 60 percent range.  
5 And if the better of the two treatments has a success  
6 rate lower than 80 percent, then the guideline for  
7 anti-infectives allows a non-inferiority margin of 20  
8 percent. And so what you see here is these rates  
9 which are below 80 percent actually satisfy criteria  
10 that are substantially stronger for non-inferiority  
11 than the guidelines that are usually emphasized for  
12 anti-infective drugs.

13 Now if we proceed to the next display,  
14 again we see for LRC rates from the Kaplan Meier  
15 curves a lower confidence limit of -10 percent and -15  
16 percent, and on the next display for disease-free  
17 survival, a lower bound of -6 percent or -10 percent.  
18 These are very convincing for non-inferiority. And on  
19 the next display for overall survival, we see lower  
20 bounds of -1 percent. We almost start to see a  
21 pattern where there is almost superiority in overall  
22 survival. Very clearly there is non-inferiority for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 overall survival. And so in the final display, we  
2 note that lower limits of one and two-sided, 95  
3 percent confidence intervals are indeed sufficiently  
4 high to clearly assure non-inferiority of the  
5 amifostine group for anti-tumor efficacy. Thank you.  
6 I would now like to introduce Dr. Russell, Senior  
7 Director of Clinical Research at U.S. Bioscience.

8 DR. RUSSELL: I would briefly like to  
9 present some data which supports the ability of  
10 amifostine to reduce the radiation-induced xerostomia  
11 and in addition summarize the results of two Phase III  
12 studies which support the conclusion that amifostine  
13 does not compromise the anti-tumor efficacy of the co-  
14 administered cytotoxic therapy.

15 As you can see on this slide here, this  
16 slide illustrates the number of studies that have been  
17 undertaken with amifostine where the incidence of  
18 xerostomia or reduction in salivary gland function has  
19 been looked at as an endpoint. These studies have  
20 been undertaken in an total of 554 patients. Dr.  
21 Brizel has outlined the details of study WR-38 in some  
22 detail, and for the purposes of time, I will only

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concentrate on the study undertaken by Dr. Antonadou.

2 This slide outlines the treatment schedule  
3 of the study that she undertook. All patients received  
4 radiation at standard fractions of 2 Gray a day, to a  
5 total dose of 60 to 74 Gray. Patients received weekly  
6 carboplatin at a dose of 90 mg per m<sup>2</sup> per week.  
7 Patients were randomized to receive this regimen alone  
8 or this regimen preceded by a daily infusion of  
9 amifostine administered at a dose of 300 mg per m<sup>2</sup>  
10 prior to each fraction of radiation or the carboplatin  
11 respectively.

12 The patient demographics are outlined in  
13 your briefing book. But suffice to say that there was  
14 no statistical differences in the treatment arms for  
15 age, gender, tumor site, tumor state, or nodal status.

16 Here are the results of late xerostomia  
17 documented at 3 months following treatment. As you  
18 can see, those patients who received amifostine, there  
19 was a significant reduction in the incidence of Grade  
20 2 or higher late xerostomia, falling from 83 percent  
21 in the control arm to 27 percent in the amifostine  
22 arm. And this result is highly significant.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           We have recently received some updated  
2 information from Dr. Antonadou at 9 months and at 12  
3 months for this endpoint. As you can see, the results  
4 that she reported at three months are preserved at  
5 these later time points, with reductions from 72  
6 percent to 17 percent at 9 months and 56 percent to  
7 8.3 percent at 12 months, both of these results  
8 attaining statistical significance.

9           She also assessed anti-tumor efficacy. And  
10 as you can see, using this regimen, all patients  
11 sustained a response to treatment. There were 20 out  
12 of 22 complete responses in those patients who  
13 received amifostine and 18 out of 23 patients in those  
14 patients who received radio-chemo alone. Local  
15 regional control at 18 months has been preserved with  
16 local control of 83 percent in the patients who  
17 received amifostine and 76 percent in the patients who  
18 were in the control arm.

19           The safety outline in the study showed  
20 that one percent of patients who received amifostine  
21 suffered from some nausea and vomiting, and all  
22 patients in this study received a 5HT3 antagonist

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 prior to treatment. Transient hypotension was  
2 recorded in 3 percent of patients, but in no patient  
3 did they suffer any long-term sequela.

4 So in conclusion, for this study there was  
5 significant reduction in Grade 2 or higher late  
6 xerostomia, preservation of anti-tumor efficacy, and  
7 amifostine was well-tolerated. And so this small  
8 study does support the findings that Dr. Brizel  
9 outlined earlier for study WR-38.

10 I would now like to move on to the  
11 supporter studies for anti-tumor activity. The first  
12 study was undertaken in patients with rectal cancer by  
13 Liu, et al. All patients in this study received whole  
14 pelvic radiation plus or minus amifostine at a dose of  
15 340 mg per m<sup>2</sup> administered prior to each fraction of  
16 radiation, which was 2.25 Gray per day. All of these  
17 patients had metastatic unresectable cancer.

18 As you can see here, there is complete  
19 response rate to treatment, with 16 percent in those  
20 patients who received amifostine and 10 percent of  
21 those patients who received radiation alone. Median  
22 survival for this patient population was 15 months for

1 those patients who received amifostine and 12.6 months  
2 for those patients who received radiation alone, and  
3 these results are represented on the Kaplan Meier  
4 curve here.

5 The last study I would like to present is  
6 the ovarian cancer study that formed the basis of the  
7 previous approval. In this study, all patients  
8 received cisplatin and cyclophosphamide plus or minus  
9 amifostine administered at a high dose of 9 to 10 mg  
10 per m<sup>2</sup> prior to each dose of cisplatin. As you can  
11 see, with a median follow-up of 41 months, the  
12 survival curves are completely superimposable.

13 So we have demonstrated in three  
14 randomized, well-controlled, Phase III studies that  
15 amifostine does not compromise the anti-tumor efficacy  
16 of the co-administered treatment. I would now like to  
17 hand over to Dr. Walter Curran, whose is Professor and  
18 Chairman of Radiation Oncology at the Kimmel Cancer  
19 Center, and in addition Chairman of the Radiation  
20 Therapy Oncology Group.

21 DR. CURRAN: Members of the committee and  
22 the FDA, I just want to make a few brief comments

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 related to my observations regarding the ability of  
2 this agent, amifostine, to protect against the  
3 subsequent development of radiation-related xerostomia  
4 in head and neck cancer patients.

5 If you can have the slide off, I just have  
6 a couple of slides I will present later in my  
7 comments. I am a practicing radiation oncologist  
8 whose practice includes head and neck cancer patients,  
9 and I also serve, as Dr. Russell mentioned, as the  
10 Group Chairman of the RTOG. This is a cooperative  
11 group that seeks to improve outcome among patients  
12 afflicted with six major diseases among adult cancers,  
13 and some of our studies include ways to reduce or  
14 modify treatment-related toxicity.

15 I just want to say a couple of additional  
16 comments regarding xerostomia. The minimum radiation  
17 dose used to treat head and neck cancers is 50 Gray.  
18 And if salivary gland is included within the radiation  
19 field, that dose is largely lethal to salivary gland  
20 function. Since the majority of head and neck cancer  
21 patients receive radiotherapy as part of their  
22 management, most head and neck cancer patients are at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 risk for xerostomia.

2 Xerostomia, as you have already heard, can  
3 be both permanent as well as devastating, causing  
4 problems with fissures in the lips and mucosa,  
5 difficulty with swallowing, the need for oral comfort  
6 agents, and really a need to change the lifestyle of  
7 patients afflicted with this. There is no approved  
8 therapy to reduce the risk of radiation-related  
9 xerostomia. There is one approved therapy for the  
10 management of xerostomia once it is established.  
11 However, that therapy requires frequent dosing and in  
12 most cases patients still need to take large  
13 quantities of liquids without really a satisfying  
14 effect.

15 It is clear that all of us who treat such  
16 patients need some other effective approach to reduce  
17 xerostomia. Now if you look at WR-38 as presented by  
18 Dr. Brizel, it is my view that it presents convincing  
19 data that Grade 2 or worse xerostomia is reduced by  
20 the application of this agent during radiotherapy.  
21 Many of us who followed this study and did not  
22 participate in it had hoped for such an effect, but I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was personally surprised at the magnitude of effect of  
2 the agent in this study. Many of you are familiar  
3 with the difficulty in reducing or modifying cancer  
4 therapy-related toxicity, and it was certainly  
5 gratifying to see such a positive result in this  
6 study. And this data, along with the data presented  
7 by Dr. Russell, certainly provides a strong core of  
8 evidence to support this agent's use in reducing  
9 xerostomia risk.

10 I want to spend a couple of minutes just  
11 discussing the issue of local/regional control, which  
12 was presented by Dr. Koch and Dr. Brizel as well.  
13 Clearly we see that there was an equivalent  
14 local/regional tumor control rate between the  
15 amifostine-containing arm and the control arm. But to  
16 further establish the fact that anti-tumor effect was  
17 not compromised by amifostine -- if I could have the  
18 first slide, please. Tom Pajak, the chief  
19 biostatistician at the RTOG did an analysis of over  
20 500 patients who were entered into RTOG trials in the  
21 past. These patients had similar pre-treatment  
22 characteristics as patients entered into WR-38. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)



1 what is shown on this figure is the local regional  
2 tumor control rates with the yellow figure showing the  
3 RTOG data base with the one-year local/regional  
4 control rate of 68 percent, 62 percent at two years.  
5 The yellow numbers down here are the numbers from the  
6 WR-38 study, in which one-year and two-year local  
7 regional control rates were nearly identical at 71 and  
8 61 percent respectively.

9 Now the other issue that I think is  
10 relevant is whether adequate follow-up of the WR-38  
11 patients has been done in order to assure ourselves  
12 that we have seen the patients that are at risk for  
13 local/regional failure. This is from the same RTOG  
14 data base of over 500 patients analyzed by Dr. Pajak.  
15 And it looks at at what time point patients suffered  
16 local/regional tumor failure. Out of all those  
17 patients who suffered a failure, 78 percent in this  
18 cohort of over 500 patients suffered it during the  
19 first year and over 90 percent during the first two  
20 years. And when you consider that in WR-38 the median  
21 follow-up time available is 26 months, the minimum  
22 follow-up time 18 months, clearly we have those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients at an adequate time point to see what their  
2 risk for failure was.

3 So in summary, it is my view and the  
4 colleagues involved with head and neck radiotherapy  
5 that I have spoken to that the data regarding  
6 protection from xerostomia is convincing from WR-38.  
7 It is also a -- there is also a safety profile of the  
8 amifostine, which appears manageable from our point of  
9 view. Keep in mind that the doses of amifostine  
10 necessary to reduce the risk of xerostomia are less  
11 than the doses required to reduce the risk of  
12 cisplatin-related nephrotoxicity. And the RTOG has  
13 found the profile emerging on amifostine sufficiently  
14 interesting that it is currently testing this agent to  
15 reduce toxicity in two other disease sites.

16 DR. OSTER: I would now like to conclude  
17 our presentations, and I promise I will be very brief.  
18 The supplemental NDA for amifostine which we presented  
19 to you today represents a new indication for a drug  
20 which is already approved in cancer. Amifostine gives  
21 the opportunity to reduce the incidence of a severe,  
22 irreversible morbidity, xerostomia. Our pivotal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 trial, WR-38, plus the supportive body of evidence  
2 contained in this SNDA confirms the efficacy of  
3 amifostine against the toxicity of xerostomia. All  
4 studies consistently report positive xerostomia  
5 results with amifostine.

6 In study WR-38, we showed with several  
7 different endpoints statistically highly significant  
8 results for acute xerostomia, late xerostomia, saliva  
9 production, and the patient benefit questionnaire  
10 instrument. These independent endpoints showed a  
11 strong correlation amongst each other. We believe  
12 that these findings are clinically meaningful and  
13 provide the patients with a true clinical benefit.

14 The studies contained in this SNDA also  
15 provide reassuring evidence of safety in our radiation  
16 program. Indeed, the toxicities with amifostine we  
17 observed at the dose used in radiation were  
18 substantially less in incidence and severity than for  
19 the higher doses typically used in our labeled  
20 indication in chemotherapy.

21 Two randomized studies with 100 patients  
22 and 242 patients were available for the initial

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 approval showing no evidence of tumor protection. The  
2 relevant follow-up endpoints chosen in these two  
3 studies were survival. WR-38 is the third well-  
4 controlled clinical trial, comprising more than 300  
5 patients. Tumor outcome measures included  
6 local/regional control rates, local/regional control  
7 over time, disease-free survival and overall survival,  
8 all of which show no evidence, not even a hint, of  
9 reduced anti-tumor activity. We believe the clinical  
10 data presented today are reassuring that amifostine  
11 indeed preserves anti-tumor activity.

12 Amifostine was designed by the Walter Reed  
13 Institute here in Bethesda as a radioprotective agent.  
14 It took this product and the investigators involved in  
15 the radiotherapy program with amifostine a long  
16 journey to arrive before this committee. We believe  
17 that this SNDA shows that amifostine is safe and  
18 effective in the following radioprotective indication.  
19 To reduce the incidence of moderate to severe  
20 radiation-induced xerostomia. I thank you very much  
21 for your attention, and we are now prepared to  
22 entertain your questions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON DUTCHER: Thank you very much.  
2 We appreciate your keeping up with the time. You did  
3 a very good job.

4 DR. OSTER: Thank you.

5 CHAIRPERSON DUTCHER: Questions for the  
6 sponsor? Yes, sir? Dr. Harwood?

7 DR. HARWOOD: I would like to ask the  
8 radiation oncologists who have presented why there is  
9 such a small number of definitive radiotherapy  
10 patients in this trial? As you will observe, two-  
11 thirds of the patients entered into the trial were  
12 post-operative patients. Only one-third of them were  
13 definitive radiotherapy patients. And there were only  
14 five patients with cancer of the nasopharynx -- I  
15 think 11 patients with cancer of the nasopharynx,  
16 which would be the group that I would have thought  
17 would have benefitted perhaps the most. And also, I  
18 would like to ask why it has had such relatively poor  
19 support amongst the head and neck radiation  
20 oncologists in this country?

21 DR. OSTER: I would like to call upon Dr.  
22 David Brizel to respond to these questions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. BRIZEL: You may have to help me  
2 remember everything, but I will do my best here. I  
3 don't know if they will be in the order in which you  
4 asked, but I think that a large component of the  
5 answer to your question relates to the fact that for  
6 patients who are resected, post-operative radiotherapy  
7 is fairly standardized and it is fairly standard to  
8 give once daily radiation therapy. Now when we switch  
9 gears and go to the group of patients who are  
10 receiving definitive radiotherapy, as a head and neck  
11 radiation oncologist I am sure you are aware of the  
12 fact that there are a lot more competing entities out  
13 there. For early stage disease, once daily treatment  
14 is still fairly standard. However, when we get into  
15 the realm of more advanced stage disease, T2 disease  
16 and on up, then we start getting into competition for  
17 modalities such as hyperfractionation or accelerated  
18 fractionation or radiation with concurrent  
19 chemotherapy. And depending upon institutional  
20 policies and biases, there is direct competition for  
21 what actually compared to other cancers is a  
22 relatively small subset of patients. I mean, there are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       only 40,000 head and neck cases in the United States  
2       each year, of whom roughly only 10 to 15 percent  
3       actually are enrolled on clinical trials. So I think  
4       that is why we see more post-op patients than we see  
5       definitive curative-intent patients.

6               Now the other question I believe that you  
7       asked related to nasopharynx cancer. And certainly  
8       with those big large fields that we put on for those  
9       patients with the whole parotid gland, including the  
10      patients in the next room sometimes, yes, that is a  
11      real problem. However, again, we are into the issue  
12      of competing protocols. And again, this trial ran  
13      from 1995 through 1997, at which time people were  
14      becoming aware, especially through the intergroup  
15      randomized trial, that there was a very definite  
16      clinical benefit associated with the use of radiation  
17      and concurrent chemotherapy, and that would have  
18      immediately and automatically excluded patients from  
19      participation in this trial.

20             As far as the last question that you asked  
21      me, I believe that was why was this trial so poorly  
22      supported. I don't know. Maybe I have my blinders

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 on. We were very enthusiastic supporters of it, and  
2 I can only speak to my institution.

3 DR. HARWOOD: Yes, there is a lot of --  
4 there is a lot of patients been entered from overseas.  
5 Let me just follow-up with -- it seems to me that the  
6 main worry about this drug is this issue of tumor  
7 protection. And I am not sure that you are going to  
8 be able to determine the issue of tumor protection on  
9 patients that have had the gross bulk of their disease  
10 resected and are being given postoperative radiation.  
11 So it seems to me that that issue has to be addressed  
12 in the patients who have not had their disease  
13 resected who are being treated with definitive  
14 radiotherapy, who would have a cure range in the range  
15 of 50 percent. And it would be that group that I  
16 would be worried about the potential for tumor  
17 protection. And I would also be worried about that  
18 spreading of the survival curves occurring after 18  
19 months. You know, we only have 18-month follow-up  
20 here. I know in post-operative patients, that may be  
21 adequate. But would you be comfortable taking a T2N0  
22 tonsil cancer with a 50 to 75 percent chance of cure

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 whose salivary glands are going to be radiated and  
2 give them amifostine?

3 DR. OSTER: Dr. Curran?

4 DR. CURRAN: Yes, Dr. Harwood, with  
5 respect to the first concern you had that there was a  
6 large proportion of patients who were post-resection  
7 receiving radiotherapy. The RTOG data base of over  
8 500 patients that I showed on those two slides matched  
9 patients according to whether they were definitively  
10 irradiated or received post-op treatment. The two  
11 RTOG studies that we derived that data from, one was  
12 a post-operative study looking at RT plus or minus  
13 chemo, and the other was a definitive study. So when  
14 we looked at the local/regional control rates, and I  
15 showed you the one/two-year local/control tumor rates,  
16 those were based on a match of the proportionate post-  
17 operative versus definitive cases. So that even when  
18 we match with a much larger data base of American and  
19 Canadian treated patients, the local/regional control  
20 rates are basically superimposable.

21 And the other issue is when you talked  
22 about the issue of the bulk of tumor being removed,

1 those times to local failure that I showed you in the  
2 pie graph also include a majority of patients  
3 receiving postoperative radiotherapy. So still over  
4 90 percent of the tumor failures occur within the  
5 first two years, even when a majority of patients are  
6 receiving postoperative radiation.

7 Now as far as the question about a T2  
8 tonsil patient, again there is no suggestion in WR-38  
9 that I am aware of that would suggest that there is a  
10 compromise of that definitive radiotherapy for that  
11 patient, and there is no suggestion that there is  
12 going to be some difficulty in getting treatment  
13 delivered over the tight time frame that you are going  
14 to want to deliver the treatment for that kind of  
15 patient.

16 DR. HARWOOD: One last question. I  
17 noticed that Dr. Antonadou's name comes up, and I  
18 noticed that she was given reference #5, and the  
19 reference #5 is anonymous. I wonder if you could  
20 share with us where Dr. Antonadou comes from and why  
21 she isn't here and where that data has been published?

22 DR. OSTER: The data were actually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reported at the previous ASCO meeting and we, U.S.  
2 Bioscience, obtained data printout and we also  
3 received the protocol and we wrote up a report, and  
4 this is the basis of our presentation here. Dr.  
5 Lesley Russell has analyzed the data, and she may be  
6 able to extend on this if you have any further  
7 questions.

8 DR. HARWOOD: Where is Dr. Antonadou?

9 DR. OSTER: Dr. Antonadou was trained in  
10 Paris in Guildruff, and is currently practicing in  
11 Athens.

12 DR. HARWOOD: Thank you very much.

13 DR. LIPPMAN: I wonder if you could  
14 comment on what I think is one of the major challenges  
15 in the conduct and interpretation of studies like this  
16 that the primary endpoint is obviously a subjective  
17 one and the study is unblinded. And so -- and  
18 further, since the RTOG scale is certainly well  
19 accepted in this country, I am not sure how well  
20 accepted or what kind of experience centers, for  
21 instance, in Germany, who contribute a large number of  
22 patients, have with this. And since the data are very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 compelling with the issue of folks in a Grade 2 and on  
2 the face of it, Grade 1 being mild and Grade 2 being  
3 moderate, there seems like there is a lot of potential  
4 for subjectivity and potential bias unintentional. So  
5 the question is what sort of measures were taken to  
6 make sure that there was consistency in the measuring  
7 of this primary endpoint?

8 DR. OSTER: David?

9 DR. BRIZEL: I think that is a really  
10 important question, and it was a question that I asked  
11 as well as I initially became involved in this trial.  
12 At each institution at the on-study visit, if you  
13 will, each of the investigators at that institution  
14 received instruction and review as to what the RTOG  
15 scale is so that people were familiar with it. The  
16 fact that it was unblinded is actually an interesting  
17 one. Speaking as an investigator who put patients on  
18 the trial, when patients came back for their follow-up  
19 during the treatment, just being in a busy practice I  
20 tended not to look at what they had. And when I saw  
21 the study nurse in the room, I knew they had been on  
22 the study. But if I guessed as to which treatment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 patients had received or not, I was wrong as often as  
2 I was right.

3 The other issues, though, that I think  
4 really bear on this are the fact that all of the  
5 supportive endpoints all go and point in the same  
6 direction. We saw the slide that showed the high  
7 level of correlation between late xerostomia and  
8 saliva production, late xerostomia and patient benefit  
9 questionnaire score. All of those -- I would be a lot  
10 more concerned about this if we saw xerostomia getting  
11 better and saliva getting worse or things going off in  
12 different directions. But they were all consistent.  
13 And again, the last point that I would make is that  
14 the large number of institutions that participated is  
15 actually beneficial in this respect.

16 CHAIRPERSON DUTCHER: Dr. Schilsky?

17 DR. SCHILSKY: I just want to follow-up on  
18 Dr. Lippman's question, since I am not a radiation  
19 oncologist and I have never had the opportunity to use  
20 the RTOG scale. So maybe you could explain a little  
21 bit further. Grade 1 is -- we are talking about acute  
22 xerostomia. So Grade 1 is mild mouth dryness and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       Grade 2 is moderate mouth dryness.    What is the  
2       difference?

3                   DR. BRIZEL:  A great question.  And it is  
4       a semi-quantitative system and it is not a perfect  
5       system.  The Grade 2 is moderate to severe dryness.  
6       Mild dryness, if you look in a patient's -- first of  
7       all, the patient tells you.  So there is that aspect  
8       of subjectivity to it.  But with mild dryness, when  
9       you look in the mouth the saliva may be a little  
10      thick.  Moderate to severe dryness -- I mean, if you  
11      can find it at all -- first of all, we saw the tongue  
12      where there is nothing and the tongue blade sticks to  
13      the tongue.  But if they do have any saliva, quite  
14      often it is like a rope.  It is real ropey.

15                  DR. SCHILSKY:  So is this -- is this a  
16      grading scale that is determined by the examiner, by  
17      the patient, by the interaction between the two?  And  
18      can you tell us, has it ever been looked at with  
19      respect to intraobserver variability?  If you sent two  
20      different people or two different examiners in to  
21      examine the same patient, how consistent would the  
22      grading scale be between the two examiners?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BRIZEL: Actually, I would like you to  
2 -- that is intriguing. But could you please ask the  
3 first part of the question one more time? Is it  
4 related to the -- who determines the scoring, is that  
5 it?

6 DR. SCHILSKY: Yes. How is the scoring  
7 actually done?

8 DR. BRIZEL: It is the physician who  
9 actually assigns the score, but it is based on an  
10 interaction between the physician and the patient,  
11 consisting of both historical information from the  
12 patient and the physical findings.

13 DR. SCHILSKY: And with respect to whether  
14 it has ever been validated across observers?

15 DR. OSTER: We have some experts who  
16 really -- we have some experts here with us, Dr. Kent  
17 and Dr. Le Veque, whoever wants to comment further on  
18 this. They really have expertise in this area and I  
19 think they are able to respond to this question in  
20 further detail.

21 DR. SCHILSKY: I would like to hear it.

22 DR. OSTER: Dr. Kent?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON DUTCHER: Please for the  
2 recorder state your name and affiliation.

3 DR. KENT: I am Kenneth Kent. I am a  
4 maxillofacial prosthodontist heading the Maxillofacial  
5 Reconstruction Center at the University of  
6 Pennsylvania Medical Center. Xerostomia is a very  
7 subjective entity. There have been numerous attempts  
8 in the literature to more accurately define objective  
9 criteria. However, for the very dry patient, there is  
10 almost no saliva to measure. When you are looking at  
11 mild versus moderate to severe, there is relative ease  
12 in determining differences between the two. I could  
13 not testify to literature citations on this. However,  
14 having spent more than 20 years educating clinicians,  
15 both medical clinicians as well as dentists in the  
16 evaluation and management of xerostomia, it is  
17 relatively easy to train someone in a standard  
18 fashion.

19 DR. SCHILSKY: Could I just ask one  
20 follow-up question with respect to the criteria for  
21 late xerostomia? Because looking at the criteria  
22 again, it seems to me that the grading is sort of a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 two-parter. Because the grading says -- for example,  
2 Grade 1 is slight dryness of mouth/good response on  
3 stimulation. And Grade 2 is moderate dryness of  
4 mouth/poor response on stimulation. So is that an  
5 either/or or is that an and represented by that slash?

6 DR. OSTER: Dr. Le Veque?

7 DR. LE VEQUE: Could you rephrase that or  
8 repeat that for me, please?

9 DR. SCHILSKY: Yes. Well, I am just  
10 reading the criteria. So for late xerostomia, Grade  
11 1, it is slight dryness of the mouth/good response on  
12 stimulation. And Grade 2 is moderate dryness of the  
13 mouth/poor response on stimulation. So since those  
14 are two different things, the question is to be Grade  
15 2, for example, is moderate dryness of the mouth  
16 sufficient to be Grade 2, or do you have to have  
17 moderate mouth dryness and a poor response on  
18 stimulation?

19 DR. LE VEQUE: Well, I think that in  
20 looking at the RTOG late criteria, the inference is  
21 that in Grade 1, patients can be stimulated via  
22 gustatory stimulation or neurotransmitted

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 stimulations, so on and so forth. Whereas in Grade 2,  
2 those attempts at coercing saliva would likely not  
3 work. Again, as Dr. Brizel pointed out, this is a  
4 semi-quantitative scale. And as Dr. Kent also pointed  
5 out, understanding the scale is in large part being  
6 able to make the assessment visually. Because there  
7 is no attempt to actually quantify the saliva.

8 CHAIRPERSON DUTCHER: Excuse me, could  
9 you just give your name and affiliation for the  
10 reporter?

11 DR. LE VEQUE: I am sorry. I am Francis  
12 Le Veque. I am a clinical associate professor of  
13 oncology at Carmanos Cancer Institute in Detroit.

14 DR. SCHILSKY: This is part of my concern  
15 with respect to these data sets. This is the primary  
16 endpoint. About two-thirds of the patients were  
17 enrolled outside the U.S. I don't know how  
18 experienced those investigators were with applying the  
19 RTOG criteria. And at the great majority of centers  
20 that did participate in the study, most of the centers  
21 enrolled fewer than 10 patients on the study. And  
22 again, of course they may treat many other patients

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 with head and neck cancer and use the RTOG criteria  
2 routinely. I just don't know and I am trying to get  
3 a sense of -- since this is really the primary  
4 efficacy endpoint, I am trying to get a sense as to  
5 how reproducible it is and how easy it is to apply it  
6 and how likely it is that different investigators will  
7 apply it in the same way and so on.

8 DR. CURRAN: One comment I could make, Dr.  
9 Schilsky, is that even though on this slide of the  
10 Atlantic we call these the RTOG late toxicity scoring  
11 scale, on the other side of the Atlantic, they are  
12 called the EORTC scoring scale. So it is not unlike  
13 the performance status criteria which are sometimes  
14 called ZERBRA and sometimes ECOG and sometimes COBG.  
15 I don't know details about the German investigators,  
16 but it is my understanding they are experienced head  
17 and neck radiation oncologists. But I think that this  
18 is admittedly a semi-quantitative/semi-qualitative  
19 assessment. But even if we all sat in the room and  
20 designed the study impeccably today, we would be left  
21 with the same semi-quantitative data. And when you  
22 have -- you know, as a non-participant, when you see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 such a consistent difference in observation, that is  
2 convincing to me because I don't think interobserved  
3 variation is as substantial as we suspect. Because in  
4 reality in the clinics, patients are dry or they are  
5 not dry. And the distinction is not as hard as one  
6 may think.

7 CHAIRPERSON DUTCHER: Dr. Margolin?

8 DR MARGOLIN: Along the same lines as --  
9 not so much the quantification, which of course I  
10 share the same concerns about and also would wonder  
11 about what happened to the stimulated saliva  
12 production if it is used as part of the definition.  
13 But more importantly, on page 19 -- I don't know the  
14 slide number, but it is on the left side -- in which  
15 the .1 gram threshold was being looked at as  
16 representing a worthwhile threshold from the dental  
17 consultant, of the approximately two-thirds of  
18 patients in each randomization arm that started in the  
19 study and got to the one-year mark by the previous  
20 slides, only two-thirds of those in the amifostine arm  
21 and less than half of those in the non-amifostine arm  
22 had measurements of the unstimulated saliva

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 production. And even though the numbers look nice, I  
2 would be concerned about their incompleteness. I  
3 don't know -- I assume the FDA reviewer is going to do  
4 that, but I would like to know what the sponsor has to  
5 say about that.

6 DR. OSTER: Yes. It is a very important  
7 question and we obviously asked ourselves this  
8 question as well, and Dr. Lesley Russell has some  
9 information in this regard.

10 DR. RUSSELL: We obviously looked at the  
11 reasons for data being missing at this time point.  
12 And if I could have slide 130, please. As you can  
13 see, of the patients in both groups, the reasons for  
14 missing data in both treatment groups was either that  
15 the patients had died or had had progressive disease  
16 and had gone on to receive probably some further  
17 treatment and thereby were not participating in this  
18 actual assessment. The other reasons for  
19 incompleteness of data are relatively well balanced  
20 between the two treatment groups. And as patients in  
21 any trial, some are lost to follow-up. And then were  
22 just unable to perform this test.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON DUTCHER: Dr. Santana?

2 DR. SANTANA: My question was already  
3 answered -- asked.

4 CHAIRPERSON DUTCHER: Ms. Beaman?

5 MS. BEAMAN: Yes. In reference to one of  
6 the trials, I noticed that over half of the patients  
7 dropped out. You noted that 1 percent suffered from  
8 nausea and vomiting and then 3 percent hypertension.  
9 But would that be enough to account for over half of  
10 the dropouts in one of the trials you noted?

11 DR. OSTER: I am sorry, can you repeat  
12 your question, please?

13 MS. BEAMAN: Yes. Page number -- I'll  
14 find it. Go right ahead. I'll come back to that.

15 CHAIRPERSON DUTCHER: Dr. Lippman?

16 DR. LIPPMAN: I would just like to come  
17 back for a moment to the primary endpoint and the  
18 scale. Although Dr. Curran has sort of put this into  
19 a two point system, you are either dry or you are not  
20 dry, the system clearly states Grade 1 as being mild  
21 and Grade 2 as being moderate. The question I guess  
22 I have is was there an investigator meeting or with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the research nurses or the physicians from the  
2 different countries just with pictures like Dr. Brizel  
3 showed or some sort of sense of we know it is  
4 qualitative and we know it is not perfect, but these  
5 are -- just so we are all on the same page, these are  
6 the criteria we are using?

7 DR. OSTER: A very good question. Dr.  
8 Russell?

9 DR. RUSSELL: There was one -- there was  
10 certainly one major investigator meeting held before  
11 the start of the study for the European sites. And  
12 then prior to trial initiation at each of the sites,  
13 an initiation visit was done where the scale was gone  
14 over in great detail with both the investigators and  
15 the study coordinators.

16 DR. LIPPMAN: And then just a follow-up,  
17 I guess the reason why that is so important is I  
18 gather the analysis -- the FDA analysis looking at all  
19 xerostomia versus none was not as convincing as the  
20 Grade 2 or greater. So that distinction between mild  
21 and moderate becomes very important. So it is nice to  
22 know that you had that meeting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON DUTCHER: Dr. Ozols?

2 DR. OZOLS: Dr. Curran, you said that the  
3 RTOG is doing some more studies with WR amifostine  
4 looking at other protective effects. If we approve it  
5 today, what will that do to those studies? Do you  
6 think they will decrease and could you tell us what  
7 those trials are?

8 DR. CURRAN: Yes, Dr. Ozols. The current  
9 Phase III trial within RTOG is looking at amifostine  
10 as a potential protectant against combined modality  
11 esophagitis and pneumonitis in patients with locally  
12 advanced non-small cell lung cancer. Since there is  
13 no data -- and it is a randomized study with or  
14 without amifostine. Since there is no data in this  
15 study related to that question, it is my hope that  
16 action today would actually help that study rather  
17 than hinder it. We have pilot data from two  
18 institutions on it, but no convincing data that makes  
19 me think we don't need a randomized study in that  
20 area. The other area which is under investigation is  
21 to reduce radiation related proctitis and cystitis in  
22 men receiving radiation for prostate cancer. Again,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 data is only preliminary and at single institutions.  
2 So I think more work needs to be done in that area as  
3 well.

4 CHAIRPERSON DUTCHER: Dr. Sledge?

5 DR. SLEDGE: I have a number of toxicity  
6 questions. I guess the first relates to the PVQ  
7 scale. Does the PVQ scale summate benefit and  
8 toxicity or is it just benefit?

9 DR. OSTER: Dr. Mackowiak?

10 DR. MACKOWIAK: There are eight different  
11 items, as you know. Three of them were symptom  
12 questions. So to the extent they measure the  
13 patient's perception of their toxicities. And also,  
14 the other three activities of daily living and the  
15 need to use external fluid are probably the best  
16 measure of benefit. The two happen, of course, as we  
17 assessed in factor analysis, in a highly correlated  
18 way.

19 DR. SLEDGE: I may not have made myself  
20 clear. Do they -- are all of the factors that are  
21 being measured in essence related to head and neck  
22 type symptoms or do they include things such as the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 nausea and vomiting, the hypotension -- how patients  
2 experienced those?

3 DR. MACKOWIAK: You are right on the first  
4 part. They are all assessed -- the head and neck  
5 symptoms related to radiation toxicities.

6 DR. SLEDGE: Okay. I guess the second  
7 question, the nausea and vomiting that was seen in  
8 this trial, is this -- I saw 15 patients discontinued  
9 therapy due to nausea and vomiting. Is this sort of  
10 nausea and vomiting that could be controlled with  
11 standard anti-emetics? And if so, was that allowed in  
12 the course of the study? To what degree were anti-  
13 emetics allowed?

14 DR. RUSSELL: We actually formally  
15 recommended that anti-emetics be administered to all  
16 patients on the amifostine group. This was not done  
17 in 31 patients, and we know that 31 patients actually  
18 received no anti-emetics. And of those 31 patients,  
19 actually 25 reported no nausea and vomiting. If we  
20 look at patients who did receive anti-emetics -- and  
21 if I could have slide 175, please -- we can see -- and  
22 I don't know if this includes the 15 patients or not,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but probably not. We can see that for those patients  
2 who received 5HT3 antagonist, they clearly did have  
3 some amelioration of nausea and vomiting. The 61  
4 percent of those patients who received a 5HT3  
5 antagonist as a single agent reporting no further  
6 nausea or vomiting.

7 DR. SLEDGE: And a third question related  
8 to toxicity. Comparing the amifostine and the control  
9 group, there is a difference of 19 patients in terms  
10 of number of patients hospitalized. But the slide  
11 said that only 6 of these were attributable to the  
12 amifostine. If that is so, what are the other 13  
13 attributable to?

14 DR. RUSSELL: The hospitalizations  
15 occurring in both treatment groups were largely  
16 related to a side effect of radiation. So if they had  
17 oral mucositis or oral pharyngitis and perhaps  
18 required some feeding supplementation. And then there  
19 were other admissions for other co-morbid conditions  
20 related neither to radiation or to amifostine. And in  
21 some of these patients, they were related to their  
22 underlying tumor.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SLEDGE: Help me out a little bit  
2 here. This seems to be a fairly major difference.  
3 And you are saying that amifostine markedly reduces  
4 acute oral pharyngeal toxicity. So --

5 DR. RUSSELL: No. Our data actually show  
6 that the incidence of both oral mucositis and  
7 pharyngitis is actually largely comparable between the  
8 two treatment groups.

9 DR. SLEDGE: Again, I guess I am still  
10 confused. Why the major difference then in  
11 hospitalization? I mean, it is a pretty marked  
12 difference.

13 DR. RUSSELL: I am at a loss to explain  
14 the difference at this point in time. What we do know  
15 is that the incidence of Grade 3 mucositis and  
16 pharyngitis was the same between the two treatment  
17 groups. And if you look at the index of weight loss  
18 that we observed, one would suggest that both nausea  
19 and vomiting and pharyngitis or mucositis wasn't  
20 having that major an impact on the patients who  
21 received amifostine, because actually they suffered  
22 less weight loss. So like you, I am a little -- I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 can't explain the difference in the hospitalizations.

2 DR. OSTER: If I can just add to this.  
3 Obviously I think you are referring to probably the  
4 medical reviewers assessments and our assessment?

5 DR. SLEDGE: I am referring to your slide  
6 on page 30. It says 50 versus 31 in terms of  
7 hospitalizations.

8 DR. OSTER: Can we see slide 50 again?  
9 But again, I think the important point to make is  
10 obviously the amifostine-related. And we went through  
11 these case record forms page by page to verify that  
12 only six patients were hospitalized because of  
13 amifostine-related toxicities.

14 DR KROOK: But the issue is why -- why?  
15 Was it because of drug, nausea, vomiting?

16 DR. RUSSELL: Sorry, are you wanting to  
17 know the reason for the six amifostine-related --

18 DR KROOK: I guess I would like to know to  
19 follow-up on Dr. Sledge, why six in that arm?

20 DR. RUSSELL: Who were amifostine-related?

21 DR KROOK: Right. But was it  
22 nausea/vomiting? Was it leukopenia?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. RUSSELL: No, I can tell you those.

2 DR KROOK: Okay.

3 DR. RUSSELL: Two of those six patients,  
4 actually right at the end of their treatment or two or  
5 three days following their treatment, so they were  
6 still considered related toxicities, experienced a  
7 skin type reaction. One of the patients had an  
8 erythema multiform, but was also receiving  
9 carbamazepine. So the investigator was actually  
10 unable to say which definitely caused this reaction.  
11 The other four admissions, two were related to some  
12 nausea and vomiting and other general conditions. It  
13 wasn't necessarily an amifostine-related nausea. And  
14 the other two were an irradiation type reaction.  
15 Whereas you know, when you assess these patients you  
16 have a possibly/probably/definitely related problem to  
17 take, and they took the possibly related problem  
18 because they were unable to rule out the contributory  
19 effect.

20 DR. SLEDGE: Is this a statistically  
21 significant difference in hospitalization rates?

22 DR. RUSSELL: I am unable to say. I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 didn't run a P value beside this.

2 CHAIRPERSON DUTCHER: Dr. Nerenstone?

3 DR. NERENSTONE: Continuing on about the  
4 safety questions, hypotension was noted to occur in 15  
5 percent of patients despite being prehydrated. Can  
6 you tell us what hydration they received, how long,  
7 and what the overall additional time patients needed  
8 to be in the clinic to get their hydration, their  
9 anti-nausea medicine and the amifostine before their  
10 radiation therapy?

11 DR. BRIZEL: Typically the patients  
12 received 500 cc of hydration either orally or  
13 intravenously -- orally water and intravenously  
14 saline. And this was given rapidly. As the slides in  
15 my presentation showed, 15 to 30 minutes prior to the  
16 administration of the drug. Since the drug was given  
17 15 to 30 minutes prior to the administration of  
18 radiation, altogether we would be looking at a period  
19 of an extra 45 to 60 minutes.

20 DR. NERENSTONE: With mucositis being such  
21 a problem in a lot of these patients, were a lot of  
22 these patients able to tolerate oral hydration?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 That is a lot of water.

2 DR. BRIZEL: That is something that can  
3 evolve over time. All of these patients did have PIC  
4 lines. I cannot comment on the actual hard numbers,  
5 but what one might expect is that towards the  
6 initiation of therapy, usually with once a day  
7 radiation it takes around two weeks or so for serious  
8 mucositis to start to become manifest. And so towards  
9 the later phases of treatment, since many of these  
10 patients had their PIC lines anyway, you would be  
11 giving it IV usually.

12 CHAIRPERSON DUTCHER: Dr. Krock?

13 DR KROOK: Actually you answered part of  
14 my question that they all had PIC lines in. But going  
15 back to Mrs. Beaman's question about withdrawal,  
16 certainly those of us who have put VADs in people, we  
17 certainly have problems with PIC lines and VADs. And  
18 certainly some of the withdrawals might have been  
19 related to just this extra hour and excuse my  
20 midwestern fussing around with the extra hour or two  
21 that it takes, having met the radiation beam myself,  
22 getting through their quick was one of the nice things

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 to do. And certainly if I were on a treatment arm  
2 that was an hour or two before, that certainly would  
3 add to my feelings. There must have been a few  
4 withdrawals just because of that extra inconvenience.  
5 But again, I am looking at table 18 in the book that  
6 says the withdrawals are only 27.

7 CHAIRPERSON DUTCHER: Mr. Gruett?

8 MR. GRUETT: You brought up a study of  
9 4,000 people on vomiting. You passed that by quite  
10 rapidly. Were there other studies being done on these  
11 4,000 people and are there other studies that you have  
12 done that have shown negative information? I have had  
13 a very difficult time following all of the charts and  
14 the information because it varies so rapidly.

15 DR. BRIZEL: First of all, I apologize if  
16 I did not make that as clear as I should have. It was  
17 actually what I said was that within the context of  
18 this trial, there were over 4,000 administrations,  
19 doses of the drug, that were delivered to the patient,  
20 not actually that there were over 4,000 patients on  
21 the study.

22 MR. GRUETT: You brought that up in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 vomiting discussion when you were given your  
2 presentation.

3 DR. BRIZEL: In the toxicity.

4 MR. GRUETT: So do you have follow-up on  
5 that 4,000 and the statistical data mentioning what  
6 the limit of vomiting was?

7 DR. OSTER: Limit of vomiting? Sorry.

8 MR. GRUETT: Yes, when you brought up the  
9 4,000 patients, you were bringing up also -- you were  
10 discussing the vomiting in this discussion. And I was  
11 wondering if you have more information or statistics  
12 confirming this.

13 DR. OSTER: I think Dr. Brizel only wanted  
14 to say that whereas when you look at the percentage by  
15 patient, it may occur -- you know, the percentage as  
16 it is given to you, which I think is in the range of  
17 what was it again? Nausea and vomiting?

18 DR. RUSSELL: It was 8 percent.

19 DR. OSTER: 8 percent Grade 3. But when  
20 you then look at the incidence by infusion, you find  
21 that it is less than 1 percent. In other words, those  
22 patients who have experienced nausea and vomiting in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 one course, may have taken the next time a 5HT3  
2 receptor antagonist and were then doing well and  
3 didn't have a reoccurrence of their nausea and  
4 vomiting. That is also an experience which we have  
5 from our post-marketing experience and from other  
6 trials in chemotherapy.

7 MR. GRUETT: Another thing that I was  
8 trying to find out in your statistics is actually what  
9 is the percentage of people that are affected by your  
10 drug. I interpolated it to be 23 percent, but I could  
11 be wrong on my understanding there.

12 DR. OSTER: I think the Grade 3 nausea and  
13 vomiting, as we presented, was 8 percent, right? 8  
14 percent.

15 MR. GRUETT: No, in the curing of the drug  
16 -- the stopping of the dryness. Those who were  
17 successfully affected by using the drug.

18 DR. OSTER: Okay. Roughly you can say you  
19 have 30 percent of patients less with acute, severe  
20 xerostomia and 30 percent less with late xerostomia.  
21 So the treatment affect is roughly in this range. 30  
22 percent less of patients have this toxicity.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON DUTCHER: Dr. Schilsky?

2 DR. SCHILSKY: Just a question to clarify  
3 a little bit about the PVQ. And again, I think part  
4 of what I am grappling with and I suspect others are  
5 is the fact that this was an unblinded study and we  
6 have very subjective endpoints. Therefore, it is  
7 really difficult to determine what is an actual effect  
8 of treatment and so on. So the PVQ, as best as I can  
9 see from the briefing document, is a 10-item scale.  
10 And a high number is good. That means if you are a  
11 10, you are not having any problems. And so as I look  
12 at some of the charts that were shown, first of all  
13 the mean values rarely go below 5. And in most cases,  
14 they don't go below 6. So that would tell me that the  
15 average patient is actually not reporting much in the  
16 way of discomfort, side effect and so on, because they  
17 are at the high end of the scale.

18 Second of all, the difference between the  
19 curves tends to be about one unit. So I wonder if  
20 there is a way you could help us interpret the  
21 clinical significance of saying that you are having a  
22 six-day versus a seven-day.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. OSTER: Before I ask Dr. Mackowiak to  
2 respond to this, let me remind you, if I may, that we  
3 obviously were aware of the fact that some of the  
4 assessments may be criticized to be subjective, and  
5 that is one reason why we have in this study not only  
6 assessed xerostomia with various modalities, three,  
7 but we also assessed basically two different events,  
8 acute xerostomia and late xerostomia, at a number of  
9 defined endpoints. And I think we can say here, based  
10 on the consistency which we have through our time  
11 points and on the consistency which we have throughout  
12 measurements, and based on the correlation which we  
13 have between the measurements, that this is a set of  
14 robust data.

15 DR. SCHILSKY: Although it could just be  
16 a systematic bias that all goes in the same direction.

17 DR. OSTER: In terms of when you have a  
18 triple or when you have a P value on acute xerostomia  
19 which is below 0001 and a P value for late which is  
20 below 001, I think it is probably unlikely that you  
21 find this just by bias. But I would like to ask  
22 really John to comment on the question which you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 posed.

2 DR. MACKOWIAK: On the bias question, in  
3 a short-term pain study if there was a one-day  
4 duration maybe I could see that happening very  
5 quickly. But you have to remember these patients  
6 received amifostine over a six-week period of time and  
7 then we are asking them 12 months or 11 months after  
8 treatment ended are you different. And as you can  
9 imagine, I don't think that a placebo effect can act  
10 that long. As far as -- are there others who --  
11 because I will put the chart up if you would like.

12 DR. SCHILSKY: I think that is a valuable  
13 point you made. My other question is what -- just in  
14 general, could you give us a way of interpreting the  
15 clinical significance of a one point change in the  
16 scale? Because whatever scale you used, there rarely  
17 seems to be more than about a one point difference.  
18 So what is the clinical significance of a 7 versus a  
19 6 or an 8 versus a 7?

20 DR. MACKOWIAK: I am going to put up slide  
21 45 to show the -- the slide one before this one first,  
22 and then we can go back to that. What we saw first

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was patients started -- the range was 10 to zero. I  
2 didn't show the bottom end of this scale. But  
3 patients even prior to radiation reported on average  
4 9. Again, these are averages. Right as you would  
5 expect, we see a 3-point drop in the scores for the  
6 amifostine treated group and a 4 point drop for the  
7 control group. The clinical significance of that  
8 after radiation, that one point difference that we  
9 showed is roughly one grade level. So that change  
10 from mild to moderate. When I looked at the scores,  
11 the actual patient scores, the standard deviations  
12 around these are quite wide. And that is part of the  
13 reason why the statistical significance is affected.  
14 Because patients are affected very, very differently  
15 from patient to patient. Is there anything else I can  
16 add to that?

17 DR. SCHILSKY: Go to your next slide.

18 DR. MACKOWIAK: Oh the next slide,  
19 exactly. Thank you. Thank you for reminding me.  
20 This is the RTOG xerostomia toxicity grades. Again,  
21 we look for consecutive visits where a clinician  
22 observed a change. And I don't know which one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 validates which, but my version of it, since I usually  
2 live in a PVQ world, I think they both validate each  
3 other. You can't have both of them accidentally  
4 coming to the same conclusion all the time. We saw  
5 one grade level worse or a one point decrease. One  
6 grade level improvement or one point increase.

7 DR. SCHILSKY: I think all you can really  
8 say from that is that they seemed to go in the same  
9 direction. I wouldn't stress the one to one because  
10 you are dealing with two very different scales.

11 DR. MACKOWIAK: They are very different  
12 scales.

13 DR. SCHILSKY: It is basically a 3-point  
14 scale versus a 10-point scale. So I might say that if  
15 somebody had a one grade level worse on the RTOG  
16 scale, I might have expected that they might have had  
17 much greater than just a .96 fall in the PVQ scale.  
18 That doesn't strike me as being much of a change at  
19 all. Whereas going from a grade 1 to a grade 2 on the  
20 RTOG scale would presumably be a much bigger change.

21 CHAIRPERSON DUTCHER: Dr. Simon?

22 DR. SIMON: I had a couple of questions.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 One, what did the protocol say in terms of when the  
2 final analysis would take place in terms of the anti-  
3 tumor effect? Did it name a number of events, as  
4 protocols frequently do? Number of recurrences? What  
5 did it specify?

6 DR. RUSSELL: The primary protocol-defined  
7 endpoint was the incidence of local/regional control  
8 at one year. There was no predefined number of  
9 events.

10 DR. SIMON: And when would that final  
11 analysis take place? Did the protocol specify when the  
12 final analysis would take place? Would it take place  
13 when all patients had been followed for one year or  
14 two years?

15 DR. RUSSELL: When all patients had been  
16 followed for two years.

17 DR. SIMON: But that has not happened yet,  
18 is that right?

19 DR. OSTER: Well, what we have done is  
20 obviously we have analyzed the information for one  
21 year and for 18 months, and to corroborate the  
22 meaningfulness of this observation, we have looked

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 into the RTOG data base, which we have shown to you,  
2 which basically says two observations. Number one, 75  
3 or 78 percent of all of the events in this patient  
4 group have been in the first year. So there is not  
5 much action in the curve after one year. Less than  
6 that happened after 18 months. And when you then  
7 compare the curve which Dr. Curran showed matched with  
8 a matching population from the RTOG experience to our  
9 patient population, it is superimposable.

10 DR. SIMON: But this is before the time of  
11 the protocol-specified time of final analysis. My  
12 second question --

13 DR. OSTER: If I can just add one thing.  
14 The primary endpoint for preservation -- for assessing  
15 preservation of anti-tumor activity was local/regional  
16 control as assessed at 12 months.

17 DR. SIMON: But the time of the final  
18 analysis was to be when all patients have been -- two  
19 years after the last patient entered the study, is  
20 that right?

21 DR. OSTER: It wasn't specified as the  
22 final analysis. We had further follow-up because --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SIMON: The definitive analysis.  
2 Usually a protocol -- I would say almost every NCI-  
3 sponsored protocol would have a time of definitive  
4 analysis, either expressed in time after the last  
5 patient is randomized or expressed when a specified  
6 number of events had occurred. Did this -- okay. My  
7 second question is in your analysis of local/regional  
8 control, how are withdrawals handled? Patients who  
9 left the study for whatever reason or patients who had  
10 a distant recurrence, or patients who went off study  
11 because of toxicity or whatever. How were they  
12 handled in that analysis?

13 DR. RUSSELL: This analysis was taken  
14 purely on an intent to treat. So that for all  
15 patients who actually discontinued study for whatever  
16 reason, we specifically asked the investigators to  
17 continue to follow-up these patients for local  
18 control.

19 DR. SIMON: But I notice in your curves  
20 you show a whole bunch of censored points in the drug-  
21 treated group, very early like about two months.  
22 There is a cluster of about 6 or 7 censored

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 observations in the drug-treated arm that are censored  
2 at about two months. I was wondering why that is.

3 DR. OSTER: I am sorry, which curve is  
4 this in? Is it in the local/regional control curve?

5 DR. SIMON: Yes. Okay, so it looks to me  
6 like you have censored patients. It looks like you  
7 have censored some patients when they went off study  
8 perhaps for toxicity or whatever.

9 DR. OSTER: No, not because of toxicity.

10 DR. RUSSELL: No. I mean, some of these  
11 patients are lost to follow-up and we realize that.  
12 The loss to follow-up obviously does occur in this  
13 patient population. And if a patient chooses not to  
14 come back to the clinic, we have to then censor those  
15 persons.

16 DR. SIMON: But I don't understand why  
17 that cluster would be in the drug-treated arm and not  
18 equally distributed.

19 DR. OSTER: Dr. Koch?

20 DR. KOCH: I am not sure whether this will  
21 address your question, but you might put up slide 216.

22 DR. SIMON: I was looking at figure 7 in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the executive summary from the sponsor.

2 DR. KOCH: Yes, I know that that is the  
3 figure you are looking at. This is an attempt to  
4 provide disposition of the people that were lost. So  
5 basically in the two groups in terms of through 18  
6 months, these are the reasons why various individuals  
7 may have been censored. Now as to which ones were  
8 censored at which time, I can't tell you that. But  
9 this is the disposition and set of reasons for the  
10 number of people who were censored prior to 18 months  
11 in the two groups.

12 DR. SIMON: Okay. Well, the censoring I  
13 think is of concern, particularly in a therapeutic  
14 equivalence question. My final question was for the  
15 postoperative -- the patients who received  
16 radiotherapy in the postoperative setting, what sort  
17 of increment in local control at 12 months would we  
18 believe that radiotherapy gave them? What would have  
19 been the local control rate at 12 months had they  
20 gotten surgery alone?

21 DR. BRIZEL: Well, typically for -- I  
22 think it is worth at this point defining the post-op

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 population a little bit better, because that puts the  
2 answer in its proper perspective. The post-op  
3 patients were defined as either high risk or low risk  
4 for their recurrence actually based on criteria from  
5 the randomized trial that was conducted at the M.D.  
6 Anderson Hospital and that was published about five  
7 years ago. The low-risk patients, which constituted  
8 only a small portion of the post-op patients, were  
9 those who had negative resection margins at the  
10 primary site and/or no evidence of extracapsular nodal  
11 spread in the neck dissection specimen if they had  
12 that. The high-risk patients had positive margins  
13 and/or extracapsular spread in the neck dissection.  
14 But they constitute the majority of the post-op  
15 patients and really drive the issue. I will confine  
16 my answer to that group of patients.

17 Patients with high-risk -- patients who  
18 were resected and have high risk pathologic  
19 characteristics, we would expect a recurrence rate of  
20 approximately 70 percent, either at the primary site  
21 or in the neck. So a local/regional control, if you  
22 will, of only around 30 percent. If we give those

1 patients postoperative radiotherapy, we see  
2 local/regional control rates in the realm of what was  
3 observed for the overall population in this trial.

4 CHAIRPERSON DUTCHER: Last question, Dr.  
5 Lippman.

6 DR. LIPPMAN: The proposed indication, of  
7 course, is based primarily if not entirely on one  
8 large, well-done randomized trial, and I think that is  
9 reasonable given the issues with the endpoint. I  
10 guess the question is that the proposed indication  
11 just refers to its use in radiation-induced  
12 xerostomia. And as you know, with increasing use of  
13 concomitant chemoradiotherapy, this doesn't exclude  
14 that population and there are some reasons to think  
15 that this may or may not work or it may require a  
16 higher dose and other things. It really seems like a  
17 separate question and whether the proposed indication  
18 would need to be more specific tailored to the  
19 evidence from the trial.

20 DR. BRIZEL: I think the trial can -- the  
21 trial addresses the patient population that was  
22 studied within the trial. And within -- as we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discussed at the beginning of the Q&A session, there  
2 are many different competing approaches for the  
3 treatment of patients with more advanced disease, as  
4 you indicate, including concurrent chemotherapy and  
5 radiotherapy. We don't know the answer to that.

6 DR. LIPPMAN: I guess my question is would  
7 you want to limit the proposed indication to the  
8 population you studied? Because the way it is written  
9 now, it is not clear if it includes radiation alone or  
10 radiation with chemotherapy.

11 CHAIRPERSON DUTCHER: We will have to talk  
12 about that when we talk with the FDA.

13 DR. OSTER: Okay.

14 CHAIRPERSON DUTCHER: We are going to take  
15 a 15-minute break and we are going to be back at 3:05.

16 (Whereupon, at 2:47 p.m. off the record  
17 until 3:03 p.m.)

18 CHAIRPERSON DUTCHER: All right, we are  
19 going to get started. Dr. Chico is going to present  
20 the FDA review.

21 DR. CHICO: Good afternoon, members of the  
22 advisory committee and ladies and gentlemen. I will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 be presenting the FDA review for the supplemental NDA  
2 for ethyol for radiation-induced xerostomia. Our  
3 presentation is divided into two parts. We recognize  
4 that the quality of life endpoints are important, so  
5 Dr. Clara Chu, our statistician, will be presenting  
6 our methods of review and results on that.

7           These are the members of the FDA review  
8 team. I would like to mention special  
9 acknowledgements to the Division of Scientific  
10 Investigations and the Division of Dental and  
11 Dermatologic Drug Products reviewers for their help in  
12 our review.

13           The application seeks approval for ethyol  
14 for the reduction of moderate to severe radiation-  
15 induced xerostomia with primary data on a single  
16 prospective multi-center randomized Phase III trial  
17 comparing standard fractionated radiotherapy with or  
18 without ethyol in patients with head and neck cancer.

19           As mentioned earlier by the sponsor, the  
20 patients were randomized evenly between treatment  
21 arms. Stratification factors identified were sites of  
22 disease, clinical stage, nodal status, volume of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 parotid glands for radiotherapy and type of radiation.  
2 The distribution of patients enrolled according to  
3 these stratification factors were balanced.

4 Type of radiation was one stratification  
5 factor which grouped patients according to the  
6 amenability of their tumors to surgery, status of  
7 tumor margins after surgery, node positivity and  
8 extension of the tumors to the neck. These factors  
9 determined the intended radiation dose. Inoperable  
10 patients received -- were prescribed 66 to 70 Gray.  
11 Postoperative high-risk patients received 60 to 66  
12 Gray. And postoperative low-risk patients were  
13 prescribed 50 to 60.

14 This grouping allowed enrollment of a wide  
15 range of therapeutic and prognostic groups of patients  
16 with head and neck cancer. Administration of a wide  
17 range of doses of radiotherapy was present in the  
18 study, and we have seen this as an important  
19 prognostic factor for head and neck cancer as well as  
20 an important factor in determining the risk for late  
21 xerostomia. The FDA reviewer grouped patients  
22 according to the likelihood of experiencing late

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 xerostomia as in patients who received more than 45  
2 Gray, and the likelihood of experiencing severe late  
3 xerostomia as in patients who received more than 65  
4 Gray. This analysis was done in full recognition that  
5 factors other than total doses of radiation affect the  
6 incidence and severity of late xerostomia.

7 As is shown here, there is significantly  
8 more patients who received more than 65 Gray in the  
9 RT-alone arm. The difference between those receiving  
10 45 to 65 Gray was not significant, and the overall  
11 difference in the distribution of patients was only  
12 marginally significant with a P value of .056. The  
13 effect of this distribution on the primary endpoint of  
14 late xerostomia will be shown in a later slide.

15 The primary endpoints related to radiation  
16 effect include acute xerostomia, acute mucositis and  
17 late xerostomia. Acute events were defined according  
18 to RTOG criteria. For acute xerostomia, those were  
19 Grade 2 or greater within 90 days of start of  
20 radiation, as well as for acute mucositis, except that  
21 those are more than Grade 3 events. For late  
22 xerostomia, this was also defined using the RTOG

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 criteria except that the time window use is between 9  
2 to 12 months following radiation. This was the  
3 protocol-defined time window.

4 These analyses were all prospective and  
5 they were all performed in the intend to treat group,  
6 and the statistical comparison used was Fisher's exact  
7 test.

8 The incidence of Grade 2 acute xerostomia  
9 was significantly reduced in the patients receiving  
10 ethyol. The FDA reviewer agrees with this assessment.  
11 However, there was no difference between treatment  
12 arms in the overall incidence of acute xerostomia,  
13 which include Grade 1 and Grade 2 patients.  
14 Therefore, it appears that ethyol prevents moderate or  
15 Grade 2 acute xerostomia, but does not prevent its  
16 overall incidence.

17 The applicant's analysis of late  
18 xerostomia was based on a retrospective definition of  
19 events at one year or 365 plus or minus 31 days from  
20 the start of treatment. This analysis, however,  
21 showed a significant difference between treatments  
22 arms, with an advantage on patients in the ethyol

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 group. Although this is a close approximation of the  
2 protocol definition of 9 to 12 months following  
3 treatment, it became apparent during later discussions  
4 with the applicant that additional data would have to  
5 be submitted to the agency to determine the incidence  
6 of late xerostomia that would reflect more accurately  
7 the protocol definition. The results of the updated  
8 analysis is presented in the following slide.

9 The revised analysis by the FDA as shown  
10 in yellow font here were determined by determining  
11 Grade 2 or greater late xerostomia documented on  
12 visits labeled month 9 or month 11 after treatment.  
13 Our analysis was carried out on all patients treated  
14 in the study, showing findings that were similar to  
15 that of the applicants. 40 percent of the patients in  
16 the RT arm versus 24 percent of the patients in the  
17 ethyl arm reported Grade 2 or greater late  
18 xerostomia, showing a statistically significant  
19 difference in favor of ethyl, with a P value of  
20 .0015.

21 Please note, however, that the reminder of  
22 the applicant result is a misprint. These two columns

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 should be interchanged such that for patients in the  
2 RT arm, there was 34 percent greater late xerostomia  
3 and 57 percent for the amifostine arm. I am sorry, 34  
4 percent --

5 DR. WILLIAMS: You have changed it on your  
6 slide.

7 DR. CHICO: Oh, I am sorry. This was a  
8 last minute correction, I am sorry. Although patients  
9 on the ethylol arm received a higher median dose of  
10 radiation compared to patients on the radiation arm,  
11 an advantage for ethylol was seen in each group,  
12 especially in patients who received between 45 to 65  
13 Grays of radiation. This difference is also present  
14 in the patients who received more than 65 Gray, but it  
15 was less impressive.

16 Another primary endpoint of the study was  
17 acute mucositis, and the analysis of the applicant  
18 showed that there was no difference in the incidence  
19 of Grade 3 or greater acute mucositis between the  
20 treatment arms. Analysis of events between Grade 1 to  
21 Grade 4 also showed no difference.

22 In summary, the results of the analysis of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the primary efficacy endpoints related to radiation  
2 effects by the applicant and the FDA were generally in  
3 agreement. The robustness of a finding of a  
4 significant advantage in favor of ethylol regarding the  
5 incidence of moderate to severe acute and late  
6 xerostomia were clearly demonstrated. There was a  
7 significantly lower incidence of moderate acute  
8 xerostomia, a significantly lower incidence of  
9 moderate to severe late xerostomia, but no difference  
10 in the incidence of acute mucositis. Since the  
11 overall incidence of acute and late xerostomia were  
12 similar between treatment arms, the applicant changed  
13 the proposed indication to prevention of moderate to  
14 severe radiation-induced xerostomia in patients with  
15 head and neck cancer.

16 The primary efficacy endpoints related to  
17 radiotherapy involve assessments by investigators of  
18 the degree of xerostomia. On the other hand,  
19 important secondary endpoints also related to the  
20 efficacy of ethylol are measurements of saliva  
21 production and the patient benefit questionnaire.  
22 These are endpoints which provide objective

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 measurements of xerostomia and should reflect  
2 patients' own feedback regarding the effect of  
3 xerostomia on their quality of life respectively.

4 Evidence of tumor protection is provided  
5 by assessment of local/regional tumor control at one  
6 year, which was a primary endpoint, and secondary  
7 endpoints of disease-free survival and overall  
8 survival. The safety endpoint was described also.

9 The applicant's analysis of saliva  
10 measurements showed that there was a significant  
11 difference favoring ethylol in the unstimulated saliva  
12 production at one year using .1 gram saliva production  
13 as the cut-off to establish clinically significant  
14 saliva production. A similar analysis of stimulated  
15 saliva production at one year showed no difference  
16 between the treatment arms. A longitudinal analysis by  
17 the FDA reviewer of unstimulated saliva production  
18 done in order to establish trends over time did not  
19 support the positive findings by the applicant.

20 Instead of four post-radiation follow-up  
21 time points prospectively defined in the protocol, the  
22 applicant retrospectively compared measurements of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 saliva only at three time points -- at baseline, at  
2 first follow-up visit, and at one year following  
3 radiation. Wilcoxon rank sum analyses of saliva  
4 collection were planned. However, details of the  
5 analysis were not specifically written in the  
6 protocol. The applicant consulted with the experts  
7 and the decision to use categorical assessments of  
8 clinically relevant levels of saliva production in the  
9 applicants analysis were decided after all the samples  
10 have been collected.

11 An exploratory analysis using comparison  
12 to baseline measurements was undertaken based on  
13 uncertainty from the literature in establishing a  
14 normal and abnormal range of saliva production.  
15 Tracking the change in salivary flow over time has  
16 been suggested as one method of monitoring the degree  
17 of pathology from certain disease states or the degree  
18 of damage from certain therapeutic interventions such  
19 as radiation. This analysis shows a trend of less  
20 change from baseline stimulated production for  
21 patients in the ethylol arm. For the unstimulated  
22 saliva production, there doesn't seem to be any trend

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of a difference between the treatment arms. Note,  
2 however, that where there was the greatest difference  
3 between treatment arms in the stimulated production at  
4 month 11, there were only 40 percent of patients and  
5 45 percent of patients in the amifostine arm who  
6 submitted samples for analyses.

7 The analysis methods and subsequent  
8 results by the applicant and the FDA were different.  
9 Analysis of saliva measurements by the applicant  
10 showed a significant difference in unstimulated saliva  
11 collection at one year, but was not supported by the  
12 FDA's longitudinal analysis of unstimulated saliva  
13 collections. Nor their analysis of the stimulated  
14 saliva collections at one year. A comparison of  
15 baseline by the FDA showed a trend toward less change  
16 for patients in the ethyol arm in the stimulated  
17 saliva collections.

18 To address the issue of quality of life,  
19 also different methods were once again employed by the  
20 FDA, and the difference in the methodology and results  
21 of the FDA analysis will be presented by our  
22 statistician, Dr. Clara Chu.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. CHU: Okay. I am Clara Chu, and I  
2 will discuss the analysis of the patient benefit  
3 questionnaire data. I will first describe the reasons  
4 why the sponsor and the FDA obtained different results  
5 after analyzing these data and then briefly discuss  
6 how the FDA analyzed these data, show some results  
7 from the analysis, and then summarize these results.

8 The first difference is that the sponsor  
9 and the FDA used different measures of clinical  
10 benefit. The sponsor used a mean score that was  
11 calculated from 8 questions from the questionnaire.  
12 The FDA defined three individual subscales using  
13 specific questions from the questionnaire.

14 The first subscale, functional well-being,  
15 was defined using two questions. One pertaining to  
16 one's ability to speak due to dryness and the other  
17 pertaining to one's ability to eat due to dryness.

18 The second subscale, general condition,  
19 was defined using the question corresponding to an  
20 overall feeling of dryness at rest, that is, while not  
21 eating or chewing.

22 Use of external aids was defined using two

1 questions, one corresponding to the frequency of fluid  
2 intake for eating, and the other corresponding to the  
3 frequency of fluid intake for comfort not associated  
4 with eating.

5 The second difference occurred in the  
6 number of data points used in the analysis. The  
7 sponsor excluded all the data points beyond the one-  
8 year follow-up visit, while the FDA included all these  
9 data points.

10 In the FDA analysis of the patient benefit  
11 questionnaire data, the FDA employed a pattern mixture  
12 model to investigate the impact of missing data in  
13 this analysis, that is, to determine whether or not  
14 the missing data could be considered ignorable or not  
15 treatment-related. This approach consisted of  
16 dividing patients into two groups, dropouts and  
17 completers, where dropouts were defined as those  
18 patients who dropped out before the beginning of the  
19 second year of follow-up, and completers were defined  
20 as those who remained on study through the second year  
21 of follow-up.

22 The table on this slide shows the number

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of patients in each treatment group split by their  
2 dropout and completers status. The method used to  
3 analyze the data was a longitudinal analysis with GEE  
4 quadratic models for each of the PVQ subscales.

5 To give an idea of what results were  
6 obtained in this analysis, the mean scores at two-  
7 month time intervals were displayed for each treatment  
8 group and dropout and completer status group for each  
9 of the subscales. This plot displays the predicted  
10 means for each treatment group split by dropout and  
11 completer status, and it is clear that within dropouts  
12 and completers, the trends are the same between the  
13 treatment arms for functional well-being. And the  
14 same holds true for general condition and also for use  
15 of external aids.

16 Because the trends are about the same for  
17 treatment arms within both dropout and completer  
18 groups, the individuals were then combined and the  
19 longitudinal analysis performed. This plot shows the  
20 predicted mean scores and the observed mean scores for  
21 each two-month interval -- oh, I am sorry. This plot  
22 shows the predicted mean scores and the observed mean

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 scores for each two-month interval for the subscale  
2 functional well-being. The predicted means --  
3 actually, the predicted and the observed means for  
4 ethyol are shown in red, and the radiation observed  
5 and predicted means are shown in yellow. From this  
6 plot, it is not clear whether there is a trend in  
7 favor of the ethyol arm for this particular subscale.

8           However, for general condition, both  
9 predicted means and the observed means do follow a  
10 trend in favor of the ethyol arm, and the same can be  
11 said of use of external aids.

12           To summarize the results of the PVQ  
13 analysis, first it needs to be understood that the  
14 results of this analysis should be considered  
15 descriptive and exploratory because of the difficulty  
16 in interpreting the results due to the subjective  
17 nature of the questionnaire. And also in addition,  
18 the open label trial design can result in bias of  
19 different types. Also, there is the need for  
20 adjustment of multiple comparisons.

21           Second, trends are in favor of the ethyol  
22 arm for the subscales general condition and use of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 external aids. And lastly, it is not clear whether or  
2 not there is a trend in favor of the ethyol arm for  
3 functional well-being. I'll turn this presentation  
4 back to Dr. Chico.

5 DR. CHICO: Thank you, Dr. Chu. The next  
6 endpoint would be tumor control. And according to the  
7 sponsor's analysis, there is no difference between the  
8 treatment arms with respect to local/regional tumor  
9 control at one year, which was again the primary  
10 endpoint. There was also no difference between the  
11 treatment arms in the disease-free survival rate and  
12 overall survival rate. Supportive evidence from  
13 another randomized study in patients with rectal  
14 cancer reported no difference between treatment arms  
15 in overall survival rates after radiation.

16 At planning meetings with the applicant,  
17 the agency recommended that at least 195  
18 local/regional failure events are needed to yield 80  
19 percent power to exclude a hazard ratio of .7. For  
20 the analysis of local/regional failure at one year,  
21 the number of events was approximately half of that  
22 recommended. Aside from the high censoring rate,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 there was also selection of a liberal lower confidence  
2 limit of 70 percent in a non-inferiority test.

3 Safety findings were described. Despite  
4 lower daily doses of ethyol, a significantly greater  
5 frequency of expected severe adverse events such as  
6 nausea, vomiting, fever, allergic reactions,  
7 hypotension and dizziness were observed. There was a  
8 19 percent dropout rate in the ethyol arm. Such  
9 dropout rates raises concerns regarding the effect on  
10 efficacy results. There were more missed radiotherapy  
11 doses in the ethyol arm compared to the radiotherapy-  
12 alone arm. There were twice the number of radiation  
13 doses missed due to toxicity in the ethyol arm.  
14 However, the differences were not statistically  
15 significant. Adverse events from treatment resulted  
16 in 101 hospitalizations in the amifostine arm and 63  
17 in the radiation-alone arm.

18 Regulations require that for a drug to be  
19 approved, substantial evidence of effectiveness be  
20 demonstrated through adequate and well-controlled  
21 trials. There should be substantial evidence of  
22 important clinical benefit and a tolerable toxicity

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 profile. There were significantly more adverse but  
2 expected events in patients treated with ethylol.  
3 There were significant numbers of dropouts,  
4 hospitalizations and missed doses. Although these  
5 were expected events, these raised concerns regarding  
6 one's ability to deliver ethylol in the treatment  
7 itself. On the other hand, one should take caution  
8 not to overinterpret toxicities in a no-treatment  
9 controlled trial. Judgment of a tolerable toxicity  
10 profile should be taken into consideration with other  
11 benefits that may be present with the treatment.

12 The analyses results suggesting that  
13 ethylol decreases Grade 2 to 4 late xerostomia are  
14 robust and are clearly statistically significant.  
15 Results of the analysis of saliva production in  
16 patient benefit questionnaire data may be supportive  
17 but were less impressive.

18 Finally, evidence to support the proposed  
19 indication comes from a single, large, adequately  
20 controlled randomized Phase III trial. In most cases,  
21 the FDA has required more than a single trial.  
22 However, in other cases, the FDA relied only on a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 single adequate and well-controlled efficacy study to  
2 support approval. Generally only in cases in which a  
3 single multi-center study of excellent design  
4 providing highly reliable and statistically strong  
5 evidence of an important clinical benefit such as an  
6 effect on survival, and that a confirmatory study  
7 would have been difficult to conduct on ethical  
8 grounds.

9           Whether this single unblinded trial with  
10 these efficacy and safety findings is adequate to  
11 support approval is not clear, and this very important  
12 question seems ideal for consideration by the advisory  
13 committee. Thank you.

14           CHAIRPERSON DUTCHER: Thank you very much.  
15 Okay, questions for FDA from members of the committee?  
16 Dr. Sledge?

17           DR. SLEDGE: There are a couple of  
18 questions. First, getting back to the safety one. I  
19 will ask you the same question I asked the company,  
20 which is the difference in terms of hospitalizations.  
21 Is this a statistically significant difference, and do  
22 you have any sense of why there are so many more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 hospitalizations with the drug?

2 DR. CHICO: I think it might have been my  
3 mistake not to have labeled it appropriately. The  
4 hospitalizations were based on the number of events,  
5 not on the number of patients who were hospitalized.  
6 So maybe that may explain the discrepancy in numbers.  
7 However, we did not perform a statistical analysis on  
8 the difference, but the sponsor did and they did not  
9 show a statistically significant difference.

10 DR. SLEDGE: Okay. With regard to the PVQ  
11 scales, my understanding from the company presentation  
12 was that this was a fairly standard scaling system, is  
13 that correct?

14 DR. CHICO: The patient benefit  
15 questionnaire was internally validated. This was a  
16 subject of one of our conferences with the sponsor,  
17 and they had several experts decide which were  
18 clinically significant endpoints to use as questions  
19 in the questionnaire. But it was not validated in  
20 another study.

21 DR. SLEDGE: So this is the only study PVQ  
22 has ever been used in?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. CHICO: That is what I am aware of.

2 DR. SLEDGE: That wasn't the impression I  
3 had.

4 CHAIRPERSON DUTCHER: Is that correct?

5 DR. MACKOWIAK: May I address that?

6 CHAIRPERSON DUTCHER: Yes, please.

7 DR. MACKOWIAK: I'm sorry, I did not  
8 mention that the PVQ was developed by the RTOG. I did  
9 notice that an instrument that was very, very similar  
10 was used in pilocarpine studies earlier, but I don't  
11 know what other studies it had been used in. I can  
12 research that and get back to you on that.

13 DR. SLEDGE: I guess my question related  
14 to the PVQ then is we have two different ways of  
15 analyzing the same data. Is there any reason -- I was  
16 given no reason to choose one over the other.

17 DR. OSTER: If I may, can I comment on  
18 this? I think what we tried to show you in our  
19 presentation was that it probably boils down to which  
20 model you are using. And the goodness of fit issue is  
21 a very important question. When you look at our  
22 model, which was chosen by our experts, you see that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 basically our model fits very well and is almost  
2 superimposable in the distribution of events and  
3 measurement points to our primary endpoint, which was  
4 defined as mean score. So I think our model which we  
5 have selected indeed shows and exhibits this goodness  
6 of fit. And we were asked by the FDA to add to our  
7 initial protocol-stipulated methodology, which was  
8 analysis of mean scores, a longitudinal analysis. We  
9 chose this one. We checked this with the FDA in our  
10 statistical analysis plan, and this is how we  
11 conducted it. We also have, if you wish, the goodness  
12 of fit presentation of the model which the FDA chose,  
13 and we would be happy to share this with you.

14 CHAIRPERSON DUTCHER: I think the issue is  
15 whether this scale has been used in other head and  
16 neck studies. That is the question you are asking.

17 DR. MACKOWIAK: It has been used in other  
18 head and neck studies. And your other item -- you  
19 haven't been given information regarding which model  
20 to select. And I think that both presentations at the  
21 close show the trend favoring the amifostine arm as  
22 relates to the issue to be discussed today. Is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information supportive? I think that is the important  
2 part to remember. There are differences in the data  
3 that are used. There are differences in the model  
4 that are used. And I don't know if we want to spend  
5 the time to go through the detail of those finer  
6 differences, but if you want us to go to the bottom  
7 line, I think both groups agree that the trend favors  
8 the amifostine arm.

9 CHAIRPERSON DUTCHER: Dr. Schilsky?

10 DR. SCHILSKY: A quick question regarding  
11 your analysis of the saliva production and your  
12 decision to do the analysis based on change from  
13 baseline. So during the sponsors presentation, they  
14 essentially offered a rebuttal to your chose method of  
15 analysis, and I wonder, having heard their rebuttal,  
16 whether you accept it or whether you still feel that  
17 the change from baseline is the appropriate analysis.

18 DR. CHICO: I don't think it would be fair  
19 to say which one is right and which one is wrong. The  
20 sponsor has adequately presented that certain  
21 categorical assessment of clinically significant  
22 saliva production is accepted, and it has been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 accepted by our consultants from the agency. However,  
2 this analysis which I performed which is a change from  
3 baseline was based on just literature concerns that  
4 you can never establish a normal level of saliva  
5 production, and that maybe changes from baseline would  
6 be an important endpoint to look at. I recognize  
7 though that both of these analyses only reflect  
8 quantitative measurements of saliva. It doesn't  
9 reflect qualitative or functional changes in the  
10 patient.

11 DR. SCHILSKY: One other question about  
12 the PVQ analysis that you performed. So you decided  
13 to do an analysis differently from the analysis that  
14 the sponsor did. You decided instead of using the  
15 overall scores to break it up into these functional  
16 categories. But you didn't really tell us why you  
17 decided to do it that way and how it is that you  
18 decided which scales to group together and why you  
19 chose to group them in the ways that you did.

20 DR. CHICO: Dr. Chu and I talked about  
21 this when we were doing the review, and I think the  
22 reason -- the main difference in the analysis between

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the sponsors and ours was we made an attempt to group  
2 the questions according to specific symptoms, which  
3 would probably be reflected more specifically in the  
4 label if approved as a significant quality of life  
5 change with the treatment, compared to just looking at  
6 the overall means of all 8 questions. This was what  
7 we thought to be clearer and clinically significant.

8 MR. GRUETT: Did you do any analysis on  
9 tumors that may possibly exist with in the saliva  
10 glands?

11 DR. CHICO: No, we did not. No. Maybe --

12 DR. BRIZEL: The tumors -- first of all,  
13 patients had to have squamous cell carcinoma, which in  
14 and of itself is an exceedingly unusual histology to  
15 arise in the saliva gland. And secondly, because of  
16 tumor protection concerns, patients who presented with  
17 primary salivary gland tumors were specifically  
18 excluded from eligibility in this trial.

19 MR. GRUETT: I have one more question.  
20 The confusion between the analysis of the data, was  
21 this called out in the protocol?

22 DR. CHICO: Which analyses? Would you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 please specify?

2 MR. GRUETT: What we have been discussing,  
3 the difference in your analysis of information and  
4 their analysis. Was there a variation in the  
5 protocol?

6 DR. CHICO: I think there is a table -- if  
7 you have a copy of my review -- on page 18, which  
8 summarizes the analyses that were intended and the  
9 analyses that were actually done. For different  
10 endpoints -- endpoints like late xerostomia, acute  
11 xerostomia and acute mucositis, the analyses of those  
12 were all prospectively defined in the protocol. It  
13 was just in the analyses of the secondary endpoints  
14 where there was a little bit of contention between the  
15 sponsors and the FDA.

16 CHAIRPERSON DUTCHER: Dr. Ozols?

17 DR. OZOLS: I am most concerned about your  
18 conclusion that the secondary endpoint of tumor  
19 control is premature to make a conclusion that there  
20 is no potential decrease in tumor control.

21 DR. CHICO: We had recommended during our  
22 meetings with the sponsor that ideally they should

1 probably have at least 195 events to document  
2 adequately the curve of local/regional tumor control.  
3 However, there were only about 100 events reported at  
4 one year to document local/regional tumor control. So  
5 that is the reason why we thought this was probably  
6 premature.

7 CHAIRPERSON DUTCHER: Dr. Margolin?

8 DR MARGOLIN: Just a clarification to help  
9 me understand, Dr. Chu, your analysis. In these  
10 graphs that you showed us, I assume that what you were  
11 really doing based on this predicted and observed  
12 model is trying to demonstrate that as time goes on  
13 the number of dropouts versus completers gives you  
14 much more variability and a less tight curve to  
15 compare between the groups. Is that correct?

16 DR. CHU: That is correct. As time goes  
17 by, there are very few events, and that is why those  
18 lines are going all over the place.

19 DR MARGOLIN: Thank you.

20 CHAIRPERSON DUTCHER: Dr. Simon?

21 DR. SIMON: Could you review how many  
22 patients had saliva samples available at the 12-month

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 analysis?

2 DR. CHICO: Before I answer that, I would  
3 like to just emphasize that the time windows that were  
4 used in the collection of saliva had very great  
5 variability. The time labeled as month 1 was  
6 collection between month 0 to month 3, month 5 were  
7 collections between month 3 to month 6, while month 11  
8 were collections between month 6 to month 15, which is  
9 a 9-month time span. But to answer your question, on  
10 the events that were labeled as month 11, for the  
11 stimulated -- let me just flash the slide. If you  
12 would just refer to page 17, which is the graph of  
13 change from baseline saliva measurements. At month 11  
14 for the stimulated production between treatment arms,  
15 in the amifostine arm, there was only 45 percent of  
16 patients who provided samples, while 40 percent of  
17 patients provided samples in their radiotherapy arm.

18 DR. SIMON: So it is like 45 percent?

19 DR. CHICO: Over here.

20 DR. SIMON: Could you focus that? What  
21 about earlier on? So what about at an earlier time?

22 DR. CHICO: All right. For month 1 for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the stimulated saliva production, there were 65  
2 percent of the patients in the amifostine arm provided  
3 samples while there were 71 percent of the patients in  
4 the radiation arm that provided samples.

5 DR. SIMON: So is it your impression that  
6 there is a large difference between whether you do  
7 change from baseline or just absolute value of the --

8 DR. CHICO: It is my impression that  
9 between treatment arms in the stimulated collections,  
10 there seems to be a lesser degree of change from  
11 baseline. Notice that we did not perform any  
12 statistical analysis because we want this to be  
13 plainly a description and exploratory. And because of  
14 mainly the concern was the large number of dropouts in  
15 month 11, and you have to consider for multiple  
16 analysis endpoints if you want to do a -- try to  
17 confirm it by doing statistical analyses.

18 DR. SIMON: This was less true for the PVQ  
19 issue in terms of missing data?

20 DR. CHICO: There was also a large number  
21 of missing data in the patient benefit questionnaire,  
22 and I think Dr. Chu can expound on that some more.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SIMON: I mean, for example, what  
2 percentage of the patients had questionnaires around  
3 one year?

4 DR. CHU: We don't have the slide with the  
5 actual frequency counts at one year, but I can refer  
6 you to my review. There is a frequency plot in the  
7 review.

8 DR. SIMON: Do you remember just  
9 approximately what it was?

10 CHAIRPERSON DUTCHER: Identify yourself.

11 DR. CHEN: This is Gang Chen, Biometrics  
12 team leader, FDA. So the frequency at about 12 months  
13 is around 80 percent for both groups.

14 DR. SIMON: One other question I had was  
15 there was this difference in radiation dose delivered.  
16 Was it your impression that that was attributable to  
17 missed doses because of toxicity of the drug and  
18 dropouts?

19 DR. CHICO: In the first place, the  
20 patients were randomized with prescribed doses. And  
21 some of these patients, especially those who were not  
22 operable, were prescribed 66 to 70 Grays of radiation

1 when starting treatment.

2 DR. SIMON: But it was balanced with  
3 regard to --

4 DR. CHICO: It was. That is right. Let  
5 me show --

6 DR. SIMON: But what they actually  
7 received was not very well balanced.

8 DR. CHICO: That is true. Let me just --

9 DR. HARWOOD: The difference in dose  
10 between the two arms I think was very small and really  
11 not expected to have a significant effect.

12 DR. SIMON: Well, it is 56 percent of the  
13 radiation only had over 65 Gray, and it was 43 percent  
14 in the drug plus radiation.

15 DR. WILLIAMS: Dr. Simon, the sponsor did  
16 a frequency distribution I think on page 13 of their  
17 slides, and I think that our analysis may be somewhat  
18 an artifact of where we just happened to pick. We  
19 picked it for I think a physiological reason. But if  
20 you look at the frequency distribution, I think we  
21 might have just picked a place where it was trending  
22 in the other direction. So I am not sure that one can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 say that overall it was unbalanced. It was in that  
2 particular analysis.

3 DR. CHICO: And I think that was clearly  
4 stated that our overall comparisons did not show a  
5 significant difference with a P value of .056.

6 DR. SIMON: Well, okay. Just for my own  
7 knowledge, is this stimulated saliva production more  
8 important medically than unstimulated?

9 DR. CHICO: From -- maybe our experts can  
10 answer that.

11 CHAIRPERSON DUTCHER: Dr. Le Veque, can  
12 you identify yourself?

13 DR. LE VEQUE: Thank you. Let me answer  
14 your question first about the stimulated saliva, and  
15 maybe I can in one presentation also address the  
16 problem of differentiating between baseline and  
17 residual saliva. This study, unlike other studies  
18 that have addressed salivary gland dysfunction from  
19 radiation therapy, is a preventive study in the sense  
20 that -- which in toxicity I believe is a gold  
21 standard, to prevent the toxicity rather than to treat  
22 the toxicity. In this instance, as has been pointed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 out I think very carefully by the sponsor, is that the  
2 end of this study, patients who were responders,  
3 patients who were in the amifostine arm, had residual  
4 saliva. They would go to bed at night and wake up  
5 with it in the morning without stimulation.

6 The question of stimulation is an  
7 interesting one but not really meaningful in a  
8 scientific sense because the instances where you  
9 stimulate saliva -- saliva can be stimulated many  
10 ways. In the pilocarpine studies, the whole concept  
11 of pilocarpine is one of stimulation. In the  
12 pilocarpine studies, the patients who were on  
13 pilocarpine didn't do very well with stimulated saliva  
14 because it was already -- the glands were already  
15 being stimulated. In this instance, the type of  
16 stimulation that was used in this study I believe was  
17 somewhat flawed and I think the sponsor will  
18 acknowledge this. The stimulation collection was done  
19 hard on the heels of the unstimulated collection in  
20 glands that were pretty beat-up from the standpoint of  
21 obvious production of salivary flow. So right after  
22 the unstimulated collection, the stimulated collection

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 occurred, and the methodology used was a parafilm  
2 chewing, which is mechanical stimulation, which is the  
3 poorest of all stimulatory mechanisms in this mode.

4 So I would offer the comment that the  
5 baseline issue is, from the prospective of a clinician  
6 who works with this patient population, is not  
7 meaningful. What is meaningful is we don't zero out  
8 in these patients. We have something left. And not  
9 only do we have something left, we see a slight  
10 improvement over a period of time.

11 DR. SIMON: Just to clarify. The FDA's  
12 analysis of the unstimulated -- change from baseline  
13 in unstimulated saliva showed no difference.

14 DR. CHICO: The FDA analysis of the  
15 unstimulated saliva showed that the trends between  
16 treatment arms were similar.

17 DR. SIMON: Right.

18 DR. WILLIAMS: I think a good take-home  
19 message for future trials is how important it is to be  
20 very specific in specifying your analyses. Because we  
21 can never say what is the right thing -- we can say  
22 maybe we would prefer one or the other, but we can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 never be assured that something is not data driven  
2 unless we have the analysis prior to the data. So I  
3 think it is very important to specify in advance your  
4 planned analysis.

5 CHAIRPERSON DUTCHER: Dr. Chen?

6 DR. CHEN: I have a few comments regarding  
7 the statistical difference or the statistical analyses  
8 performed by the sponsor and by the FDA. The first  
9 issue I want to discuss is about Dr. Gary Koch's  
10 comments on the higher censoring rate. Actually, in  
11 our review -- in the FDA review, we did mention about  
12 the higher censoring rate. The censoring here we  
13 defined it as actually censoring patients consists of  
14 those patients who were lost to follow-up and those  
15 patients who were not events at the study cut-off  
16 date. So that is why we see the very high censoring  
17 rate. In other words, actually we are talking about  
18 the lower event rate. The second issue regarding the  
19 measure -- the health ratio we used for the time to  
20 event analyses, I think this is very important and  
21 also it is a well-known measure used for time to event  
22 analyses. And I think it is appropriate.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The third issue is regarding the different  
2 models we used for PVQ analysis. We had a meeting  
3 with the sponsor and we did agree that the  
4 longitudinal analysis was a mixed model that the  
5 sponsor proposed. The issue is not what model we  
6 should use. The question is how should we interpret  
7 the results for those model-based analyses. So  
8 actually I could let Dr. Chu present our results and  
9 the interpretation. So those are my comments.

10           CHAIRPERSON DUTCHER: Other questions for  
11 FDA? Any other clarifications people need before we  
12 discuss? Dr. Simon?

13           DR. SIMON: Just in terms of -- could you  
14 summarize your analysis of the PVQ data? The  
15 conclusions you reached from the PVQ data?

16           DR. CHU: Well, one, the first bullet in  
17 the summary probably being the most important is that  
18 the results that we arrived at from the analysis is  
19 that they should be looked at as being descriptive and  
20 exploratory. This would be because of like the  
21 subjective nature of the questionnaire and also due to  
22 the fact that we have an open label trial design in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the study and also the fact that we need to adjust for  
2 multiple comparisons. The other bullets are simply  
3 basically just saying that the trends are in favor of  
4 ethyol simply by eyeballing the plots.

5 DR. SIMON: Is that as strong a statement  
6 as you can make is that the trends are in favor of one  
7 group?

8 DR. CHU: Yes. That is about as strong a  
9 statement as we can make.

10 CHAIRPERSON DUTCHER: Other questions?  
11 Yes, sir.

12 DR. WASSERMAN: My name is Todd Wasserman.  
13 I was just going to ask Dr. Chico, as I understand it,  
14 the change in baseline analysis on the salivary  
15 function was just something you decided to use because  
16 you had no other defined way of analyzing the data?

17 DR. CHICO: No. This was something that  
18 I used based on literature which stated that that is  
19 one possible way of tracking changes in saliva  
20 production from disease or from therapy. It is not my  
21 own.

22 CHAIRPERSON DUTCHER: Thank you very much.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701