

1 structure?"

2 Comments?

3 DR. DIEPPE: Well, just to open things up,  
4 Steve, awfully short, in my view.

5 DR. LIN: I want to go back to the endpoint  
6 Question Number 4 a little bit. Dr. Elashoff said that the  
7 problem here is that we have joint-space narrowing, and  
8 we're going to the second phase of this thing, but the  
9 withdrawal criteria is based on joint-space narrowing. So  
10 how do you deal with dropouts based on that? And if we  
11 just simply assign failures on pain or function, those  
12 patients who dropped out based on joint-space narrowing, in  
13 a way I think that that's just validating the hypothesis,  
14 or that's what we're trying to prove. So there is a  
15 complication there.

16 DR. JOHNSON: I think most people thought it  
17 was a bad idea to drop them out based on the joint space.

18 DR. LIN: Right, but then the question is, if a  
19 patient really has joint-space narrowing so severe, are you  
20 going to keep this patient on the trial?

21 DR. JOHNSON: Yes.

22 DR. ABRAMSON: Assuming that they are fully  
23 informed about their choices.

24 MS. MALONE: Exactly.

25 DR. ABRAMSON: There would be a drug that's

1     been approved for structure, and they'll have to decide to  
2     continue in the study or not. But they shouldn't be  
3     withdrawn --

4             DR. JOHNSON: And as a consequence, some will  
5     drop out, I'm sure.

6             DR. ABRAMSON: Right.

7             DR. JOHNSON: But at least the blind will be  
8     maintained.

9             I think Maxime's question is interesting.  
10    Nobody wanted to answer it. But if something dramatically  
11    affected osteophytes, you'd have to wonder what's going on.  
12    You'd probably have to go through a whole argument about  
13    digging up whatever epidemiology existed, and I don't know  
14    how it would compare to joint-space narrowing epidemiology.  
15    Maybe Marc does.

16            DR. HOCHBERG: Well, there's an interesting  
17    controversy which has come up in a journal called  
18    Preventive Medicine. There are a number of epidemiologic  
19    studies that appear to have shown a protective effect of  
20    hormone replacement therapy on radiographic changes  
21    consistent with osteoarthritis at the hip and at the knee,  
22    and there's one paper published which, in a prospective  
23    longitudinal study, showed that women who were taking  
24    hormone replacement therapy were more likely to develop  
25    symptomatic osteoarthritis. This has actually been

1 confirmed in a second, independent study from a different  
2 country using a similar-type longitudinal design and large  
3 database. So here you've got the difference between an x-  
4 ray osteophyte, which appears to be decreased with HRT,  
5 versus symptomatic therapy.

6 So it's entirely possible this issue that  
7 Maxime brings up, which is, if you retard the growth of  
8 osteophytes or you resorb the osteophytes with remodeling,  
9 that the symptoms may get worse. But clearly they should  
10 be measured.

11 DR. DIEPPE: And if I could just add that Ken  
12 Brandt's already provided some insight into that by quoting  
13 the previous study showing that when surgeons cut off  
14 osteophytes, people did badly.

15 DR. ABRAMSON: Yes, Ken?

16 DR. BRANDT: And further data from the  
17 University of Chicago on operative specimens measuring  
18 medial and lateral instability after the shaving of  
19 osteophytes added about 2 to 4 degrees total on both medial  
20 and lateral instability. So there is stabilizing to a  
21 degree, and you increase instability by removing them.

22 DR. ABRAMSON: Getting back to the duration,  
23 for structure I guess 1 year is what we're starting with,  
24 and sort of finding our way based on the sensitivity of our  
25 measures.

1 DR. DOUGADOS: It's obvious that the duration  
2 of the study is related to the sensitivity and the  
3 reproducibility of the technique, but we have to be very  
4 careful, because on the studies we have conducted, during  
5 the first year, if you conduct a 5-year or a 3-year  
6 placebo-controlled trial and focus on the placebo, during  
7 the first year there is a huge degradation, and then the  
8 degradation is less important, probably because the people  
9 willing to participate in the 3- or 4-year placebo-  
10 controlled trial are in an active phase of the disease,  
11 which is completely different than the usual population,  
12 and my personal explanation is because they have an  
13 inflammatory process, and this inflammatory process is  
14 responsible for an episode of chondrolysis, which is able  
15 to be demonstrated within 1 year.

16 So now with the tools we have for assessing the  
17 structure for it, I do feel that it is possible to  
18 demonstrate a statistically significant difference of an  
19 active drug within 1 year. The question is related to the  
20 generalizability of the results. So yes, it's possible  
21 after 1 year, but if we focus on the specific patient with  
22 an active inflammatory course of the disease, is it a  
23 reality? Now, in daily practice, I am not sure, and  
24 because of that, I should recommend to look at the second  
25 year or the third year.

1 DR. ABRAMSON: Dr. Dieppe?

2 DR. DIEPPE: Well, I completely agree with  
3 Maxime. Our data is exactly the same in our studies, that  
4 rate of progression was faster in the first year of  
5 observation than all subsequent years, and like Maxime, I  
6 think that's an issue related to ascertainment of patients.  
7 So if patients are ascertained for drug studies in the same  
8 way as Maxime and I have ascertained them for our  
9 longitudinal studies, I think it's too short, and I think  
10 we should not be driven by the technology in deciding how  
11 long this thing is.

12 I think that's a real issue with all this  
13 chatter about MRI. You know, we'll have it down to 3 weeks  
14 soon, and what the hell does that mean to a patient in a  
15 disease course of 20 years if we can power a study at 3  
16 weeks? I think it's nonsense.

17 DR. JOHNSON: Yes, the question was meant to be  
18 extra-technology. This is a question about what duration,  
19 in principle, should be desired, given that the disease  
20 lasts 20, 30, or 40 years. I'm sure, in fact, if you can  
21 do it in a year, I can do it in 6 months with twice the  
22 number of patients, and with MRI, I can probably do it in a  
23 month's time. That's not the issue. The question is what  
24 should -- now, I don't know if this ascertainment business  
25 -- I mean, that might apply to any decision that you're

1 ever going to make, I suppose, about duration necessary for  
2 a trial.

3 I guess my concern is are there fundamentals --  
4 and I think I read somewhere or I heard somewhere that  
5 there were in osteoporosis, for instance, where they do 2-  
6 or 3-year trials, and there's something about the cyclical  
7 or the course of osteoporosis in the second year that, from  
8 a scientific point of view, you could argue that 1 year is  
9 invalid and you need 2 or 3 years. Now, I don't see  
10 anything like that in osteoarthritis, but if there were  
11 such a beast, we should attend to it.

12 DR. DOUGADOS: It has been proposed to look at  
13 the changes in structure only taking as a baseline value --  
14 not the baseline value, but the data obtained at 1 year --  
15 as an example, such as in osteoporosis -- to conduct a 3-  
16 year placebo-controlled trial, to forget the first year,  
17 and then to look at the changes of the structure only  
18 during the second and third year. That has been proposed.  
19 It is not my personal opinion. I think it's possible to  
20 look at the baseline as the true baseline value.

21 DR. ABRAMSON: Dr. Brandt?

22 DR. BRANDT: Yes, but remember there's also  
23 evidence from at least a couple of places to suggest that  
24 the rate of narrowing, the annual rate of narrowing, will  
25 depend on the joint-space width at the outset, and in those

1 where it is relatively normal -- 4.5 or 5 millimeters -- at  
2 baseline, the rate may be slower initially than it is if  
3 the rate is 2.5 millimeters at baseline on this. And we  
4 don't quite know where we are on this, but there's a  
5 suggestion that that's the case. And it's most rapid when  
6 you start with no joint space.

7 (Laughter.)

8 DR. JOHNSON: But I guess the question is -- I  
9 guess it's always involved with the generalizability of  
10 things, but assuming you rev up the most sensitive subset  
11 you can find and you can do it in 6 months, should that be  
12 considered sufficient in duration, or should it be a year?  
13 Should it be 2 years? I mean, the 1-year call was  
14 relatively arbitrary. I think we use the same in  
15 rheumatoid arthritis.

16 DR. ABRAMSON: Maxime?

17 DR. DOUGADOS: I think that from the scientific  
18 point of view, 5 years or 10 years is greater than 1 year  
19 or 6 months. The question after that will be in the next  
20 section, the missing information, because the number of  
21 dropouts will interfere with the results. But I think for  
22 our recommendation, to say at least 1 year seems at this  
23 stage reasonable.

24 DR. JOHNSON: Don't the Europeans suggest 2  
25 years?

1 DR. DOUGADOS: I know.

2 DR. DIEPPE: I would go with the European  
3 suggestion, being a European. I would go for 2 years  
4 minimum.

5 DR. DOUGADOS: Since I am also European, I will  
6 agree with Paul.

7 (Laughter.)

8 DR. ABRAMSON: Okay. Then what about the  
9 symptom demonstration duration? What do we hear from the  
10 Europeans on that? Is it more than 2 years?

11 DR. ELASHOFF: It seems to me that the issue is  
12 what symptoms are you talking about. Are you talking about  
13 the symptom of joint replacement or are you talking about  
14 just sort of generally speaking whether you feel okay today  
15 in talking about that sort of thing?

16 DR. ABRAMSON: Do you have a clarification on  
17 what you're intending here?

18 DR. JOHNSON: Yes. It's never been joint  
19 replacement. I mean, I was excited about joint replacement  
20 and got royally shot down a couple of years ago. I mean,  
21 ideally it's an interesting endpoint, but it's confounded  
22 by so many non-medical factors. The Europeans seem to  
23 finesse this whole issue, as I read their document. They  
24 just say, "Go ahead, you can use structure as an endpoint,  
25 as long as you supply evidence for surrogacy in your



1 package." So they don't address the issue.

2 I think this issue bears on what Bill  
3 Schwieterman was talking about this morning. You know, if  
4 you've got a Phase IV program that's going to go for 5 or  
5 10 years, it's bound to fail. If you've got one that's  
6 going to go for 2 or 3 weeks, it's not going to be  
7 adequate. So there's probably some optimal duration. But  
8 that's from approval time, not from starting the trial,  
9 which in most cases may well be ongoing at approval time  
10 for structure.

11 DR. ABRAMSON: Maxime?

12 DR. DOUGADOS: I would like to emphasize your  
13 comment concerning what will we measure in these Phase IV  
14 studies, because one proposition is to forget the date of  
15 the surgery because it is controversial, sometimes it's  
16 related to the salary of the surgeon and not to the stature  
17 of the patients. But by analogy with the domain of  
18 cardiology, they have proposed criteria for heart failure.  
19 That is, indicate not surgery for cardiac transplantation,  
20 but the time at which the patient fulfills the criteria as  
21 an indication for surgery.

22 So in the field of osteoarthritis, that will be  
23 a good combination between symptoms and structure. If we  
24 agree to find something that is a composite index, this  
25 patient should take benefit of surgery at this time and

1 thereafter you can take this, and it will be a composite  
2 index between total articular replacement and symptoms.  
3 You see what I mean? That is, not the surgery, but the  
4 indication for surgery.

5 DR. ABRAMSON: Marc Hochberg?

6 DR. HOCHBERG: Well, I think you can assess  
7 symptoms at the time that you're doing the Phase III trial  
8 for structure modification, where, as is described in the  
9 European document, you can have an agent which demonstrates  
10 structure modification and symptom improvement or one that  
11 just demonstrates structure modification. I think I would  
12 go back to the issue of in Phase IV to do a long-term  
13 registry without a placebo group as opposed to continuing  
14 on to look for long-term symptomatic changes which don't  
15 occur within the first 2 years that one is doing the trial  
16 to look for structure modification.

17 DR. ABRAMSON: Dr. Brandt?

18 DR. BRANDT: Maxime, I'm not sure I followed  
19 you, but if you were proposing something like an index for  
20 surgery based on Lequesne score and an x-ray score or so, I  
21 don't buy it, because I think that as we discussed before  
22 and Ms. Malone brought up, levels of pain or function or so  
23 have different meanings to each patient, and some patients  
24 with a WOMAC score of 14 are perfectly happy to go with a  
25 WOMAC score of 14 -- in fact, they may not even be patients

1 -- and others with a WOMAC score of 9 may be absolutely  
2 falling apart and don't want to go on another day that way.  
3 I think it's very tough to make an index of those kinds of  
4 things.

5 DR. DOUGADOS: Yes, but I didn't say that this  
6 index should be focused on the WOMAC. I said it might be a  
7 composite index, taking into account the capacity of the  
8 patient to cope with the osteoarthritis, the level of  
9 activity, depression, pain, functional impairment,  
10 concomitant therapy, and not only an absolute value, it  
11 should be perhaps an area under the curve of the last 6  
12 months or something like that.

13 DR. BRANDT: I think that doctors, in very  
14 simple terms, shouldn't tell patients when they need a  
15 joint replacement. Patients should tell doctors when they  
16 need a joint replacement, in a sense, in terms of the  
17 amount of difficulty they're having on a daily basis. It's  
18 very difficult to mandate a procedure like that based on  
19 any of these mixes with it, because each patient filters  
20 those things differently.

21 DR. DOUGADOS: I don't mean that this patient  
22 should go and see the surgeon. I say in the clinical  
23 trial, the way to analyze the patient -- that is a  
24 possibility. If you don't want to call these indications  
25 for surgery, you can call these failures, and that's

1 nothing to do with the individual indication for surgery.  
2 I didn't say that this patient, if he/she fulfills these  
3 criteria, should go to see the surgeon. I say in the  
4 analysis we can consider this patient as a failure.

5 DR. BRANDT: Yes, but that failure is best  
6 defined, I think, in patients' terms rather than our terms.

7 DR. ABRAMSON: I guess one of the other issues,  
8 to come back to what Dr. Hochberg raised, and it may not be  
9 clear yet either among the group, the committee, or the  
10 agency, the demonstration of symptom improvement is a  
11 question that's a little murky in the sense that if we took  
12 the European view perhaps that structure improvement and no  
13 worsening is an indication, and then you might need some  
14 long-term follow-ups to make sure that the patients,  
15 through a registry or something, don't do less well in some  
16 way, is that sufficient? Or are we still uncertain whether  
17 eventually the radiographs are only surrogates and  
18 eventually true validation for this new drug would have to  
19 include symptomatic improvement? Those are two very  
20 different decisions in looking at this question.

21 DR. JOHNSON: In that regard, Marc, spin me a  
22 scenario. If you did a registry of 1,000 patients, how  
23 would you know at the end of 10 years that that drug had  
24 offered a clinical benefit? What would be your comparison?  
25 Some historic control or --

1 DR. HOCHBERG: Well, you could enroll in the  
2 registry all the patients that complete the trial. Now,  
3 some of the patients who originally were randomized to  
4 placebo -- again, I haven't sat down to design this, but  
5 let's say some of those who were originally randomized to  
6 placebo will choose not to go on this therapy when it  
7 becomes available, because they'll say, well, there hasn't  
8 been any long-term experience with it, and I don't want to  
9 take something that hasn't been on the market for 10 years.  
10 It's a possibility. Some of the people will go on the  
11 therapy, some of the people who were randomized to the  
12 active drug in the trial will eventually discontinue it,  
13 some will continue on it, and then if you enroll those  
14 people in a long-term registry, you may have some  
15 observational data at the end, which, granted, won't be as  
16 good as if you did a 5- or 10-year placebo-controlled trial  
17 from which you might be able to make some inferences about  
18 long-term outcomes.

19 But it seems to me that if the patients are  
20 going to deteriorate in terms of symptoms, they probably  
21 will do so within the time that you're doing the study to  
22 look at structure modification. Similarly, they should  
23 probably improve in symptoms if it has any symptomatic  
24 effect within that time. I mean, for straight symptomatic  
25 drugs, we study 6 to 12 weeks, sometimes 24 weeks, and now

1 maybe out to a year.

2 DR. JOHNSON: I don't know how long these drugs  
3 are going to take to kick in, but it's been argued that  
4 they may not kick in for 18 months or 2 years. So  
5 fundamentally if you didn't continue some randomization  
6 scheme, you would have to rely on risk adjusting in the  
7 standard epidemiology sense to draw your conclusions.

8 DR. ABRAMSON: Janet?

9 DR. ELASHOFF: Well, assuming that you have  
10 reasonable follow-up, you could always recompare the ones  
11 who had been assigned to the placebo group with those who  
12 had been assigned to the treatment group and see which ones  
13 are doing better a long time out, because if you really had  
14 a big advantage from that structural change, the ones who  
15 had been assigned to the drug group should still be doing  
16 better, irrespective of what either one had been on at the  
17 time. So in some sense your long-term stuff is based on  
18 initial intent to treat. Now, whether you can actually  
19 follow up people in a reasonable way there so that that  
20 makes sense, I don't know.

21 DR. ABRAMSON: Dr. Witter?

22 DR. WITTER: Just taking a little different  
23 spin and just a question that I'm not aware of something  
24 like this, but kind of getting at what Maxime and Dr.  
25 Brandt were discussing, is there such a simple question to

1 help us figure out what some of these endpoints may be,  
2 like a global that the patient would say, "I think I need  
3 surgery, yes or no"? I mean, has anything like that ever  
4 been -- I have not seen it, I'm just --

5 DR. BRANDT: There's a good reason you haven't  
6 seen it.

7 (Laughter.)

8 DR. ABRAMSON: Let's move on, then, to the next  
9 page on the analysis, and with respect to multiplicity, "To  
10 preserve trial-wide alpha -- that is, risk of a positive  
11 result of no more than 5 percent when many analyses are  
12 done -- should the alpha be distributed in the scenarios  
13 below?"

14 And I'd like, I guess, to ask Dr. Elashoff to  
15 lead off on this, please.

16 DR. ELASHOFF: Okay. I have one minor comment,  
17 and that is, on Number 2, I don't think you'd be using last  
18 value carried forward, if that's what that means.

19 Since there are a variety of different ways  
20 that one can think of adjusting for multiplicity, I  
21 wouldn't say that we should immediately be thinking that  
22 the way it should or will be done is to divide up alpha  
23 among a variety of variables. The whole issue of what are  
24 possible ways to divide up alpha is somewhat of a  
25 statistical one, and I think people should bring back that

1 kind of thing later on. But the whole thing of which are  
2 really the primary variables and which are the secondary  
3 ones or to what extent they can be ranked as to importance,  
4 I think is reasonable to discuss here.

5 DR. ABRAMSON: Other comments?

6 Is it worth going through -- and perhaps,  
7 Janet, I'd ask you to help on this -- going through and  
8 responding to the specific Questions 1, 2, and 3 there?  
9 Can you lead on it?

10 DR. ELASHOFF: Well, I don't know very much  
11 about these variables, because arthritis is not my area. I  
12 would say that to some extent how you would group them and  
13 how you would prioritize them does depend on how they're  
14 correlated. If there is some group that tends to really  
15 hang together, then you might either pick one of those as  
16 an important primary variable or a composite of those as an  
17 important primary variable. But in terms of which ones you  
18 might rank first, I can't comment.

19 DR. ABRAMSON: Dr. Dougados?

20 DR. DOUGADOS: I think this point is important  
21 to clarify. If you consider the joint-space width is the  
22 most important variable to consider, we have to focus the  
23 primary outcome measure on the changes in the joint-space  
24 width during the study by treatment group. But you have  
25 also to keep in mind that there are at least seven or eight



1 possibilities to present the results in terms of the  
2 changes in the absolute value in millimeter, the percentage  
3 of change, the percentage of change per year, the  
4 percentage of change between the final minus the baseline,  
5 the percentage of patients with relevant progression, as we  
6 discussed this morning, with the relevant progression based  
7 on the SDD technique, the smallest detectable difference,  
8 all based on the clinical relevant technique, as I have  
9 presented, or you can say that every year you will  
10 calculate the percentage of patients who will progress,  
11 using the life table analysis, and the event is defined by  
12 the relevant progression. So you see there are eight  
13 possibilities, even if you are focusing on one single  
14 measurement that is a change in joint-space width in  
15 millimeter.

16 That is the reason why the discussion this  
17 morning was important also, to see what is clinically  
18 relevant, presenting the results in terms of as a  
19 continuous variable. That is, the change in millimeter per  
20 year, taking into account the dropouts, or the percentage  
21 of patients with relevant progression. We know that we  
22 will lose in terms of statistical power if we switch from  
23 the continuous variable to the dichotomous variable, but  
24 perhaps we will win in terms of clinical relevance, and if  
25 we are focusing today on a potential drug which is able to

1 demonstrate a symptomatic effect during the time of the  
2 development and to get the registration for the structure,  
3 personally I should strongly recommend to present it on an  
4 individual basis. That is, the percentage of progression,  
5 yes or no.

6 DR. ABRAMSON: Yes?

7 DR. LIN: I think this multiplicity issue here,  
8 you have to first better define the hypotheses you want to  
9 test. You know, if we go back to the previous guidance  
10 that you have four endpoints and you want three of them to  
11 win, the way I heard was previously we didn't know how to  
12 deal with that, so we insisted on four out of four, and  
13 that's when we -- not to have any kind of alpha adjustment.  
14 But the field of multiplicity in the statistical community,  
15 they have developed -- we have quite a bit of understanding  
16 of how to deal with that problem, three out of four. So  
17 that's something we can deal with right now.

18 But going back to the list of questions here,  
19 I'd say that you really have to structure your hypotheses,  
20 and if you structure your hypotheses in a logical way, you  
21 don't have to make an alpha adjustment. I mean, I'm  
22 talking about the statistician will know the stage-wise  
23 testing. You can have a test at alpha level on joint-space  
24 narrowing, and if you have that endpoint -- and we're  
25 talking about going to a Phase III/Phase IV to evaluate

1 symptoms -- if you structure right the test on symptom  
2 analysis, you don't have to make an alpha adjustment.  
3 Similarly, item 3, the pain analysis. All these can be  
4 dealt with if you get your hypotheses structured in a very  
5 logical way.

6 So I just wanted to bring that up.

7 DR. ABRAMSON: Any other comments? Yes, Dr.  
8 Anderson?

9 DR. ANDERSON: I'd just like to comment and  
10 agree with what Dr. Dougados said, that defining an outcome  
11 on an individual basis, having sort of responder or non-  
12 responder on the whole complex of outcomes, would really be  
13 very desirable, and obviously, looking at the set of  
14 outcomes, it's extremely difficult. I mean, it was done in  
15 RA, but it took about 10 years, didn't it? I don't know  
16 whether there's any work being done to get toward something  
17 like that in OA yet.

18 DR. ABRAMSON: All right. Question Number 3  
19 under here is pain analysis and function analysis. Can I  
20 ask Drs. Johnson and Witter to just give a little  
21 clarification?

22 DR. JOHNSON: Yes. I think it was the first  
23 version of this document, we separated them. We've got a  
24 pain claim and a function claim, and there was a lot of  
25 debate back and forth about the entanglement of these

1 concepts, and I think that view held the day in the end, so  
2 we put it back together. But they're still measured  
3 separately, and there are drugs that sponsors think may  
4 work better on one than the other, so it's not fair to say  
5 that they automatically should be equally weighed.

6 Maybe this will reduce to a non-question. I  
7 mean, if you do wonderful on function, don't change pain at  
8 all, that strikes me as actually bona fide. Or vice versa.  
9 So that was the spirit behind the question.

10 DR. ABRAMSON: Maxime?

11 DR. DOUGADOS: Two comments. The first one is,  
12 I can understand the concept of the differentiation between  
13 the domain of pain and the domain of functional impairment,  
14 but, unfortunately, the tools we are using at this time in  
15 the field of osteoarthritis show that every time we are  
16 looking at the correlation existing between the results in  
17 terms of pain and the results in terms of functional  
18 impairment, they are very closely related, even though I  
19 understand that the concept is different.

20 The second thing is just to remind that one of  
21 the questions is how to combine, how to weight, and that is  
22 one of the objectives of the responder criteria initiative.  
23 That is, to propose a set of criteria which will be a  
24 composite index, taking into account the information coming  
25 from the three main domains that have been previously

1 selected by other societies, such as pain, functional  
2 impairment, and global assessment. So that will be a  
3 possibility to give a result in terms of an individual  
4 basis -- again, responder, yes/no -- and taking into  
5 account pain and function. Probably the same level in  
6 terms of weighting system.

7 DR. JOHNSON: Will you have indices that  
8 incorporate structure also?

9 DR. DOUGADOS: At this time, we have decided  
10 only to propose a set of criteria on symptoms, and symptoms  
11 means with efficacy symptomatic parameters, and we do not  
12 take at this time, but we will discuss probably in further  
13 studies, the possibility to add not only the symptoms in  
14 terms of safety and also the symptoms in terms of  
15 structure, because the best would be a composite index with  
16 symptoms, structure, and safety. But we don't have this  
17 available at this time.

18 DR. JOHNSON: I think we're partially a victim  
19 of our prior empiricism, essentially. I mean, of the  
20 functional measures, the Lequesne and the WOMAC, they  
21 actually are multi-dimensional themselves and have pain,  
22 function, and stiffness. I'm gathering that the sense of  
23 these prior meetings, such as OMERACT and so on, was that  
24 the representation of pain within those indices may not be  
25 a full representation of pain, and I'm presuming that's why

1 everybody -- 95 percent -- wanted to have a 10-centimeter  
2 pain scale as a part of all this.

3 So there's a certain redundancy already, I  
4 guess, in the two measures we have, which is, I think, your  
5 point. We still have an analytic problem as to how to  
6 combine them, though.

7 DR. HOCHBERG: I'm sorry, I don't know if I  
8 agree with that in terms of at least the pain scale  
9 measurement within the WOMAC. I mean, granted that within  
10 the Lequesne everything is aggregated together, although  
11 Maxime has developed some ways of statistically  
12 disaggregating it, but within the WOMAC there is in fact  
13 not a total WOMAC score. I mean, according to Nick  
14 Bellamy, that's not valid. One has to look at the five  
15 pain questions separately from the 17 function questions  
16 and the two stiffness questions, and you then can ask  
17 people how they would combine them together for their  
18 individual self, but there's no way of aggregating them  
19 together across 100 patients the same way.

20 So I think there's a little redundancy in the  
21 guidance document about using a VAS pain scale and using  
22 the WOMAC to measure pain. That seems to me like you're  
23 measuring it twice.

24 But to get back to what was said earlier, for  
25 the structure-modifying drugs, if they want to go in for a

1 structure claim alone, then clearly that's the primary  
2 outcome variable, and you want to look at symptoms to make  
3 sure they don't deteriorate. If they want to go in for a  
4 structure and symptom claim, then there has to be some way  
5 of using all three of those as the primary outcome variable  
6 rather than having symptoms as the secondary, if that's the  
7 claim that they're proposing when they go into initial  
8 negotiations with you.

9 DR. ABRAMSON: Dr. Dieppe?

10 DR. DIEPPE: A couple of comments, really. The  
11 first is that I think most of the studies show that pain is  
12 one of the biggest determinants of disability, so they are  
13 inextricably linked. But I just wanted to make the point  
14 you have to be just a bit careful here about what pain  
15 you're measuring, because if you confine pain to the  
16 indexed joint, then you're missing a trick, because, of  
17 course, it's global pain that correlates best with  
18 disability because of what's going on in other joints and  
19 other aspects of the patients. So again, there's room for  
20 getting into a muddle here by not defining what sort of  
21 pain you're measuring, whether it's indexed joint pain or  
22 whether it's total body pain.

23 The other point I'd make is just to say that in  
24 relationship to what Marc was saying with Nick Bellamy's  
25 studies, we've been doing some qualitative research with OA

1 patients which suggests that most individuals regard  
2 function as much more important to them than pain. Even  
3 though pain is one of the main determinants of that  
4 function, function is what they care about most. I don't  
5 find that surprising, but maybe we should just bear that in  
6 mind, too.

7 DR. JOHNSON: So I gather there remains  
8 something that is captured by that pain -- and we meant a  
9 global pain assessment here -- that the pain chunk of the  
10 WOMAC doesn't capture.

11 DR. DIEPPE: I think that's my point, yes.

12 DR. ABRAMSON: Okay. Number 4, rescue  
13 medication use analysis, I think we've addressed at this  
14 point. Is there another piece of that that anyone wants to  
15 comment on?

16 (No response.)

17 DR. ABRAMSON: And Phase III analysis and Phase  
18 IV analysis, I'm not quite sure if you want additional  
19 discussion on that piece.

20 DR. JOHNSON: That's redundant, essentially. I  
21 mean, I think fundamentally, I think logically, if you do a  
22 Phase III approval analysis on structure and you win, and  
23 you do a Phase IV validation analysis on symptoms and you  
24 win, and you don't adjust, you've inflated your error. But  
25 it may be that it's really going to be our call in the



1 sense that we would probably want to take that risk,  
2 essentially, or at least the important thing from our point  
3 of view is probably going to be the validation study so  
4 that presumably the product can stay on the market.

5 DR. ABRAMSON: So what would happen if a  
6 product met structural parameters, but on the validation  
7 study there was no clear improvement of symptoms? That  
8 product, at least as it's now being thought about, would  
9 not continue on the market?

10 DR. JOHNSON: That's right. There is some sort  
11 of regulatory way of withdrawing it.

12 DR. ABRAMSON: So that would be different,  
13 then, from the European approach to registration of such  
14 products, or not?

15 DR. DOUGADOS: At this time, no, because the  
16 European guidelines, it states that at this stage the  
17 European agency will not approve a drug with only  
18 structural benefit. The responder has to provide some  
19 information concerning the symptomatic improvement, either  
20 with their drug or based on the research of empirical data.

21 DR. ABRAMSON: Okay. So let's go to the  
22 missing information and dropouts.

23 I'm sorry. Dr. Anderson?

24 DR. ANDERSON: I just wanted to ask a question  
25 about this Number 5, the Phase III and Phase IV analyses.

1 You're talking in terms of sharing the alpha between those,  
2 were you? I mean, if there's a .045 significance on the  
3 structure and then 2 years later there was .045 on the  
4 symptoms, would that be okay, or would that be too close to  
5 the wind, or would the combination not be satisfactory?

6 DR. JOHNSON: Well, I guess the way you share  
7 it depends on how correlated you think the two endpoints  
8 are, and since we don't know that, I guess we can't say  
9 ahead of time.

10 DR. ANDERSON: But if you've planned to do it  
11 this way from the very beginning, I don't know that -- can  
12 you say something about that, Janet?

13 DR. ELASHOFF: Well, it's also conditional.  
14 You never get to Phase IV unless you pass Phase III. So  
15 it's not the standard kind of alpha-sharing thing, and I  
16 don't see a real problem with it here, but you'd have to  
17 sit down and work out what are really the consequences of  
18 that kind of plan.

19 DR. LIN: That's precisely what I was saying  
20 earlier. If you plan your hypothesis in a logical order,  
21 then if you win on joint-space narrowing and then, going  
22 into the Phase IV, do your pain or function at that point,  
23 provided that you have passed joint-space narrowing -- and  
24 you can test at .05 again, and you will not be incurring  
25 more than -- overall, you will not be incurring more than 5

1 percent error.

2           So Kent, you were saying that if you win on .05  
3 on joint-space narrowing and then, going into Phase IV, you  
4 win again on the .05 level, that your alpha is over 5  
5 percent. That's not necessarily true.

6           DR. ABRAMSON: Let's go on, then. The missing  
7 information, "Best way to analyze, assuming dropouts are  
8 informative?" Would someone on the panel like to comment?

9           DR. HYDE: Actually, I have just a comment on  
10 the last one. I guess one thing to think of is, say you do  
11 a 2-year study and you find you improve joint-space  
12 narrowing, but, gee, we worked well for the clinical  
13 symptoms, too; I guess we're done. If you don't, then you  
14 go on to the Phase IV. So there's really essentially an  
15 interim analysis implicit in that, and there you might get  
16 into trouble.

17           DR. ABRAMSON: Dr. Elashoff?

18           DR. ELASHOFF: It seems to me with respect to  
19 dropouts, as a statistician, I would say the answer to  
20 Number 2 should always be yes, if you could possibly do it,  
21 because then you lower the missing information. But back  
22 to Number 1, questions about how you deal with missing  
23 information depend on how much information is missing and  
24 what you think are the mechanisms. As to item C, there are  
25 lots of different techniques, and I don't think that how

1 best to analyze it is something that could be answered  
2 briefly at a committee meeting.

3 DR. ABRAMSON: Other comments?

4 DR. DOUGADOS: If you want, I can show you,  
5 just to emphasize the importance of the -- in a 3-year  
6 trial with placebo, we had 225 patients, including the ITT  
7 population, and only 138 in the completer population, and  
8 if you compare the patients in the placebo who dropped out  
9 during the study versus the patients who completed the 3-  
10 year study, they were completely different with regard both  
11 to the baseline characteristics and the rate of progression  
12 in terms of the primary outcome measure. In terms of  
13 baseline characteristics, they had more severe disease,  
14 with a joint-space width that was lower than the  
15 completers, and during the study, finally they dropped out  
16 because of progression of disease and they had to go to  
17 surgery and they had to discontinue the drug.

18 So it is very important to consider these two  
19 populations, because otherwise you will miss some important  
20 information.

21 So the questions are -- the answer is, yes,  
22 it's obvious that we have to take into account information  
23 coming from the dropouts.

24 DR. JOHNSON: But, Maxime, if you have a case  
25 like yours, when you analyze the dropouts across arms and

1 you can see differential behavior, you know you've got the  
2 answer. I mean, you know that you've got an influence that  
3 you have to account for. In other words, your inference  
4 can't hold unless you -- I mean, the success of the drug  
5 could be due to the differential dropout behavior and not  
6 to the intervention. That's the possibility that's brought  
7 up if you see this differential behavior.

8 The converse doesn't work, though. If you  
9 don't see differential behavior in the dropout arms, it  
10 doesn't assure that the dropouts are non-informative and,  
11 hence, can just be ignored, which is what all the  
12 imputation techniques do.

13 So I don't frankly think there is an answer to  
14 this, except sensitivity analyses, that I know of.

15 DR. ABRAMSON: Janet?

16 DR. ELASHOFF: Well, it seems to me that if the  
17 dropout rate is that extensive, it has to be built in at  
18 the beginning as some kind of failure. The issue is to  
19 what extent you think most of the dropouts are really  
20 treatment failures or not versus something else, but that  
21 extensive, it has to be planned up front, and I wouldn't  
22 even try and use sort of a missing data technique. I think  
23 you'd have to describe them as treatment failures if they  
24 drop out.

25 DR. DOUGADOS: Two comments, the first one

1 relating to the comment from Kent concerning is it only a  
2 question of sensitivity. I am not sure. If we come back  
3 to the discussion we had this morning concerning the  
4 natural history of osteoarthritis, probably there are two  
5 or three different profiles, and one of these profiles  
6 could be very rapidly destructive arthritis, and from a  
7 physiological point of view, these patients have probably a  
8 disease which is different than the conventional OA, and we  
9 can easily imagine that the drug will be efficient on this  
10 particular subgroup or will be efficient on the other  
11 subgroups. So it's not only a question of statistical  
12 analysis.

13 DR. JOHNSON: You can analyze and use baseline  
14 joint-space narrowing as a covariate, and if you do that,  
15 if your analysis still holds, then that would increase its  
16 credibility. No?

17 DR. DOUGADOS: That will be not sufficient to  
18 understand the efficacy of a compound.

19 DR. JOHNSON: No, I don't think it will be  
20 sufficient, either. It would just increase your  
21 usefulness. What was mentioned here about having them all  
22 count as failures, we've sometimes done that, sort of the  
23 worst-case scenarios. You could even argue that a placebo  
24 dropout should be considered a success and a drug dropout  
25 be considered a failure, and if you win by that, you're

1 home free, but it takes an incredibly robust effect to have  
2 that.

3           What we did with lufludamide was a gradation of  
4 that regimen, actually. It's sort of complicated, but we  
5 tried to see how deviant the drug effect was in the dropout  
6 arms and still have the global analysis hold to .05.

7           DR. DOUGADOS: That is also what we have done  
8 in some of our studies, but also there's another  
9 possibility to take into account a missing value, to use  
10 the life table analysis that is more robust -- that is, to  
11 define what is a clear, relevant progression, yes or no --  
12 and then if you conduct a 3- or 4-year study with  
13 rhetorical evaluation once a year, you can calculate the  
14 percentage of progression over time, and you define the  
15 event as the progression, and then you take into account  
16 the dropout, which is much more robust than the -- there  
17 are a lot of possibilities, but I think at least at the  
18 beginning we have to take into account the fact that the  
19 percentage of dropouts during the study will be quite high  
20 and very similar across studies, because, Paul, you conduct  
21 a 2-year study and you have 54 percent drop out, and we had  
22 46 percent drop out. You see, it's quite similar.

23           And the information is important. I don't know  
24 the answer how to analyze -- that is, the sensitivity  
25 analysis, the life table analysis -- but it will be fine if

1 it's possible to clarify this point.

2 DR. JOHNSON: Ken, you had smaller dropouts,  
3 though. What's your calculated overall dropout rate going  
4 to be? Have you done that sort of extrapolation?

5 DR. BRANDT: We projected, I think, 25 percent  
6 over the 30 months of the study, and we differentiate  
7 dropout from loss to follow-up, because even those patients  
8 who drop because they get tired of participating or have a  
9 side effect or so, we are still bringing in for the 16-  
10 month and the 30-month radiographs. To date, we've had, I  
11 think, less than 10 percent lost to follow-up, meaning that  
12 they didn't return for the 16-month x-rays.

13 So there's a difference. If they've been on  
14 drug for 2 weeks and drop, that may not be so interesting.  
15 But if they've been on drug for a period of time and then  
16 discontinue drug for whatever reason, we make every effort  
17 to get the 16-month and the 30-month follow-up radiographs  
18 for analysis.

19 DR. ABRAMSON: Any other comments?

20 DR. HOCHBERG: But your study is sort of  
21 designed to have a low dropout rate, because you've got a  
22 run-in. So what percentage of subjects do you lose during  
23 the run-in phase, who either don't come back for the visits  
24 or are not compliant based on the computerized caps?

25 DR. BRANDT: We're kicking out about one in



1 four, and I think that that's very helpful. There's also a  
2 difference -- I don't want to make an assumption on what  
3 our dropout rate is analogous to the other studies, because  
4 Paul's 2-year study was placebo control against diclofenac,  
5 and it was a pain issue. Here we're talking about studies  
6 of structure-modifying drugs, where we're providing  
7 symptomatic therapy all the way along. It may not be  
8 great, but we're doing it, we're rolling it over, just as  
9 in the real world. I think there's a fair difference  
10 between those two issues.

11 DR. DOUGADOS: The question of the percentage  
12 of dropouts probably will decrease over time, because when  
13 we started a structure-modifying trial in the beginning of  
14 the 1990s, both the investigators and the patients did not  
15 see the differences between symptoms and structure. It was  
16 exactly the same in the field of osteoporosis, that when we  
17 conducted the first trial in the field of osteoporosis, the  
18 number of dropouts was important, because the patients  
19 needed to understand why they did not improve within a few  
20 weeks. Now it's easier to conduct a structure trial,  
21 because the physicians and the patients are aware that it's  
22 completely different than a symptomatic trial. But 8 or 9  
23 years ago, it was not the same situation.

24 DR. BRANDT: I don't know if you'll recall the  
25 last slide I showed this morning, but we were very

1 encouraged by that, indicating that two-thirds or so of all  
2 of our dropouts have taken place in the first 6 months.  
3 We're encouraged because we perceive that as something that  
4 can be addressed by the principal investigator and the  
5 nurse coordinator in each of those centers trying to get  
6 people to hang in there, unless there's a real good reason  
7 not to.

8 DR. ABRAMSON: Paul?

9 DR. DIEPPE: I agree with everything that's  
10 been said, and the sort of 50 percent dropout rates that we  
11 got, we should be able to do very much better than that  
12 now. And agreeing with both Ken and Maxime, our experience  
13 is that most of the dropouts are relatively early on, so  
14 the curve flattens out, and I'd expect that.

15 But having said all of that, there's still  
16 going to be a significant number of dropouts over these  
17 long-term studies, partly because of the comorbidity issue  
18 that I raised this morning.

19 DR. ABRAMSON: Any other comments on point 1  
20 here?

21 (No response.)

22 DR. ABRAMSON: Point 2, "Should a dropout exit  
23 x-ray always be mandated in the design?"

24 DR. DIEPPE: Yes.

25 DR. ABRAMSON: So moving right past the break

1 to assembling the evidence. Distribution of evidence from  
2 various OA sites: knee, hip, hands, and spine. Should  
3 there be one trial of knee and one trial of hip? I assume  
4 that's for the same medication, for approval. Should a  
5 drug require -- for approval for either? You're asking  
6 whether there should be --

7 DR. JOHNSON: We worked under the assumption  
8 that you would generalize to all OA.

9 DR. ABRAMSON: I see.

10 DR. JOHNSON: The Europeans actually want hand  
11 evidence, as I recall.

12 DR. ABRAMSON: Dr. Dieppe?

13 DR. DIEPPE: Mr. Chairman, I thought we'd done  
14 this one. I thought we'd answered this one. My view is  
15 that they've got to be done separately.

16 DR. ABRAMSON: I guess the corollary question  
17 is, can a drug just do one and go for indications for one  
18 anatomic location, or not?

19 DR. HOCHBERG: Well, I would vote for that, if  
20 I had a vote, and feel that the studies should be done in  
21 separate joints so that a compound could be registered for  
22 slowing progression of osteoarthritis of the knee, and a  
23 compound could get registered for slowing progression of  
24 osteoarthritis of the hip.

25 DR. ABRAMSON: Right, and not ask for a claim

1 for all osteoarthritis.

2 Dr. Dougados?

3 DR. DOUGADOS: Within the GREES group -- that  
4 was a group with academicians and representatives of the  
5 European agency -- we discussed this point several times,  
6 and we also discussed it within the Osteoarthritis Research  
7 Society, and the conclusion is that we are for main  
8 localization in back and even knee. It's clear that the  
9 spine should be at this stage considered completely  
10 different, at this stage, because in fact there are some in  
11 vitro and in vivo data showing that there is some relation  
12 between the disc and the cartilage. But at this stage it  
13 would be considered different.

14 What about hand and lower limb joints? There  
15 are some data suggesting that hand osteoarthritis, probably  
16 the natural history, the physiopathology is different, and  
17 it has been considered as different. There is the  
18 recommendation that if you want to get registration for  
19 hand osteoarthritis, you should develop on hand  
20 osteoarthritis.

21 The main question is related for hip and knee.  
22 So should we recommend one development for the knee,  
23 together with one development for the hip? It's obvious  
24 that everybody agrees that one clinical trial should be  
25 focused on one single joint -- that is, either knee or hip

1 -- but what about the labeling, what about the indications?  
2 It has been proposed to conduct either hip or knee or one  
3 hip, one knee, and to get the labeling osteoarthritis of  
4 the lower limbs. But it was a long discussion, and I don't  
5 know what is the opinion of the FDA experts.

6 DR. ABRAMSON: I think an argument could  
7 certainly be made, based on this morning's discussion about  
8 the heterogeneity and the comparability of groups, one  
9 could make the argument, as Dr. Hochberg was suggesting,  
10 that a drug could be developed for a target indication,  
11 such as for knee or hip, but unless they want an indication  
12 more globally for osteoarthritis, not necessarily having to  
13 meet the standard of showing both respond.

14 DR. WITTER: If we were to take that approach  
15 of a knee registration versus a hip registration, then if  
16 one is going for the knee, do we then make sure that  
17 there's no worsening in the hip in terms of structure?

18 DR. ABRAMSON: That's a good question, but I  
19 don't know.

20 Dr. Dieppe?

21 DR. DIEPPE: Well, in an ideal world, yes, but  
22 maybe this isn't that difficult, because most people either  
23 have knee disease or have hip disease. It's only a  
24 minority who have both of the lower limb sites involved. I  
25 mean, I can't give you precise figures that make any sense,

1 because they actually vary across the different populations  
2 that have been studied. But it's one of the arguments for  
3 treating them as different diseases, because most people  
4 either principally have knee disease or principally have  
5 hip disease. So I wouldn't get too hung up on this one.

6 DR. ABRAMSON: If you follow where you needed  
7 to have both hip and knee, what would happen if you showed  
8 efficacy in one joint and not in the other?

9 DR. WITTER: We'd come to you.

10 (Laughter.)

11 DR. ABRAMSON: All right.

12 Yes, Dr. Harris?

13 DR. HARRIS: I must say from the perspective of  
14 somebody treating a patient and not really caught up with  
15 the sort of high levels as you guys are, we have somebody  
16 with OA, and I think from the perspective of the treating  
17 physician, one might like to say it is OA of a weight-  
18 bearing joint, hip or knee, and we'd like to treat. It  
19 then becomes awfully complex if in fact it really is an  
20 indication just for a single knee. So then I guess we end  
21 up not really being able to treat all the OA of the weight-  
22 bearing joints. It becomes awfully complex.

23 I do grant you that the pathogenesis may be  
24 different. I do grant you that even if one decided to say,  
25 okay, we'll look at hip and knee separately, still at least

1 I would want that the study include hip and knee, though  
2 recognizing that one may get better and the other might  
3 not. But from a treating perspective, then we'd end up  
4 with this thing for the hip, this thing for the knee, this  
5 thing for the fingers. See what I mean?

6 So hopefully we could at least encourage people  
7 to do maybe a hip and a knee, even if in fact you're going  
8 to analyze them separately.

9 DR. ABRAMSON: Other comments?

10 DR. JOHNSON: Those who know the epidemiology,  
11 have there been scenarios where interventions have been  
12 able to be differentiated knee versus hip in the OA world,  
13 in the epidemiologic work that exists? Do you know of any?

14 DR. DOUGADOS: Let me rephrase the question.

15 Marc or Paul, what is the level of difference  
16 between hip and medial femoral-tibial joint versus medial  
17 femoral-tibial joint and patellar-femoral joint? In other  
18 words, within the knee, my feeling is that there is more  
19 difference between several compartments -- that is, the  
20 patellar-femoral versus medial tibial-femoral -- than  
21 between hip and tibial-femoral joint. In other words, if  
22 you want to go into the details, you will have to go into  
23 the details.

24 (Laughter.)

25 DR. DOUGADOS: And be careful. The gender will

1 be important, the BMI will be important.

2 DR. HOCHBERG: Maxime is right. There are  
3 differences in risk factor profiles between patellar-  
4 femoral joint disease and tibial-femoral joint disease.  
5 There are some differences in risk factor profiles between  
6 hip disease and knee disease. And there are differences  
7 between bilateral hip disease and unilateral hip disease,  
8 and a lot of the hip disease in the population for  
9 epidemiologic studies is not the super or lateral hip  
10 disease, which is the risk factor for progression and for  
11 total hip replacement, but it is more medial disease. So  
12 it's a real gamish.

13 DR. JOHNSON: But there aren't any drugs that  
14 work just on one and not the other, are there?

15 DR. HOCHBERG: Well, I don't think we know  
16 that. Certainly it appears that the studies of non-  
17 steroidal and other -- well, I don't want to say that.  
18 The studies of non-steroidal and most of the symptomatic  
19 therapies have combined patients with lower limb  
20 osteoarthritis into a single unit, and when we did  
21 systematic analyses, we had difficulty finding large  
22 numbers of trials which looked solely at hip OA patients or  
23 solely at knee OA patients or, in trials that combined  
24 them, where they were reported separately. So when we  
25 submitted a paper, one of the questions was, "Well, why do



1 you have so few trials? You must have obviously missed a  
2 lot," but in fact most of the trials combined patients  
3 together as having OA and didn't present the data  
4 separately.

5 But as clear as we could identify, of those  
6 agents which were studied in both types of patients, there  
7 were consistent results.

8 DR. JOHNSON: Consistently effective in hip and  
9 in knee.

10 DR. HOCHBERG: And in knee.

11 DR. JOHNSON: For symptoms.

12 DR. HOCHBERG: Yes.

13 DR. JOHNSON: But I take your point. I mean, I  
14 guess you're arguing that with the structure maneuver, the  
15 biomechanics might inflate some kind of small difference  
16 that we just haven't seen.

17 DR. ABRAMSON: Maxime, and then Paul.

18 DR. DOUGADOS: To my knowledge, I am aware of a  
19 single drug which acts differently at the hip than the  
20 knee. That is a placebo. The placebo is less effective on  
21 the hip osteoarthritis than the knee osteoarthritis. That  
22 is coming from the database we have within the  
23 Osteoarthritis Research Society. Otherwise, all the other  
24 drugs usually act similarly -- I'm speaking about symptoms,  
25 not structure -- at the hip or the knee, except the

1 placebo.

2 DR. ABRAMSON: Paul?

3 DR. DIEPPE: Well, like the others, I don't  
4 have any data that shows drug differentiation between the  
5 two sites, but, of course, physical therapies do, and that  
6 could be the key thing with structure, as you're saying  
7 yourself, because, of course, the physical measures we  
8 apply to the hip are quite different from those for the  
9 knee, because we're trying to attribute them directly to  
10 biomechanical issues around the two joints that are  
11 completely different and, of course, are structurally  
12 dependent.

13 So I think when we're talking about structure  
14 modification, the chances of there being differentiation is  
15 much higher than when we're talking about symptom-based  
16 changes of drugs.

17 DR. WITTER: Just a clarification from Maxime  
18 on your point. If I missed it, I'm sorry. Placebo  
19 response in the hip is better than the knee?

20 DR. DOUGADOS: Is lower. It's better in the  
21 knee than in the hip. If you look at the mean change of  
22 pain during the study with regard to the localization of  
23 the osteoarthritis, they have a better response to the  
24 placebo at the knee level than the hip level.

25 DR. ABRAMSON: Dr. Harris?

1 DR. HARRIS: Theoretically, as I understand it,  
2 in terms of companies developing agents to prevent  
3 structural deterioration, I presume that the theory is that  
4 there will be some sort of agent that prevents the sort of  
5 deterioration of cartilage, and if that indeed is the  
6 thinking by which we are going about this, then one might  
7 argue that to some degree, although there are biomechanical  
8 forces that affect the deterioration of cartilage, that  
9 indeed by looking at a drug and a placebo, hopefully with  
10 large enough populations of patients, you're going to  
11 cancel out some of the biomechanical variables, and  
12 presumably might get relatively decent answers with respect  
13 to the question as to whether cartilage, whether it be in  
14 the hip or the knee, deteriorates less with the drug that  
15 protects cartilage.

16 Really I don't know if I'm making myself clear,  
17 but the idea at least in terms of the development of the  
18 drug is directed certainly at the preservation of  
19 cartilage, and I guess I should ask the question, is there  
20 any way -- presumably it's the same cartilage in the hip,  
21 same cartilage in the knee, the biomechanical forces that  
22 affect deterioration are different, but if you have a drug  
23 and a placebo, then you should cancel out the biomechanical  
24 forces and end up then with just the target organ and the  
25 drug that in fact delays the progression of that target

1 site.

2 DR. ABRAMSON: I would think that would be the  
3 prediction. I guess we just don't know how much those  
4 altered biomechanics will influence the efficacy of the  
5 drug at the hip or knee, so I guess they have to be  
6 analyzed separately. Even though theoretically its mode of  
7 action would lead you to predict that it would be  
8 beneficial at each place, I guess one doesn't know that  
9 until the studies are completed.

10 DR. JOHNSON: A quick clarification from  
11 Maxime. The placebo response was greater in the knee, but  
12 was the drug response proportionately greater also, so that  
13 the difference was roughly the same, knee versus hip?

14 DR. DOUGADOS: The answer concerning NSAIDs and  
15 concerning the pain, the treatment effect was the same.

16 DR. ABRAMSON: Dr. Elashoff, did you have a  
17 comment? No.

18 Dr. Dieppe?

19 DR. DIEPPE: I just wanted to pick up on  
20 Nigel's point, which I think I understood, and just make a  
21 couple of comments, that although most of the drug  
22 developments that I know about at the moment are directed  
23 to the cartilage, not all of them are. Some are directed  
24 to the synovium, and some are directed to the bone. I'd  
25 like to see more of them directed to the bone myself.

1           But having made that cheap comment, I think the  
2 worry is that this issue that we've talked about, say, with  
3 osteophytes and stability could be completely different at  
4 the hip and at the knee. So I think there is a real chance  
5 of actually getting quite different responses at the two  
6 joint sites.

7           DR. ABRAMSON: Dr. Brandt?

8           DR. BRANDT: Also, it's not true that cartilage  
9 is cartilage is cartilage. There are some studies from  
10 1970 by Joan Wingham and Helen Muir looking at normal hip  
11 cartilage from humans, not arthritic. In some individuals,  
12 looking simply at the surface layer, 50 percent of the dry  
13 weight was collagen; in other normal individuals, 90  
14 percent of the dry weight was collagen. It was like an  
15 armadillo's skin, which has obvious implications for the  
16 cells underneath that. You can only say you hope that  
17 randomizes out, but that's a bit of a crap shoot. And  
18 there are a lot more differences than that. That's just  
19 one they found in those patients.

20           DR. ABRAMSON: I guess that begins to get at  
21 Question Number 2 in this category, as to whether one  
22 designs a study that includes both in a single large study  
23 or has separate trials. You need to be able to analyze the  
24 outcome in each area, because it may be that the drug is  
25 efficacious in one joint and not in another.

1                   Anybody have any other comments about Question  
2                   2?

3                   DR. DOUGADOS: Can we have first some  
4                   clarification about the subset? What does it mean?  
5                   Patients, demographic data, or radiological  
6                   characteristics? That is, super or lateral versus medial  
7                   or medial versus lateral? I don't understand the question.

8                   DR. JOHNSON: It's just hip and knee, so you  
9                   don't enroll 95 percent knee and 5 percent hip and try to  
10                  draw some conclusions from 25 patients with hip disease.  
11                  That's all.

12                  DR. ABRAMSON: So basically you're asking  
13                  either have separate trials on hips versus knees or have a  
14                  trial where you enroll people with both and just analyze  
15                  it.

16                  Other comments on that?

17                  DR. JOHNSON: The European document requires  
18                  generalizability to all joints, right? Knee, hip, and  
19                  hand. They don't acknowledge some kind of sensitivity  
20                  about new disease subsets here at all, I guess, do they, in  
21                  their document?

22                  DR. DOUGADOS: I am not the European agency.

23                  DR. JOHNSON: Oh, come on.

24                  (Laughter.)

25                  DR. DOUGADOS: But if I remember correctly,

1 hand is completely different, spine is different, but the  
2 recommendation is to conduct the trials at either the hip  
3 or the knee, but not to combine in the same trial both  
4 localization. That's a main recommendation, not to conduct  
5 a trial mixing hip and knee, either to conduct hip and  
6 another one on knee.

7 DR. ABRAMSON: Dr. Brandt?

8 DR. BRANDT: But if I remember, without going  
9 back to look, for generalizability, Kent, it required hand  
10 and a lower extremity joint.

11 DR. DOUGADOS: If you want the labeling OA --  
12 that is, efficient in osteoarthritis -- you should have a  
13 separate trial on hand osteoarthritis and spine.

14 DR. JOHNSON: Yes. I'm sorry. They do  
15 recognize the subsets. I'm sorry. I recalled it wrong.

16 DR. ABRAMSON: Okay. And I think, Maxime, you  
17 really began to address Number 3 over here, which is  
18 systematic evidence for hand OA and spine OA for  
19 generalization, but not as a requirement for hip or knee.

20 Kent or Jim, are there other outstanding issues  
21 that you would like to -- and Marc -- cover?

22 DR. HOCHBERG: Well, just a follow-up to the  
23 last one. As Paul mentioned earlier that most people with  
24 knee OA don't have hip OA and most people with hip OA don't  
25 have knee OA, most people with lower limb OA have hand OA.

1 So the sponsor should be encouraged to measure hand OA  
2 during their studies looking at the effect of disease-  
3 modifying drugs on knee OA or hip OA.

4 DR. DOUGADOS: That was also the recommendation  
5 of the GREES group. That is, if you conduct a clinical  
6 trial of symptomatic knee OA, since the probability is that  
7 there will be a concomitant hand OA, you should take this  
8 opportunity to get some information concerning the  
9 structural changes at the hand level, even though the  
10 primary objective is knee osteoarthritis.

11 DR. ABRAMSON: Paul?

12 DR. DIEPPE: I agree with that. I think Marc's  
13 raised an important point. It's absolutely right. And  
14 just to make the additional point that it's actually much,  
15 much easier really to get fast readout on the hand than it  
16 is on the knee or the hip, because there are masses of  
17 joints, and addition of new joint sites, just an on/off  
18 measure of x-ray change can be quite good over a couple of  
19 years to pick up change there.

20 So it's an important opportunity in any of  
21 these studies, particularly with knee, because the  
22 association between hand and knee is stronger than the  
23 hip/hand association.

24 DR. WITTER: So is structure of the hand  
25 looking at something else, like pos-scan or something like



1 that, or structure you're referring to?

2 DR. DIEPPE: Structure.

3 DR. ABRAMSON: Any other comments?

4 (No response.)

5 DR. ABRAMSON: I really want to thank the panel  
6 and especially our guests, Drs. Dougados and Dieppe, who  
7 have really made great contributions today, and thank the  
8 agency and members of the audience. Thank you all very  
9 much.

10 (Whereupon, at 3:18 p.m., the meeting was  
11 adjourned.)

12

13

14

15

16

17

18

19

20

21

22

23

24

25