

sgg

AT

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

2152

ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE
OPEN MEETING

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service; the Food and Drug Administration makes no representation as to its accuracy.

Friday, September 24, 1999

8:30 a.m.

CDER Advisory Committee Conference Room
Food and Drug Administration
5630 Fishers Lane
Rockville, Maryland

PARTICIPANTS

Stephen R. Byrn, Ph.D., Acting Chairman
Kimberly Littleton Topper, Executive Secretary

Arthur Goldberg, Ph.D.
Robert A. Branch, M.D., F.R.C.P.
Stephen R. Byrn, Ph.D.
Mary J. Berg, Pharm.D.
John Doull, M.D., Ph.D.
Judy P. Boehlert, Ph.D.
Gloria L. Anderson, Ph.D., Consumer Representative
Dr. Anderson, Consultant

FDA

Roger Williams, M.D., Center for Drug Evaluation
and Research
Larry Lesko, Ph.D., Office of Clinical Pharmacology and
Biopharmaceutics

C O N T E N T S

	<u>PAGE</u>
Conflict of Interest, Ms. Kimberly Topper	4
Introductions	5
Clinical Pharmacology Policy Topics:	
Exposure-Response Introduction/Overview, Roger Williams, M.D.	6
Purpose/Goal, Larry Lesko, Ph.D.	15
Expert Panel Perspectives, Don Stanski, M.D.	36
Open Public Hearing:	
Carl Peck, M.D.	44
Committee Discussion	46
Drug-Drug Introduction, Roger Williams, M.D.	76
Drug-Drug Interaction Guidance, Shiew-Mei Huang, M.D.	81
Committee Discussion	92
Nonclinical Studies Subcommittee Report on Research Topics:	
Overview:	
James MacGregor, Ph.D.	116
Jack Reynolds, D.V.M.	125
Committee Discussion	144
Committee Function and Awards, Roger Williams, M.D.	176

P R O C E E D I N G S

1
2 DR. BYRN: I would like to welcome you to this
3 second day of the Advisory Committee for Pharmaceutical
4 Science meeting. Kimberly Topper will read the conflict of
5 interest statement.

Conflict of Interest

6
7 MS. TOPPER: The following announcement addresses
8 the issue of conflict of interest with regard to this
9 meeting, and is made part of the record to preclude even the
10 appearance of such at this meeting. Based on the submitted
11 agenda for the meeting and all financial interests reported
12 by committee participants, it has been determined that all
13 interests in firms regulated by the Center for Drug
14 Evaluation and Research which have been reported by
15 participants present no potential for the appearance of a
16 conflict of interest at this meeting, with the following
17 exceptions: Since the issues to be discussed by the
18 committee at this meeting will not have a unique impact on
19 any particular firm or product but, rather, may have
20 widespread implications with respect to an entire class of
21 products, in accordance with 18 USC 208(b) each participant
22 has been granted a waiver which permits them to participate
23 in today's discussions.

24 A copy of this waiver statement may be obtained by
25 submitted a written request to the agency's Freedom of

1 Information Office, Room 12A-30 of the Parklawn Building. In
2 the event that the discussions involve any other products or
3 firms not already on the agenda for which an FDA participant
4 has a financial interest, the participants are aware of the
5 need to exclude themselves from such involvement, and their
6 exclusion will be noted for the record.

7 With respect to all other participants, we ask in
8 the interest of fairness that they address any current or
9 previous financial involvement with any firms whose products
10 they may wish to comment upon. Thank you.

11 DR. BYRN: Thank you, Kimberly. Let's introduce
12 the people at the table, the committee members and others,
13 starting with Judy Boehlert.

14 **Introductions**

15 DR. BOEHLERT: Good morning. I am Judy Boehlert
16 and I am a consultant to the pharmaceutical industry.

17 DR. DOULL: I am John Doull, and I am from
18 University of Kansas Medical Center.

19 DR. BERG: Mary Berg, College of Pharmacy,
20 University of Iowa.

21 DR. GOLDBERG: Good morning. I am Arthur Goldberg.
22 I am a consultant to the pharmaceutical industry.

23 DR. BRANCH: I am Bob Branch, from the University
24 of Pittsburgh.

25 DR. ANDERSON: Gloria Anderson, Department of

1 Chemistry, Morris Brown College, Atlanta, Georgia.

2 DR. LESKO: I am Larry Lesko, from the Office of
3 Clinical Pharmacology and Biopharmaceutics at FDA.

4 DR. WILLIAMS: Roger Williams, in the Center for
5 Drug Evaluation and Research at FDA.

6 DR. BYRN: This morning we are going to begin with
7 clinical pharmacology policy topics, and Roger is going to
8 introduce this section by discussing exposure-response
9 introduction and overview.

10 **Clinical Pharmacology Policy Topics**

11 **Exposure-Response Introduction/Overview**

12 DR. WILLIAMS: Well, I will say good morning to
13 the committee and welcome back. It seems like your number
14 has dwindled a bit but I hope it wasn't due to any mishaps.

15 [Slide]

16 As I was thinking about how to introduce the topic
17 this morning, I wanted to tie it to the discussion yesterday
18 because I think in some ways they are very closely related
19 and in some ways it is very different.

20 First of all, I would argue it is closely related
21 in the sense that the discussion topics today, at least
22 certainly the first one on exposure-response relationships,
23 relate to market access. That was certainly a key question
24 in the discussion yesterday, and I think you saw yesterday
25 that because there were elements of increased regulatory

1 burden you heard from a lot of producers who were worrying
2 about producer risk as opposed to consumer risk.

3 Now, I will argue today that perhaps the same
4 question will arise in terms of market access but it really
5 relates perhaps to a reverse, in terms of the political
6 time, in the sense that some of the producers will be happy
7 to see a better way of approaching market access in terms of
8 safety and efficacy. I am not sure about that. I never want
9 to predict what producer constituencies out there will say
10 to me, but I think there is an element here of reduction in
11 regulatory burden and a move towards more informative tests
12 as opposed to large late-phase empirical studies that are
13 very expensive and very cumbersome to perform.

14 There is another difference that I would like to
15 draw the committee's attention to, which is the way this
16 committee works. That is, we don't just bring a topic and
17 get a decision. Frequently, on a topic we bring it time and
18 time again to the committee and get a discussion. That, in
19 my view, is a very healthy process. It is a public process;
20 it is a process that involves transparency. I think
21 yesterday you saw a conclusion of that process in some ways
22 for the topic of individual bioequivalence. That is not to
23 say we won't bring many points of it back to the committee
24 but we certainly got to a branch point that will allow us to
25 proceed.

1 Now, I would argue for this topic and some of the
2 other topics today, particularly the first one, that it will
3 be a discussion topic that we come back to the committee
4 perhaps again and again before we reach a truly final
5 conclusion. Again, I think that is very healthy, very
6 valuable.

7 Another difference I would say is, as we begin to
8 talk about this topic, we move away from a topic that is
9 sort of quality and focused entirely in the Office of
10 Pharmaceutical Science in the Center and start directing our
11 attention towards the medical officers in the Center and the
12 15 drug review divisions. That linkage is a very important
13 linkage. So, I could imagine that in future meetings it will
14 be necessary to involve that constituency, if you will, in
15 the Center more intimately in what we discuss here at this
16 committee. Again, I think that will be very healthy, and
17 positive and rewarding.

18 Finally, before I go into some of the more
19 detailed information, I hope the committee understands that
20 all of our discussions here, in one way or another, tend to
21 get expressed to the public via guidances, and usually
22 guidances for industry that provide a set of best practices,
23 if you will, on how to meet our statutory and regulatory
24 commitments. Again, I think that is very valuable. I think
25 the clearer the agency can be about what it thinks is

1 important and how it intends to analyze data, the more
2 valuable, the more helpful we, as an agency, can be working
3 with industry.

4 [Slide]

5 So, with those brief words of introduction let me
6 go on and I will show this picture. I don't know if Larry is
7 going to show it as well, but this is a core group of boxes
8 that really reflect -- people always wonder why I like boxes
9 so much, well, it is not just because they are clear and
10 square and colored sometimes, it is more because they
11 reflect the way people work together. What you need to do
12 when you look at these boxes is to think of groups of people
13 who are interacting appropriately and intelligently to solve
14 a problem, a regulatory problem. It helps you figure out
15 what you are talking about, and who should be in the box and
16 who should not be in the box to work on the problem, and how
17 the box needs to link with other boxes. You can imagine, in
18 a huge center with many, many things going on, those
19 linkages are critical.

20 Larry has headed this box for the last four years,
21 and assisting him has been Shiew-Mei Huang. Down at the
22 bottom you see that they are working on guidances. I would
23 argue that this has been an extraordinary effort, an effort
24 that will have both national and global impact, and I really
25 congratulate Larry and Shiew-Mei and all the people who have

1 worked with them over the years to focus on these boxes.

2 Now, there is a logic to these boxes that may not
3 be immediately apparent, but some of them related to
4 intrinsic and extrinsic factors -- renal impairment, hepatic
5 impairment, in vivo metabolism, and drug-drug interactions.
6 If you think about it, those are the intrinsic and extrinsic
7 factors, some but not all of them, that are discussed in the
8 ICH-E5 document. So, you might think of these as the
9 guidances that provide a "how to" set of approaches to
10 assess intrinsic and extrinsic factors.

11 But over more to the right you start seeing
12 general guidances that speak to more general approaches in
13 the use of exposure-response relationships. And, I think
14 there are two there. One is already finalized, the
15 population PK guidance, and then today, in the morning,
16 Larry will be introducing the exposure-response or PK/PD
17 guidance.

18 I think over on the right you see a summary
19 document and then also an immediate release to modified
20 release document. Its name may be changing but it is a very
21 important document that I will speak about in a couple of
22 slides.

23 [Slide] --

24 Let's go on. This is my favorite slide. I move
25 through favorite slides in the course of my tenure at the

1 agency, and this is my current one. We are now leaving this
2 realm where exposure is created by the drug product and we
3 can either talk about exposure in terms of dose or
4 concentration-response relationship usually. Our discussion
5 today will be in this realm, and this, of course, is a very
6 interesting realm. It includes in the response, the Y axis,
7 endpoints that can either be clinical benefit, surrogate
8 endpoint or biomarkers -- lots going on there. I am
9 delighted to see Greg Downing in the room, who is from NIH,
10 who was instrumental in putting on that conference last
11 year, in April. Then, of course, you get to the Y axis which
12 focuses on dose, optimal dose, therapeutic window.

13 [Slide]

14 If you go to this slide, and I am now going to use
15 a slide from Louis which I showed last night. Louis has a
16 slightly different way of looking at this, which he
17 describes in terms of the benefit of a drug in terms of the
18 X axis and Y axis. Then you adjust your exposure based on
19 intrinsic and extrinsic factors. I won't say much more about
20 this, except I certainly encourage Louis to talk about this
21 vision, if you will, in the course of the morning.

22 Over on the right you will see a whole bunch of
23 guidances that have come out over the last decade regarding
24 this general approach. This isn't even updated. They have
25 been coming out so fast it is hard to keep it updated. But

1 there have been some very seminal documents and thinking
2 that the agency has worked on over the last decade. I don't
3 have to tell you that Carl, whom I am delighted to see in
4 the audience, was a seminal thinker in this regard and
5 particularly I always point back, and many of us do, to that
6 1991 conference on PK/PD that was one of the most successful
7 conferences that AAPS ever put on. I think we are the
8 spiritual heirs of that conference, and we continue to
9 evolve the thinking in this regard in very important ways,
10 both for the American consumer, the industry, pioneer
11 industry and internal agency constituencies.

12 It is going to be a powerful set of documents when
13 it is completed, and a powerful set of conceptual documents.
14 I urge you to pay attention to what is going on over here
15 because I think we are working it out. I see the end of the
16 story coming, and that is not to say there is not a lot more
17 work to do but a lot of times when you get the conceptual
18 understanding work done, that really is the end of the story
19 and then it is just putting the pieces of the puzzle in
20 place.

21 [Slide]

22 I want to come back again to a Louisonian vision,
23 and I will always acknowledge Louis as being very powerful
24 in helping us think at the agency about some of these
25 things. The Y axis is the response. The dose regimen is the

1 X axis, and the Z is you adjust the dose regimen based on
2 intrinsic and extrinsic factors. I may not be saying it
3 quite right. I am sure Louis can say it better; I know he
4 can say it faster --

5 [Laughter]

6 -- anyway, I think it is a very powerful theme for
7 us to work with. Now, this is the theme that is the
8 debatable theme, the hot topic -- you know, when can we rely
9 on surrogates? When can we rely on PK/PD models, etc., etc.?
10 You are going to hear that in the course of the morning.

11 I am actually also very interested in this theme,
12 and I want to come back to it at the end of my talk. Of
13 course, this is a very powerful theme that talks about
14 adjusting the dose based on a subpopulation factor. I think,
15 in some ways, that theme relates closely to subject by the
16 formulation interaction topic that we talked about
17 yesterday.

18 I will say this, you all know that FDAMA Section
19 115 intruded the thought of confirmatory evidence to allow
20 market access so that you could use one adequate and
21 well-controlled study instead of more than one. You recall
22 that the statute used the word in plural, "studies," when it
23 said adequate and well-controlled studies and that was
24 always interpreted to mean two or more.

25 This additional word allowed the possibility of

1 one. If you talk to Bob Temple, he would have said, "well,
2 we always could do that, anyway, and have done it." But I
3 think it put in a statutory framework a very clear mandate
4 to the agency to allow market access using one adequate and
5 well-controlled, confirmatory, empirical study plus perhaps
6 additional information.

7 I have to confess, right now we have a problem
8 with nomenclature. Confirmatory is the wrong word, and I say
9 that maybe with my congressional masters breathing down my
10 neck. And, I think we have to do a slight translation in
11 terms of what we think the Congress meant, and a word
12 emerged last night that we are using, "supportive." We will
13 see how long that word lasts. I sort of like it but
14 nomenclature is always evolving.

15 Now, in closing, I just want to say that somehow
16 what we are going to talk about in the course of this
17 morning is this, and it is going to be exciting and it is
18 going to be challenging but we are moving from two or more
19 to one, and I would even argue that there is the concept of
20 none.

21 Now, in saying none, I want to come back to the X
22 axis because a lot of times I think the X axis challenge can
23 allow none if you have a good understanding of PK/PD
24 responses. When I say none I am talking here about line
25 extensions or new routes of administration. That is a very

1 powerful thing for industry to be able to have market access
2 with no clinical trials if you have a good understanding of
3 PK/PD. Of course, we have done that in the past. So, I think
4 this is an interesting area focus, and if I want to be
5 slightly provocative, if you want to go to a line extension
6 and you just want to reiterate people, that is a
7 prescribability question. But if you want to switch people
8 from an immediate release, say, to a modified release, I
9 might say that that is a switching question.

10 Now, with that provocative statement, which you
11 can ponder as to just what the heck is Roger saying here, I
12 will leave you for further discussion and turn it over to
13 Larry.

14 DR. BYRN: Questions of clarification for Roger?

15 [No response]

16 Larry is going to go ahead now and present an
17 overview of the purpose and goal.

18 **Purpose/Goal**

19 DR. LESKO: Good morning, everybody. Thanks,
20 Steve.

21 [Slide]

22 Unlike yesterday, this morning we are not bringing
23 specific issues to the committee for deliberation or for
24 voting. The purpose of this morning is to bring before the
25 committee really for the first time our current thinking on

1 the topic of exposure response.

2 As Roger mentioned, the idea here is to share some
3 information today with the committee, lay some groundwork
4 for probably future discussions with the committee on
5 perhaps more specific issues but, beyond that, the purpose
6 today is to get your general impressions of the document
7 that we included in your background, which is this red book,
8 and I think it is Tab A, and get general impressions of the
9 document. Can you imagine it being of value to the industry
10 and to the regulatory authorities? As you think about the
11 document, are there things that are poorly stated? Unclear?
12 Are there omissions? Or, are there things that you feel are
13 positive about what we are trying to do?

14 [Slide]

15 What we are talking about today is exposure
16 response, Pk/PD, and this represents a general model for our
17 discussion. It is general and it is relatively simplistic
18 but I think it gives a concept of where we are coming from
19 today.

20 We are talking about PK/PD and in the input-output
21 relationship of therapeutics the PK process is over here. To
22 the left we have the input. We can refer to it as exposure
23 to a drug or the dose of a drug. This is a broad sort of
24 term. The drug, after it gets into systemic circulation,
25 interacts with the receptor and that elicits a

1 pharmacodynamic effect. That effect, in turn, could be
2 related to the therapeutic effect, perhaps some safety
3 effects, adverse effects, ultimately to the clinical
4 outcome, therapeutic response or output of the drug.

5 So, we are focusing on the PK/PD part of this
6 model of drug action or, in broad terms, the
7 exposure-response relationship.

8 [Slide]

9 Roger sort of alluded to a little bit of a
10 history, and I think it is important as it relates to this
11 background document. The document, in many ways, coalesces a
12 lot of effort that has gone into conceptually framing PK/PD.

13 These are some of the FDA co-sponsored conferences
14 over the years, and you can see there are many organizations
15 that we have worked with over the years -- AAPS, ASCPT, ACCP
16 and so on. This was that Arlington conference in 1991 that
17 really began a public discussion of the topic. There was a
18 second conference that was similar in 1998, here in the
19 Washington area. Then most recently, in 1999, in April, the
20 NIH put on a program related to a specific part of PK/PD,
21 the biomarker and surrogate endpoint aspects of it. It is
22 these types of meetings that have funneled into what I think
23 is reflected in that background document.

24 [Slide]

25 The common theme of all of these meetings --

1 particularly from an industry standpoint, the number of new
2 chemical entities with potential importance is increasing
3 exponentially. I think everyone attributes that to the
4 advances in technology that have occurred over the last five
5 years or so.

6 There is also a sense from these meetings that
7 there is a need to demonstrate efficacy and safety and
8 acceptable risk benefit, and that is a challenge in this day
9 and age, and people are concerned about the time, cost, and
10 not only that but the value of the information that comes
11 out of the process, both for drug development and for
12 regulatory decision making.

13 Then, finally, there is a hypothesis and
14 advancement that certain aspects of clinical pharmacology,
15 particularly PK/PD, can perhaps accelerate the drug
16 development process and provide more informative information
17 and greater insights into the input-output relationship.

18 [Slide]

19 Along with the coalescing of thinking over the
20 last ten years or so through the public workshops and
21 symposia, regulatory authorities have been active in the
22 area with various guidances -- several guidances that are
23 out there under the International Conference for
24 Harmonization. They provide general perspectives on dose
25 response or concentration response. They are key documents

1 in the evolution of our backgrounder. The first is the
2 so-called ICH-E4 document. That was in your background
3 package, and also the E5 document, Ethnic Factors in the
4 Acceptability of Foreign Data, that positioned PK/PD data as
5 a way to bridge efficacy from one region of the world to
6 another.

7 [Slide]

8 From the FDA standpoint, there are two guidances
9 that relate to our topic today. The first is a key guidance,
10 providing clinical evidence of effectiveness for drug and
11 biological products. This guidance has many references to
12 the value of PK/PD, and points out many opportunities for
13 firms to advance regulatory decision-making by using PK/PD
14 in terms of alternatives to repeating the efficacy trials
15 that may have been conducted.

16 I put the population PK/PD guidance up here
17 because it sent into a lot of the current thinking that we
18 had on modeling in general and in simulation. I think it is
19 a companion document to the backgrounder that we
20 distributed.

21 [Slide]

22 More recently, in the last year or two, we have
23 had some FDA guidances that have made specific statements
24 about the application of PK/PD. For example, in the impaired
25 renal function guidance we talk about the use of PK/PD to

1 set boundaries for therapeutic equivalence so that that can
2 facilitate a label statement on the need to adjust the dose
3 or not adjust the dose based on the knowledge of exposure
4 response.

5 We have done the same thing in the in vivo
6 metabolism drug interaction studies, again alluding to the
7 possibility of using PK/PD to impact label statements
8 following approval of that product.

9 [Slide]

10 Some of the drivers for this backgrounder, and
11 also for the evidence of effectiveness guidance that I
12 previously mentioned, from May, 1998, were the requirements
13 of the FDA Modernization Act of 1997, specifically Section
14 115(a) which amended 505(b) of the FDA&C Act. The key of
15 this was that the agency may consider data from adequate and
16 well-controlled clinical investigations and confirmatory
17 evidence to constitute evidence of effectiveness. This
18 particular guidance laid out the circumstances under which a
19 single efficacy trial may be acceptable. It also mentioned
20 the fact that these conditions include the use of multiple
21 endpoints, involving different events within a single Phase
22 III study.

23 [Slide]

24 Further legal and regulatory developments have
25 facilitated the advancement of exposure response. In the

1 accelerated approval regulations we have a mechanism to
2 approve the antiretroviral drugs using, in essence, a
3 surrogate marker. And, the conditions under application of
4 surrogate marker in this case is the great therapeutic need,
5 the prior knowledge of the disease, physiology, mechanism of
6 action, and a biomarker that is considered reasonably likely
7 to predict clinical benefit.

8 In a more recent guidance related to accelerated
9 approval of antiretroviral drugs, August, 1999, one of the
10 study design options for gaining market access is a dose
11 comparison study that includes treatments design to show an
12 exposure-response slope. So, I think you can see the
13 advancement, if you will, of exposure-response concepts
14 within the regulatory decision process.

15 [Slide]

16 Similarly, there have been advancements in the
17 area of pediatrics, and the regulations and the guidances
18 that are out there, particularly the efficacy guidance, has
19 indicated when pediatric approvals and pediatric labeling
20 can be granted when we have a similar course of disease and
21 effects of drug in pediatrics to adults. There are some
22 conditions listed here when that is concluded.

23 The key point here in the pediatric use is the
24 similar exposure-response relationship. What that leads to
25 is the utility of PK and/or PK/PD bridging studies without

1 repeating the adequate and well-controlled clinical efficacy
2 trials in that pediatric population. So, again, this
3 represents an advancement in the use of this information.

4 [Slide]

5 Finally, in the efficacy guidance there are a
6 number of sections that deal with market access based on the
7 so-called bridging studies. The bridging study refers to the
8 use of PK and PK/PD studies. The requirement or the
9 condition for this to occur is when one has an understanding
10 of exposure response, and a few examples are listed here.
11 Some key examples are when we are looking to approve a
12 modified release dosage form from a previously approved IR
13 dosage form, or when there is an approval of a new dose or
14 dosing regimen. Those are two examples of where PK and
15 PK/PD come into play. Again, it is being used as a
16 substitute for the traditional adequate and well-controlled
17 clinical efficacy studies.

18 [Slide]

19 As you can see, the groundwork was laid with some
20 prior activities for the application of PK/PD. We were
21 interested in elaborating on that progress that had been
22 made so far, with the possibility of developing a guidance
23 on PK/PD that would delve into more specific information
24 regarding its use, regarding its application and, in some
25 ways, coalescing what we currently know to be the best

1 practices in both study design, modeling and interpretation
2 of data.

3 It was with that motivation that we formed a
4 working group in 1998 under our Medical Policy Coordinating
5 Committee, and we started out with two co-chairs, Terry
6 Blaschke who was on sabbatical at FDA, and Raymond Miller
7 from OCPB. Terry finished up his term at FDA. Raymond has
8 actually left FDA to go to industry. So, we have been
9 continuing this effort with myself, Peter Lee from our
10 office, and Roger from OPS.

11 As the committee realizes, we have a good guidance
12 practice process to develop guidances, and we started this
13 process with a public workshop. It was an FDA-PhRMA workshop
14 in June of 1998, and we also had at that time our first
15 expert panel meeting.

16 What we focused on in that workshop are best
17 practices in PK/PD, the use of surrogates, the application
18 in regulatory decision-making and in drug development.

19 [Slide]

20 This slide shows the members of the PK/PD working
21 group, many of whom participated in that first expert panel
22 meeting and PhRMA workshop. I would mention that the members
23 of the working group here, and I apologize if I have missed
24 anybody -- many of these are from the Office of Clinical
25 Pharmacology and Biopharmaceutics. We also have

1 representation from the medical side of the Center, Office
2 of Generic Drugs, Biostatistics, and Center for Biologics.
3 So, it is really cross-Center, interdisciplinary.

4 [Slide]

5 One of the first things the working group did and
6 presented at that PhRMA workshop was the current situation
7 with PK/PD in our applications. What we did was survey
8 section 6 of NDAs for the time period of '95, '96, '97 to
9 get a status report on the frequency with which PK/PD is
10 being used as part of submissions. This is the data from
11 that survey.

12 There were roughly 316 NDAs or supplemental NDAs
13 in the database, and a number of those applications with
14 PK/PD respectively were 24 in '95, 12 in '96 and 32 in '97.
15 You can see the percentage of applications that contain this
16 type of information.

17 We did this survey to really get a benchmark to
18 compare future trends in the application of this
19 information, both in drug development and in submissions,
20 and it is hard to say what this means in an absolute way but
21 our intent is to continue looking at this information to see
22 if there is a trend upwards as the science, both of modeling
23 and biomarkers, evolves.

24 [Slide]

25 When we do have these applications with PK/PD,

1 most of them will contain one study per NDA. A few contain
2 more than that but, by and large, we are limited to one or
3 two studies.

4 [Slide]

5 Getting back to the consensus of that first FDA
6 PhRMA workshop which began really channeling into our
7 thinking on this backgrounder, the recommendations were to
8 communicate very clearly the regulatory receptiveness for
9 this type of information so that an argument could be made
10 for its inclusion in drug development, not only for
11 decisions made by the sponsor but also some value downstream
12 when it comes into the agency.

13 There was expression of concern about the
14 definitions and nomenclature, and the NIH conference in
15 April went a long ways to sorting that out. There was a
16 request to include examples and use of PK/PD in regulatory
17 decisions to the extent possible in the backgrounder; to
18 expand upon the ICH guidances, fill in the gaps where
19 information was not there or was unclear; to stress the
20 importance of the careful selection of the PD measure and
21 parameter to make it useful in terms of decision-making;
22 stress the main features of the model building process;
23 discuss the current thinking on the extent of validation of
24 models; and, finally, what are the submission requirements
25 and format for PK/PD studies into the NDAs. So, these were

1 the recommendations then of that first workshop that we
2 conducted in 1998.

3 [Slide]

4 A third expert panel occurred last night. After I
5 am finished Don will report on the proceedings of last
6 night's expert panel. I wanted to share with you here the
7 members of the expert panel from academia, industry and, of
8 course, we had a number of people from FDA present as well.
9 So, I will hold off on that and let Don talk about last
10 night's meeting.

11 [Slide]

12 As I mentioned, the PhRMA-FDA workshop was our
13 first step into the public discussion area. Last September,
14 in 1998, when we had a workshop on clinical pharmacology we
15 also, in association with that workshop, had our second
16 expert panel meeting. The members were similar to those on
17 the panel that we had last night. However, three members of
18 that second panel, Jaap Mandema, Terry and Steve, were not
19 present last evening.

20 [Slide]

21 That second panel meeting last September advanced
22 these ideas which, again, are building blocks for this
23 backgrounder in our package today. The recommendation was to
24 broaden the concept of PK/PD and PK/PD links, that is, to
25 think of it conceptually in terms of input and output, where

1 input is the exposure measures, parameters of the
2 pharmacokinetics and output is the response measures,
3 parameters and pharmacodynamics. There was renewed emphasis
4 on the need for careful definitions and nomenclature,
5 recognizing that cross-disciplinary communication is
6 important in this area of PK/PD, and again the emphasis on
7 use and examples and value came out of that second meeting.

8 [Slide]

9 We had a lot of discussion in that second meeting
10 on study design considerations, discussing it in terms of
11 exploratory and confirmatory studies. There was a lot of
12 deference to the ICH-E4 and FDA evidence guidances in terms
13 of potential study design considerations. We talked about
14 data analysis methods, models, assumptions, validation,
15 simulation and inferences. This panel sort of concluded that
16 we don't have any major technical hurdles out there if
17 conceptually we can agree on how to structure the study
18 design, the data analysis and then the interpretation.

19 [Slide]

20 A key part of that second expert panel was a
21 delineation of potential regulatory applications of PK/PD,
22 and we talked about implications in two areas. The first was
23 labeling and supporting approval; the other was supporting
24 market access. Some of the examples that were evidence in
25 that meeting and also in our backgrounder now is the ability

1 to extrapolate from an existing database that has
2 demonstrated evidence of efficacy and that extrapolation,
3 with the appropriate PK/PD bridge, can be in the approval of
4 modified release dosage forms, different doses, dosing
5 regimens, formulations, etc. There was also discussion of
6 interpolation of data within the range of doses studied in
7 the efficacy database to allow more individualization of
8 doses for special populations and to allow approval of doses
9 that were not formally studied within the Phase III efficacy
10 studies.

11 Finally, an important application was in the area
12 of special populations when we see a change in exposure with
13 regard to demographic factors, intrinsic factors such as
14 disease states, or extrinsic factors like drug-drug
15 interactions, PK/PD can allow for appropriate label
16 languages that relate to the dose adjustment in those
17 circumstances.

18 Now, sort of raising the bar a little bit, we
19 talked about market access and some of the precedents that
20 have used the non-model dose-response data for market
21 access. Then we moved into a discussion of new uses of
22 model-based PK/PD to bring more certainty to confirmatory
23 trials and play a pivotal role perhaps in that context of
24 confirmatory evidence.

25 [Slide]

1 Well, that is the history that brought us to where
2 we are today. And, when you think of that background
3 document in the package, think of it in terms of the
4 building blocks that preceded it. I am going to go briefly
5 into the proposed guidance, as I call it, speaking about the
6 backgrounder in Tab A.

7 [Slide]

8 Where we are currently at in that document is we
9 have included an introduction and background. The purpose of
10 the introduction and background is to really lay out the
11 purpose and goals of the guidance. We want it to be useful
12 to the industry. We want it to be useful to the regulatory
13 authorities when they are making regulatory decisions using
14 this information. So, the key goal here is value. We need to
15 have value and credibility in this proposed guidance.

16 We also go back, as we do many times, to the
17 regulatory authority to utilize this information for
18 regulatory decision-making, and you will see in there some
19 references to the CFR that provide a basis for applying
20 PK/PD information. We have also tried to link to the
21 preexisting guidances at the ICH and domestic levels and
22 build upon some of those statements in those guidances,
23 perhaps elaborating upon them some more, providing some
24 details that may have been omitted for various reasons, and
25 we are looking a little bit ahead to another ICH guidance,

1 called the common technical document. The common technical
2 document is something that is being proposed as a submission
3 vehicle for the three major regions of the world. In that
4 common technical document there are certain sections of it
5 that deal with PK/PD, and we envision some connection
6 between this backgrounder and eventually what comes out of
7 ICH perhaps in a year or two in terms of this common
8 technical document.

9 [Slide]

10 The next part of that backgrounder gets into the
11 input/output measures. We are speaking very broadly now. We
12 get into a discussion of the dose and concentration time
13 relationships and the need to carefully select those input
14 measures versus the intended use of the information when the
15 study is done. I think this is an important point.

16 We get into the response and response time
17 relationships, again emphasizing the careful selection of
18 the output measures and the various ways that those output
19 measures can be obtained.

20 Finally, in this section we get into linking PK
21 and PD and we amplify the use of PK/PD information and the
22 value that a pharmacokinetic-dynamic model can provide.

23 [Slide]

24 A key part of that backgrounder is the design of
25 PK/PD studies, and one of the points we raise here, which

1 hasn't really been discussed too much in previous guidances
2 in this area, is the notion of population versus individual
3 exposure-response relationships. The currently designed
4 Phase III trials generally are population type studies,
5 parallel design, single doses or limited number of doses
6 which provides at the end of the day an expression of a
7 population exposure-response curve. If we are thinking PK/PD
8 in more mechanistic terms, and even to the extent of
9 applying mechanistic models, one would find a lot of value
10 in individual PK/PD relationships, and we provide some
11 thoughts on that within the guidance for readers to think
12 about.

13 When we talk about measuring exposure from a
14 pharmacokinetic standpoint we put in a lot of the caveats
15 that need to be thought about in terms of getting to the
16 right active species. We also talk about time variant and
17 time independent measures of exposure both in terms of
18 single dose and steady state.

19 [Slide]

20 We elaborate a little bit on the sponsor in the
21 backgrounder, talking about general terminology, what we
22 means by these terms. I think this is going to be subject to
23 change based on the NIH consensus document that will be
24 published shortly. We would like to harmonize with the
25 definitions that are coming out of the NIH paper. Then we

1 talk about some specific measures, and go through a series
2 of response type measures -- continuous challenge, etc., and
3 so on.

4 [Slide]

5 The next section is the whole concept of modeling.
6 We provide a couple of general considerations -- the
7 importance of a model in providing a mechanistic understand
8 of exposure response; some of the characteristics of
9 modeling that allows for interpolation and extrapolation;
10 and some of the things to be thinking about if the model is
11 going to be intended to be used for simulation. So, this is
12 the sort of characteristics of a model for its future
13 application.

14 A key part of this section is the modeling
15 strategy and a prospective thought of stating the problem
16 accurately -- what do we want to know; very careful
17 statement of the assumptions that are built into the model;
18 selection of the model based on the data and the analysis;
19 and then, finally, something we call the validation of the
20 model for the purposes of prediction and simulation.

21 [Slide]

22 Finally, section VI gets into what is really the
23 heart of this guidance from a regulatory decision
24 standpoint. It lays out for the reader the opportunities to
25 apply this information for certain regulatory decisions, and

1 I think this is a very key part of the guidance. There is a
2 short section on drug discovery and development and the role
3 of PK/PD there. We don't elaborate on that. I think it is
4 self-evident to industry where it has a role.

5 I think what we tried to do is pay a lot of
6 attention to where PK/PD comes into play in regulatory
7 decision-making, and one of the primary roles is in the
8 determination of safety and efficacy. Exposure response's
9 position is playing a supportive role in this sense, for
10 example, when lesser certainty is appropriate based on prior
11 knowledge; when confirmatory trials are equivocal or
12 ambiguous; or perhaps when extrapolating to new patient
13 populations or populations with closely related diseases.
14 PK/PD in these situations can play a pivotal role.

15 [Slide]

16 Some of the areas where lesser certainty is
17 appropriate or PK/PD can play a bigger role are when we have
18 a good understanding of pathophysiology and the mechanism of
19 action; we have a surrogate endpoint that is an established
20 substitute for some clinical outcome. An example of that is
21 the accelerated approval regulations.

22 [Slide]

23 Other examples -- when confirmatory trials are
24 equivocal these are the possible situations that one would
25 encounter where PK/PD comes into play as supporting the

1 weight of evidence to make a final regulatory decision; and,
2 finally, when extrapolating to the new patient populations
3 which I previously mentioned.

4 [Slide]

5 Beyond market access there are some other
6 decisions that PK/PD can play a role in. It can support
7 doses and dosing regimens. These are some of the examples of
8 where exposure-response data is supportive and some of the
9 decisions that could be made using that information in terms
10 of the various areas listed here.

11 [Slide]

12 A key part of this guidance is the regulatory
13 application we think of in terms of adjustment of dose and
14 dosing regimen in subpopulations. I think this is an
15 important area because one of the decisions we make is the
16 label language for the dosing section of the label, and this
17 information can be used to establish boundaries for
18 therapeutic equivalence and provides a rational basis for
19 label claims. The label claim may take the form of no
20 adjustment is necessary in a drug-drug interaction or no
21 adjustment is necessary for a patient with renal impairment.
22 So, this application I think is an important application to
23 deal with exposure changes related to intrinsic and
24 extrinsic patient factors.

25 [Slide]

1 Finally, the backgrounder touches upon submission
2 information. Again, this is important from the standpoint of
3 reviewing information to have a consistent format. It is
4 important in terms of analysis of data in terms of
5 addressing certain questions, and we would like to be able
6 to have a consistent electronic study report.

7 What we have chosen to use for this backgrounder
8 is a model that has been developed under ICH. It is an E3
9 model for study reports. We are thinking ahead to the
10 harmonization via the common technical document. So we will
11 be looking to suggest electronic format files in this
12 sequence of information, which really relates in a lot of
13 ways to the model building process, to the study design data
14 analysis issues, and finally the proposed application.

15 [Slide]

16 Now these next steps I put on the slide were part
17 of the initial strategy for this initiative. I think it is
18 overly ambitious. We currently are having this backgrounder
19 reviewed by the Office, representing it here today for some
20 general comments. The next step during the month of October
21 is to present it to the members of the Medical Policy
22 Coordinating Committee which is made up primarily of
23 representation from our Office of Review Management. We are
24 also going to present it widely in the Center to division
25 directors at a meeting coming up in October as well.

1 panel. They have worked late and long to be able to both
2 make this presentation and get us together. And, also my
3 colleagues, some of who came on very short notice. The
4 actual list of who was involved in these discussions is
5 somewhat different. In addition to some of the names
6 indicated in your handout, Les Benet, Lou Sheiner was also
7 present last night, Bill Lebling, Marl Sale and Sandy
8 Allerheiligen were also part of the deliberations.

9 We finished at ten o'clock last night, and by six
10 in the morning we got some slides to show you and some
11 overheads. So, I want to share some of the thinking of the
12 group. I also want to recognize that many of the thoughts
13 and ideas will take a period of time to both formalize with
14 Larry and Peter in the Center, and to then go ahead and
15 appropriately integrate into this document. Some of what I
16 am going to show you may not get in this current version.
17 There were a lot of ideas, a lot of comments, and the time
18 frame of this document may not allow all of it to become
19 integrated in this first pass.

20 [Slide]

21 One very early comment that the group made had to
22 do with the nomenclature. The term exposure response is new.
23 It is a term that Lou Sheiner has coined in some of his
24 publications, but it is a term that I think the industry may
25 be less familiar with, certainly people outside of this

1 scientific discipline. We think that it is a better
2 terminology than necessarily PK/PD. It is more encompassing
3 in terms of including both dose, and blood level, and other
4 metrics of what the body sees, and response is more
5 appropriate also relative to dynamics. But we are going to
6 have to carefully educate this new terminology to the
7 community.

8 The issue of validation, evaluation, qualification
9 and calibration was a very sensitive one, and we will see a
10 little later on, when we talk about the various surrogate
11 markers versus biomarkers, that the issue of validation is
12 very loaded. It has a lot of intense meaning, and it may be
13 necessary to use some other softening terms even as Roger
14 did, something that gives you a little more band width in
15 terms of what actually is being demonstrated.

16 These discussions may have to be integrated with
17 other components of the agency, and certainly the clinical
18 and the biostatistics communities.

19 [Slide]

20 What became clear last night as we discussed
21 issues is as PK/PD becomes more ingrained in drug
22 development it has significant implications on study design
23 issues. In other words, in the past there has been a
24 tendency to try to take traditionally designed trials,
25 measure blood levels and retrospectively extract some sort

1 of PK/PD relationship when things didn't go right, or when
2 you had an extra person to do it. It is kind of the data
3 dredging retrospective approach which has worked and has
4 been helpful, but much of what we are talking about in the
5 future, and what we talked about last night, involves
6 prospectively designing these studies, certainly for the
7 supporting efficacy, in essence creating a whole new
8 paradigm of studies that are based upon PK/PD relationships
9 and the definition of which you are going to do before you
10 do it, not after.

11 I think Carl Peck may want to speak to this later
12 on because this is something that is important and sensitive
13 to him. So, it means that we may be looking at a different
14 kind of Phase III trial in the future, one that is going to
15 involve model-based parametric approaches to the data
16 analysis that will be different from the traditional
17 intent-to-treat and one that will basically explicitly state
18 what the modeling and simulation is going to do before the
19 data is actually gathered.

20 This, again, has a lot of implications for drug
21 development, and some of this will be in the guideline but
22 probably not all. It will take a longer period of time to
23 evolve what we mean by this.

24 [Slide]

25 A lot of discussion on surrogate versus

1 biomarkers, a recognition that the industry, in fact, is
2 probably one of the unique collection points of gathering
3 this data as drug development proceeds; a recognition that
4 Greg Downing and his NIH efforts are really very
5 fundamentally important here; and that basically this
6 document is going to borrow from the NIH efforts in
7 surrogate and biomarkers and will be consistent with what
8 they do; and that basically we are going to enhance the
9 description of biomarkers versus surrogate markers, the
10 linkage of these in several ways in the document.

11 The issue of what is the industry responsibility
12 for gathering this body of knowledge probably won't
13 necessarily be in the document but, clearly, is a discussion
14 point. In other words, if this information is being gathered
15 it needs to be used. Basically, society needs to understand
16 the ability to use the linkage of the ultimate clinical
17 endpoints to surrogates and biomarkers, and this needs to be
18 part of drug development in the future.

19 [Slide]

20 The modeling, again, had a lot of discussion. We
21 had a group of dedicated, hard-core modelers in the room,
22 realizing basically that skill base of modeling and data
23 analysis is highly variable both in companies and in the
24 academic world.

25 One important point came up, and this had to do

1 with the development of good modeling and simulation
2 practices. Already there is a draft document that Carl Peck
3 and the CDDS has developed, which will become an important
4 starting point of how to think of modeling and simulation,
5 and the degrees in which this predescribed methodology will
6 become, again, referenced and leveraged in this draft
7 document was something that, again, will be considered.

8 Again, another very important principle,
9 prospective data analysis plans in model development, and
10 very clear descriptions of what kind of model do I have? Is
11 it empirical? Is it mechanistic? Time factors and both the
12 strengths and the weaknesses of models need to be indicated
13 if these tools are being used as we are proposing.

14 So, we can see that there is going to be much more
15 quantitative thinking and objectivity in the issue of models
16 in the future than what we have had in the past, and maybe
17 Louis can speak to that a little later on.

18 [Slide]

19 Some technical issues which we struggled with but,
20 again, couldn't resolve last night are how do you evaluate a
21 model? What became clear is that model evaluation can be
22 very dependent upon the specific application one has. The
23 ability to evaluate dose blood level and bioequivalence
24 models isn't the same with dynamics because of the highly
25 variable degree in which the drug effect, either biomarker,

1 surrogate or true clinical effect, relates to the available
2 model. So, we are going to have to think carefully of how
3 different kinds of models get evaluated, and issues such as
4 prediction error versus the measure to be predicted will
5 need to be evolved. Again, I don't expect this to be highly
6 described in the current document but represent future
7 thinking.

8 [Slide]

9 The confirmatory evidence and the role of PK/PD
10 modeling is going to be emphasized much more in the document
11 when it is released compared to what we have now. Carl Peck
12 pointed out in our meeting that the reference to the Food
13 and Drug Administration Modernization, as Roger alluded to,
14 is very clear from Congress, the need for stronger
15 discussions, more mention of the fact that this is
16 fundamental in pediatric, and potential confirmatory for new
17 formulations. So, the document will have a strengthened
18 section on the confirmatory role of PK/PD information in
19 basically market opportunity.

20 [Slide]

21 In terms of where do we see all of this going,
22 basically we are going to clearly try to spend more time on
23 the role and integration of kinetic and dynamic modeling in
24 study design methodology. We mentioned that earlier,
25 specifically in Phase II/Phase III methodologies. The whole

1 issue of evaluating models and the methodologies to do that
2 need to be better defined and evolved.

3 In the document we are going to try to give as
4 many real-life examples as possible of where the agency has
5 used PK/PD information in its decision-making because the
6 examples become a good reference point of what might be done
7 in the future. Then, again, a clarification of the role of
8 what is a confirmatory evidence when using PK and PD
9 information.

10 [Slide]

11 So, finally, we felt at the end of the evening
12 that there is a lot of value in using PK/PD information in
13 regulatory applications. This value really starts in the
14 whole drug development and, in fact, is an integral
15 component of it. The value will be evident in terms of
16 market access, the ability to use it to access markets in
17 ways that we haven't before. Basically, we will be
18 developing potentially in the future guidances on study
19 design, model building, and regulatory applications.

20 So, I think that we ended the evening tired, kind
21 of exhausted but, at the same time, looking forward to the
22 ability of this quantitative clinical pharmacology
23 quantitative science being much more a part of both drug
24 development and regulatory approval. Thank you.

25 DR. BYRN: Questions for Dr. Stanski? I might ask

1 my colleagues on the expert panel if they have any specific
2 thoughts that they would like to raise. This may be a good
3 time because some of them have been seminal in this
4 thinking. Carl? Lou?

5 Why don't we just make these presentations part of
6 the open public hearing since no one submitted their name.
7 If you will just come to the microphone and identify
8 yourselves, and maybe we will go for five minutes. Is five
9 minutes enough time? No more than five minutes per person.

10 **Open Public Hearing**

11 DR. PECK: Thank you. My name is Carl Peck. I am
12 from Georgetown University at the Center for Drug
13 Development Science.

14 First of all, I want to commend Roger, and Larry,
15 and Peter and their colleagues at FDA for what I think is a
16 very bold advance of the application of this maturing
17 technology in the realm of regulatory and drug development
18 science. I certainly want to encourage them to bring this
19 particular document to full publication so that it can be
20 utilized in these areas.

21 I also want to commend you, Don and Peter, for
22 spending your night up after coming in from the West Coast
23 yesterday. You captured actually every single one of my
24 inputs from last night so I have nothing new, but I do want
25 to emphasize the two pieces that you assigned me.

1 The document is weak at the moment in the area of
2 design of experiments to gather PK/PD, and the
3 exposure-response relationship. As you point out, the
4 typical practice in the past has been to extract this
5 information retrospectively from studies that were designed
6 for a different purpose. I think therein lies one of the
7 major sources of lack of perhaps serious respect for this
8 information that statisticians and other scientists have in
9 that it is a retrospective approach. So, in the spirit of
10 good science, we know that to optimize the value of an
11 experiment we design in advance prospectively what we want
12 to get out of it, and create the design to match that. So,
13 the use of titration designs, dose or concentration control
14 designs that specifically seek to establish the
15 concentration effect relationship is, I think, key to
16 minimize the bias.

17 Inherent in that is a prospective plan. This was
18 very eloquently argued by Lou Sheiner last night, that a
19 prospective plan with announcement of the goals of the
20 experiment and the analytic tools that will be used is
21 really key to the valid extraction of the data and to the
22 respect that this information will gather from it. Thank
23 you.

24 DR. BYRN: Any other of my colleagues who would
25 like to have a word? Louis? You have never been speechless!

1 He is being modest today! Okay, thank you very much. As I
2 said, we haven't had any request to speak. Are there any
3 people who would like to speak in the open public hearing?

4 [No response]

5 Then let's move ahead to the committee discussion.
6 Larry, do you want to restate what you said that your goal
7 is?

8 **Committee Discussion**

9 DR. LESKO: Yes, the goal here with the discussion
10 is to get from the committee members any general
11 observations they have of that backgrounder with respect to
12 its utility in drug development and its application to
13 regulatory decision-making. More specifically, after looking
14 at it, are there elements that are missing, or are there
15 some issues that ought to be discussed in more detail? Are
16 there some things that are just unclear? So, I think we are
17 looking for really a sort of qualitative view of that
18 document and any comments along those lines.

19 DR. BYRN: So, does the committee want to go
20 through the backgrounder, or do you want to just discuss
21 issues that are on your mind? Okay, we are going to discuss
22 issues on our minds. So, the floor is open. Robert?

23 DR. BRANCH: I would like to compliment the
24 development of this idea. I think this is one of the most
25 exciting innovations that has come along in a long time

1 because I think that it is really providing a basis for
2 industry to change certain amount of its strategy in terms
3 of drug development. I think it really addresses the issue
4 of the current major strategy of trying to develop drugs
5 where one size fits all, and move to the realities of life
6 of individualization, and provides a powerful incentive to
7 do so because of the ability to use confirmatory evidence of
8 a well-designed study.

9 I think that the particular potential benefit of
10 note in this, what I think the expert panel pointed out last
11 night, is the incentive now to develop trials that are
12 designed to use this approach intelligently. So, it will
13 actually change the structure and style of the confirmatory
14 studies. I agree with Roger that confirmatory is a very poor
15 adjective for what is a much more exciting potential, and
16 some change in that language would really benefit the
17 discipline.

18 I would also like to congratulate the expert panel
19 on this move to move away from PK/PD, which is jargon, to
20 exposure response, which is English. It also happens that ER
21 is shorter than PK/PD in people's language, and it will be
22 interesting to see how long that transition takes. But I
23 think that we have really done ourselves a disservice by
24 using PK/PD, and I propose to actively promote this idea of
25 going to exposure response.

1 I think this is one of the more exciting
2 presentations and initiatives that I have had the pleasure
3 to listen to. I have one question, and I am sure it was
4 discussed at the NIH conference, but I think it will become
5 the major issue in terms of regulation, and that is, how do
6 you define a biomarker as contrasted to a surrogate marker?
7 It seems to me the key definition of the surrogate is that
8 the surrogate actually has meaning with response to your
9 final desired therapeutic effect. So, how you graduate a
10 measure from one echelon to the next I suspect will become a
11 major issue in drug development, and I would be interested
12 to know what the expert panel thought about that particular
13 issue.

14 DR. BYRN: Don, do you want to answer that, or
15 select one of your committee?

16 DR. STANSKI: Greg Downing, from NIH, do you want
17 to respond to that? Greg organized a major, almost
18 international committee on this topic, and I think was
19 representing this area last night.

20 DR. DOWNING: I am Greg Downing, from the Office
21 of Science Policy in the Director's Office at NIH. We have
22 quite a number of activities related to biomarkers and
23 surrogate endpoints and definitions, held by a number of
24 advisory groups to Dr. Harold Varmus on this issue to be
25 helpful in clarifying the discussions that go on regarding

1 the approval of medications and therapeutic interventions,
2 but also developing the scientific framework from which
3 decisions are made, and recognizing that the discussions
4 involving biomarkers and surrogate endpoints expand far
5 beyond the regulatory approval processes and also have other
6 areas of importance in decision-making on basically clinical
7 perspectives.

8 I have an overhead that I guess would be all right
9 to present. This group met almost 15 months ago and has gone
10 through a number of drafts addressing definitions that have
11 been used historically, and found that there is a wide range
12 of terms that have been used by different disciplines, such
13 as intermediate endpoints and biomarkers and surrogate
14 markers, and so forth.

15 [Slide]

16 This is a rough schema of what we have come up
17 with and, again, represents discussions from
18 biostatisticians, drug developers, regulators and a variety
19 of people, and we hope that this will be a publication
20 relatively soon.

21 First of all, the emphasis on the term surrogate
22 has been somewhat tarnished in the literature and we
23 emphasize that the word surrogate is really intended to mean
24 substitute for something. The group felt it is important to
25 indicate what that substitution is representing. As was

1 pointed out, the confusion about surrogate markers is
2 somewhat challenging, and the group actually discourages the
3 use of this term, and prefers to use biomarkers as those
4 things that represent a variety of things that can be
5 measured to represent pathophysiologic, pharmacologic,
6 physiologic events. I won't put the definitions up for these
7 today, but there will be discrete definitions that will fit
8 these terms.

9 The group also distinguishes that there are
10 markers that can represent both efficacy of an intervention
11 as well as toxicity or adverse events that are unintended
12 from the intervention.

13 A subset of what we refer to as biomarkers, and
14 these are anything that can be measured discretely in human
15 response, meant to include a wide variety of measurable
16 variables including behavioral and other kinds of
17 assessments of how interventions affect the human.

18 A subset of biomarkers may have the potential to
19 reach the status as a surrogate endpoint, and the evidence
20 to accrue that has to be linked in some capacity to clinical
21 endpoints in the long run. So, this is really a critical
22 step as to what kinds of evidence needs to be accrued to
23 reach this status. Based upon the evidence gained from
24 establishing a surrogate endpoint, both from efficacy and
25 toxicity aspects, can be the basis for a regulatory decision

1 or provisional evaluation of efficacy and toxicity.

2 Based on continued accrual of evidence, as we have
3 seen in many other cases of surrogate endpoints used, that
4 we continue to assess what is referred to as a benefit to
5 risk ratio. This is by continued observations in the
6 literature, meta-analyses, a variety of approaches in which
7 ultimately clinical endpoints of toxicity and efficacy are
8 integrated so that we ultimately have some discussion about
9 what the true benefit of the surrogate endpoint is in
10 assessing the clinical outcomes of disease.

11 The paper will describe some of these processes
12 and provide some examples of biomarkers that have reached
13 the status of surrogate endpoint, but the committee overall
14 felt that the term surrogate marker was really somewhat
15 awkward and that the word surrogate means to substitute for
16 and, in this case using the word surrogate marker indicates
17 that you are substituting for a marker, which is actually
18 opposite of what people actually intend.

19 So, in sum, there is a large group of things that
20 are biomarkers for many, many diseases. Some of these have
21 the potential to represent surrogate endpoints.

22 I will be happy to try and clarify any other
23 issues at this point.

24 DR. BYRN: Greg, I have a question related to -- I
25 was thinking of the term validation is what you are calling

1 continuing assessment of benefit to risk ratio. How does
2 benefit to risk ratio and validation come into this are?

3 DR. DOWNING: The group feels that the term
4 validation in itself reflects primarily a statistical
5 connotation. Overall sentiments, if you will, are that the
6 term evaluation represents not only statistical relationship
7 of the marker to a clinical outcome when affected by an
8 intervention, but also includes factors of biological
9 plausibility and other kinds of supportive evidence, not
10 just a statistical relationship between a correlation, if
11 you will, of the marker's response to an intervention and
12 the ultimate outcome.

13 So, the evaluation is a term that they would like
14 to use as the overall process. Certainly, the statistical
15 validation is an important component of that. Those are sort
16 of the terms that the group has felt to be more meaningful.

17 DR. BYRN: And then, does the benefit to risk
18 ratio -- what does that represent?

19 DR. DOWNING: We have struggled with this a lot,
20 and alternate terms have been used here. It is basically a
21 global assessment of how interventions affect a particular
22 surrogate endpoint. Often what this refers to is how a
23 particular marker behaves over a variety of classes that
24 will affect the clinical outcome. I hesitate to utilize this
25 one but, as an example, cholesterol has been recognized as a

1 surrogate endpoint for a number of different types of
2 interventions. But that particular marker as a surrogate
3 endpoint behaves differently under different classes of
4 therapies. This is a process that goes on throughout the
5 medical literature, consensus conferences, a variety of
6 other approaches in which bodies of science come together to
7 evaluation a mass of data, either through meta-analyses or
8 other kinds of processes to ultimately try to understand how
9 that surrogate endpoint plays through the whole realm of
10 therapeutic interventions in that particular class of
11 therapies.

12 DR. BRANCH: Is there any prospective planning to
13 maintain the NIH-FDA cross-communication so that when it
14 comes down to decisions being made on specific drugs the
15 elements of this consensus are still reflected?

16 DR. DOWNING: Well, we are always open for
17 suggestions. There have been lots of meetings and dialogues
18 with industry. We have been spending a great deal of time
19 gathering lots of opinions and concepts about how to better
20 organize science.

21 The emphasis, I think, clearly has been, from an
22 NIH perspective, in helping address this issue of
23 characterizing, classifying, defining biomarkers in specific
24 disease areas. As an example, we have been working in a
25 number of disease areas to arrange conferences and meetings

1 with industry to help identify candidate markers and
2 prospectively evaluate them into categories that will be
3 useful in drug development, both in the preclinical phases,
4 early phases and, as the science matures, evaluating them as
5 surrogate endpoints.

6 I am not at liberty to discuss a lot of those
7 examples right now but, for example, next Monday and Tuesday
8 the National Institute on Aging is sponsoring a workshop
9 meeting with a number of industries and scientists in this
10 area to look at Alzheimer's disease biomarkers, and how to
11 organize the science to evaluate them in a more efficient
12 fashion; rather than having a variety of different studies
13 going on, trying to do this as a collaborative unit that
14 will ultimately form a framework for assessing markers as
15 candidate surrogate endpoints.

16 These are all models that are evolving and we
17 think that it offers a unique opportunity to facilitate drug
18 development from a public health perspective, as well as
19 helping organize the information in a more reasonable
20 fashion for regulatory decision-making. We would welcome any
21 comments or suggestions for how to organize this a little
22 more efficiently.

23 DR. BYRN: Any other questions for Greg?

24 [No response]

25 Thanks very much, Greg. John?

1 DR. DOULL: Steve, I want to share Bob's
2 excitement about this development. It is a very exciting, it
3 is a very novel, it is a very new approach. It is going on
4 in some other areas. I have been involved with some
5 toxicology program things, in fact, which are similar to
6 this. The SOT, for example, is planning symposia for the
7 next meeting that are going to deal with some kind of
8 similar areas.

9 In talking to some of those people, they are using
10 the same concepts but the terms are a little different. They
11 are talking about toxicokinetics and toxicodynamics, and so
12 on. I think the argument that we need to have common
13 nomenclature, and that we need to talk to each other so we
14 don't get crosswise with terms is an important one.

15 Bob mentioned PK/PD for example. You know, when
16 you first look at that you think PB/PK, which is kinetic.
17 Those mnemonics I think are not helping us. We do need to
18 talk in English, an exposure response is a great suggestion
19 to do that.

20 The one thing that I find particularly exciting
21 about all this is tox. and pharmacology, of course, years
22 and years ago were common. They were all in pharmacology and
23 they spread apart. I see this as a real powerful tool to
24 bring those two disciplines together again. We are talking
25 about effect, whether it is therapeutic effect or toxic

1 effect, and if the concepts bring us together I think that
2 is desirable.

3 So, I guess my recommendation would be that we
4 need to pay attention not only to what is going on here
5 around the Beltway but also out there in the rest of the
6 world in terms of how these concepts are developing. I think
7 we need to facilitate, as best we can, those developments
8 because they will help us substantially in all of our
9 disciplines.

10 DR. BYRN: Mary?

11 DR. BERG: I too am excited about this because I
12 think of it from several aspects. First of all, for the
13 public health, essentially helping all the different
14 populations in the United States and thinking about the NIH
15 workshop that was in May, sponsored by the Office of
16 Research on Women's Health, and co-sponsored with the FDA
17 and eleven other institutes within NIH because when you look
18 at subpopulations you start thinking -- I will still use the
19 old terms right now -- PK/PD in regards to looking at gender
20 differences; thinking sometimes with regards to men and
21 women may have different kinetics and also may have
22 different dynamics, but actually they may negate one another
23 and so there may not be any dosing change, or you can think
24 of other things occurring in regards to that combination of
25 PK and PD in regards to what would occur with those dosing

1 changes. Then, obviously, you can expand it to age and,
2 obviously, to the different ethnic groups.

3 So, from a public health point of view, it really
4 individualizes the patients and medication. So, I think it
5 is excellent with regards to the FDA here.

6 Also, getting to Bob Branch's comment when he
7 asked the question about, gee, can NIH work more with FDA,
8 and I truly think by having this type of science of
9 combining PK/PD, to me, it would further the dialogue and
10 really allow more inter-agency -- I hope it would -- contact
11 with regards to the science because, again, you are getting
12 back to the bottom line -- excellent public health because
13 you are individualizing the dosing information. Thanks.

14 DR. BYRN: Lou Sheiner?

15 DR. SHEINER: I am Lou Sheiner. I am on the expert
16 panel. I think there is an issue that was brought up but
17 that was not sufficiently emphasized, and I don't have any
18 solutions but I think you just began to focus on that again.

19 If I can turn to the three questions that Roger
20 keeps on attributing to me, I think they are very relevant.
21 Remember, when I first put out these three questions, it was
22 these are the three questions that the subject matter, the
23 domain expert people have to answer before you can turn the
24 issue over to the technicians to tell you how to do it: What
25 do you want to know? How certain do you need to be? And,

1 what are you willing to assume? What are you willing to
2 assume means what are you willing to say that you know
3 already so that you are not going to ask that of the new
4 studies.

5 Those are domain-specific questions or, in this
6 context, regulatory questions as well. Once you answer those
7 three questions you can go to the statisticians, you can go
8 to the modelers, you can to all the people -- the computer
9 scientists, and say can we do this? How well can we do it?
10 How good are the procedures we have for creating that piece
11 of knowledge that we want, with the certainty that we want,
12 under the constraints we have to operate, and how well will
13 these things work? Can we do it? And, so on.

14 Today we are kind of overwhelmed with technical
15 possibility in this area because of the advent basically of
16 massive computing capacity on everybody's desk. That has
17 just completely changed what we can do in terms of
18 extracting information from experience.

19 When you get into this area and the idea that you
20 are going to use scientific-based models to be both credible
21 and to be more efficient in the process of understanding
22 what drugs do, then the question that becomes much more
23 essential than it ever was when you simply looked at an
24 empirical study and said I want to analyze this study to see
25 whether it supports or not a particular hypothesis, the

1 question that becomes absolutely key is that third question,
2 what are you willing to assume?

3 When you do an empirical study that is addressed
4 to a specific question, you do not have to assume anything
5 almost once it is assumed that the randomization worked and
6 that your measurements measured the things that you thought
7 that you did. And, that is about it.

8 Now, when you start to do this, what we are
9 talking about now, that becomes the key thing -- what is the
10 known science? What can you rely on? What can we say we
11 know already? With what degree of certainty? In fact, the
12 Bayesian framework is really the only intellectual framework
13 that exists to handle that particular problem. You can't do
14 it with any other sort of epistemological framework because
15 you need to build in prior knowledge, and you need to be
16 able to say about that prior knowledge that you are also
17 uncertain of that. That is what the Bayesian system does, it
18 allows you to say I think I know this but I know this within
19 certain bounds and I want to measure that with my data.

20 So, without getting into that part, the part that
21 I want to focus on is that we have had, and maybe for good
22 reason, a kind of dissociation between best scientists, the
23 domain experts and the folks who know the technology, the
24 modelers and the statisticians. And, this is not going to
25 work in the future. So, I see that as the biggest challenge

1 of this, and I see that it comes in, you know, sort of as
2 the rubber hits the road, at the FDA because they have to
3 approve a drug perhaps, or they are opening the door to
4 approve the drug. They are involved in interpretation of
5 data that requires an assumption about science, done with
6 techniques that are highly technical and require people who
7 are experts in that to tell them whether those techniques
8 work. And, we don't really have a good mechanism in the
9 scientific community -- I think the regulatory community may
10 be better than elsewhere -- to handle that dialogue, to get
11 the domain people to understand what the technical people
12 can do, to get the technical people to feel comfortable
13 enough with complex domain models rather than saying, "well,
14 I don't really understand that science so I am just going
15 to, you know, do a linear model or do a polynomial model or
16 that kind of thing." That is just not going to fly.

17 I don't exactly know what the solution to that is.
18 But I am concerned because I haven't seen that -- you know,
19 I have seen a few people who bridge both worlds but I
20 haven't seen the development of systems that make these
21 people, who are separate domain experts and are not going to
22 learn each other's world too well, work together in a way
23 that produces the kind of synthesis that we are going to
24 need. So, I don't have a solution. I don't know what the FDA
25 can do about it, but I do think that that is the crucial

1 issue.

2 DR. BYRN: Mary?

3 DR. BERG: Dr. Sheiner, I think that really gets
4 back to what we were talking about with regards to the
5 inter-disciplinary approach. It really is that paradigm
6 shift that is forcing all of us, whether on an expert panel
7 or an advisory committee or whether it is an agency one is
8 talking about, it is a whole shift in thinking that we have
9 always had our scholarly independence. Now we have to work
10 together even to get our institutions back at our
11 universities to recognize that interdependence that we have
12 gone on for twenty, thirty, forty years never having to
13 think about. So, it is truly a whole new thought.

14 DR. BYRN: Robert?

15 DR. BRANCH: In terms of following out your
16 thought, Lou, you are talking about the mechanics of how you
17 get into the modeling. That really goes back to the
18 discussions that are going on between the NIH and the FDA as
19 to what is the premise of the assumptions that go into the
20 initial study design. How do you use cholesterol, for
21 example? Does cholesterol for that particular agent -- is it
22 a good marker in that particular instance or is it a poor
23 marker for a select drug that is going through?

24 It seems to me that right now the door has been
25 opened to industry to take an approach, but there is a high

1 risk that is going with that because of the underlying -- is
2 there consensus of the underlying premise.

3 So, is there a way that some of these ideas can be
4 thought about, discussed in advance? As this new paradigm is
5 being developed, I think that this idea of the NIH taking
6 its Alzheimer's disease or its asthma NIH-sponsored group
7 and getting them together with industry is a great way to
8 start, but out of that would the FDA accept a consensus
9 opinion the way science is now because we are working with
10 uncertainty. We are working with a continuously changing
11 frame of reference and basic amount of information. If you
12 are going to development a new drug and it is going to take
13 two to three years, in two to three years time the science
14 may well be advanced quite substantially and there would be
15 a different baseline at the time of the evaluation.

16 So, it seems to me that for this approach to be
17 successful, it really does require a larger group of people
18 to come together to create a sense of consensus. If that
19 doesn't happen, then the expectations and the hopes with
20 this approach will be sort of damaged by some examples that
21 will go through where there is a lot of good intent but it
22 doesn't work out in practice.

23 So, I think the way that these guidances get
24 translated into practice is going to be very important in
25 terms of making this a successful way to development drugs.

1 DR. STANSKI: One way to link what Bob is saying
2 and what Lou is saying has to do with the prospective trial
3 -- in fact, Carl's comment. In other words, if a drug
4 development program comes in and say prospectively, before
5 they do the work, this is the current body of knowledge;
6 these are the markers we propose to use; here is how we are
7 going to analyze and validate them; and here is the
8 distillation of knowledge, would you find this acceptable?
9 And, the agency reaching out to wherever it needs to reach
10 to get the domain expertise and get them in a room, just
11 like we are all here, makes the decision of saying, yes, we
12 will approve what you are going to do and if the results end
13 up as you predict, you will have success in terms of the
14 regulatory hurdle. That may be the way to provide the
15 incentive for the work.

16 The problem has been that it has been
17 retrospective. In other words, after the work has been done
18 there is a lot of digging, shuffling and hand waving to try
19 to explain, and there is a degree of suspicion that is never
20 overcome. But prospectively it is a contract between the
21 agency, NIH and the industry to go ahead and say if we do
22 this, will you -- and if the answer is yes, you go ahead.

23 DR. BYRN: - Roger?

24 DR. WILLIAMS: Several things are kind of rattling
25 through my brain, and I want to say that the committee's

1 comments and those of the expert panel I think are really
2 terrific, and we do want to look at the public record and
3 see what this discussion is.

4 Just to share some thoughts about this, I think I
5 almost feel sometimes, thanks perhaps to the NIH conference,
6 that we sort of know what we need to do and the real
7 question is how to get it done, which becomes more of a
8 process question. But if I could look forward into the next
9 two or three years, could I imagine an agency guidance that
10 would say here is how you evaluate a surrogate marker -- can
11 we imagine that? As I listen to people like Louis and Scott
12 Zezer and some other people talk, I could imagine it. And,
13 that would be a very powerful guidance.

14 Would it come out of this committee? I am not so
15 sure about that. But it goes back to what I said in my
16 beginning statements, you would have to bring in the domain
17 experts, and our domain experts are in the fifteen review
18 divisions. But it is a very powerful concept. I sort of have
19 the feeling we know what to do.

20 Now, when I think about knowing what to do it
21 leads into another challenge, which is that my understanding
22 is as you evaluate a surrogate you are going to need a lot
23 of data about the surrogate vis-a-vis the clinical outcome
24 that you care about to see if it can be a substitute. And,
25 the question is where does that data come from? Well, some

1 of it can come from the government, and I understand that
2 NCI is thinking about this in a very sensible way. But,
3 obviously, it also would come from the people who do this,
4 who are the pioneer industry.

5 There you sort of get into one of the challenges
6 of our society, which is public access to this information.
7 You know, the agency is very sympathetic and sensitive to
8 what we call confidential commercial information, and I can
9 imagine that a sponsor, after they went to a lot of trouble
10 to evaluate a surrogate, might not be so willing to share it
11 publicly. Steve can imagine that too.

12 DR. BYRN: I am sure they wouldn't because this
13 would be very valuable researching for the next generation
14 of drugs, and so on and so forth.

15 DR. WILLIAMS: But if I had to move us
16 collectively as a society beyond that, I would argue even
17 for industry the value of perhaps creating a way to share
18 this publicly would be tremendously to the specific pioneer
19 who did the work as well as everybody else. But you are
20 getting into a very general societal debate that is
21 certainly beyond anything that I am responsible for.

22 DR. BYRN: We might be able to argue that just the
23 lead time in knowing that first was worth it. Do you see
24 what I am saying? I mean, they would have to evaluate
25 economically, but it would seem to me that the lead time --

1 they would know first that it was truly a surrogate marker.
2 Do you see what I am saying? So, they could do a number of
3 studies prior to it becoming generally known.

4 One other thing I wanted to suggest, it just
5 occurs to me even the computer programs that we are using to
6 analyze these data are under development, I assume, and
7 there is a question about validation in this technical area
8 even of those programs. How do we know that everybody is
9 using a program that does what it is supposed to be doing?
10 And, we are going to need experts to come together and help
11 us with that. Go ahead, Gloria.

12 DR. ANDERSON: I would like to commend the
13 committee for the approach that it is taking in this whole
14 area. I am particularly pleased that you are going to take
15 PK and PD, I guess, to English. I am a physical organic
16 chemist and I have been struggling to remember what PK and
17 PD stand for. So, English would be helpful.

18 As a physical organic chemist, one, I assume that
19 you are going to use the modeling, or that the modeling will
20 be particularly helpful in terms of a predictive kind of
21 model for what you are doing. In one of the slides, and I
22 don't remember what the statements are but there is a bullet
23 that deals with empirical versus mechanistic models. In
24 terms of the PD and the PK, I think about chemical reactions
25 in those respects. I wonder how you are going to -- maybe

1 you haven't gotten to this point, but I wonder how you are
2 going to deal with all the parameters, all the variable that
3 come into play when you change from one drug to another. I
4 mean, will that be done statistically, if it can be done, or
5 how are you going to eliminate parameters so that you get an
6 equation that is manageable? And maybe you haven't gotten
7 that far but it is something I would think of based on the
8 way that I have to do things, and I have much more control
9 over the systems that I used, the solvents and all the
10 interactions. There is a question in there somewhere I
11 think.

12 DR. LESKO: I will start answering the question
13 and then maybe turn to Peter for some more technical
14 response. But I think we are both talking about the same
15 thing in terms of the value of having a model that has some
16 mechanistic meaning to it. Certainly, an empirical model is
17 one where we have an input and output, and perhaps that
18 output is predictable from that empirical model. But I think
19 as we get into PK/PD the value of having some mechanistic
20 models that give us insight into the drug's mechanism of
21 action or the therapeutic target is well worthwhile.
22 Certainly, the parameters from such a model would have some
23 therapeutic meaning, I would think.

24 But I think the next set of questions is the use
25 of the empirical model versus mechanistic model for

1 subsequent predictions. Maybe I will let Peter answer that
2 one. Was that kind of the thought you were getting at?

3 DR. ANDERSON: Well, yes, to some extent. I was
4 thinking more in terms of, I guess, generalizations because
5 if you move a functional group around on a drug it does
6 something else and it becomes very difficult. Given the
7 medium that the drug reactions take place in, it becomes
8 very difficult to predict what is going to happen. I mean,
9 maybe that is what the study is about, to figure all of that
10 out.

11 DR. LESKO: Yes, I think to some degree the study
12 is designed in such a way that one takes that transformation
13 into account in the kinetic part of that model. In other
14 words, what is the material that is input, and what is the
15 conversion that is important there? What is the species that
16 is responsible for the mechanistic action? I mean, I think
17 that is thought about and taken into account to the degree
18 we can.

19 DR. ANDERSON: And then we throw in the
20 discussions about the individual versus the population and a
21 whole number of other variables and we have a real problem.

22 DR. LESKO: It is possible to sort it out with the
23 framework of thinking which is important in terms of what is
24 the question, and so on. And the question to something like
25 that, I believe, would be, you know, what is the variable

1 that you are interested in, in terms of that input/output
2 relationship, and taking that question in designing that
3 study prospectively to address it.

4 DR. BYRN: I think Lou Sheiner has a comment.

5 DR. SHEINER: That is right on. That is one of the
6 big questions in modeling, in a sense, what scale are you
7 trying to model at? Clearly, if you are trying to talk about
8 the marginal distribution of something, the average across
9 the population of some gross feature like living or dying,
10 writing a model in terms of molecules makes no sense. It is
11 just like when you are building a bridge you don't write
12 models about molecular structure of the materials.

13 It is fortunate in a way that the world is kind of
14 hierarchical and operates on multiple scales, and there is
15 almost a discontinuity between those scales. So we can take
16 advantage of that.

17 The other, more technical point again gets back to
18 the idea of a Bayesian sort of an approach. You can have lots
19 and lots of parameters in your model if you have reasonable
20 prior distributions on them because that essentially cuts
21 down the effective degrees of freedom that you have to
22 extract from the data. So that gets right back down to how
23 much science do you know.

24 You can't do what we are talking about unless you
25 know science. Without science we just wouldn't be having

1 this discussion. The whole point of this thing is that there
2 is a body of information out there called scientific
3 knowledge which we can add to our empirical observations to
4 get more out of that than if we just use the empirical
5 observations. Drug development is a process that has not,
6 many of us believe, adequately exploited that body of
7 knowledge. It is there, and sitting there, and there are
8 techniques now that we can do it.

9 The fascinating interaction between that is a
10 scientific issue, and the regulatory public health,
11 protecting the public, you know, that interaction I think is
12 a very productive place to do this. Don sort of suggested
13 that one way to deal with this problem is to set ourselves
14 little tests, like somebody comes forward and says I would
15 like to do this. Then we have to answer. Can you do that?
16 Will we approve it? It may be a way that we want to mix that
17 with the kind of more thoughtful, academic debates on
18 academic issues -- using that word, academic, in a common
19 sense of nobody cares really which way it comes out because
20 it doesn't make any difficult in the world. This makes a
21 difference, and that may be the thing that will be forcing
22 us to deal with this in a very practical way and we will get
23 some experience doing it.

24 DR. BYRN: Roger?

25 DR. WILLIAMS: A nomenclature question for Louis,

1 which is sometimes people sort of jump when you talk the way
2 you just did, Louis, because they --

3 [Laughter]

4 -- this is a minor question; it is not a big
5 question. When we use the empirical method based on adequate
6 and well-controlled studies people say we are using a
7 scientific method. I think when you talk about science you
8 talk about it in a different context. Could you distinguish?

9 DR. SHEINER: We are getting to the philosophy
10 here. Science is theory. That is what science is about.
11 Science is about creating the smallest set of rules from
12 which everything that you observe can be deduced. That is
13 what science is. Science creates theories. Now, in the
14 process of creating theories which have good predictive
15 power that are accepted, what you do, you expose them to
16 empirical tests. You ask, does the world work the way this
17 theory predicts it does? If it doesn't, then you throw that
18 theory out and make another one.

19 So, empiricism, the testing of predictions against
20 observations, is a scientific method but empiricism is a
21 whole other way of knowledge. You don't need any theory to
22 work from empiricism. You just need a method to know whether
23 or not what you have observed is credible. A lot of
24 statistics deals only with the question of making empiricism
25 corn fed. How well did you learn that that thing really did

1 happen, will happen under those circumstances? How certain
2 can you be about that? That is what a lot of statistics is
3 about.

4 But science is not statistics. Science is the
5 business of creating theories of how the universe works.
6 When I say science I am talking exactly about that because
7 if we have a theory about how something works, then we don't
8 have to actually do it and that saves us time and money. We
9 can predict what will happen without actually having to do
10 it.

11 So, if you take the simplest case that you have
12 already accepted so everybody doesn't feel like I am going
13 off into the stars, if you study a bunch of difficult doses
14 it doesn't take much to say that there is some kind of
15 smooth interpolation of the response, such that I can talk
16 with some confidence about the response to a dose that I
17 never tested that is somewhere in between the ones I did
18 test. It is going to be somewhere in between the responses.

19 Now, if you are a total empiricist, you say I have
20 no theory; there is no smoothness in the universe; there is
21 nothing going on, you could say, well, any dose I didn't
22 give you could get any response at all. The dose response
23 might just hit zero at exactly 5 mg and then pop up to a
24 good response at 6 mg and then zonk off to infinity at 7 mg
25 and drop back down to a reasonable response at 8 mg. There

1 is no theory that says that has to be smooth. Science says
2 it has to be smooth because there is a basis for that.

3 Now, there are a lot of rules of thumb of theories
4 that we use, like smoothness, that don't involve any
5 specific domain knowledge. Things don't tend to vary greatly
6 on a local scale and the further you move away they vary.
7 That seems to me a sort of rule of the universe with respect
8 to everything. But it would be much more powerful if we can
9 use one that is domain specific, and that is what the
10 different sciences use.

11 But I have great respect for empiricism but it is
12 not science. Science is the theory about how things work,
13 and there is a wonderful quote -- I don't remember who said
14 it, "there is nothing so practical as a good theory."

15 DR. BYRN: Roger?

16 DR. WILLIAMS: I have another comment for John,
17 which is I think you had a very important concept, that a
18 lot of what we are talking about here goes on in all sorts
19 of environments -- clinical safety and efficacy, nonclinical
20 pharmacology, toxicity, even product quality. I sort of tend
21 to put it under the heading of alternative tests, and it
22 goes back to the willing to assume statement. Are we willing
23 to rely or willing to assume based on our prior knowledge
24 that we can rely on some kind of surrogate as opposed to
25 something else?

1 Now, we have always relied on these two large
2 animal studies for carcinogenicity testing, John, as I am
3 sure you know. More recently we have said, via the ICH
4 process, that perhaps you could rely on something that is a
5 little bit more mechanistic, more sensible, I guess I would
6 call it, based on a scientific approach to allow reduction
7 in those large animal studies.

8 I would even argue that sometimes when we say in
9 MBCS where we want to look at the solution we are relying on
10 an alternate surrogate perhaps as a predictor of what we
11 care about.

12 DR. DOULL: Let me just comment, Roger, one of the
13 things, if you are involved in different committees and so
14 on, like the Science Advisory Board at EPA, they really have
15 the same kind of problems over there, of course, as Food and
16 Drug does. But they tend to go off in somewhat different
17 directions. What I am saying is that we need to be aware of
18 the fact that different disciplines and different agencies
19 and different sciences, and so on, are really talking, like
20 Lou is saying, about fundamental questions and we need to do
21 what we can to bring that together.

22 There is a federal agency group, for example, that
23 gets together -- I forget what it is called -- to talk about
24 looking at dose response and how you interpret that. The
25 implication comes out of that you do that difficultly in

1 toxicity than in pharmaceuticals. You don't really.
2 Fundamentally there are the same principles, the same
3 theories that we use. We put different names on it, and that
4 is what Bob is saying. We need to be careful about how we
5 name it because putting a different name on it somehow gives
6 it a different role. But, basically, we need to recognize
7 what each other is accomplishing and build that into our
8 protocol in order to affect public health. And, I think we
9 are doing that.

10 DR. BYRN: We have gone over about fifteen
11 minutes. I know Larry was hoping to conclude this in the
12 next couple of minutes. Are there areas of focus or issues
13 that anybody else would like to raise about Tab A, because
14 we are going to go forward now with the time table, or at
15 least approximating the time table that Larry outlined?

16 DR. LESKO: Again, I want to compliment the
17 advisory committee for their questions and issues that they
18 raised in the expert panel, and for the contribution of the
19 sponsors. I think when I started this session I asked for
20 some general comments and impressions. I think we got some
21 excellent comments and impressions, and in particular the
22 notion that there is a need to create venues for
23 collaboration between the dimensions of disciplines that
24 relate to this whole issue of exposure response, and also
25 that whole area of linking biomarkers to clinical outcomes,

1 which leads to the decision on something becoming a
2 surrogate.

3 I just want to comment on that. I think it is an
4 important point that we don't go a whole lot into that
5 backgrounder, but one of the things we do say in the
6 document is that the acceptance of a biomarker as a
7 surrogate depends, again, on weight of evidence and the
8 prospective thinking of preclinical-clinical links
9 throughout drug development I think tells the story in a way
10 that facilitates a biomarker eventually linking effectively
11 with that clinical outcome and becoming a surrogate. So, I
12 think that is a key point as far as implementation.

13 DR. BYRN: Thank you very much, and thanks to the
14 expert panel for their input. I am sure we will be
15 discussing this further. Let's take a break until 10:40. We
16 will start at 10:40.

17 [Brief recess]

18 DR. BYRN: The session for this morning is on
19 drug-drug interactions, and Roger Williams will provide an
20 introduction.

21 **Drug-Drug Interactions**

22 DR. WILLIAMS: Thank you very much, Steve. I will
23 try to be very brief because we certainly have a lot of
24 interesting things to say.

25 [Slide]

1 I will show on this particular overhead that the
2 agency, working sometimes in the International Conference on
3 Harmonization, has produced guidances that attempt to deal
4 with dose individualization in specific patient populations.
5 I won't go into any of these. I think they are very
6 interesting and they all, in some way or another, relate to
7 that E5 document that I talked about in terms of intrinsic
8 and extrinsic factors.

9 Now, in the next part of the advisory committee
10 discussion you are going to hear some very interesting
11 presentations from Dr. Shiew-Mei Huang and then hear some
12 other discussions about guidances that we have developed to
13 focus on drug-drug interaction studies.

14 [Slide]

15 I would now like to intrude a thought, at the risk
16 of driving everybody crazy, that when we talk about
17 intrinsic and extrinsic factors there are issues related to
18 prescribability and switchability. If you look at the list
19 of extrinsic factors you will see all the things that are
20 kind of characteristics of the patient or the person. If you
21 look at extrinsic factors you will see, first and foremost,
22 the drug-drug interactions topic and then diet and tobacco
23 and alcohol, and some other things that happen to people
24 externally.

25 Let me go on because I am going to raise, of

1 course, the prescribability and switchability question, and
2 I have tried to make a preliminary cut as to whether I think
3 some of these questions are prescribability or switchability
4 questions.

5 Let me give an example. I think for gender, for
6 example, it is pretty much a prescribability question
7 because the clinician, healthcare practitioner, is
8 confronted with a patient who is either male or female and
9 asks the question, do I need to do a different dose based on
10 gender? And, I would say if you accept that paradigm you can
11 kind of extend it to some of these other things.

12 Now, there is an interesting switching aspect to
13 some of these things that relates to age. The reason I put a
14 question mark there, as you all know, we have defined four
15 age categories. I think it is 0 to 1 month, 1 month to 2
16 years, 2 years to adolescence and adolescent to 16. That
17 raises an interesting an interesting switching concept that
18 in the early years of life a single patient may be switching
19 over a relatively short period of time, from being a neonate
20 to being an infant. Of course, you could ask the question
21 what happens when people gain or lose weight, or their body
22 composition changes. So, I tend to put question marks over
23 here; some "no" but, for the most part I would argue that
24 intrinsic factors are prescribability questions. This is
25 useful because, remember, I will argue that the

1 prescribability goal posts are much wider compared to
2 individual goal posts.

3 Now, I am going to make the claim, and I don't
4 want to belabor the point -- you can certainly ponder this
5 later on, that for the most part drug-drug interactions are
6 switchability questions. I argue that on the thesis that you
7 usually have somebody who is stabilized on a substrate drug,
8 for example, and you add an interacting drug which, to me,
9 is a switching concept.

10 [Slide]

11 Let's go on and I will just show three more
12 overheads quickly. I think I will recall for you all that
13 this is the window for the population which tends to be
14 wider than the individual window. You heard me say that
15 yesterday. Let's go on.

16 [Slide]

17 This is a hypothetical study design for a
18 switching drug-drug interaction study. Now, if you talk
19 about this as being the individual therapeutic window our
20 default would be 1.25, perhaps going up to 1.37 if we add
21 epsilon. Then you think about the substrate being stabilized
22 here and, at this point in time, with the interacting drug
23 being added.

24 Now, you could imagine that there would be several
25 outcomes based on interaction. First of all, there could be

1 no interaction. The mean could go up, the mean could go
2 down. The mean could dramatically go up so that you would
3 have no question about there being an interaction. Then,
4 connected with each of these mean changes there could be
5 variance differences.

6 If you apply the individual bioequivalence
7 criteria, you could imagine something that would look like
8 this. And, I think one of the most interesting questions is
9 what would be the equivalent of a sigma D for a drug-drug
10 interaction in a switching setting. I don't even know what
11 the name of this would be. We call it different things --
12 drug by interacting, drug interaction or something like
13 that. But let's focus for a minute on a sigma D, and I will
14 go on to the next overhead.

15 [Slide]

16 This is a very truncated data set where we had
17 drug X coupled with an antifungal. Up to day 4 it was just
18 drug X. Here is the antifungal and you begin to see here a
19 difference between males and females. Now, I would argue
20 that this is possibly what we might call a gender-based,
21 subject to formulation equivalent for a drug-drug
22 interaction study.

23 Now, I say all this to just challenge you to think
24 about what we are dealing with here. I am not suggesting
25 that these are the kinds of study designs we have to do but

1 it is a very interesting data set and, you know, somebody
2 three years from now, my successor or whoever it is, will
3 come to you and say, what about this? Let's talk about it
4 more at an advisory committee.

5 Having said all that, I will remind the committee
6 that we actually talked about this a couple of years ago,
7 and I raised the possibility of the criterion you would use
8 to assess a drug-drug interaction study. And, we can go back
9 and get those records.

10 Back to a more general approach, I will turn it
11 back to Steve to carry on with the presentation.

12 DR. BYRN: Thank you very much, Roger. Any
13 questions for clarification for Roger from the committee?

14 [No response]

15 Then, Shiew-Mei Huang will present a curt
16 presentation on drug-drug interaction guidance.

17 **Drug-Drug Interaction Guidance**

18 DR. HUANG: Thank you.

19 [Slide]

20 In the next fifteen minutes or so I would like to
21 update the committee on the status of our development of the
22 in vivo drug-drug interaction guidance; talk about where we
23 are going from here; and provide some topics for the
24 committee to discuss.

25 [Slide]

1 I will give a brief status of this guidance
2 development and talk about issues that were not discussed in
3 the guidance, which evolved as the guidance was being
4 prepared. I will also describe some recommendations from the
5 working group which was formed in the last few months under
6 Dr. Lesko, a quality assurance, quality control initiative,
7 to look at the review processes, especially in the clinical
8 pharmacology, particular drug interaction areas. And, I will
9 have questions for the committee members.

10 [Slide]

11 The guidance in vivo drug metabolism, drug
12 interaction studies, discussed study design, data analysis
13 and recommendations for dosing and labeling. The draft
14 guidance was released in November of last year. The
15 committee members have a copy in your packages. We have
16 presented this several times since '97 to this committee.

17 [Slide]

18 The key philosophy of this guidance is that we in
19 the review process we would like the sponsors to evaluate
20 the drug-drug interactions with an integrated approach.
21 Early on, at the preclinical stage, we would like to use in
22 vitro methods to look at the metabolic potential for
23 drug-drug interactions, and the issues have been discussed
24 in the guidance that was released in '97.

25 Depending on the in vitro data, if we think there

1 is a need for in vivo evaluation, then the details of a
2 study design analysis are described in the guidance I just
3 mentioned.

4 Finally, we also recommend using the population
5 pharmacokinetic approach to evaluate drug-drug interactions
6 in the patient population and, therefore, maybe we can
7 detect unsuspected drug interactions or interactions based
8 on other mechanisms.

9 [Slide]

10 So, there are various mechanisms of drug-drug
11 interaction. Our current guidance focused on metabolic-based
12 drug interactions. In the guidance we detail the study
13 planning. For example, we talk about the size; the type of
14 subjects to use, females, males; the study design, whether
15 to use single dose or evaluate steady state conditions; to
16 use a randomized crossover design, parallel design, and so
17 on. We also talk about data analysis and, most importantly,
18 how to translate the results to proper dosing
19 recommendations and labeling language.

20 [Slide]

21 The key message of our guidance is that metabolism
22 and drug interaction information is key to the benefit-risk
23 assessment. If you don't know the metabolism of a drug or
24 the drug interaction potentials have been evaluated, then we
25 don't think the drug's safety and efficacy has been

1 adequately evaluated. We also advocate using an integrated
2 approach, as mentioned earlier, which may reduce the number
3 of the necessary studies, and can optimize our knowledge
4 based on focused studies. We also mention in our guidance
5 that study design and data analysis is really critical in
6 providing information for labeling. Further, in our guidance
7 we also talk about the concept of establishing a therapeutic
8 equivalency boundaries, or therapeutic windows, or goal
9 posts as we mentioned several times.

10 I think we really want to translate what we
11 observe in drug interaction studies. What does a 30% change
12 in AUC means? What does that mean clinically? We heard
13 earlier this morning about the importance of PK/PD or
14 exposure-response relationship.

15 [Slide]

16 So, in essence, our guidance is talking about this
17 approach. We think initially we would like to have
18 information on in vitro metabolism of the compounds in
19 development and ask the question for each important
20 cytochrome p450 enzymes, if this drug is a substrate of this
21 particular enzyme. If it is not -- there should be an arrow
22 here -- then we can stop and have general labeling. If the
23 answer is yes, then we want to ask another question, is the
24 pathway important? If it is not important for overall
25 elimination, then we can also stop and do general labeling.

1 However, if the answer is yes, then we are proposing in our
2 guidance to use the most potent inhibitor or inducer to
3 study. If the results are negative, then again we can stop
4 right here. If the results are positive, then we can
5 continue to look at the extent of the interaction for
6 substrates and then look at the possibility of
7 coadministration.

8 Similarly, we also ask the question if the
9 compound is a modulator of cytochrome p450s. Based on in
10 vitro evaluation, if the compound is not an inhibitor for
11 certain compounds then we can stop, right here, and do
12 labeling. If the answer is yes, then again our guidance
13 recommends that we evaluate based on the most sensitive
14 substrate. If the results are negative, then we can stop
15 right there. If the results are positive, then we use other
16 substrates based on possibility of coadministration.

17 We further discussed that in cases where we have
18 stopped labeling, try to use population pharmacokinetics to
19 catch some unexpected interaction.

20 [Slide]

21 The guidance, as I said, was published in
22 November, '98 and we have received public comments up to
23 March. There are several comments. In general, comments are
24 very positive. We have comments on suitable substrates to
25 use, especially on 384. There are discussions on the use of

1 population PK/PD in evaluation of drug interactions, and
2 there is also discussion on the proper drug data analysis
3 approach. We have incorporated these comments and the final
4 guidance should be out any time now.

5 However, there are also comments about other
6 issues which were not addressed in this guidance and I would
7 like to discuss that.

8 [Slide]

9 There is some discussion about predictability of
10 in vitro systems. For example, sometimes the in vitro
11 metabolism is negative when it is positive in vivo. We have
12 cases where a metabolite is an inhibitor, which we have not
13 caught in the in vitro system. So, we have a false-negative
14 situation.

15 We also have cases where the compound effect has
16 various pathways and it may be inhibiting certain pathways
17 which we have observed in vitro, and it may be inducing a
18 different pathway, and you may not see an interaction as you
19 would have expected from the in vitro data, resulting in a
20 false-positive.

21 There are also several other situations that we
22 have not discussed in our guidance, like other metabolic
23 pathways, phase-II metabolism, how do we evaluate that, or
24 other transporters -- the involvement of p-glycoproteins,
25 ketone transporters which also contribute to the

1 non-predictability of some in vitro studies.

2 So, how do we address these issues? We are
3 actively involved in another working group, trying to
4 prepare a manual of processes and procedures, which is an
5 equivalent guidance for industry but is a guidance for
6 internal reviewers to address these issues. In addition,
7 there are also comments about lack of PK/PD or exposure
8 response in order for us to set a therapeutic equivalence
9 boundary. We heard this morning from Dr. Lesko's talk that
10 the PK/PD data were not really provided when the NDA was
11 submitted.

12 [Slide]

13 I will continue to discuss these remaining issues
14 when we come to the questions for the advisors. I would like
15 to talk about the recommendations from the working group I
16 mentioned earlier in which we looked at our review process,
17 especially in the drug-drug interaction areas.

18 [Slide]

19 A working group was formed to look at a few
20 compounds which were recently withdrawn from the market, in
21 part because of their drug interactions. We looked at
22 terfenadine, which was for symptom relief for allergic
23 rhinitis. This was approved in '85 and it was withdrawn from
24 the market after 13 years.

25 Similarly, we have astemizole, which was approved

1 in 1988 for a similar indication and was just withdrawn
2 about two or three months ago.

3 Mibefradil, which was approved for hypertension
4 and chronic stable angina, was just withdrawn from the
5 market after one year.

6 What is common in these areas is they are all 3A4
7 substrates. In addition, mibefradil is a very strong, potent
8 3A4 inhibitor.

9 So, the working group looked at the data that were
10 available at the time of the submissions, and also based on
11 the postmarketing availability of data. We have essentially
12 evaluated the literature data that we have so far to see if
13 we can develop some strategic paradigm for us when we review
14 new drugs, and how to treat a drug interaction. In addition,
15 we also looked at another drug which is a 3A4 substrate and
16 was not approvable earlier this year, again, due to drug
17 interaction liability.

18 [Slide]

19 The working group had gone through all the files
20 and information from the literature and came to the
21 conclusion that in our future review in our evaluation of
22 drug interactions we must answer these questions. We must
23 have data to answer these questions: Is the drug interaction
24 initial? Is the compound a substrate that is going to be
25 affected by other drugs? Is the compound affecting other

1 drugs' metabolism? If the answer is yes, we want to make
2 sure if the benefit outweighs the risk. Is this compound for
3 medical needs? Is it for a life-threatening disease? If the
4 answer is still yes, then can we manage through labeling to
5 convey the liability of interaction?

6 [Slide]

7 With all the data that is available right now, and
8 looking at the guidance that we have in place now on in
9 vitro metabolism and in vivo drug interactions, we think if
10 we have all this information available and evaluate them
11 again, then probably for these compounds the answer for the
12 earlier question will be, no, the risk does not outweigh the
13 benefit. .

14 Actually, this was demonstrated very well with
15 this drug which as S-3A4 substrates. It has undesirable
16 serious adverse events when the concentration becomes too
17 high. And, this compound is not for medical needs; it does
18 not treat life-threatening disease. So, the drug is not
19 approvable.

20 However, if we find a compound which has a serious
21 drug interaction liability and, yet, it does meet the
22 criteria that it is for medical needs, how do we manage the
23 labeling? So, the working group looked at it and made some
24 recommendations. They said we would like to consider --
25 actually, this is one of the comments submitted to the

1 agency that perhaps we can assign risk levels to drugs, for
2 example, like mibefradil. If we can classify it as a potent
3 inhibitor early on in development when it is first released,
4 perhaps that can help us identify its potential for drug
5 interaction better. That is our second recommendation, more
6 prominent labeling when it is first introduced to the
7 market.

8 Based on the experience with terfenadine,
9 astemizole and mibefradil, there are several "dear doctor"
10 letters. Some of the information was not available at the
11 time of review or approval. A lot of information came out
12 and so there are "dear doctor" letters issued; a lot of
13 information is displayed on the FDA web page. But the drugs
14 which should not be prescribed together are still being
15 prescribed. So, we need to find a more effective way of
16 disseminating the labeling information for healthcare
17 providers and patients.

18 [Slide]

19 So, this leads to some of the topics for the
20 committee members to discuss if the time is available now.
21 The first question -- how do we address some of the issues
22 that evolved after our guidance was prepared? Are we ready
23 to provide guidance on the assessment of other mechanisms of
24 interactions, for example, p-glycoprotein? Do we have the
25 substrate inhibitors, the standard ones that we can

1 recommend, just like we did with cytochrome p450? What about
2 other transporters? How many studies are we going to
3 recommend to the sponsors before we get proper information
4 for labeling? What about phase-II metabolism? We have a
5 working group addressing that internally right now.

6 In the meantime, is the population pharmacokinetic
7 analysis the answer to this question? Should we use it more
8 in evaluating other interactions that were not revealed
9 using the in vitro metabolism screening? We know the
10 population PK approach has been used but really not to the
11 extent of a similar percentage, like 10%, 20% in the
12 submissions, especially for drug interactions. So what are
13 the issues? When can we make use of that analysis to help
14 evaluate interactions based on other mechanisms of
15 interactions?

16 Again, hopefully, we will be able to get more
17 information on PK/PD or exposure-response information to
18 help us translate what we observe in the drug interaction
19 study to useful labeling.

20 [Slide]

21 My second question -- it is really very important,
22 what we have done. I mean, the sponsor and the agency may
23 have worked together and done a lot of studies, and we know
24 the risk of drug interactions, but how do we effectively
25 translate this into labeling? Will the assignment of risk

1 levels be communicated if we put it in a black box, even
2 though we are not contraindicating any particular list of
3 compounds with the new drug but indicate that it is a potent
4 inhibitor, would that help so that the information is not
5 lost in a volume of information? You have seen some product
6 labels where you may have three or four pages of drug
7 interactions, but would that communicate a different
8 message, or is really the message lost because of the set of
9 information there?

10 In other cases, even when we have done our job in
11 the labeling, how do we better communicate the labeling to
12 the healthcare providers and patients? The FDA has the web
13 site and I think it has been appreciated. Every time we have
14 a "dear doctor" letter sent out with market withdrawal or
15 another compound which has limited dosing, it is posted on
16 the web page. Is that sufficient? Is there another form that
17 we should pursue in order to really communicate this
18 information to the important parties of the drug users?

19 So, I will stop and let the committee take up
20 these issues.

21 DR. BYRN: Thank you very much.

22 **Committee Discussion**

23 I think we can begin. Maybe we should put those
24 questions back up, Kimberly. You can just leave them and you
25 can go back to your seat. Maybe we should put those

1 questions back up and discuss those issues.

2 So, this question is asking us to address the
3 remaining issues in order to allow, I guess, completion of
4 this guidance. Go ahead, Shiew-Mei.

5 DR. HUANG: This what we are doing. The guidance
6 is based on public comments. It has been finalized and is
7 waiting for the last approval. So it could be released any
8 time. This is for what we are going to do, the next step.

9 DR. BYRN: Okay. So, how about the committee? Are
10 there recommendations on now to address any or all of these
11 issues? Yes, Bob?

12 DR. BRANCH: It seemed to me that the issue that
13 is addressed in the first item there really relates to the
14 ability to predict on the basis of preclinical information
15 or knowledge whether the drug is handled by a transporter or
16 p-glycoprotein.

17 The direction of this would be probably most
18 useful to go the same way as you have gotten in vitro
19 guidance for the p450 enzymes, going in that direction. The
20 fact that in your in vivo studies you are likely to collect
21 the information, when you are looking for interactions in
22 terms of systemic availability you, by and large, don't know
23 whether it is due to 3A4 or p-glycoprotein, and our ability
24 to predict what the outcome is going to be on those
25 interactions right now is so poor -- Les Benet, who was here

1 yesterday but I don't think is here today, is sort of trying
2 to get into the area of predictability but I don't think we
3 are there yet.

4 So, it seems to me that this is a direction for
5 the future but it probably is going to be directed more to
6 in vitro than in vivo studies. You have already covered the
7 in vivo situation in the current guidance.

8 DR. BYRN: Other thoughts on this matter?

9 DR. DOULL: It seems to me that when you talk
10 about drug-drug in essence what you are doing is moving from
11 considerations which you use for single agents into the
12 mixture problem. The drug-drug is really a mixture problem.
13 You know, you can have antagonism or synergism or no effect
14 -- all those different combinations. What you are saying is
15 that the best way to deal with a mixture problem is to
16 understand the mechanism of action, and I agree with that.
17 That is probably the only way to get out of the smoke and
18 that, hopefully, will get you there.

19 But early on you talked about key messages, and
20 you said metabolism and drug interaction information are, in
21 fact, the key to evaluate benefit-risk. I am not sure that
22 is broad enough. If you just say metabolism, that is only a
23 part of kinetics. There is absorption, distribution,
24 metabolism excretion. All of those things could influence
25 drug-drug interaction.

1 I guess you really need better information on the
2 mechanism of action of the components of the mixture, of
3 drug A and drug B, in addition to the interaction kind of
4 thing.

5 I guess the one thing that kind of bothers me
6 about that is that you imply that somehow if we understand
7 metabolism that resolves the benefit-risk we are able then
8 to do benefit-risk. I think, you know, what we need to say
9 is understanding the mechanism will help us to do a much
10 better benefit-risk evaluation but it isn't absolutely
11 essential because Food and Drug, you know, for fifty years
12 did benefit-risk evaluation without really much information
13 about how the drug worked or mechanism of action. So, you
14 can't really insist that mechanism of action is the only way
15 to do benefit-risk judgmentally. What Louis was talking
16 about, the Bayesian approach, and what have you, is another
17 approach for doing risk-benefit evaluation. We will do a
18 better job once we really understand what is going on, and
19 that is mechanism of action.

20 So, I think the focus should be that in order to
21 improve our ability to do good drug regulation, and so on,
22 mechanism certainly will help us do that.

23 The other thing, in your next question you are
24 really talking about reducing risk. But the example that you
25 gave, as I recall, you said below a certain dose you have no

1 problem. It is only when you exceeded the dose that you had
2 the drug interaction problem. Okay, in that case, it says to
3 me that if you get down below that threshold you don't, in
4 fact, have a drug interaction. What concerns me about using
5 risk is that risk has no bottom. There is always some risk.
6 You can always reduce the risk and it never gets to zero.
7 But when you talk about mechanism and are able to say there
8 is a clear mechanism where you have a threshold then, in
9 fact, you don't need to get into the reducing risk argument
10 because, hopefully, if you get below that threshold, and
11 that is for the whole population, then, in fact, you have a
12 mechanism that really is protective. It is more concrete
13 than evaluating risk, which I think is a little more fuzzy.

14 DR. HUANG: May I respond to your first comment
15 about the need to study metabolism for understanding
16 interaction of the drug. Again, we are focusing on metabolic
17 drug-drug interaction because that is what we have most
18 knowledge of. If you look at the recent drug withdrawal for
19 the most prominent drug interaction in the last few years,
20 terfenadine, astemizole, mibefradil, they are very serious,
21 and they are very serious adverse events and there were a
22 lot of fatalities with terfenadine and astemizole, and
23 mibefradil is affecting a lot of other compounds and causing
24 toxicity of the other compounds For example, with Warfarin
25 it is increased with time. With the immunosuppressants it

1 has renal failure. With the statins it has rhabdomyolysis and
2 death. I mean, these are all very prominent. So we are
3 trying to learn from these examples, and if we had known the
4 drug interaction potential and if we had put more prominent
5 labeling early on -- if we know all this risk it will change
6 our decision. And, the drug that we decided not to approve
7 early this year actually is a very good example based on
8 what we know now. It is because the company has done a very
9 good study and they actually came out with a risk assessment
10 and help us evaluate the risk assessment and we decided
11 not to approve this drug because it doesn't add any value to
12 current therapies.

13 So, I think our position is very important. Now,
14 the tools are there and we ought to use them. They are not
15 very expensive, and they can be accomplished in a reasonable
16 amount of time, and it is the other mechanism where we have
17 missed. I mean, we have examples like terfenadine. We
18 decided that the risk doesn't outweigh the benefit when we
19 have its active metabolite, fexofenadine, available. The
20 fexofenadines aren't metabolized so we wouldn't think that
21 ketoconazole would affect its metabolism but actually when
22 the sponsor did a study, it did. Some of the recent
23 literature suggests that fexofenadine is a p-glycoprotein
24 substrate and it's also a transporter substrate and
25 ketoconazole affects both pathways. So, that is where the

1 mechanism is. So, I think we have to continue to search for
2 other mechanisms of action and try to predict, as best we
3 can, in this area.

4 DR. DOULL: I would agree with that, and what you
5 are saying is that mechanism is important and that is going
6 to help you do that risk-benefit analysis.

7 My only point was, for example, our previous
8 conversation about pharmacokinetics and pharmacodynamics.
9 Let's say we have a drug that has an immense half-life in
10 terms of its kinetic effects and has a very short dynamic
11 action. Then the driving factor or the critical steps in how
12 that drug is handled really comes from kinetics. Dynamics is
13 less important, and so on. So, there are situations where
14 kinetics could be a driving force as opposed to dynamics
15 being the driving force in determining how the interaction
16 is going on.

17 All I was saying is that, you know, it is more
18 than just metabolism. It involves dynamics and all the other
19 parts of kinetics, and so on, that are involved in drug-drug
20 interaction, and you really need to look at all of them. The
21 ones you have looked at, clearly these are the deciding
22 factors but, you know, down the road who knows what you are
23 going to have and the guidance has to be such that it will
24 take care of all those cases down the road that might be a
25 problem.

1 DR. BYRN: Are there any other comments from the
2 committee on number two?

3 [No response]

4 How about number three? What are incentives in
5 establishing therapeutic equivalence boundaries? Any
6 comments on that? Yes, Robert?

7 DR. BRANCH: It is more of a question than a
8 comment. It seems to me that the issue of drug interactions,
9 from a regulatory perspective, is almost entirely based on
10 PK studies and therapeutic equivalence boundaries don't
11 really figure into it until you start talking about
12 potentially removing a drug from the market. So at the time
13 of approval, it seems to me that there is a major
14 dissociation right now. So, my question is to what extent
15 are therapeutic equivalent boundaries incorporated into
16 decision-making right now?

17 DR. HUANG: As I indicated earlier, we do not have
18 a lot of information, PK/PD information, to aid us in the
19 decision-making. So, a lot of times it was based on whatever
20 information we had available. I would just say early on a
21 lot of interactions -- because there is maybe not a systemic
22 approach so we have received submissions with a lot of
23 interaction studies. A lot of them, mechanistically, may not
24 need to be conducted. So, we can see a big majority of
25 studies showing there is no interaction.

1 But for those that show significant interactions,
2 many of them are increased in plasma levels. So, in that
3 case we would need information on PK/PD or exposure
4 response, not in the efficacy type but in safety. So, we
5 have to turn to pivotal trials, a clinical study, to see if
6 a patient has been exposed to higher plasma levels showing
7 an increase in adverse events. This would be very difficult
8 for compounds with rare events like statins. We really don't
9 have a high percentage of rhabdomyolysis, or something; you
10 have some idea of a higher percentage but that is what the
11 information may have to go by, to look at the information
12 available to us. Sometimes when the range was not covered,
13 then this will result in more discussion between the sponsor
14 and the agency -- what should we do with this? Do we
15 contraindicate this compound? For example, this compound is
16 a 3A4 substrate and it shows the increase in AUC eight
17 times, but the patients had never been exposed to more than
18 four times the AUC.

19 DR. BRANCH: If you step back from the question
20 with the therapeutic bounds but you start saying what is the
21 difference between statistically showing that there is an
22 interaction to what is the magnitude of that interaction,
23 and where do you start to place regulatory statements in
24 product label or even approval for the drug staying on the
25 market in terms of the magnitude of change?