

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

PUBLIC HEALTH SERVICE

FOOD AND DRUG ADMINISTRATION

CENTER FOR DRUG EVALUATION AND RESEARCH

+ + + + +

ANTI-INFECTIVE DRUGS ADVISORY COMMITTEE

+ + + + +

67TH MEETING

+ + + + +

Wednesday, October 20, 1999

+ + + + +

**COPY**

5167 '99 NOV 22 P 2:32

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

The meeting was held in the Kennedy Ballroom, Holiday Inn, 8777 Georgia Avenue, Silver Spring, Maryland, at 8:00 a.m., William Craig, M.D., Chairman, presiding.

PRESENT:

WILLIAM CRAIG, M.D., Chairman

RHONDA STOVER, R.Ph., Executive Secretary

GORDON L. ARCHER, M.D., Member

P. JOAN CHESNEY, M.D., Member

CELIA D.C. CHRISTIE-SAMUELS, M.D., M.P.H.,

FAAP, Member

ROBERT L. DANNER, M.D., Member

BARBARA E. MURRAY, M.D., Member

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

PRESENT (Continued) :

CARL W. NORDEN, M.D., Member

JUDITH R. O'FALLON, Ph.D., Member

JULIE PARSONNET, M.D., Member

BARTH L. RELLER, M.D., Member

DAVID E. SOPER, M.D., Member

KEITH A. RODVOLD, Pharm.D., Consumer

Representative

GARY CHIKAMI, M.D., FDA Representative

SANDRA KWEDER, M.D., FDA Representative

FREDERICK MARIK, Ph.D., FDA

Representative

DAVID ROSS, M.D., FDA Representative

ROBERT HOPKINS, M.D., FDA Representative

MARK GOLDBERGER, M.D., FDA Representative

LEONARD MERMEL, D.O., Sc.M., Consultant

DAVID BATTINELLI, M.D., Guest Expert

LEIGH DONOWITZ, M.D., Guest Expert

MELVIN WEINSTEIN, M.D., Guest Expert

CYNTHIA WHITNEY, M.D., M.P.H., Guest

Expert

GRAHAM BURTON, M.D., Speaker

KAREN BUSH, Ph.D., Speaker

MICHAEL CORRADO, M.D., Speaker

EDWARD COX, M.D., Speaker

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

PRESENT (Continued) :

ANTONE A. MEDEIROS, M.D., Speaker

PUBLIC COMMENT:

RAY ZHU, Ph.D.

ISAAM RAAD, M.D.

DR. DAVID BELL

ALSO PRESENT:

CHARLES M. FOGARTY, M.D.

GEORGE ELIOPOULIS, M.D.

## C-O-N-T-E-N-T-S

Introductions . . . . .	5, 166
Conflict of Interest Statement . . . . .	7, 165
FDA Introduction, Gary Chikami, M.D. . . . .	8
FDA Presentation, David Ross, M.D. . . . .	11
Public Comment:	
Ray Zhu, Ph.D. . . . .	39
Isaam Raad, M.D. . . . .	47
Questions to the Advisory Committee . . . . .	68
LEVAQUIN	
Public Comment:	
Dr. David Bell . . . . .	168
FDA Introduction, Mark Goldberger, M.D. . . . .	171
CDC Presentation, Cynthia Whitney, M.D. . . . .	174
Sponsor's Presentation:	
Introduction, Graham Burton, M.D. . . . .	196
Microbiology Presentation, Karen Bush, M.D. . . . .	202
Clinical Presentation, Michael Corrado, M.D. . . . .	220
Clinician's Perspective, Antone A. Medeiros, M.D. . . . .	244
FDA Presentation, Edward Cox, M.D. . . . .	270
Questions to the Advisory Committee . . . . .	288

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

**P-R-O-C-E-E-D-I-N-G-S**

(8:13 a.m.)

CHAIRMAN CRAIG: I'd like to welcome you to the 67th meeting of the Anti-infective Drugs Advisory Committee.

The agenda this morning is going to be on the development of antimicrobial drugs for the treatment of catheter related bloodstream infections.

What I'd like to do is go around the room and have everybody give their name and their affiliation so that we can get all of the people at the table onto the official record. You need to push the little light by your speaker in order for it to turn it on so that you can be recorded.

We'll start over there with Barth.

**DR. RELLER:** Barth Reller, Duke University Medical Center.

**DR. MURRAY:** Barbara Murray, University of Texas Medical School, Division of Infectious Diseases.

**DR. ARCHER:** Gordon Archer, Medical College of Virginia Campus of Virginia Commonwealth University.

**DR. CHESNEY:** Joan Chesney, University of Tennessee, Memphis, Department of Pediatrics.

**DR. O'FALLON:** Judith O'Fallon, Mayo

1 Clinic.

--  
2 DR. RODVOLD: Keith Rodvold, Colleges of  
3 Pharmacy and Medicine, University of Illinois in  
4 Chicago.

5 DR. CHRISTIE-SAMUELS: Celia Christie,  
6 Department of Child Health, University Hospital of the  
7 West Indies, Jamaica.

8 DR. SOPER: David Soper, Medical  
9 University of South Carolina in Charleston.

10 DR. DANNER: Bob Danner, Critical Care  
11 Medicine Department, NIH.

12 MS. STOVER: Rhonda Stover, FDA.

13 CHAIRMAN CRAIG: Bill Craig, University of  
14 Wisconsin.

15 DR. PARSONNET: Julie Parsonnet,  
16 Infectious Diseases at Stanford.

17 DR. NORDEN: Carl Norden, Infectious  
18 Diseases, Cooper Hospital, University of New Jersey  
19 Medical Center.

20 DR. WEINSTEIN: Mel Weinstein, Robert Wood  
21 Johnson Medical School.

22 DR. DONOWITZ: Leigh Donowitz, Pediatric  
23 Infectious Diseases at the University of Virginia.

AL--  
24 DR. MARIK: Fred Marik, FDA  
25 microbiologist.

1 DR. ROSS: David Ross, FDA, medical  
2 officer.

3 DR. CHIKAMI: And I'm Gary Chikami. I'm  
4 the Director of the Division of Anti-infective Drug  
5 Products at the FDA.

6 CHAIRMAN CRAIG: Next we'll have Rhonda  
7 Stover read the conflict of interest statement.

8 MS. STOVER: The following announcement  
9 addresses the issue of conflict of interest with  
10 regard to this meeting and is made a part of the  
11 record to preclude even the appearance of such at this  
12 meeting.

13 Based on the submitted agenda for the  
14 meeting and all financial interests reported by the  
15 committee participants, it has been determined that  
16 since the issues to be discussed by the committee will  
17 not have a unique impact on any particular firm or  
18 product, but rather may have widespread implications  
19 to all similar products, in accordance with 18 United  
20 States Code 208(b), general matters, waivers have been  
21 granted to each special government employee  
22 participating in today's meeting.

23 In the event that the discussions involve  
24 any other products or firms not already on the agenda  
25 in which an FDA participant has a financial interest,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 the participants are aware of the need to exclude  
2 themselves from such involvement, and their exclusion  
3 will be noted for the record.

4 With respect to all other participants, we  
5 ask in the interest of fairness that they address any  
6 current or previous financial involvement with any  
7 firms whose products they may wish to comment upon.

8 CHAIRMAN CRAIG: Thank you, Rhonda.

9 Next, Gary Chikami will give the FDA  
10 introduction.

11 DR. CHIKAMI: Does this work?

12 Since we're running a little bit behind  
13 schedule, I'll be brief. This morning's session is an  
14 outgrowth of two activities within the Division of  
15 Anti-infective Drug Products and within the Office of  
16 Drug Evaluation IV.

17 The first is the ongoing process that's  
18 been developed to write guidance documents on many of  
19 the clinical issues, clinical trial issues, that we  
20 deal with in drug development in this area.

21 And as most of the people in this room  
22 will recall, about a year and a half ago in July, we  
23 had a three-day meeting to discuss many documents.

24 The second is a discussion we had just  
25 about a year ago on the development of products

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 specifically for antibiotic resistant organisms, and  
2 at that discussion there was a look at some new  
3 indications which are associated with resistant  
4 organisms and how the division and office might move  
5 forward in encouraging development of products in  
6 these areas.

7 The status of the guidance document that  
8 will be discussed at this point is that it's a draft.  
9 We certainly look forward to the committee's  
10 discussion, both general topics on this guidance  
11 document, and we'll have some specific questions.

12 In addition, there will be an opportunity  
13 for the public to make comments at this meeting, but  
14 in addition, the draft document will be published in  
15 the Federal Register, and we'll request formal public  
16 comment by that mechanism as well.

17 so I think there'll be plenty of  
18 opportunity for both the academic community and  
19 industry to provide us with comments on this document.

20 What I'd like to do now is change gears a  
21 little bit. Three of our committee' members will be  
22 rotating off this year, and I think that we appreciate  
23 sort of the expertise that this committee provides to  
24 the division in both regard to product specific  
25 issues, but in general scientific and clinical issues,

1 as much of the discussion this morning will involved.

2 And I'd like to present these three  
3 members with tokens of our appreciation that sort of  
4 speaks to their service to the agency and to the  
5 government.

6 The first person is Dr. Julie Parsonnet,  
7 who's from Stanford.

8 Thanks very much.

9 DR. PARSONNET: Thanks.

10 DR. CHIKAMI: The second is Dr. Carl  
11 Norden.

12 Thanks, Carl.

13 DR. NORDEN: Thank you.

14 DR. CHIKAMI: And the final person who's  
15 rotating off in this term is Dr. Craig, who has been  
16 the chair of this committee, and we certainly  
17 appreciate his tenure and his sort of steady guidance  
18 to this committee.

19 Thank you.

20 CHAIRMAN CRAIG: Thank you very much.

21 DR. CHIKAMI: And with that I'll turn the  
22 chair back over to Dr. Craig.

23 CHAIRMAN CRAIG: Thank you, Gary.

24 We'll go on then actually on time, even  
25 ahead of time, for David Ross' FDA presentation.

1 DR. ROSS: I think the obligatory first  
2 question is: is this thing working? And it seems to  
3 be.

4 My name is David Ross. I'm a medical  
5 officer in the Division of Anti-infective Drug  
6 Products, and I'm going to be presenting the draft  
7 guidance on catheter related bloodstream infections,  
8 developing antimicrobial drugs for treatment.

9 Next slide.

10 What I'd like to do in the next 20, 25  
11 minutes or so is start with a regulatory perspective  
12 for this entity and then go over the details of the  
13 proposed guidance.

14 Next slide.

15 In terms of the background for this  
16 indication, prior to 1993, the Division of Anti-  
17 infective Drug Products granted the indication of  
18 bacteremia, and some important points that I want to  
19 note about how this indication was studied and granted  
20 was that sponsors would submit data on patients with  
21 any sign of infection who had a positive blood  
22 culture. So this was not studied as an indication in  
23 its own right, but rather, data was pooled from other  
24 studies.

25 And one paradigm that was used was to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 define patients with one positive blood culture as  
2 having bacteremia and two positive blood cultures as  
3 having septicemia.

4 Well, in 1993, the advisory committee  
5 discussed this issue and expressed a number of  
6 concerns over this indication, specifically, the lack  
7 of specificity of the disease definition; the problems  
8 inherent in pooling results from different sites of  
9 infection; and what the true clinical implications of  
10 a positive blood culture are which might differ  
11 depending on whether the pathogen was *Pseudomonas*  
12 *aeruginosa* or coagulase negative *Staphylococci*.

13 And the recommendations of the committee  
14 at that time were to drop bacteremia and septicemia as  
15 primary indications, but to retain bacteremia in  
16 labeling in the context of infections at defined sites  
17 of infection, for example, pneumonia with concurrent  
18 bacteremia.

19 Next slide.

20 Well, what's happened since then?

21 Currently estimates of incidence of  
22 catheter related bloodstream infections are such that  
23 there's around 400,000 of these infections thought to  
24 occur annually in this country, and as Dr. Chikami  
25 mentioned, a year ago the advisory committee discussed

1 this issue and noted the increasing incidence of  
2 catheter related bloodstream infections, the high  
3 attributable morality and morbidity associated with  
4 these infections, the fact that these infections are  
5 associated with resistant pathogens, and, last but not  
6 least, the lack of controlled clinical trial data on  
7 treatment of these infections.

8 And the recommendation of the committee at  
9 that time was to consider catheter related bloodstream  
10 infections as a new indication.

11 In accord with that recommendation, a  
12 working group was formed within the Division of Anti-  
13 infective Drug Products to write a guidance for  
14 industry for development of antimicrobial drugs for  
15 treatment of catheter related bloodstream infections.

16 Next slide.

17 And our goals that we had for the working  
18 group were given the lack of pathomneumonic signs and  
19 symptoms and the previous problems in terms of disease  
20 definition with bacteremia, to construct a specific  
21 but flexible disease definition, to provide clear  
22 guidance to sponsors with respect to who should be  
23 studied, how efficacy should be assessed, and how data  
24 should be analyzed.

25 And finally, to allow for extension to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 very important issue of catheter related bloodstream  
2 infections in the pediatric population, as well as  
3 non-bacterialcatheterrelatedbloodstreaminfections.

4 Next slide.

5 When we moved to an overview of the  
6 guidance, I'm going to start by giving the disease  
7 definition that has been constructed; talk about  
8 general study considerations; move on to proposals for  
9 who should be studied; and describe clinical inclusion  
10 criteria, microbiologic inclusion criteria, and  
11 exclusion criteria; discuss how efficacy should be  
12 assessed in such studies; and finish with analytic and  
13 statistical considerations.

14 Next slide.

15 So how do we define this entity? Catheter  
16 related bloodstream infections are defined in the  
17 proposed guidance as bloodstream infection resulting  
18 from an infected vascular device or contaminated  
19 infusate.

20 And the sort of devices that would be  
21 included would include central venous catheters;  
22 tunneled catheters, such as Hickman's; non-tunneled,  
23 short term central venous catheters, and  
24 subcutaneously implanted devices, such as Porta-  
25 caths.; peripherally inserted central lines; dialysis

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 catheters, such as Quinton catheters; Swann Ganz  
2 catheters; peripheral arterial catheters; and  
3 peripheral venous catheters; would include other  
4 devices, such as prosthetic cardiac valves, vascular  
5 grafts, and ventricular peritoneal shunts.

6 Next slide.

7 In terms of general study considerations,  
8 with respect to obtaining substantial evidence of  
9 safety and efficacy for registration purposes, we  
10 would recommend two adequate and well controlled  
11 studies, although a single study might be sufficient  
12 under conditions outlined in the agency's clinical  
13 effectiveness document.

14 We recognize that studies will make use of  
15 an active control, and depending on whether the  
16 control regimen has evidence of effectiveness, one  
17 will choose a superiority or an equivalence design,  
18 and I'll talk about that later on.

19 A double blind design is preferred.

20 Because of the need for empiric therapy,  
21 studies can enroll patients without microbiologically  
22 proven catheter related bloodstream infections, but  
23 the major emphasis will be on those patients with  
24 clinicallyandmicrobiologicallydocumentedinfection.

25 Next slide.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 So who should be studied?

2 Well, clearly, patients with catheter  
3 related bloodstream infection, but not patients who  
4 have other sources of bacteremia either from other  
5 endovascular infections or bacteremic infections at  
6 other defined anatomic sites.

7 In addition, because we're interested in  
8 the treatment effect of antimicrobials, we would  
9 exclude patients who are treatable by line removal  
10 alone.

11 Next slide.

12 So with respect to defining the study  
13 population, clinically patients could be enrolled if  
14 they had either systemic evidence of infection or  
15 localized evidence of catheter related infection.

16 And the criteria we would propose for  
17 systemic evidence of infection would be an alteration  
18 in temperature, fever or hypothermia, with one of the  
19 following: altered white cell count or white shift;  
20 tachycardia; tachypnea; or hypotension.

21 Alternatively, patients could be enrolled  
22 if they had signs of local -- localized signs of  
23 infection, such as tenderness at the catheter site,  
24 erythema, swelling, or purulent exudate at the entry  
25 site.



1 Next slide.

2 With respect to microbiologic inclusion  
3 criteria, patients will be considered to have catheter  
4 related bloodstream infection if they had concordant  
5 growth of the same organism -- I'm going to talk about  
6 the meaning of the word "same" in a minute -- from  
7 peripheral blood and one of the following:

8 A blood culture drawn through the catheter  
9 with at least a three-to-one ratio on quantitative  
10 blood culture between the catheter blood culture and  
11 the peripheral blood culture;

12 Concordant growth of the same organism  
13 from peripheral blood in a catheter segment culture  
14 using either Maki technique with a cutoff of five CFU  
15 per segment or the Brun-Buisson technique using a  
16 cutoff of ten to the third CFU per segment;

17 Concordant growth with a catheter hub  
18 culture using a cutoff of ten to the third CFU per  
19 segment;

20 Concordant growth with a catheter entry  
21 site exudate culture or an infusate culture.

22 Next slide.

23 So what do I mean by concordance here?

24 We mean growth of the same species as  
25 shown by pulse field gel electrophoresis or an

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1       antibiogram with PFGE recommended for common  
2       colonizers, such as coagulase negative Staphylococci.

3               The methodology used should allow  
4       characterization of different strains of the same  
5       organism, as well as contaminants, colonizers, and  
6       true pathogens.

7               Next slide.

8               Who would we propose excluding from these  
9       studies as not having the disease entity in question?

10              Patients with other endovascular  
11       infections, such as endocarditis; any patient with a  
12       prosthetic valve or vascular graft; patients with  
13       septic thrombophlebitis; or patients who do not have  
14       a vascular access device in place at the time of study  
15       entry; other bacteremic infections, for example,  
16       osteomyelitis; as well as patients who have received  
17       more than 24 hours of potentially effective therapy  
18       within 72 hours of study entry; those patients who  
19       could be treated with line removal alone; those  
20       patients who are moribund, who have renal or hepatic  
21       dysfunction except as provided for by the protocol;  
22       and those patients allergic to the study drug or  
23       comparator.

24              Next slide.

25              With respect to drug and dosing selection,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 the study drug should **be** active in vitro against the  
2 pathogens of interest. The pharmacokinetics and  
3 pharmacodynamics of the study drug should be  
4 characterized and used as the basis for drug and  
5 dosing selection.

6 Because of the serious nature of  
7 bloodstream infections, bacteriocidal agents would be  
8 preferred.

9 The comparator or choice of comparators  
10 should be discussed in advance with the agency. The  
11 protocol should specify the duration of therapy in  
12 advance, and interactions with adjunctive therapy  
13 should also be considered.

14 Next slide.

15 One form of adjunctive therapy that should  
16 be specifically considered is line removal. Line  
17 change criteria should be specified in advance. To  
18 avoid or minimize introduction of bias, these should  
19 be applied uniformly within a given randomization  
20 stratum.

21 If a line is not removed at enrollment,  
22 subsequent removal should be considered evidence of  
23 treatment failure.

24 We would discourage line changes over a  
25 guidewire because of the potential for introduction of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 infection of the new catheter. If such changes are  
2 performed, the criteria should be specified in  
3 advance, applied uniformly, and patients undergoing  
4 such guidewire changes should be the subject of an  
5 exploratory analysis to insure that bias has not been  
6 introduced.

7 Next slide.

8 With respect to the timing of assessments,  
9 at entry patients should have data obtained on vital  
10 signs, signs and symptoms of catheter related  
11 bloodstream infection, the type and site of catheter,  
12 and lab results.

13 Clinical and laboratory data speaking to  
14 other potential foci of infection should be obtained.  
15 Peripheral blood cultures and catheter drawn blood  
16 cultures should be obtained, and we would recommend  
17 two peripheral blood cultures.

18 And finally, if the catheter is removed,  
19 cultures of the catheter should be obtained of an  
20 exudate, of the hub, or infusate should be obtained.

21 After study entry, the first efficacy  
22 assessment would take place at 48 to 72 hours and  
23 would provide the first opportunity with respect to  
24 the clinical trial to determine if there was evidence  
25 of response to treatment or treatment failure.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1                   End of therapy would be an optional visit  
2                   at which the need for additional antimicrobial therapy  
3                   would be decided on.

4                   The test of cure visit would occur at  
5                   least five days post therapy and perhaps longer for  
6                   drugs with prolonged half-lives.

7                   And finally. for patients infected with  
8                   pathogens, such as Staph. aureus associated with  
9                   metastatic sequelae, such as osteomyelitis, late  
10                  follow-up should be obtained at least four weeks post  
11                  therapy.

12                  Next slide.

13                  In terms of definitions of response, this  
14                  will be defined as a composite endpoint with cure  
15                  being established by all of the following:

16                  Complete resolution of entry signs and  
17                  symptoms:

18                  Negative blood cultures at the test of  
19                  cure visit;

20                  And no late metastatic sequelae.

21                  Patients will be considered to have failed  
22                  treatment if any of the following occur:

23                  Incomplete resolution of entry signs and  
24                  symptoms;

25                  Clinical deterioration or relapse

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 requiring a change in therapy-;

2                   Need for line removal;

3                   Persistent or relapsing bacteremia;

4                   Death from infection;

5                   Or late metastatic sequelae.

6                   Next slide.

7                   With respect to analysis of data from such  
8 trials, the major emphasis, as I've said, would be on  
9 those patients who have clinically, microbiologically  
10 documented catheter related bloodstream infections.

11                   And as I've said, the primary endpoint  
12 will be a composite of clinical and microbiologic  
13 outcomes.

14                   Secondary endpoints could include separate  
15 clinical and microbiologic outcomes, time to clearance  
16 of bacteremia, development of resistance to study drug  
17 on therapy, and development of late metastatic  
18 sequelae.

19                   Next slide.

20                   With respect to which patient population  
21 should be analyzed, a modified intent to treat  
22 population should be analyzed consisting of all  
23 randomized patients who meet the clinical and  
24 microbiologic inclusion criteria at entry, that is,  
25 patients who have the disease entity at entry.

1           The protocol population would consist of  
2 those MITT patients who don't have any of the  
3 exclusion criteria, who receive study therapy for at  
4 least 48 hours, and also receive at least 80 percent  
5 of scheduled therapy, who do not have a change in  
6 therapy other than for failure, and how have all  
7 scheduled follow-up evaluations.

8           Next slide.

9           With respect to statistical  
10 considerations, studies will generally have an active  
11 control design since patients with this entity are  
12 generally treated at present.

13           If there is no comparator that is known to  
14 have demonstrable activity for this infection, then a  
15 superiority design would be appropriate.

16           If there is an approved comparator, which  
17 is not the case at present, or a well accepted  
18 standard of care, then an equivalence design might be  
19 appropriate if there is valid historical control data  
20 showing that the comparator has demonstrable treatment  
21 effect and giving a rigorous estimate of what that  
22 treatment effect is.

23           In addition, there would have to be a  
24 clinically acceptable delta between the control and  
25 test regimens.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Sponsors should also consider the  
2 implication of using stratified randomization versus  
3 subgroup analyses, looking at factors such as type of  
4 catheter, APACHE II score, and so on.

5 Next slide.

6 I'd like to end here. I want to thank my  
7 colleagues on the working group and within the Office  
8 of Drug Evaluation IV for their hard work in  
9 constructing this proposed guidance, and I will stop  
10 here and I'll be happy to answer any questions from  
11 the committee.

12 CHAIRMAN CRAIG: The presentation is open  
13 up to questions.

14 I guess I can ask one. David, the  
15 European standard that was written did not include  
16 hubcap culture. Why are you including it?

17 DR. ROSS: I think the issue is really one  
18 of not overlooking a potential source of infection,  
19 and I think our perspective is that if one has a  
20 situation where you have a positive peripheral blood  
21 culture, but you have negative cultures of catheter,  
22 the catheter itself, then you don't have a direct  
23 demonstration in that case that the bloodstream  
24 infection arose from the catheter.

25 So the hub cultures are suggested in order

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com



1 to not overlook that as a potential site of infection,  
2 and I think that the data suggest that that may, in  
3 fact, be a significant source of bloodstream  
4 infections, catheter related bloodstream infections.  
5 Sorry.

6 DR. RODVOLD: Dr. Archer.

7 DR. ARCHER: I'd like to emphasize that  
8 same point. I think the data that establishes the hub  
9 as the source of infection are like 15 years old and  
10 there's been like one study, decent study.

11 I think since then there's a lot more  
12 entry into catheters from multi-lumen devices where  
13 the hub contamination is probably higher than it was  
14 when those initial studies were done, and the chance  
15 for getting a single irrelevant contaminant peripheral  
16 culture and a contaminated hub is great.

17 So I think you can get those two positive  
18 and yet it not indicate a true catheter infection. I  
19 think without better data on the hub as a source it  
20 might be dangerous, and I would agree with that.

21 I have a second question. Where did the  
22 five CFU cutoff come from? I could find no reference  
23 for that versus 15.

24 Fifteen was the one established Maki.

25 DR. ROSS: I'm actually going to turn this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 over to Dr. Fred Marsik, the microbiology reviewer on  
2 the working group.

3 DR. MARSIK: Yeah, I recognized that Dr.  
4 Maki had established the 15, but there was also --  
5 there is a reference where somebody looked at  
6 establishing five, and there is a reference in the  
7 guidance document to that effect.

8 DR. ARCHER: The reference just has no  
9 reference to five in that reference that you list.

10 DR. MARSIK: I'll give you a reference for  
11 that.

12 DR. ARCHER: I pulled the reference that  
13 you listed, and it doesn't have five in it.

14 DR. MARSIK: Okay. Well --

15 DR. ARCHER: It has 15, in fact.

16 DR. MARSIK: That was why I was wondering.  
17 The meta analysis paper, there is a reference for  
18 looking at five versus 15.

19 DR. ARCHER: It refers to that same paper,  
20 and it said 15.

21 DR. MARSIK: Right.

22 DR. ARCHER: So I don't -- the reason for  
23 that is I think that's a very, very low cutoff, and I  
24 think that, in fact, when you look at Maki's paper, 15  
25 was a little questionable. When you get below that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 the incidence of contamination versus infection was  
2 real.

3 I think that needs to be looked at a lot  
4 more carefully.

5 **DR. MARIK:** Certainly as specificity goes  
6 up, the higher the colony count. That's true.

7 **CHAIRMAN CRAIG:** Dr. Norden.

8 **DR. NORDEN:** David, that's a nice  
9 presentation.

10 **DR. ROSS:** Thank you.

11 **DR. NORDEN:** One of the questions I have,  
12 and I think it's going to be very difficult, is in the  
13 exclusion criteria line removal alone is sufficient.  
14 That's sort of an ex post factor determination most of  
15 the time.

16 I mean, if we pull the line and the  
17 patient gets better or if it, you know, coagulates  
18 negative staph. and we pull the line, we say, "Well,  
19 it doesn't need treatment."

20 **DR. ROSS:** Right.

21 **DR. NORDEN:** So how would you do that  
22 practically in a treatment protocol?

23 **DR. ROSS:** I think that is an extremely  
24 good question, and I think the practicalities of  
25 specifying criteria are going to be difficult,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 especially when we recognize that for some catheter  
2 related bloodstream infections -- I'm thinking  
3 specifically of candida infections -- for a long time  
4 it was taught that all you needed to do was pull the  
5 catheter and not give any anti-fungal therapy.

6 And, in fact, we now know that you can  
7 have bad outcomes if you just pull the line.

8 I think that the best thing I could say at  
9 this point is that at this point it's something we  
10 don't have enough data to say which patients can be  
11 successfully treated with one removal alone. I think  
12 one hope that we have is that by stimulating interest  
13 in studying this as a separate entity, that that sort  
14 of data will become available, but I agree with you  
15 that is a very difficult question.

16 CHAIRMAN CRAIG: Dr. Chikami.

17 DR. CHIKAMI: I just wanted to follow up  
18 on Dr. Norden's point, and I think this may be  
19 something the committee may want to address during the  
20 general discussion, and that is the point that David  
21 raised.

22 The reason that this criteria was put in  
23 is because there studies are meant to be able to  
24 detect an antibiotic effective treatment, and somehow  
25 selecting those patients in whom you're likely to show

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a treatment effect is really critical in terms of  
2 making the study design as most informative as  
3 possible.

4 So we'd be interested to hear what the  
5 committee thinks about this issue in their general  
6 discussion.

7 CHAIRMAN CRAIG: Dr. Reller.

8 DR. RELLER: A couple of points and  
9 questions about specifically the data you presented,  
10 David.

11 Even if there were a reference with less  
12 than five colony or more than five colony forming  
13 units, there's no microbiology laboratory in this  
14 country that I know of that uses that criterion. It's  
15 15 or more.

16 And from a practical standpoint, those who  
17 culture at all, that's what's used, and I think that's  
18 what should be in the document the basis for which  
19 Dennis developed some years back.

20 The two questions are the clinical  
21 criteria very closely mimic those developed by the  
22 Critical Care Society for SIRS, systemic inflammatory  
23 response syndrome. Was there a reason or have I  
24 missed that they've changed? Why not make them  
25 exactly like those?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. ROSS: Well, we haven't given you the  
2 slide with the questions on it yet.

3 I think that you're referring to the fact  
4 that we make fever or I should say an alteration in  
5 temperature a required criterion, and in a sense  
6 weight that more heavily. I think that where that  
7 comes from is the feeling that usually the most  
8 frequent signal that causes people to obtain blood  
9 cultures looking for a catheter related bloodstream  
10 infection is fever.

11 Whether that should be a more important  
12 criterion based on the data available, I think, is  
13 very unclear, and I think that is an issue that we'd  
14 be very interested in getting the committee's thoughts  
15 on.

16 I think one of the things that we are  
17 concerned about, frankly, in terms of using the SIRS  
18 criteria alone is the fact that they are relatively  
19 nonspecific; that a large number of patients without  
20 infection could theoretically meet the SIRS criteria,  
21 and that's really the concern.

22 Whether it is scientifically justifiable,  
23 however, to give this additional weight to fever, I  
24 think, is a very real question, and that is, as you'll  
25 see, one of the questions on which we'd like to get

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 your guidance.

2 DR. RELLE: Right. I mean, I understand  
3 we'll come back to fever. I was simply not getting  
4 into the larger question of weighting, but why the  
5 listing of the components, that is, temperature  
6 alteration with one of the following.

7 The things that follow are virtually  
8 identical, but not identical to my understanding of  
9 the published SIRS criteria, and I mean, these are  
10 small points, but it's sort of like the five -- maybe  
11 larger points -- five colony units versus 15. I mean,  
12 the SIRS, I think, is greater than 90 on the heart  
13 rate, and a perhaps more objective, given the vagaries  
14 of observation of respiratory rate is to have the  
15 respiratory rate or the PA CO, less than 32.

16 It just seems to me a lot better to have  
17 any clinical criteria that are adopted match those  
18 that are clearly recognized and used. It would be as  
19 if one had an APACHE score with all components, except  
20 one of them was slightly different from the other  
21 components.

22 DR. ROSS: I understand.

23 CHAIRMAN CRAIG: Yes.

24 DR. ARCHER: For the test of cure, are you  
25 going to demand that blood cultures be drawn from all

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 patients who are clinically asymptomatic?

2 DR. ROSS: I'm beginning to think I don't  
3 need to put up the question slide. That is a  
4 question. I think that is an important question, and  
5 I think it gets down to what is the risk that we might  
6 miss in asymptomatic bacteremia, and certainly for  
7 other endovascular infections, and in particular I'm  
8 thinking of endocarditis here. We do get follow-up  
9 blood cultures.

10 So I think that's a question that we would  
11 like to get the committee's guidance on.

12 CHAIRMAN CRAIG: Dr. Parsonnet.

13 DR. PARSONNET: Also sort of in that line,  
14 are you going to have some criteria for when an echo  
15 will need to be done to rule out endocarditis?

16 DR. ROSS: The guidance does not go into  
17 that level of detail at present, as you know. I think  
18 that what we would rely on would be criteria, such as  
19 the Duke criteria in terms of establishing or  
20 attempting to exclude whether or not patients have  
21 evidence of endocarditis.

22 But I think one thing I want to emphasize  
23 is that given that this is -- the final document will  
24 be a guidance and will not be binding, that there's  
25 more than one way to satisfy the need to exclude such



1 patients, and I think that that would be, I think,  
2 another issue that we could address when we were  
3 revising the document.

4 But I think that we're welcome at this  
5 point to specify in great detail exactly what should  
6 be done because, again, this is a guidance. It's not  
7 intended to be a mandate.

8 CHAIRMAN CRAIG: Yes, Mel.

9 DR. WEINSTEIN: I had a little bit of a  
10 concern about the heart rate greater than 100 as well  
11 because a relatively large proportion of patients who  
12 have significant fever are going to have elevated  
13 heart rates. So you're going to wind up with a fairly  
14 liberal entry criterion if those are the two  
15 parameters.

16 CHAIRMAN CRAIG: Dr. Donowitz.

17 DR. DONOWITZ: One of the other issues is  
18 replacement of the catheter after you've pulled the  
19 infected catheter, if that's what it is. Guidewire  
20 you certainly brought up, but whether a catheter goes  
21 back the next day or it goes back five days later if  
22 somebody can hold off on that, certainly in my opinion  
23 affects the efficacy of therapy.

24 I don't find anywhere in here that that  
25 issue is addressed as to replacement of the catheter

at either site, by site or by timing and how that might affect the efficacy of therapy.

DR. ROSS: I think thinking in terms of catheter management is not so much to specify specific -- make specific requirements or recommendations, but more that studies be designed in such a way that it does not represent an entry point for bias; that criteria simply be specified in advance; and that they be applied uniformly.

So I appreciate what you're saying, but I think that is an issue that the sponsor should address, but I think the primary issue is is there a bias in terms of how the adjunctive treatment is being allocated.

CHAIRMAN CRAIG: Dr. Chesney.

DR. CHESNEY: I had four things I wanted to ask about.

DR. ROSS: Okay.

DR. CHESNEY: The first one, in defining a type of catheter, I wondered if you had thought about ventriculoatrial catheters.

DR. ROSS: No, we had not specifically discussed those. You mean, for example, for portal vein decompression.

DR. CHESNEY: CNS .

1 DR. ROSS: Oh, I'm sorry. No, we have not  
2 specifically discussed those, and I think that  
3 probably -- well, I think that there would be problems  
4 in that that might represent a very particular subset  
5 of patients who might have a different natural  
6 history, but we did not specifically discuss those.

7 DR. CHESNEY: The second thing, and this  
8 may be great for the general discussion, but it does  
9 apply to this, I notice that it says children will be  
10 considered when our experience expands. We have a  
11 tremendous experience, and I guess I would urge that  
12 when these criteria are developed that pediatric  
13 criteria are developed simultaneously, and the  
14 inclusion criteria would obviously be very different  
15 from children of different ages and so on.

16 The third thing is in response to Dr.  
17 Parsonnet's comment, not in response, but I noticed on  
18 page 10 of what we were given, which is exclusion  
19 criteria, it was patients with echo cardiographic  
20 evidence of endocarditis, and I think that raises a  
21 lot of questions in my mind, which is does that  
22 include a clot on the end of the catheter, which we  
23 see quite a bit in pediatrics. Does it mean that you  
24 have to do transesophageal echoes because that seems  
25 to becoming more of the gold standard?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1           So I just raise that for consideration,  
2           and I guess the fourth point was I wondered. I was  
3           interested to hear that these inclusion criteria are  
4           those of SIRS and adults, but they seem to me a little  
5           bit rigid, and I wondered about using categories, for  
6           example, white count between X and Y or blood pressure  
7           between or respiratory rate between X and Y.

8           It seemed you might exclude a patient  
9           whose white count was 11,900, which --

10           DR. ROSS: Right. No, one can imagine --  
11           I mean, clinically if you have a patient with a white  
12           count of 6,000 who normally lives at a white count of  
13           15,000, that could be a very significant increase. So  
14           I think that looking at the question of whether it  
15           changes from baseline is constructive.

16           CHAIRMAN CRAIG: Dr. Archer.

17           DR. ARCHER: The catheter site exudate  
18           culture, had you considered including Gram stain as a  
19           criterion for that as well? I could conceive of some  
20           ooze around the catheter which wasn't actually  
21           infection being cultured and skin contaminants being  
22           cultured as a result of that if just the culture were  
23           used.

24           DR. ROSS: Fred, do you want to?

25           DR. MARSIK: That's something that we had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, DC. 20005-3701

1 thought about, and thank you for bringing that up. We  
2 can probably include that in the diagnosis. Thank  
3 you.

4 CHAIRMAN CRAIG: Dr. Norden.

5 DR. NORDEN: David, I wanted to question,  
6 and I'm not sure how the rest of the committee would  
7 feel about this, but I'm not sure that late metastatic  
8 sequelae really are a failure of treatment of catheter  
9 related infections.

10 And then it has real practical  
11 implications. I mean, frequently at least I have seen  
12 patients who develop osteomyelitis four, six weeks  
13 after the catheter has been removed. Treatment seems  
14 perfectly effective. The patient has become afebrile  
15 and responded.

16 It would also, if you don't have to look  
17 for this, if you're a sponsor, it makes your life  
18 infinitely easier if you don't need a six week or  
19 eight week follow-up, and I'm just not sure that  
20 that's a failure of treatment or that we know how to  
21 prevent late metastatic sequelae at all.

22 I think we ought to at least think about  
23 that as a possibility.

24 CHAIRMAN CRAIG: Dr. Chesney.

25 DR. CHESNEY: I would agree with Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 Norden because the seeding may- have taken place before  
2 the treatment actually began, and the treatment given  
3 for the catheter related infection may not treat the  
4 metastatic infection, which shows up later. But I  
5 agree. I wouldn't necessarily always see that as a  
6 failure of catheter.

7 CHAIRMAN CRAIG: Personally I think it's  
8 good to try and get control data, and so I would  
9 probably still keep it even though it might not mean  
10 much. It would be nice to get control data.

11 Dr. Murray.

12 DR. MURRAY: Yeah, I would tend to keep it  
13 as well because it should be the same in both groups.  
14 So that there may be more underlinement. It should  
15 show up in both groups.

16 CHAIRMAN CRAIG: Dr. Reller.

17 DR. RELLE: One of the concerns in the  
18 first place with developing criteria is that a drug  
19 could look great with catheter removal and only be  
20 head in the sand temporarily, and to get the follow-up  
21 and know what happens in equivalence in the treated  
22 and nontreated for the things that can't be prevented,  
23 I think, would be very, very important.

24 And additionally, the earlier discussions,  
25 and we'll have more, about how critical it is to keep

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 2000.53701

(202) 234-4433

www.nealrgross.com

1 these patients stratified, delineated, defined as  
2 regards what's done with that catheter, the ones that  
3 are removed and not and by organism, this is a  
4 heterogeneous group of patients in response, and the  
5 intent is to find out what, if anything, a given  
6 antimicrobial adds to the therapy.

7 CHAIRMAN CRAIG: Okay. Thank you very  
8 much, David.

9 Now we run into the session for open  
10 presentations. We have two individuals. The first  
11 one is Ray Zhu from Biostatistics at Rhone-Poulenc  
12 Rorer.

13 DR. ZHU: Thank you.

14 Okay. Good morning. My name is Ray Zhu,  
15 Biostatistics Department in Rhode-Poulenc Rorer.

16 And first I'd like to congratulate FDA  
17 review team for putting together this well prepared  
18 draft guidance for treating important infections of  
19 catheter related blood stream infection, and overall it  
20 carries some good, important points and provides  
21 helpful and practical guidance in planning clinical  
22 trial for this indication.

23 In my presentation, I'd like to discuss  
24 two issues related to clinical trial design.

25 Next slide, please.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And these two issues are one is the number  
2 of trials that would be needed for approval for this  
3 indication.     Current guidance required two non-  
4 inferiority trial or one superiority trial.

5           And another issue is what is the  
6 appropriate delta, and this is discussed in this  
7 proposed guidance in the general sense principle, but  
8 not to a specific value.

9           Since recent, a lot of discussion in the  
10 regulatory agency around about what would be the  
11 appropriate delta to use in general antibiotics  
12 clinical trials, and there's a mindset shift away from  
13 the old point to consider rule where a wider delta can  
14 be allowed when response rate is slow, but a lot of  
15 questions ask can we make a narrower delta or make the  
16 delta selection independent of the response rate.

17           Since the delta selection and the number  
18 of trials jointly define the scale of clinical  
19 studies, so I think I will discuss the practical  
20 impact of this consideration.     Keep it in the  
21 practical context because serious infection of  
22 catheter related bacteremia is very serious, and we  
23 only have minimal treatment options there, plus  
24 emergence of resistance may require more new  
25 antibiotics be put in the development line in the fast



1 pace.

2 Next slide, please.

3 I want to start with specifically for  
4 catheter related bacteremia a special case of ten  
5 percent delta with two non-inferiority trials, and  
6 based on our experience, when we do the sample size  
7 calculation, this will need 3,900 patients enrolled  
8 over about seven years. This is mainly because the  
9 enrollment rate is very low. Based on RPR's past  
10 experience 50 patients per month is the best. That is  
11 for a large multi-national trial, enroll patients from  
12 180 sites, including 60 sites within U.S. and over  
13 across 12 countries.

14 And also, the large sample size is derived  
15 from low evaluability rate. Half of the patients may  
16 be excluded because either they don't have correct  
17 diagnosis or a lack of test of cure data.

18 And the success rate with standard  
19 practice is around 70 percent, which gives a high  
20 variability in outcome. It's also translated into  
21 high sampling variability on the study results, which  
22 requires large sample size to control it within a  
23 reasonable level.

24 Of course, this setting is not practical  
25 with its long development time, and the consequence

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 could be to limit patient access to new therapies and  
2 potentially also reduce number of drugs labeled.

3 Next slide, please.

4 Now, to balance the feasibility and the  
5 strength of evidence collected from well controlled  
6 clinical studies, so we asked this question if a  
7 single non-inferiority trial can be considered  
8 adequate if we have other data available.

9 This concept is described in FDA  
10 Modernization Act, and also with this division series  
11 of draft guidance issued since July '98 also support  
12 this concept by allowing single trial with supporting  
13 data.

14 Examples are hospital acquired pneumonia,  
15 skin (phonetic) skin structure infection, and UTI  
16 fever and neutropenia and meningitis.

17 So particularly for catheter related  
18 bacteremia, we're thinking maybe if we have approval  
19 for other serious infections or data from bacteremia  
20 secondary to other source of infection can be  
21 considered as supportive data, you know, to support  
22 with a single trial.

23 Next slide, please.

24 Okay. Now, to look at the delta and the  
25 impact of delta, I want to go through a specific

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 example, try to compare 20 percent delta, which is  
2 currently -- which is asked for from the old point to  
3 consider documents, and compare it against ten percent  
4 delta which has been discussed a lot recently, what  
5 should be the best to use.

6 Assuming here comparator has 70 percent  
7 success rate, and the sample size are corresponding to  
8 this two delta requirement is about 1,000 to 4,000  
9 patients need to be enrolled. One is less than two  
10 years; another is over six years. So it's a four  
11 times increase.

12 Next, let's look at the potential  
13 benefits, again, by delta, 20 percent versus ten  
14 percent. If we have a 50 percent success rate for a  
15 treatment, that's considered to be not acceptable.  
16 With both of these delta, the chance of seeing it pass  
17 the equivalence hurdle is very low. It's both  
18 controlled by alpha value already in the design.

19 The difference lies in the case when a 60  
20 percent response rate or success rate. So 20 percent  
21 delta would give some chance of letting that also pass  
22 even though it's not very likely, but it's still some  
23 chance, whereas ten percent delta will reduce that  
24 chance greatly. So that's the main difference.

25 But now the question is: is 60 percent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 acceptable from a clinical perspective for this  
2 indication?

3 If that is the case, then ten percent  
4 delta might be overkill if we consider the  
5 practicality of two trials in this indication.

6 Of course, this delta decision has to be  
7 based on medical and regulatory considerations. It's  
8 not just a statistical issue.

9 Next slide.

10 The observation from the last slide mainly  
11 joined from this busy slide where the upper panel, I  
12 listed the corresponding costs in terms of number of  
13 patients needed to be enrolled and the time of the  
14 enrollment for different delta ranging from 20  
15 percent, 15 percent, and ten percent. Also I gave one  
16 study and two studies per case.

17 And the lower part is the probability for  
18 a compound have a, you know, true response rate of 70  
19 or lower. Seventy is assuming to be equivalent to the  
20 comparator, and the lower can be 65, 60, 55 and 50.

21 Here 50 is generally probably considered  
22 not quite acceptable, but the question is for those  
23 two highlighted rows, 60 percent response or 55  
24 percent response, you can see even for FDA point to  
25 consider rule, the chance of passing 60 percent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 response rate is not quite likely, but it's possible.

2 But if we give better, of course, give  
3 narrower delta, it will dramatically decrease that,  
4 but then again, this is the question: do we want to  
5 really control around that level?

6 So the conclusion from this slide is  
7 really delta 20 percent with two studies or 15 percent  
8 delta with potentially one study. It's really  
9 controlled the risk of letting a not quite effective  
10 drug, but actually still not that bad, like 60 percent  
11 response rate, reasonably controlled.

12 Next slide, please.

13 Another argument can support a wider delta  
14 around 70 percent response rate. It also has to do  
15 with varying the delta with the response rate. A  
16 wider delta of 20 percent rate can actually be  
17 justified as controlling alt. ratio, which is a  
18 composite risk combining burden due to success, loss  
19 of success, and burden due to increase in failure, and  
20 this is a widely used matrix for comparing two  
21 proportions, and also I think it's particularly  
22 relevant to infectious disease setting because failure  
23 may cause resistance.

24 And from this perspective, actually for 70  
25 percent response, 20 percent drop on the response rate

1 is not adding too much burden comparing from 95  
2 percent response dropping to 85 actually.

3 And this has been used in a point to  
4 consider and also is currently under discussion at the  
5 CPNP in Europe.

6 So this point combined with the risk  
7 control I discussed in the previous slides will  
8 support maybe considering wider delta for planning  
9 clinical trials.

10 Next slide, please.

11 So in summary, here is delta of 15 percent  
12 or wider can be considered acceptable for non-  
13 inferiority limit when success rate less than 90  
14 percent, particularly for the case of catheter related  
15 bacteremia.

16 And the secondly is single, well  
17 controlled trial with supportive data can be  
18 considered adequate to meet regulatory requirement.

19 Thank you for your attention.

20 CHAIRMAN CRAIG: Any questions?

21 (No response.)

22 CHAIRMAN CRAIG: Thank you.

23 The next presentation is by Isaam Raad,  
24 M.D.

25 DR. RAAD: I would like to congratulate

1 the committee for the guidance. Having done research  
2 in this area --

3 CHAIRMAN CRAIG: Could you put the  
4 microphone on you? I think it's sitting there.

5 DR. RAAD: Sorry.

6 Let me start by introducing myself. I'm  
7 Isaam Raad with the M.D. Anderson Cancer Center,  
8 professor of medicine.

9 Having done research in this field over  
10 the last ten years without really having specific  
11 guidelines as to management and treatment, I think  
12 guidelines such as these would be extremely helpful in  
13 the future.

14 I want to make two points, one related to  
15 definitions and inclusion criteria. The guidance  
16 start with the premise of using specific -- I'm going  
17 to leave this till later -- with specific but flexible  
18 criteria, and in the introduction they speak of the  
19 fact that there would be inclusion criteria for  
20 suspected cases, but then availability would be  
21 determined on strict criteria of what is to be defined  
22 as catheter related bloodstream infections.

23 I think this is extremely useful.  
24 However, when it comes to inclusion criteria, we have  
25 relatively strict criteria which would serve as useful

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 criteria for evaluability, but not necessarily  
2 inclusion.

3 I'm pointing to the criteria on page 9,  
4 the microbiology criteria. I certainly agree that the  
5 cutoff point for a positive catheter culture should be  
6 more than 15 rather than five. I think the  
7 quantitative blood cultures should be greater than  
8 fivefold CVC versus peripheral. The three to one up  
9 to five to one might be too flexible.

10 But this would be useful as an  
11 evaluability criteria for definite cases, and  
12 inclusion criteria should be for suspected cases. A  
13 patient with a catheter with a likely organism, such  
14 as Staph. epidermidis, Staph. aureus or Candida  
15 parapsoriasis, no other apparently source, clinical  
16 manifestations of infection, such as cited here, and  
17 possibly catheter site inflammation, these would be  
18 the highly suspected cases.

19 And then later on when cultures are done,  
20 such as catheter cultures or quantitative lot  
21 cultures, these would be the definite cases for  
22 evaluation.

23 I want to mention something which is known  
24 to the advisory committee and to basically all of us  
25 here, that these are difficult infections to diagnose,



1 catheter related infections, and the usefulness of  
2 quantitative catheter cultures or semi-quantitative  
3 catheter cultures are limited in a sense based on our  
4 ability to extract organism from the catheter.

5 Studies by electromicroscopy by us,  
6 Casterton, and others show that these catheters are  
7 often colonized, but the catheter culture is negative  
8 even with the best techniques, such as sonication that  
9 would release organisms from the lumen and the  
10 external surface of the catheter.

11 I certainly agree with Dr. Gordon as to  
12 the cutoff point for more than 15, but also with  
13 others related to the hub cultures. The technique as  
14 to how you culture the hub is not well standardized.

15 And finally, on the culture of the  
16 infusate, I think just to mention a positive culture  
17 for the infusate plus a peripheral blood culture would  
18 imply catheter related bloodstream infection, I think,  
19 is too flexible. There needs to be some quantitation.

20 Dr. Maki uses more than ten to the two,  
21 and we've used the same. There should be some  
22 quantitation as to define infusion related bloodstream  
23 infection.

24 The second -- so I suggest that there  
25 would be inclusion of cases that are highly suspected,

1 probable cases, and also definite cases, and then an  
2 intent to treat analysis. There would be analysis of  
3 the probable and the definite cases, and then the  
4 evaluable and the evaluability as part of the  
5 subanalysis would analyze the definite cases based on  
6 quantitative catheter cultures and quantitative blood  
7 cultures.

8 I think it's important to take into  
9 consideration because of the fact in long term  
10 catheters or tunnel catheters or ports, that these  
11 catheters are often not removed, and especially in  
12 infections caused by Staph. epidermidis, to give  
13 consideration to some of the newer studies by Blotte  
14 and colleagues from France as to the differential to  
15 positivity time, and I think Dr. Mermel here has one  
16 study to support this presented viewing ICAAC, 1998.

17 The fact that the blood cultures would  
18 become positive at least two hours earlier if they're  
19 drawn simultaneous blood cultures from the CVC versus  
20 peripheral vein would highly suggest that the catheter  
21 is the source of infection. Quantitative blood  
22 cultures are not highly available, and this should be  
23 consideration.

24 There is a recent study by Blotte which is  
25 a prospective one published in September 27, 1999, in

1 the Lancet, which would be useful to this draft  
2 guidance.

3 Finally, the second issue is related to  
4 blood cultures in terms of evaluating should they be  
5 required in all patients at the test of cure **and**  
6 follow-up visits.

7 Now, this is not endocarditis here being  
8 looked at. This is a transient bacteremia that would  
9 include Staph. epidermidis as one of the organisms.

10 And if the patient is now discharged, is  
11 doing well, comes back seven days later, seven to 14  
12 days later for a test of cure, in the absence of fever  
13 or clinical manifestations of infection, what is the  
14 meaningful -- how meaningful is a positive blood  
15 culture from this patient?

16 For Staph. epidermidis we know that in a  
17 patient such as this one the positive predictive value  
18 of a positive blood culture is extremely low. Bates  
19 and Lee, for any positive blood culture in the absence  
20 of fever or chills in JAMA, 1992, showed that the  
21 probability of a positive blood culture is 1.5  
22 percent. This would reflect through bacteremia.

23 There are other studies for, for example,  
24 Staph. epidermidis, again, positive predictive values  
25 extremely, extremely low.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Even by a more recent study in the  
2 Clinical Infectious Disease, 1996, where they looked  
3 a febrile patients with a positive or negative Staph.  
4 blood culture and determined the positive predictive  
5 value, in these febrile patients the positive  
6 predictive value of a positive blood culture for Gram  
7 negative Staph. is 26 percent.

8 So if we get a patient who is afebrile and  
9 have a positive blood culture, what does that mean in  
10 the absence of clinical manifestations of infection,  
11 and why should we do it?

12 I would do it if the patient -- and then  
13 most investigators will not perform it. I'm not sure  
14 if the IRB would approve it because of its lack of  
15 usefulness and we're drawing blood on a patient in the  
16 absence of a clinical indication.

17 So what I would suggest is that these  
18 blood cultures should be done in a febrile patient or  
19 patients with the signs of infection at the catheter  
20 site if the catheter has not been removed, such as a  
21 tunneled catheter or a portion, or patients with  
22 Staph. aureus versus Staph. epidermidis.

23 Staph. aureus bacteremias in patients who  
24 are not able to mount a febrile or manifest with  
25 fever, such as patients on high dose steroids or

1 patients with renal failure.

2 Just a quick word about renal failure. I  
3 noticed that one of the exclusion criteria suggested  
4 in the guidance is to exclude patients who have renal  
5 or hepatic dysfunction from these studies, and I find  
6 no reason for this. Hemodialysis patients get  
7 catheter infections and should be included, part of  
8 the evaluation.

9 So the two points I'm making is to include  
10 patients with probable catheter related bloodstream  
11 infections. Then do quantitative catheter cultures  
12 and quantitative blood cultures. Consider  
13 differentialpositivitytime, and then evaluate intend  
14 to treat all patients with probable infections  
15 included and then concentrate in a subanalysis on the  
16 definitive cases.

17 And the second point I'm making here, that  
18 the blood cultures should be done as a test of cure in  
19 patients who are coming back with fever or any of the  
20 signs suggested here to suggest a recurrence of  
21 infection.

22 Thank you.

23 CHAIRMAN CRAIG: Any questions?

24 Dr. Murray?

25 DR. MURRAY: Sure, Sam, as long as you're

1 up there.

2 Taking Staph. epi. for example, and of  
3 course, the patient population you're dealing with is  
4 a little bit different from what may be out there, I  
5 mean, that's one of the ones I think people are going  
6 to have trouble with. Is removing the catheter  
7 sufficient, et cetera?

8 How would you approach Staph. epi. in  
9 terms of setting up a trial? Length of therapy; just  
10 taking out the catheter and not treating; three days,  
11 five days, ten days, 14 days? Just for curiosity, how  
12 do you view that even in your population?

13 DR. RAAD: Yes. I think reviewing the  
14 literature, in our population and others most of what  
15 is there in the literature would suggest that Staph.  
16 epi. you can treat without removal of the catheter,  
17 but this is clinically most applicable in patients  
18 with a long term tunnel catheter or port.

19 DR. MURRAY: Actually I meant in the other  
20 population where it's a peripheral, where it's a type  
21 of catheter that you would just remove, not in the  
22 ones that you want to keep in, but in the ones that  
23 are short lines, that are very easy to remove, and  
24 have been removed because the patient was febrile and  
25 the physician at the time of seeing the patient

1 removed catheter.

2 The treatment of those patients who have  
3 had the catheter removed, as opposed to --

4 DR. RAAD: Oh, how long you ask. I don't  
5 think this is defined, and I think this is why the  
6 guidance is helpful. We're going into an era where  
7 we're starting to see prospective randomized studies  
8 dealing with catheter related bloodstream infections.

9 All that we have is retrospective data and  
10 more anecdotal data. So it's not well defined. In  
11 one study published in Infection Control Epidemiology,  
12 these were treated whether removed or not removed.  
13 The catheter related Staph. epidermidis bacteremias  
14 required two positive blood cultures, were treated  
15 with five to seven days, and did reasonably well.

16 So the question is: do you need to treat  
17 them if you remove the catheter? This is yet to be  
18 answered.

19 I think the problem in the literature is  
20 many of the cases labeled as Staph. epi. bacteremias  
21 might not be true bacteremias, might be a positive  
22 blood culture drawn through the CVC which would  
23 reflect an interluminal colonization or hub  
24 colonization. So this is why it's important to have  
25 at least one concurrent peripheral blood culture.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CRAIG: Dr. Mermel, just for the  
2 record, Dr. Len Mermel joined the group. He's from  
3 Brown University, and a consultant to the committee.

4 FDA Representative

5 DR. MERMEL: Sam, just a couple of quick  
6 questions.

7 Would you also consider the repeat  
8 cultures with Candida as you, you know, mentioned with  
9 Staph. aureus? Would you put Candida up there in the  
10 same category?

11 DR. RAAD: Yes. I would consider Staph.  
12 Aureus and Candida versus Staph. epidermidis and some  
13 of the other skin organisms.

14 DR. MERMEL: Yeah, and then with the  
15 infusion related cutoffs, I know that Dennis and you  
16 and others have used the same cutoffs, but I don't  
17 think really it's undergone any rigor with regards to,  
18 you know, what we should really use for a cutoff.

19 I mean, if you saw a funny Gram negative  
20 and it was ten colonies per mL in infusate and someone  
21 had, you know, a percutaneously drawn blood culture of  
22 the same organism and there was no other obvious  
23 source based on, you know, a thorough exam --

24 DR. RAAD: The reason why I say there  
25 needs to be some quantitation is my concern is with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Staph. epi.

2 DR. **MERMEL:** Yeah.

3 DR. **RAAD:** We have finished a study, a  
4 prospective study on more than 500 patients and  
5 cultured basically the infusate from all of these  
6 patients, and we get often -- this is more of  
7 contamination of the Staph. epi. -- ten colonies or 15  
8 colonies from the infusate per mL, and these patients  
9 were afebrile, have no evidence of infection, but  
10 sometimes you might have a concurrent bacteremia, and  
11 then just to make sure.

12 So for Staph. epi. at least there needs to  
13 be some cutoff point.

14 DR. **MERMEL:** Obviously what you're getting  
15 at is the predictive value was different as I think  
16 Armstrong had shown ten years ago looking at  
17 quantitation of skin organisms at the insertion site  
18 of the Staph. epi. They had a much higher cutoff.  
19 Yet Staph. aureus and some other more pathogenic  
20 organisms had a lower -- I think any at the insertion  
21 site appeared to correlate with catheter related  
22 bloodstream infections.

23 So maybe we need to vary the definition  
24 based on, you know, Staph. epi. and others.

25 DR. **RAAD:** It might be. I'm also talking

1 about skin that they exudate. I agree with doing a  
2 Gram stain for the exudate because, again, some  
3 discharge from the insertion site might not mean  
4 parallels, and this has to be.

5 DR. MERMEL: One last point. Barbara's  
6 comment. We had a consensus panel last year that I  
7 was involved with in Spain and talking about coag.  
8 negative Staph. short term cath. related infections,  
9 and some of our infectious disease colleagues in the  
10 Netherlands said most of the practice at least in  
11 their country was with coag. negative Staph.  
12 bacteremia. They don't routinely treat unless, you  
13 know, the patient is feverous, continues for days, you  
14 know, after they have removed the device, seemed to  
15 be, you know, the antithesis of what we seem to do  
16 here in the U.S.

17 DR. MURRAY: Well, certainly when some of  
18 us were in training, a few years before you, we didn't  
19 treat them either once the catheter came out, and  
20 that's sort of something that has evolved without  
21 particular data to support it.

22 DR. ROSS: Thank you.

23 Just a point of clarification. I just  
24 want to say we absolutely agree with Dr. Raad that  
25 patients with renal failure should not be excluded

1 routinely from these studies, and we may need to  
2 rephrase the way that's written in the guidance.

3 The intent is that the protocol  
4 specifically address such patients, not that they be  
5 excluded, but we certainly recognize that these are  
6 patients who are at high risk for catheter related  
7 bloodstream infections not only because of  
8 hemodialysis, but because of other medical  
9 interventions which may be needed.

10 CHAIRMAN CRAIG: But they also involve  
11 patients who's going to have an alteration in the  
12 pharmacokinetics of the drug, and so that could also  
13 cloud the picture. So you wouldn't just want to do  
14 the study in those patients.

15 DR. ARCHER: Excuse me. Dr. Raad, one  
16 question. Would you support a trial where it's  
17 documented coag. negative Staph. bacteremia; the  
18 catheter comes out; where one of the control groups is  
19 no therapy at all? Not that any company would ever do  
20 that.

21 DR. RAAD: Yes, I would, and I think,  
22 again, but these should exclude neutropenic patients.  
23 I think in neutropenic patients there is some  
24 mortality if this is true Staph. epi. infection. In  
25 neutropenic patients there is a 12 percent mortality.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 so I don't think these should be treated. Otherwise  
2 I would support it.

3 DR. MERMEL: Wouldn't you also exclude  
4 patients with prosthetic valves as well?

5 DR. RAAD: Certainly.

6 DR. MERMEL: Obviously.

7 CHAIRMAN CRAIG: Okay. Dr. Reller.

8 DR. RELLER: I have a couple of questions  
9 for Drs. Raad and Mermel.

10 You urged that if infusate and hub  
11 cultures were included in the criteria, that  
12 quantitation be used. Recognizing that culturing skin  
13 and hub and infusate may be important in studies  
14 trying to delineate where all of this starts, but in  
15 a clinical trial for the target patients that we are  
16 talking about, what role -- what do you do with  
17 infusate cultures, hub cultures? What information  
18 does one gain that could not be obtained by peripheral  
19 blood cultures or Maki method cultures of removed  
20 catheters?

21 DR. RAAD: Do you want to? Go ahead.

22 DR. MERMEL: I think Sam and I would  
23 probably both agree that there are certainly a number  
24 of articles in the published literature where Dr.  
25 Maki's -- you know, the roll plate method, that people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 have had catheter related bloodstream infection when  
2 they found positive infusates, for example, or Tony  
3 Stiges-Serra obviously has a number of studies, as do  
4 others, where they found the hubs revealed pathogens  
5 that weren't revealed simply by rolling the catheter.

6 And then Sam has, you know, championed the  
7 cause and Barry Farr (phonetic) had a recent meta  
8 analysis, as you know, in Journal of Clinical Micro.,  
9 using possibly quantitative methods which are not, as  
10 you know, routinely used in the vast majority of U.S.  
11 microbiology laboratories because of their labor  
12 intensiveness.

13 They have much higher sensitivity, and  
14 maybe with those methods we could get a higher yield  
15 from intraluminal pathogens as well as extraluminal  
16 pathogens. So it's possible if something like  
17 sonication of the catheter was used. We might not  
18 miss some of the organisms where we were using the  
19 roll plate method to help define catheter related  
20 bloodstream infection with concordance with the  
21 percutaneously drawn blood culture.

22 So I think, you know, there are studies  
23 where those -- I think your point is very well taken,  
24 but I think looking at Barry Farr's meta analysis, the  
25 sensitivity of the methodology for the roll plate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 method is suboptimal in some conditions, and maybe in  
2 those conditions, particularly intraluminal  
3 infections, might have a higher yield.

4 Sam?

5 DR. RAAD: Yes. I think the roll plate  
6 method was an initial first step, but even in Maki's  
7 studies and later studies by us and others, the  
8 sensitivity of this method is 45 percent being the  
9 highest.

10 The reason is that the roll plate method  
11 cultures the external surface of the catheter only,  
12 and there is no attempt to release organisms that  
13 might be imbedded in biofilm.

14 The sonication method might be better  
15 because you get organisms from the external surface  
16 and the internal surface, and you release organisms  
17 that are sessile or imbedded in biofilm.

18 Again, this is not the perfect method,  
19 sonication being sonication.

20 The question: if you do sonication, and  
21 I think what you're raising is the validity or need if  
22 you do a biluminal kind of a catheter culture  
23 technique, which is quantitative, do we really need to  
24 do a hub culture or an infusion culture of the  
25 infusate?

1           This is unknown, but theoretically you can  
2           imagine that there might be colonies in the hub or  
3           might be colonies going through the infusate and not  
4           sticking to the lumen of the catheter and causing  
5           catheter related bloodstream infections.

6           For the infusate, this is going to be  
7           transient, but nonetheless, this would be meaningful,  
8           if done, could be meaningful to show that there is a  
9           catheter related bloodstream infection, but you  
10          probably need a DNA typing in this setting to make  
11          sure that the same organism from the infusate or the  
12          hub versus the peripheral vein.

13           DR. MERMEL: On the other hand, let me say  
14          I would be satisfied, I mean, if there were a study  
15          done.

16           When Sam and I do studies, and we have  
17          done things, our own studies, we've utilized more than  
18          one method. If I utilize the roll plate method, I use  
19          another microbiological method when we do studies on  
20          say preventing catheter infections. So we try to  
21          obviously catch as much as we can, although the  
22          questions are somewhat different as you've raised.

23           I think if a sonification method were  
24          used, for example, myself, my personal opinion, I  
25          wouldn't feel compelled that those other cultures

1 would have to be done as long as all of the labs were  
2 doing, you know, that same method

3 DR. RELLER: My concern is trying to make  
4 these thing -- I mean, there are reasons to look at  
5 them, and it seems to me if you have an infusate  
6 that's positive and nothing else is positive, it's an  
7 infusate related infection, not a catheter related  
8 infection.

9 And what's the target that we're after?  
10 Clinically we're after patients who have documented --  
11 and we'll get into further discussion there, what it  
12 takes to be comfortable with documentation --  
13 documentation of that they're sick and they've got  
14 bacteremia, bloodstream infection, bloodstream and  
15 infection, sick and with positive blood cultures, and  
16 then how to treat it.

17 And it seems to me that, you know, trying  
18 to delineate how sensitive and specific all of this,  
19 in quotes, peripheral stuff is misses the mark of what  
20 we're really after, given the documented ambiguities,  
21 the lack of standardization, and so on.

22 And this is going to be tough enough to  
23 study anyway, but if we start having a mixed group of  
24 patients coming into it, it even makes the job more  
25 difficult as opposed to saying some day down the line,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 when people work out all of the quantitative  
2 relationships between hub and this, that, and the  
3 other, then we can consider it, but right now I'm very  
4 uncomfortable with hubs and infusates at helping us  
5 get at the central clinical issue that we're  
6 attempting to address.

7 DR. MERMEL: The problem is, as you've  
8 probably seen clinically, there are those patients  
9 that seem to have compelling evidence of a catheter  
10 related bloodstream infection, and a roll plate  
11 technique alone is negative, and yet there's no other  
12 source, say, of let's **say** a coag. negative Staph. or  
13 a Staph. aureus bloodstream infection.

14 So that's okay if you just use the roll  
15 plate, but realizing that you're going to miss a large  
16 number of patients, you know, based on your  
17 microbiological criteria.

18 DR. RELLER: I mean, there are those who  
19 don't think the roll plate is helpful in this in the  
20 first place because you've already got done one of the  
21 prime and stratified characteristics in the therapy of  
22 these infections.

23 So that what it comes down to is if we're  
24 considering these other things that are not  
25 standardized yet, because the roll plate, semi-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 quantitative roll plate methods is not perfectly  
2 sensitive for all of the two reasons that Dr. Raad has  
3 mentioned, with the electron microscopy biofilm, and  
4 so on, then it puts even more emphasis on what may be  
5 central in the first place, namely, the documentation  
6 of bacteremia with no other source recognized, which  
7 is part of the definition, and either the catheter is  
8 thought to be the cause and is left in where you  
9 wouldn't have the roll plate anyway, and you give  
10 therapy and the patients do or don't respond.

11 And most of these are going to be  
12 coagulase negative Staphylococcus, and if there's no  
13 other site and the patient gets better and the follow-  
14 up cultures, if we decide that that's important, are  
15 negative and there's no hardware in place anyplace, I  
16 mean, I think most people clinically would accept that  
17 if the bacteremia is with Staph. epidermidis and the  
18 catheter is the only plausible culprit, it's real.

19 CHAIRMAN CRAIG: Yes, Dr. Archer.

20 DR. ARCHER: One more.

21 CHAIRMAN CRAIG: This is a question or is  
22 this --

23 DR. ARCHER: Well, a question and a  
24 comment.

25 CHAIRMAN CRAIG: -- part of the

1 discussion? Because we're going to have discussion in  
2 a little while.

3 DR. ARCHER: Right. Just kind of a  
4 comment. It's just that there's so much lack of  
5 knowledge it seems to me this is the perfect  
6 opportunity to answer a lot of these questions by  
7 doing comparative trials with different agents and  
8 using fairly broad criteria, specific criteria, with  
9 one of the outcomes being to assess which of these  
10 methods really does predict outcome.

11 And so I think this is a chance to really  
12 get some information we don't have. I think we should  
13 be thinking about that when we're thinking about  
14 criteria and design of studies, not only setting up  
15 the trials that differences between drugs, but gain  
16 some information on how to make a diagnosis and how to  
17 assess outcomes.

18 CHAIRMAN CRAIG: Any other questions or  
19 comments right now?

20 (No response.)

21 CHAIRMAN CRAIG: We'll take our break, and  
22 we'll be back at ten o'clock.

23 (Whereupon, the foregoing matter went off  
24 the record at 9:42 a.m. and went back on  
25 the record at 10:03 a.m.)

1 CHAIRMAN CRAIG: Okay. Gary, are you or  
2 David going to introduce the questions?

3 DR. CHIKAMI: David will.

4 Before the specific questions go up, I  
5 just want to say I appreciated -- we all appreciated  
6 -- the wide ranging discussion that occurred this  
7 morning and sort of look forward to more of that.

8 And the questions that we posed are meant  
9 just to focus on a couple of specific areas that we  
10 want the committee's comment on, but I think we would  
11 appreciate the committee's comments on any aspects of  
12 the guidance as it related to the document.

13 CHAIRMAN CRAIG: Yeah, I have a lot of  
14 things listed down that we'll go through in addition  
15 to the questions.

16 DR. ROSS: Okay. With respect to the  
17 definition that is given in the draft guidance for the  
18 study population, is the weight given to fever as a  
19 clinical inclusion criterion scientifically  
20 appropriate?

21 If not, how could the clinical inclusion  
22 criteria be designed to insure diagnostic specificity?

23 In addition, in which situations would an  
24 identical antibiogram suffice to demonstrate  
25 concordance between peripheral blood cultures and

1 either catheter drawn blood cultures or cultures of  
2 catheter hardware, and in which situations would pulse  
3 field gel electrophoresis be needed?

4           Going to the issue of assessing efficacy,  
5 given that both clinical and microbiologic criteria  
6 are important in defining the study population in  
7 determining outcome, how should microbiologic outcomes  
8 be assessed?

9           CHAIRMAN CRAIG: Thank you, David.

10           We'll start off then with the first part  
11 of the first question about the weight given to fever  
12 as a clinical inclusion criterion, scientifically  
13 appropriate.

14           And I guess I'll start by first asking our  
15 consultant, Dr. Mermel, whether he would comment on  
16 that question.

17           DR. MERMEL: Thanks, Bill.

18           CHAIRMAN CRAIG: I always get the  
19 antibiotic questions. You get these.

20           DR. MERMEL: Yeah. I think it's a  
21 difficult question to answer. As Dr. Archer pointed  
22 out, we still have so much more to learn with regards  
23 to appropriately studying these sorts of infections.

24           I think it is given appropriate weight,  
25 realizing that from studies down now, I think, 20

1 years ago, there's a potential for the elderly, for  
2 example, 15 percent or so, to have bloodstream  
3 infection without a febrile response.

4 On the other hand, if we're going to look  
5 at putting a new product on the market to treat  
6 infections, I think we all would like to have some  
7 sense of the seriousness of it, and if we're going to  
8 treat people who don't have a fever and then look at  
9 efficacy of a drug, I have some problems with that.

10 So I think, my personal opinion, but  
11 again, I think scientific rigor is a little bit shaky.  
12 Realizing that we may miss some populations, people on  
13 steroids, the elderly, who may not mount much of a  
14 febrile response, despite that potential weakness, I  
15 think it's fair to give it the weight it's given.

16 CHAIRMAN CRAIG: Any other comments from  
17 anybody else? Dr. Chesney.

18 DR. CHESNEY: I'd just like to reiterate  
19 how strongly I think some of us feel that the  
20 pediatric studies should be done simultaneously, and  
21 certainly neonates and specifically pretermatures usually  
22 don't have fever with Staph. epidermidis sepsis. So  
23 I'd just like to add that.

24 CHAIRMAN CRAIG: But are you saying that  
25 you would want to change the criteria or we would just

1 not include those in the studies?

2 DR. CHESNEY: I'd like to create a whole  
3 separate set of criteria for children. I think they  
4 have to be separate.

5 The other issue that was mentioned to me  
6 just a few minutes ago is that it can be difficult to  
7 get peripheral cultures on prematures. So maybe you  
8 would require two central line cultures. I mean that  
9 whole issue, I think, would need to be discussed and  
10 a separate set of inclusion criteria.

11 CHAIRMAN CRAIG: Dr. Danner.

12 DR. DANNER: I think that the criteria  
13 giving weight to fever is, in fact, appropriate. In  
14 terms of pediatrics, obviously the guidelines would  
15 have to be a separate set for adults versus children,  
16 even you know the things like the blood pressure  
17 criteria and the heat rate criteria. Newborns have  
18 heart rates over 100 when they're normal. So these  
19 things would have to be redone and made specific for  
20 children.

21 In terms of -- which I think is on the  
22 same topic -- in terms of the issue of not following  
23 the SIRS criteria closely, I think that's actually  
24 appropriate. It looks like the changes in the  
25 criteria have, if anything, set the bar a little

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 higher, and the SIRS criteria as a gold standard have  
2 been a terrible gold standard. They have been  
3 selected for a patient population that is particularly  
4 homogeneous or that responds similarly to a variety of  
5 interventions, and I think within critical care  
6 medicine there's widespread dissatisfaction with the  
7 criteria as they exist.

8 So I think setting the bar a little higher  
9 for entry in a specific type of infection, like  
10 catheter related infection, is in fact the right way  
11 to go with these things.

12 CHAIRMAN CRAIG: Dr. Archer.

13 DR. ARCHER: You kind of have to ask  
14 yourself why would anybody be getting a blood culture  
15 in a patient who's not febrile, and the things that  
16 come to mind would be patients are not doing well, and  
17 that tends to be sometimes when patients aren't doing  
18 well for whatever reason, blood cultures are drawn,  
19 and I think the chance for contamination and  
20 inappropriate attributing positive cultures to  
21 whatever the patient's clinical condition is is a lot  
22 higher when you don't have something like fever.

23 CHAIRMAN CRAIG: I agree.

24 Barth, did you have your hand up?

25 DR. RELLER: I just wanted to ask Bob. Is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 there an imminent prospect of the SIRS criteria being  
2 revised?

3 **DR. DANNER:** No is the answer to that, but  
4 it's not because people like them the way they are.  
5 It's because people don't know what to do with them.

6 **CHAIRMAN CRAIG:** Yes, Dr. Donowitz.

7 **DR. DONOWITZ:** Dr. Chesney spoke to this,  
8 but, again, representing the pediatric side of things,  
9 I think it is possible to include pediatrics in this  
10 general study if you delete the neonates and the  
11 premature neonates. Unfortunately that deletes a huge  
12 population where we see catheter related infections.  
13 It would be a very large study group which would be  
14 nice to have data in.

15 And so I see that almost as a separate  
16 entity, but I think if you took intensive care unit  
17 patients, trauma patients, oncology patients, we could  
18 probably go with the same criterion in the group above  
19 the premature neonate. The premature neonate very  
20 rarely mounts a fever with infection and oftentimes  
21 becomes hypothermic, and so there are so many of these  
22 criteria that really would not apply.

23 But with the older kids, I don't know how  
24 you feel, Joan, but I think it could well be used to  
25 include our older patients.

**NEAL R. GROSS**

**COURT REPORTERS AND TRANSCRIBERS**  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN CRAIG: Dr. Chesney.

2 DR. CHESNEY: A couple of things along  
3 that line.

4 I think in pediatrics we put a fair bit of  
5 weight on where the temperature is taken. So we'd  
6 have to specify whether it was axillary or rectal  
7 because an axillary temperature of 37.5 is really a  
8 rectal temperature of 38.1 or 38.2.

9 I'm also working at St. Jude now, and I  
10 know that they work up a 37.8 rectal temperature as  
11 fever. so that's just a sort of oncologic,  
12 immunocompromised group that might have different  
13 criteria.

14 CHAIRMAN CRAIG: Any other comments on  
15 that particular questions?

16 I'm sort of getting the feel from what has  
17 been said that everyone feels that the criterion  
18 putting the extra emphasis on fever is appropriate.  
19 Everyone is sort of shaking their heads over this way,  
20 too. So I think we've answered that first question,  
21 that the committee does feel that that's appropriate.

22 I think the one little tidbit that was  
23 there was that clearly in some patient populations,  
24 they are going to be excluded.

25 I would probably add renal failure

1 populations to the group as well because they  
2 frequently don't mount as much of a fever as well, but  
3 with that understanding at least for getting the drug  
4 approved for this indication, the committee does feel  
5 that fever is indicated.

6 Julie.

7 DR. PARSONNET: Just one quick comment to  
8 echo what was just said, that the site of temperature  
9 also is important in adults, and people are using all  
10 different methods now, and that should be stated  
11 pretty clearly.

12 CHAIRMAN CRAIG: Yes, Dr. Murray.

13 DR. MURRAY: Yeah, I just wanted to  
14 possibly extend just a tiny bit on what Gordon said  
15 because I think you were saying this, but if you do  
16 have positive blood cultures drawn because someone had  
17 failure to thrive and this as written would exclude  
18 them from being studied, but that would be a  
19 population you'd be interested in, obviously you'd  
20 want to have to repeat the blood cultures at the time  
21 of entry. So by then you'd have two or three or four  
22 known positives to continue inclusion, and you might  
23 have to have stricter microbiology criteria, but that  
24 would be an appropriate population to study, I think.

25 CHAIRMAN CRAIG: Go ahead, Gordon.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. ARCHER: Just that one comment about  
2 how the temperature is taken. Some hospitals have  
3 gone to very nontraditional ways of measuring fever.  
4 Unbeknownst to us, for instance, our hospital goes to  
5 this thing where you rub something across the  
6 patient's forehead and then stick it behind their ear,  
7 which is as far as I can tell a fairly nonstandardized  
8 way of taking temperatures, and some use the ear.

9 And I 100 percent agree with you. I mean  
10 you really have to know how the temperatures are being  
11 taken and how relevant those temperatures. That  
12 should be standardized.

13 CHAIRMAN CRAIG: At least I know at least  
14 from some of the workbooks I've seen from some of the  
15 pharmaceutical companies when they're asking you for  
16 fever, they have down all of the choices that can be  
17 done and there are quite a few of them.

18 Any other comments on that specific  
19 question?

20 (No response.)

21 CHAIRMAN CRAIG: Okay. Let's move on  
22 then. I guess we've added the second part, too.

23 Is there anything -- let's just see if  
24 there is anything additional besides fever that people  
25 feel need to be added to enhance the diagnostic

1 specificity. Yes?

2 DR. CHRISTIE-SAMUELS: I have a question.  
3 I wondered if you couldn't mix and match the systemic  
4 and the localized signs of infection. As they're  
5 written it says "or," I wonder if we couldn't have  
6 "and/or" for the clinical inclusion criteria.

7 DR. ROSS: I think the way the guidance is  
8 constructed right now, if you had an afebrile patient  
9 who, for example, simply had a tender erythematous  
10 area over the catheter and had microbiologic evidence  
11 of catheter related bloodstream infection, that even  
12 if there were no systemic clinical signs of infection,  
13 that patient will be considered to have a catheter  
14 related bloodstream infection.

15 For both purposes of the guidance and I  
16 would also say from a clinical standpoint, I think  
17 most clinicians would consider that patient to have  
18 bloodstream infection arising from the catheter.

19 CHAIRMAN CRAIG: Any suggestions, anything  
20 that we could add to the clinical criteria that would  
21 be helpful?

22 Dr. Reller.

23 DR. RELLER: Respecting Bob Danner's  
24 comments, I mean one could put down as an alternative  
25 option for the respiratory rate greater than 20 the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fall in arterial PCO<sub>2</sub>. Do you think that's  
2 reasonable, Bob?

3 I mean the way the SIRS have it where it's  
4 rapid respiratory rate or fall in PCO<sub>2</sub>.

5 DR. DANNER: I think that would be fine.  
6 I just think that in terms of developing the criteria,  
7 that particularly early on in this one in doing  
8 studies, what you want to do is to try to select as  
9 specific a population as possible that really does  
10 have catheter related infection, and you don't want a  
11 lot of noise from patients who don't have that and are  
12 in here.

13 So that's why I think the fever thing is  
14 important in terms of, you know, looking at -- you  
15 know, adding PCO<sub>2</sub> in or something like that, I'm not  
16 sure if that -- I don't think that, just off the cuff, I  
17 don't think that would make your patient selection  
18 less specific. So I guess I think that would be okay.

19 CHAIRMAN CRAIG: Dr. Murray.

20 DR. MURRAY: Well, just that I think a  
21 respiratory rate of greater than 20 is pretty  
22 nonspecific. So I think Barth was trying to look for  
23 a way to maybe make that better, not that this was --

24 DR. DANNER: Yeah, individually, all of  
25 the criteria are nonspecific. I mean that's one of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the real problems, and they really have to be looked  
2 upon as a whole and hopefully will acting as a whole  
3 select a fairly -- a reasonably specific group of  
4 people who really do have the disease.

5 CHAIRMAN CRAIG: But at least I got the  
6 impression, Barth, that you were trying to expand it  
7 so that there might be somebody that didn't have a  
8 high enough heart -- respiratory rate, but did have  
9 lower PCO<sub>2</sub>s. Am I --

10 DR. RELER: No, this was just another  
11 perhaps more objective measurement of tachypnea. I  
12 mean, let's face it. Some of these observations of  
13 how fast people breathe a minute are pretty -- I mean  
14 they may be observed or they might not be observed  
15 accurately or counted accurately.

16 And I think that was one of the reasons in  
17 the **SIRS** that the fall in PCO<sub>2</sub> is a more objective  
18 measurement of tachypnea, in a way was there.

19 Even in the aggregate, the SIRS criteria,  
20 I mean, something's going on and there's altered  
21 physiology. The patient is sick, and because of that  
22 lack of specificity individually or in the aggregate,  
23 that's what makes the microbiology criteria in this  
24 indication so crucial.

25 And I don't want to get hung up on the

1 SIRS. It's just that it just seems to me that, you  
2 know, they are what they are, a nonspecific indicator  
3 of altered physiology, which is what we want. We want  
4 somebody who either has local objective evidence of  
5 infection, either objective, localized evidence of  
6 infection with positive blood cultures or they're sick  
7 with positive blood cultures, sick in the way that  
8 implies the possibility of infection with SIRS, and I  
9 think that's fine.

10 DR. DANNER: I mean, I guess, you know,  
11 just to illustrate a place where maybe the PCO, won't  
12 be all that helpful is that for the SIRS criteria  
13 they're defining a group of people that are generally  
14 critically ill or are in ICUs or are heading there,  
15 and a lot of those people are having blood gases drawn  
16 for a variety of reasons.

17 In this population where you're selecting  
18 for catheter related infection, in the vast majority  
19 of these patients there's no reason to get a blood  
20 gas, and if somebody is not tachypneic and they don't  
21 have these other problems or respiratory problems, why  
22 would you get a blood gas and even know the PCO,?

23 DR. RELLE: Maybe I have the wrong  
24 emphasis. I wasn't suggesting that we need to add it  
25 because of its intrinsic value, but simply in the SIRS



1 it's listed as an "or" so that if somebody at the time  
2 of enrollment happened to have a PCO, that was low, in  
3 addition to fever, and they didn't have these other  
4 things, that it wouldn't, you know, exclude them.

5 But the way it's written, it's rapid  
6 respiratory rate or an alternative surrogate for that.  
7 I mean I'm not trying to make a lot of that.

8 DR. DANNER: As long as people aren't  
9 drawing blood cultures to try to get somebody to meet  
10 the criteria to get into the study. I mean that's a  
11 silly use of blood gasses to get a number.

12 CHAIRMAN CRAIG: Okay. Anything else?

13 (No response.)

14 CHAIRMAN CRAIG: I guess we'll move on  
15 then. Our next question is in which situations would  
16 identical antibiograms suffice to demonstrate  
17 concordance and in which would pulse field gel  
18 electrophoresis be needed?

19 Again, I'll start with Len. Dr. Mermel.

20 DR. MERMEL: I think and I hope Dr. Raad  
21 there would agree and come up if he has some  
22 differences of opinion. I think most of us that do  
23 studies have required pulse field gel as kind of our  
24 gold standard in looking particularly at pathogenesis  
25 of catheter related infections.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           However, I think most of us would agree  
2           that outside of the coagulase negative Staphylococci,  
3           I mean, if you have Staph. aureus in a catheter tip  
4           and Staph. aureus in a percutaneously drawn blood  
5           culture, and particularly if they're the same  
6           antibiogram or Kleb. pneumo. or whatever the pathogen  
7           is, I think other than coag. negative Staph., I don't  
8           think we need pulse field gel for other organisms,  
9           number one.

10           So I would say certainly we don't need  
11           molecular fingerprinting for other microbes other than  
12           the possibility of coag. negative Staph.

13           And in coming back to coag. negative  
14           Staph., thinking about -- and this goes back to also  
15           some earlier comments with hub cultures and infusate  
16           cultures. Most of the studies in the literature  
17           because there's nothing that I'm aware of prospective  
18           looking at therapy for device related infections, in  
19           most of the studies we're only answering questions of  
20           pathogenesis, and since many of these infections are  
21           caused by coag. negative Staph., we've used pulse  
22           field gel, Dr. Raad, myself, and many others, to tease  
23           apart where these organisms are coming from.

24           But we're not asking those sorts of  
25           questions here. So one might also ask if you find

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1 coag. negative Staph., significant growth, on a cath.  
2 tip and a percutaneously drawn blood culture and now  
3 with a little more data coming out that you can even  
4 have multiple strains causing a bloodstream infection,  
5 do we need, knowing that many institutions won't have  
6 this available, the rigors of pulse field gel to  
7 answer the question as to whether or not a therapeutic  
8 agent is efficacious?

9 And I'm not so sure we do in this purview  
10 as compared to looking at pathogenesis, in other  
11 words, looking at using the technology to answer  
12 questions. Are the organisms coming from the skin or  
13 the hub or the infusate? Here we just want to know is  
14 it real and is the drug effective.

15 And I think even with coag. negative  
16 Staph., if we felt that the patient met these  
17 criteria, although I've been a strong advocate of  
18 molecular fingerprinting, it may be less relevant even  
19 with coag. negative Staph. in this scenario looking at  
20 treatment rather than pathogenesis.

21 CHAIRMAN CRAIG: But wouldn't you think  
22 that it would be better to at least get data on that  
23 question and by that, requiring the pulse field gel  
24 electrophoresis at least for the first few studies  
25 that start coming by so that then if one finds that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 it's not necessary then one could later reduce it  
2 instead of essentially throwing it up and not having  
3 any -- having it be data driven?

4 DR. MERMEL: I mean, again, you're  
5 preaching to the converted in terms of the beauty and  
6 importance of the molecular fingerprinting, but again,  
7 we've really applied it to -- I'm just trying to think  
8 as a pragmatist, and we have applied it so much for  
9 pathogenesis. If we can do pulse field gel, I think  
10 that would be ideal. That would be a gold standard,  
11 and I push that, you know, in my own publications  
12 looking at studies of pathogenesis.

13 But I'm not so certain we have to in this  
14 setting. Some other nuances, again, it also depends  
15 on your microbiological methods. Are people picking  
16 all of the colonies and then subjecting those to pulse  
17 field gel?

18 There are a lot of nuances as we've raised  
19 the bar with regards to the rigor of molecular  
20 fingerprinting. We have to go back to the basics of  
21 how are people picking the colonies. Are they sitting  
22 out at room temperature for three days? Are we  
23 picking different colony counts? Are we running the  
24 gels on those?

25 There is, I think, some recent debate as

1 to -- and people have raised the question as to --  
2 again, having different strains causing a bloodstream  
3 infection. So if you use pulse field gel and you lack  
4 **some** of those kind of simple lab bench maneuvers to  
5 make sure you were actually running the gel on all of  
6 the different possible colonies or strains that might  
7 be causing infection, you might call something not  
8 being catheter related, whereas indeed it is.

9 CHAIRMAN CRAIG: Dr. Murray.

10 DR. MURRAY: Yeah. I think just for the  
11 reasons you've stated that is why you need pulse field  
12 for Staph. epi. You're willing to not do it for  
13 Staph. aureus because you're more convinced it's the  
14 real cause of the bacteremia and the fever syndrome,  
15 and you're not as sure about the Staph. epi., which is  
16 why you're even questioning doing the -- why you do  
17 the pulse field in your studies.

18 And I think that's the very reason you  
19 need it, and I'm willing to lose some patients that  
20 you don't pick the right isolate for a study purpose  
21 because I'm not even convinced that in the patient  
22 where the catheter comes out that you actually need  
23 therapy for Staph. epi.

24 So I think you need to raise the bar.  
25 Keep it as high as you can for this particular

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 organism.

2 CHAIRMAN CRAIG: Dr. Archer.

3 DR. ARCHER: I think this gets back to  
4 another issue that Dr. Reller and I were talking about  
5 at the break, and that is what we're trying to define  
6 here is catheter related bacteremia, and I'm concerned  
7 that the bacteremia part is not being well defined,  
8 that is, on the basis of these criteria a single blood  
9 culture could be linked with a catheter culture, a  
10 nonblood culture, and that would be considered  
11 catheter related bacteremia.

12 I'm concerned that you need at least two  
13 blood cultures in order to diagnose bacteremia, and if  
14 you have two blood cultures, say, one from the  
15 catheter and one peripheral, then a pulse field gel,  
16 I think, would be very helpful because those should be  
17 clones. They're taken at the same time from the same  
18 patient, and if they have a different pulse field  
19 pattern, then they're different bugs, and they're not  
20 the cause of bacteremia.

21 So I think in that case establishing that  
22 both of those came from the same blood, they're both  
23 from blood in the patient at the same time would be  
24 helpful.

25 I agree when you're trying to take

1 separate colonies from a catheter which might have  
2 different pulse field characteristics, one of which  
3 might have been the cause of bacteremia, you might get  
4 a difference, and yet that still might not rule out  
5 the catheter as the cause of bacteremia.

6 So I think that's a different question,  
7 but I think it's really important to establish  
8 bacteremia first, then the catheter as the source of  
9 the bacteremia second.

10 CHAIRMAN CRAIG: Dr. Danner.

11 DR. DANNER: The Critical Care Medicine  
12 Department at NIH oversees the placement of vascular  
13 access in the clinical center, and in that role, we  
14 either place or oversee the placement of 1,500  
15 catheters a year, and we monitor those catheter  
16 placements for infection and for complications.

17 It is our experience that pulse field, in  
18 fact, does seem to us to be very necessary because  
19 even when you have four different isolates or four  
20 isolates of Staph. epi. in a given patient, you may  
21 have four completely different organisms by pulse  
22 field.

23 And so I think for that specific organism,  
24 pulse field probably is necessary because otherwise  
25 you just have no idea of whether you're really looking

1 at a catheter related infection or not.

2 You know, I think, again, at this phase we  
3 want to be specific. We want to make sure that we  
4 don't have a lot of people without the disease in the  
5 studies and that we're looking at the right patient  
6 population.

7 In terms of using antibiotigrams as a  
8 means for linking up other organisms, another thing  
9 we've been looking at which is not sort of ready for  
10 prime time, but we've been looking at the use of  
11 biochemical fingerprinting, if you will, or profiles  
12 because labs generally are identifying organisms using  
13 commercially available strips, and organisms are given  
14 a particular score based on that and a probability of  
15 then being a particular organism.

16 I'm not saying they need to be identical  
17 scores, but the scores should be very close if you're  
18 essentially dealing with the same organism among  
19 things other than Staph. epi. And so for some kinds  
20 of organisms, I think, maybe these biochemical  
21 profiles and the scores they get on the commercially  
22 available identification strips might also be useful  
23 for telling you that you have the same organism.

24 CHAIRMAN CRAIG: Dr. Mermel.

25 DR. MERMEL: One other comment. I think



1 that I would bow to what's been raised. I guess the  
2 risk of contamination on a catheter, on a  
3 percutaneously drawn blood culture if it was a  
4 contaminated coag. negative Staph. rather than  
5 concordant with the catheter does seem to be  
6 compelling evidence to go beyond the antibiogram.

7           However, if you had blood cultures  
8 positive for coag. negative Staph., for example, over  
9 time that were positive, would you need the rigors of  
10 molecular fingerprinting? If you did a blood culture,  
11 positive coag. negative Staph., repeated a blood  
12 culture, again, a percutaneous draw several hours  
13 later again positive for coag. negative Staph., you  
14 let's say remove the catheter and that has coag.  
15 negative Staph.; so you've got multiple cultures over  
16 time, at least in the study that Sam referred to by  
17 Bates and Lee with their series of two articles in  
18 JAMA, multiple blood cultures over time was an  
19 independent predictor of true bloodstream infection.

20           CHAIRMAN CRAIG: Dr. Murray.

21           DR. MURRAY: I think what you do with the  
22 patient is one thing, but we're talking about  
23 evaluating a new drug, and I think you just want to be  
24 strict, and I think there's no reason these isolates  
25 can't be sent to a central laboratory and examined

1 post hoc.

2 So I think making all of the myriad of  
3 exceptions isn't the way to go for this purpose.

4 CHAIRMAN CRAIG: Okay. Dr. Raad.

5 DR. RAAD: Yes. I think there are two  
6 entities of Staph. epi., and I think this is what in  
7 our mind as clinicians there is this positive blood  
8 culture for Staph. epi. versus a situation which has  
9 been described here, which is catheter related Staph.  
10 epi. bacteremia where you have at least two positive  
11 blood cultures and a third positive culture which is  
12 a catheter culture.

13 In that setting, in our studies and the  
14 ones by Maki and colleagues and Mermel and colleagues,  
15 if you look at Staph. epi. with the same antibiogram  
16 from the catheter tip with at least two other positive  
17 blood cultures with the same antibiogram -- and this  
18 is not a restricted antibiogram, but more than one,  
19 vancomycin and trimethoprim sulfa and even others,  
20 rifampin; if you look at those antibiograms versus  
21 pulse electrophoresis, there is very good correlation  
22 that this is a true bacteremia and this is catheter  
23 originated.

24 So it would be ideal to do pulse gel  
25 electrophoresis, but whether this is achievable in a

1 study setting when you have 60 to even 120 or even 180  
2 centers involved is another question.

3 I think that the other issue is with  
4 Staph. aureus, for example, where you have, again, the  
5 antibiogram is even more helpful or other organisms.  
6 If you have the same antibiogram from the catheter tip  
7 versus the peripheral blood, there seems to be  
8 reasonable correlation with a pulse gel  
9 electrophoresis from the data available on catheter  
10 bloodstream infections.

11 So I agree with Dr. Mermel. I think the  
12 pulse gel electrophoresis would be most helpful for  
13 Staph. epidermidis, but if you're really requiring  
14 multiple blood cultures with the same antibiogram, not  
15 just one single positive blood culture, and the same  
16 antibiogram from a catheter tip culture, you're  
17 talking about three cultures. This might be  
18 sufficient.

19 CHAIRMAN CRAIG: Dr. Archer.

20 DR. ARCHER: I think the problem with the  
21 antibiogram -- and I agree it can be useful -- is that  
22 you have to be very careful that the antibiotics that  
23 are being tested all have different resistance  
24 mechanisms. So looking at 6-beta lactams, for  
25 instance, doesn't help you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 200053701

1           so you have to be able to test  
2           susceptibilitytotetracycline, chloramphenicol, sulfa  
3           trimetheprim, which all have different resistance  
4           mechanisms and will help you define organisms that  
5           differ by a resistance gene, and a lot of labs don't  
6           do tetracycline, chloramphenicol susceptibility. So  
7           you don't have those.

8           And then you have the problem of  
9           inducability of some of these resistance phenotypes.  
10          You could have the same organism depending on how it's  
11          grown, and you may or may not induce resistance.

12          so I think the antibiogram, if done  
13          properly by somebody who knows what they're doing in  
14          probably a research lab, is probably helpful, but  
15          getting an automated susceptibility strip out, I don't  
16          know if that's going to be as useful.

17          And I think Barbara's point was an  
18          excellent one. You can batch all of these bugs. You  
19          can send them to a central lab, and so whether or not  
20          an individual hospital has pulse field capability or  
21          not is irrelevant in post hoc analysis.

22          CHAIRMAN CRAIG: Yeah, I agree with you,  
23          and I think that's the trend that I see happening all  
24          of the time anyway now, is that cultures are sent to  
25          a central lab.

1 Yes, Dr. Parsonnet.

2 DR. PARSONNET: It seems to me that the  
3 decision about this may depend on the type of study  
4 you're doing, whether you're doing a non-inferiority  
5 study or doing a superiority study, because if you're  
6 doing a non-inferiority study, I think you definitely  
7 have to do it because by not have post field gel  
8 electrophoresis, you have a lot of mush in the study  
9 and everything will look the same.

10 But if you're doing a superiority study,  
11 it may not be as important because you find a  
12 difference, and you've found a difference despite the  
13 randomness.

14 CHAIRMAN CRAIG: Any other comments on  
15 this?

16 At least I think the impression I got from  
17 the committee members was that for the coagulase  
18 negative Staphylococci, it's clearly a situation where  
19 post gel electrophoresis is required, but that  
20 antibiograms would be okay for Staph. aureus, Gram  
21 negative organisms like that.

22 Am I correct with everybody?

23 Okay. The next question is: give the  
24 importance of both clinical and microbiologic criteria  
25 defining the study population.

1 DR. CHIKAMI: Dr. Craig, before you move  
2 on --

3 CHAIRMAN CRAIG: Yeah.

4 DR. CHIKAMI: -- similarly as you dealt  
5 with the Part A of this question, it needs to sort of  
6 open it up to discuss the general issues of the  
7 clinical inclusion criteria. I think there were some  
8 comments about the other microbiologic --

9 CHAIRMAN CRAIG: I think that's what my  
10 next question is. How should microbiologic outcomes  
11 be assessed?

12 DR. CHIKAMI: All right.

13 CHAIRMAN CRAIG: And that's what I was  
14 going to get to.

15 So the last question is: given the  
16 importance of both clinical and microbiologic criteria  
17 to define the study populations and determine  
18 efficacy, how should microbiologic outcomes be  
19 assessed?

20 And we had a lot of discussion at the  
21 beginning where people were concerned about the use of  
22 hubcap cultures. We've heard about the infusate  
23 cultures, questions about that.

24 There's also questions about doing blood  
25 cultures at the end of therapy. So I think there are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a variety of issues that need to be reviewed under  
2 microbiologic definitions and also outcomes.

3 So, again, I'd like to readdress right at  
4 the beginning again, going back to what we're going to  
5 call microbiologic proof of a catheter related  
6 bloodstream infection, is to see if there are concerns  
7 again with some of those criteria that people think  
8 should be eliminated or modified in some form.

9 Dr. Weinstein.

10 DR. WEINSTEIN: Bill, I'm concerned about  
11 the Section 3 (b) for diagnosis. In the first sentence  
12 of that section it says, "When no obvious signs of  
13 inflammation at the catheter entry site are seen, the  
14 diagnosis of catheter related infection depends on  
15 either blood cultures drawn through the catheter or  
16 cultures of the catheter itself," and it makes no  
17 reference to peripherally obtained blood cultures,  
18 which I think are one of the keys.

19 So I think that needs to be addressed.

20 PARTICIPANT: Where are you?

21 DR. WEINSTEIN: Section 3(b) of the draft  
22 guideline, page 4. I'm sorry. It's Roman numeral  
23 three.

24 CHAIRMAN CRAIG: Yeah, I mean my  
25 interpretation of that was that the only way -- it

1 wasn't that that's criteria for cause that we're going  
2 to use for our definitions. I think they come later,  
3 but I think what they were trying to point out there,  
4 the only way of implicating the catheter as being the  
5 potential site of a bacteremia was either by drawing  
6 -- getting the organism from the catheter directly  
7 from rolling it or from cultures through it.

8 But I didn't think that they were implying  
9 then that you didn't need a peripheral blood culture  
10 for definition.

11 DR. ROSS: That's correct. Actually I  
12 think that that's a point that the way it's written,  
13 I agree. It may look as if we're saying that you  
14 don't need a peripheral blood culture, but actually as  
15 I said during my presentation, we'd actually advocate  
16 -- and this is in adults clearly -- two peripheral  
17 blood cultures.

18 But I agree absolutely that the diagnosis  
19 could not be established simply without a peripheral  
20 blood culture.

21 CHAIRMAN CRAIG: What I'd like people to  
22 focus on is on page 9 where we have the microbiologic  
23 criteria, and start with the top one and go right on  
24 down and see which ones people feel are appropriate  
25 and which ones they'd like to modify.



1           And the first one is having a concordant  
2 growth of the same organism from peripheral blood and  
3 a blood culture aspirated from a catheter as shown by  
4 quantitative cultures of catheter drawn and  
5 peripherally drawn blood cultures with a catheter to  
6 peripheral blood culture organism ratio of three to  
7 one to five to one regardless of pathogen.

8           Dr. Reller.

9           DR. RELLER: On the clinical criteria, we  
10 established or recommended a hierarchy so that  
11 localized signs of infection were given equal weight  
12 to temperature and one other component of SIRS, and  
13 temperature had primacy over the other components  
14 because that was a necessary criterion if one went  
15 that route.

16           And, similarly, I think there should be  
17 and believe that clinically there is a hierarchy in  
18 terms of confidence about the microbiological data,  
19 and the way I would do this is to require for the  
20 purposes of evaluation a new agent in a clinical trial  
21 for an evaluable patient, is to have a minimum of one  
22 peripheral blood culture and another independently  
23 obtained peripheral blood culture or a culture drawn  
24 through the catheter that implies independence of that  
25 other peripheral.

1           So that the idea would be two peripheral,  
2 independently obtained blood cultures and an  
3 alternative would be that second culture be drawn  
4 through an existing catheter, and that those organisms  
5 be the same **by** if they are coagulase negative  
6 Staphylococci, require pulse field gel  
7 electrophoresis, and if they are not coagulase  
8 negative Staphylococci, that they be shown to be  
9 similar either by biotyping biochemical reactions or  
10 extensive antibiogram.

11           And I think it needs to be defined because  
12 nowadays some of these isolates are monotonously  
13 similar in a given hospital in terms of their  
14 antibiogram, and a restricted antibiogram done for  
15 clinical purposes would not be sufficient, or that a  
16 whole lot of them have pulse field gel  
17 electrophoresis, which I think would be preferable.

18           But the emphasis is on that one would need  
19 for catheter related blood stream infections two  
20 positive blood cultures growing the same organism, one  
21 of which could be a catheter, and then all of these  
22 other things could by the sponsor be added on for the  
23 purpose of additional supportive data of the realness  
24 of that infection.

25           And I would delineate that it has to be,

1 you know, a semi-quantitative Maki culture if the  
2 catheter is removed because many of these catheters  
3 are not going to be removed. So I would put that in  
4 a second tier of evidence.

5 And then an individual sponsor may for the  
6 purposes of add-on scientific value, supporting  
7 information, give quantitative catheters of hubs, but  
8 I think that there is a distinct hierarchy in  
9 microbiological evidence, and I think all of this hub,  
10 catheter tip, quantitative, semi-quantitative,  
11 sonicated, not sonicated, electron microscopy and  
12 whatever is all interesting and possibly important for  
13 pathogenesis and supportive, but is not central to the  
14 evaluation of a given patient in relation to  
15 antimicrobial therapy for catheter related bloodstream  
16 infection.

17 CHAIRMAN CRAIG: I have a question for  
18 you. How would you tell primary bacteremia if you  
19 only got peripheral blood cultures from a catheter  
20 related infection?

21 DR. RELLER: Well --

22 CHAIRMAN CRAIG: Don't you have to get  
23 something from the catheter to be able to implicate  
24 the catheter? If you just got peripheral blood  
25 cultures, how would you be able to tell that from just

1 primary bacteremia?

2 DR. RELLER: Well, that's where all of  
3 those inclusion/exclusion criteria come in, Bill, plus  
4 the local. So you're talking --

5 CHAIRMAN CRAIG: No, I'm talking about  
6 pneumonia with bacteremia. I'm talking about primary  
7 bacteremia where you don't have another focus. The  
8 only way that you can implicate the catheter is to  
9 somehow get a culture from the catheter.

10 DR. RELLER: I don't agree with that, and  
11 I'll tell you why. I mean, if I have a coagulase  
12 negative Staphylococcus from two peripheral blood  
13 cultures and a patient is febrile who's got  
14 inflammation at the exit site of the catheter, I do  
15 not believe that I have to draw blood through the  
16 catheter.

17 I mean it's a patient without a prosthetic  
18 valve, and I mean all of the other things that we  
19 have. I do not believe that one has to draw blood  
20 through that catheter to implicate the catheter in  
21 that kind of infection.

22 And I'd be interested to know from the NIH  
23 and Bob Banner's, you know, surveillance what you  
24 think about this issue.

25 CHAIRMAN CRAIG: No, but again, let me get