

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

CLINICAL PHARMACOLOGY SUBCOMMITTEE
OF THE
ADVISORY COMMITTEE FOR PHARMACEUTICAL SCIENCE

8:28 a.m.

Wednesday, April 23, 2003

Conference Room
5630 Fishers Lane
Food and Drug Administration
Rockville, Maryland 20857

ASSOCIATED REPORTERS OF WASHINGTON
1523 North Carolina Avenue, N.E.
Washington, D.C. 20002
(202) 543-4809

5/1/03

ATTENDEES

SUBCOMMITTEE MEMBERS:

JURGEN VENITZ, M.D., PH.D., Acting Chair
Associate Professor
Department of Pharmaceutics
Virginia Commonwealth University
School of Pharmacy
Box 980533
410 North 12th Street
Richmond, Virginia 23298-0533

KATHLEEN REEDY, R.D.H., M.S., Executive Secretary
Advisors and Consultants Staff (HFD-21)
Center for Drug Evaluation and Research
Food and Drug Administration
5600 Fishers Lane
Rockville, Maryland 20857

EDMUND V. CAPPARELLI, PHARM.D.
Associate Clinical Professor
University of California, San Diego
9500 Gillman Drive
La Jolla, California 92093

HARTMUT DERENDORF, PH.D.
Professor, Department of Pharmaceutics
University of Florida College of Pharmacy
P.O. Box 100494, Health Science Center
Gainesville, Florida 32610-0494

DAVID FLOCKHART, M.D., PH.D.
Professor, Departments of Pharmacology
and Medicine
Indiana University School of Medicine
Division of Clinical Pharmacology
Wishard Memorial Hospital WP OPW 320
1001 West 10th Street
Indianapolis, Indiana 46202

WILLIAM J. JUSKO, PH.D.
Professor, Department of Pharmaceutics
State University of New York at Buffalo
School of Pharmacy
Buffalo, New York 14260

ATTENDEES (Continued)

SUBCOMMITTEE MEMBERS: (Continued)

MARY V. RELING, PHARM.D.
Member, Pharmaceutical Sciences
St. Jude Children's Research Hospital
332 North Lauderdale, Room D-1052
Memphis, Tennessee 38105

WOLFGANG SADEE, DR.RER.NAT.
Chair, Department of Pharmacology
College of Medicine and Public Health
Ohio State University
5072 Graves Hall, 333 West 10th Avenue
Columbus, Ohio 43210

MARC SWADENER, ED.D., Consumer Representative
2235 Dartmouth Avenue
Boulder, Colorado 80305-5207

FOOD AND DRUG ADMINISTRATION STAFF:

SHIEW-MEI HUANG, PH.D.
PETER LEE, PH.D.
LARRY LESKO, PH.D.

C O N T E N T S

AGENDA ITEM	PAGE
MEETING STATEMENT by Ms. Kathleen Reedy	6
TOPIC 3: PHARMACOGENETICS: IMPROVEMENT OF EXISTING DRUG TREATMENTS by Dr. Larry Lesko	8
Committee Discussion	28
TOPIC 4: DRUG INTERACTIONS: METABOLISM AND TRANSPORT-BASED by Dr. Shiew-Mei Huang	73
Committee Discussion	99
CONCLUDING REMARKS by Dr. Larry Lesko	124
OPEN PUBLIC HEARING	126

P R O C E E D I N G S

(8:28 a.m.)

1
2
3 DR. VENITZ: I'd like to call the meeting to
4 order, please.

5 Welcome to the second day of the Clinical
6 Pharmacology Subcommittee meeting. We have two agenda
7 items to discuss today, but before we do that, I'd like to
8 introduce all the individuals around the table, starting
9 with Dr. Derendorf.

10 DR. DERENDORF: Hartmut Derendorf, University
11 of Florida.

12 DR. CAPPARELLI: Edmund Capparelli, University
13 of California, San Diego.

14 DR. FLOCKHART: Dave Flockhart from Indiana
15 University.

16 DR. McLEOD: Howard McLeod from Washington
17 University.

18 DR. SWADENER: Marc Swadener, Boulder,
19 Colorado.

20 MS. REEDY: Kathleen Reedy, advisory committee
21 executive.

22 DR. VENITZ: Jurgen Venitz, Virginia
23 Commonwealth University.

24 DR. JUSKO: William Jusko, University at
25 Buffalo.

1 DR. RELLING: Mary Relling, St. Jude Children's
2 Research Hospital, Memphis.

3 DR. SADEE: Wolfgang Sadee, Ohio State
4 University.

5 DR. LESKO: Larry Lesko, FDA.

6 DR. LEE: Peter Lee from FDA.

7 DR. VENITZ: Thank you, everybody.

8 Kathleen Reedy, the Executive Secretary, will
9 read the conflict of interest statement.

10 MS. REEDY: Acknowledgement related to general
11 matters waivers, Clinical Pharmacology Subcommittee of the
12 Advisory Committee for Pharmaceutical Science, for April
13 23rd, 2003.

14 The following announcement addresses the issue
15 of conflict of interest with respect to this meeting and is
16 made a part of the record to preclude even the appearance
17 of such at this meeting.

18 The topics of this meeting are issues of broad
19 applicability. Unlike issues before a committee in which a
20 particular product is discussed, issues of broad
21 applicability involve many industrial sponsors and academic
22 institutions.

23 All special government employees have been
24 screened for their financial interests as they may apply to
25 the general topics at hand. Because they have reported

1 interests in pharmaceutical companies, the Food and Drug
2 Administration has granted general matters waivers to the
3 following SGEs which permits them to participate in these
4 discussions: Dr. Edmund Capparelli, Dr. William Jusko, Dr.
5 Gregory Kearns, Dr. Howard McLeod, Dr. Wolfgang Sadee, Dr.
6 Lewis Sheiner.

7 A copy of the waiver statements may be obtained
8 by submitting a written request to the agency's Freedom of
9 Information Office, room 12A-30 of the Parklawn Building.

10 In addition, Dr. Hartmut Derendorf, Dr. David
11 Flockhart, Dr. Mary Relling, and Dr. Marc Swadener do not
12 require general matters waivers because they do not have
13 any personal or imputed financial interests in any
14 pharmaceutical firms.

15 Because general topics impact so many
16 institutions, it is not prudent to recite all potential
17 conflicts of interest as they apply to each member and
18 consultant.

19 FDA acknowledges that there may be potential
20 conflicts of interest, but because of the general nature of
21 the discussion before the committee, these potential
22 conflicts are mitigated.

23 In the event that the discussions involve any
24 other products or firms not already on the agenda for which
25 FDA participants have a financial interest, the

1 participants' involvement and their exclusion will be noted
2 for the record.

3 With respect to all other participants, we ask
4 in the interest of fairness that they address any current
5 or previous financial involvement with any firm whose
6 product they may wish to comment upon.

7 DR. VENITZ: Thank you, Kathleen.

8 As we did yesterday, we are going to have an
9 introduction of today's topic by Dr. Larry Lesko. Larry.

10 DR. LESKO: Thank you and good morning,
11 everyone.

12 Yesterday during my introductory comments, I
13 had said that we would discuss today the topic of
14 pharmacogenetics and more specifically how it applies to
15 improving the existing therapies, and in fact we're going
16 to do that.

17 Following my introduction to that topic and the
18 subsequent discussion, we'll move into the second topic
19 that I mentioned yesterday which would be metabolism and
20 transporter-based drug interactions. We'll be discussing
21 some aspects of that that we'd like the committee to weigh
22 in on and to discuss as well. And that will be Dr. Shiew-
23 Mei Huang.

24 Well, as I said, I'm going to discuss
25 pharmacogenetics in the context of improving existing drug

1 treatments, and leading up to this discussion yesterday, I
2 mentioned actually three agency-wide initiatives. I
3 thought it pertinent to mention a fourth sort of initiative
4 that has been recently announced in the last day or two and
5 that was a new draft guidance that's been released by our
6 Center for Devices that relates to pharmacogenetics. The
7 draft guidance from CDRH is entitled Multiplex Tests for
8 DNA Markers, Mutations, and Expression Patterns.

9 I think as we look to the future and as we look
10 to the future possibility of companies developing genetic
11 test kits for consideration by the agency for approval,
12 this guidance has a significant impact on that. That, of
13 course, then relates to how such test kits, depending on
14 which genetic test they might be focused on, would impact
15 the use of them in improving existing therapies. So I
16 wanted to bring that to your attention before I move
17 forward with today's presentation.

18 Well, I think it's interesting we are in the
19 month of April and it's in April that is filled with
20 milestones related to pharmacogenetics. We've been
21 bombarded really by celebrations of the 50th anniversary of
22 the discovery DNA's helical structure. While we were all
23 at dinner last night, there was a terrific show, which I
24 taped and can share with you, on the discovery of DNA on
25 the Public Broadcast station, and I'm looking forward to

1 seeing that.

2 It's also the announcement from Dr. Francis
3 Collins of the completion of the 10-year human genome
4 project and what the next 10 years is going to hold. I
5 think it's interesting that one of the emphases that he's
6 placed on genomics is the translation of this information
7 into medicine and therapeutic practice which is really the
8 interest that the agency has as well.

9 Finally, a little known fact is it's the 50th
10 anniversary of the revision of the Webster's New World
11 College Dictionary, which is a milestone for that.

12 It caused one to reflect about DNA and
13 dictionaries because in each case, there's a unique
14 combination of letters that form texts and that text, in
15 turn, informs us to become more knowledgeable. As the
16 dictionary brings in its 50th anniversary new uses and
17 meanings of words that we've commonly held our belief in,
18 the genome with its commonly thought combination of letters
19 and sequences is really by comparison only in its infancy
20 and we have a lot to learn about what it all means.

21 Well, I want to bring everyone back to a
22 discussion we had in October of 2002 at the first meeting
23 of the advisory committee. We opened up the topic of
24 improving existing therapies with pharmacogenetics picking
25 on one example from the thiopurine family of drugs, that

1 | being 6-mercaptopurine. I didn't show this data at the
2 | time in October, but I wanted to share with you today the
3 | increase in the number of prescriptions for 6-MP over the
4 | last six or seven years.

5 | It's interesting, looking at this slide, you
6 | can see where the prescription use of the drug is in all
7 | indications or all off-label uses, where the growth is in
8 | the area of GI use and where 6-MP is used in terms of
9 | prescriptions in the area of oncology. You can see some
10 | trends clearly in the direction of increasing use over the
11 | years, and we don't quite know where that will end up.

12 | So I guess the point of this that it's not a
13 | small issue to look at existing therapies and how they can
14 | be improved in terms of their impact on public health.
15 | However, everyone should recognize that the approved use
16 | for 6-MP is in the area of oncology and in contrast,
17 | thinking about that slide I just showed, much of the use of
18 | this drug is off-label for things like in the GI area,
19 | inflammatory bowel disease, and a whole series of
20 | autoimmune diseases ranging from rheumatoid arthritis to
21 | multiple sclerosis.

22 | Well, last time we came together, we talked
23 | about the metabolism of 6-MP by thiopurine
24 | methyltransferase, TPMT. This enzyme is actually not well
25 | described in the label for this product. If you look in

1 the clinical pharmacology section, you would not recognize
2 the information I have on this slide. What this slide
3 illustrates at the top is all the newly diagnosed ALL
4 patients, acute lymphatic leukemia patients, both adults
5 and children that we have each year, and that number is
6 30,000. It's not a huge number. Obviously, there are
7 other diseases that have greater prevalence in the
8 population, but nevertheless you have to think about, in
9 some ways, the off-label use of this drug and the number of
10 prescriptions being used.

11 What we do know in the case of ALL patients is
12 that there are three major genotypes, and the ones that are
13 of concern and are at high risk for toxicity to this drug
14 are the two on the left, the homozygotes which have none or
15 low TPMT activity and in the middle the heterozygotes and
16 intermediate activity. In that box, I've illustrated,
17 based upon the 1 in 100 and the 10 or 11 percent of the
18 population that fall into those boxes, the number of
19 patients that one might anticipate each year that would
20 fall into these boxes.

21 This is a well-established metabolic pathway
22 and polymorphism in the metabolism. We know that three
23 major SNPs -- this is a single gene variant -- define these
24 mutant alleles, the common ones being *3A, *3C, and *2.
25 The remaining allele, *3D is in linkage disequilibrium with

1 *3A and travel together so that capturing the major alleles
2 for predicting or risk stratification is not a difficult
3 task.

4 But I want to think beyond the 6-MP for a
5 moment. We'll come back to it. But it brings us to think
6 about other genetic tests and how we're going to deal with
7 these as the science moves forward. I mentioned the
8 guidance in my introductory remarks. There are other
9 polymorphic enzymes that we might think about, not that
10 they're similar to 6-MP, but they pose similar questions
11 and similar issues as we move forward in trying to improve
12 existing therapies.

13 One might think, for example, of cytochrome
14 2D6. 30 percent of prescription drugs are metabolized by
15 this enzyme. Polymorphism is well known. There are both
16 retrospective and prospective trials that indicate poor
17 metabolizers, the so-called phenotype of 2D6, have a higher
18 risk of adverse events, and I'll show some examples of that
19 in recent labels.

20 So they move forward with at one extreme an
21 example like TPMT, a relatively small target population, to
22 the other extreme, a cytochrome 2D6 with a large number of
23 drugs and millions of people taking these drugs. How do we
24 advance the science to improve therapeutics with drugs that
25 are affected, in terms of their exposure, by the

1 polymorphism in these enzymes?

2 That's broadly what I'd like this committee to
3 think about, and at the end of the day -- not today, but at
4 the end of thinking about this -- we'd like to develop at
5 least a general approach to these polymorphisms, that we
6 don't have to deal with them case by case necessarily but
7 rather have a broad perspective on what is important in
8 thinking about these enzymes, the polymorphism, and
9 translating that into medicine. What's the paradigm?
10 What's the structure that we can ask generally, as well as
11 specifically, of these examples?

12 Well, I've put on a general paradigm here. Is
13 this what we should be thinking about with TPMT and 2D6?
14 The three important things in my mind might be analytic
15 validity, the manufacturing, the instrumentation, the
16 performance of the test. How accurate is it in identifying
17 a DNA sequence?

18 The clinical validity. This might refer to the
19 clinical effectiveness of the test. How accurate is it in
20 producing a clinical outcome. The clinical outcome might
21 be predicting the genotype.

22 The third criteria is clinical utility. What
23 is the likelihood that this test is going to lead to
24 improved health outcome. If I had information from the
25 test, can I alter treatment in a way that would improve

1 | therapeutics? We don't want approve here at FDA. We don't
2 | want to see tests in genetics in the marketplace that have
3 | no clinical value. And I think that's an important
4 | paradigm.

5 | This looks simple, but what are the details
6 | that underpin this and what are the important things that
7 | we should be thinking about in assessing validity and
8 | utility and so on? It seems to me the analytical validity
9 | is relatively straightforward, but the validity and utility
10 | in a clinical context may not be. What is the evidence for
11 | that? Can it be retrospective, prospective,
12 | circumstantial? We have to think about these issues to
13 | advance it into, for example, product labels.

14 | Now, going back, thinking of these general
15 | criteria, let's talk about the screening of the TPMT
16 | genotypes. As far as the test goes, it appears to be
17 | reliable and accurate. I won't present that data today, so
18 | I guess you'll have to rely on what I'm saying. But
19 | there's virtually no false positives or negatives that I
20 | can tell from a homozygous-deficient patient. We've spoken
21 | with people who perform these tests. We've looked at some
22 | data that they've provided, and this seems to be true.

23 | Well, what about evidence of clinical validity
24 | and utility? Well, this is some data that might be
25 | representative of the type of information that would

1 support updating, for example, a product label. This talks
2 to the clinical effectiveness of a test and its clinical
3 utility. On the left-hand side is a chart that shows the
4 cumulative incidence of 6-MP dose reduction due to
5 toxicity, and what's impressive is that on the far left-
6 hand side, with the mutant alleles v/v indicated, that 100
7 percent of patients would develop toxicity on the usual
8 doses of 6-MP. That's evidence of clinical effectiveness.

9 As far as clinical utility goes, on the right-
10 hand side, what do you do about it? Well, the right-hand
11 side shows the average final weekly 6-MP dosage that would
12 be appropriate for these patients. It shows the dose
13 reduction. So I have a test that's clinically in
14 identifying those patients who would develop toxicity. I
15 have clinical utility because on the right I can reduce the
16 dose if I have that information in hand. So I see a
17 thought process there, and maybe this is the way that we
18 need to think about this in general.

19 Of course, there are other issues associated
20 with this example. I indicate in the box interrupting
21 therapy is an important issue in terms of recovering from
22 toxicity. That lessens the intensity of treatment which
23 seems to relate to event-free survival in these patients.
24 And also the reduction of the 6-MP dose in the face of a
25 poor metabolizing genotype allows for full dosages of the

1 other chemotherapy.

2 Well, this is very clear for the homozygous
3 patient. The data on the heterozygous appears to be
4 important, but there are some questions that remain and we
5 have to address those as we move forward.

6 This is further evidence of clinical validity
7 and utility, at least in my mind, and it shows the percent
8 of weeks that full doses that were tolerated by patients.
9 Again, you can see color-coded on the left is the
10 homozygous mutant allele that has no activity of TPMT, and
11 the toleration in terms of number of weeks is relatively
12 few. That's an issue, of course, in terms of maintaining
13 the intensity of therapy.

14 So these are data from the literature, and it
15 might cause one to think about, for example, with other
16 enzymes of 2D6, is the data in the literature sufficient to
17 to provide evidence that would warrant its use in improving
18 existing therapies.

19 At the October meeting, we did discuss this
20 issue. We probably didn't give it enough time in terms of
21 what we had. But as far as screening goes, we talked about
22 the test very briefly. Today I've shown some data on the
23 utility and validity, and this might represent the type of
24 evidence that we think about going forward.

25 What we did talk about in October as well was

1 | to reflect upon the current label for this product and just
2 | imagine how a label might be improved with the integration
3 | of new information about genotyping. I presented a
4 | proposal, an example for people to think about as to how
5 | this information could be incorporated into the clinical
6 | pharmacology section of the label. To appreciate the
7 | difference, one would have to have side by side the current
8 | label, which I said is deficient in its description of the
9 | role of TPMT. And I showed this section, clinical
10 | pharmacology. I showed something that might conceivably
11 | represent an effective dosage and administration section
12 | for such a label.

13 | I think the important part of this label, not
14 | the exact words per se, but it conveys to the prescriber
15 | and to the patient two important things. It conveys,
16 | number one, a prevalence. It tells me that this is more
17 | than a rare -- I don't know what "rare" means in a label,
18 | but it tells me how frequently I might encounter this
19 | problem in using the drug. It also gives me some idea
20 | about what to do about it if I identify such a patient. I
21 | think that's the hallmark of an effective label. It
22 | completes the information package for the user.

23 | I went back to the transcript to remind myself
24 | what the committee --

25 | DR. SADEE: Is this for prescribers or for

1 patients?

2 DR. LESKO: This was a proposal as an example
3 of label language, but I'm assuming it's not only for
4 prescribers, but it's also for patients.

5 DR. SADEE: One may want to have simpler
6 language I think.

7 DR. LESKO: That's certainly another topic.

8 Going back to our committee meeting, I did want
9 to remind what the committee discussed at that time. They
10 said for TPMT and 6-MP, there's considerable enthusiasm --
11 this was the summary part of our meeting -- and
12 considerable use for having genetic tests -- we were
13 talking about the label -- although there are some
14 scientific and clinical issues remaining.

15 With regard to what I had just shown you, the
16 proposed labeling seems to be a very logical positioning of
17 the information, as well as the type of information.

18 We did discuss relatively briefly the issue of
19 screening and intervention. This wasn't necessarily a
20 specific question to the committee, but it was intended,
21 using this example, to begin our discussion of how you
22 position genetic testing in labels for drug dosing
23 purposes.

24 For example, one option is to say all patients
25 prior to receiving 6-MP would receive a test that would

1 identify the genotype, and then a priori a lower starting
2 dose would be used. A product that falls into this
3 category a little bit different than this one is herceptin.
4 A test is done for HER-2 overexpression before the drug is
5 prescribed.

6 A second option is to think about a test that
7 might be used not a priori but either concurrent with or
8 following the initiation of therapy. A test might be used
9 in the case of reason, overt signs of toxicity, and the
10 issue there is what's causing it, and is this, in fact,
11 something I can do something about in terms of drug dosing
12 or is it perhaps another drug in the regimen.

13 We discussed these two issues relatively
14 briefly, and with regard to that, the committee summarized
15 that by saying mandatory testing in the absence of clear
16 pharmacoeconomic analysis is too early.

17 Interestingly, there have been two articles on
18 the pharmacoeconomic analysis of TPMT testing in the
19 literature. I believe one of the two came after our
20 October meeting, and that might be worth looking at in a
21 future meeting.

22 Further, the committee said that the test for
23 TPMT increases awareness that there is a problem and that
24 something can be done about it. As one member said, I
25 don't think that's too much to ask. I think there's enough

1 data to support that sort of thing.

2 In terms of trying to generalize this type of
3 consideration, it seems very likely that it would be done
4 on a case-by-case basis. That's probably very true. But
5 while we think about this on a case-by-case basis, can we
6 also think about it in a broad paradigm of questions that
7 we would ask of a genotype of a genetic test for the
8 purposes of drug dosing and then segue down into the
9 specific case?

10 So I'm looking to sort of get input on a broad
11 paradigm and then what would be important on a specific
12 case-by-case basis, taking into account obviously the risk-
13 benefit considerations for the drug, the types of efficacy
14 and toxicity, not unlike what we talked about in yesterday
15 morning's session dealing with different dosing strategies
16 to optimize therapeutics.

17 Well, I want to bring you back then to the
18 relevance of this topic, and it relates to the FDA
19 initiative that I presented yesterday, Dr. McClellan.
20 Well, he's talked about this further, and in the Washington
21 Drug Letter, reporting on one of his recent presentations
22 on April 13th, he said that new therapies will be developed
23 with genetic or phenotypic tests that can identify these
24 populations and detect patients who need different doses or
25 are prone to certain toxic effects.

1 We have been conducting informal discovery
2 research of the FDA database, and this is an example of
3 some of the results of the genotyping or phenotyping that
4 we've uncovered in our IND and NDA database. The trend
5 here doesn't necessarily indicate increasing usage of
6 genotyping or phenotyping, but what it does indicate is our
7 increasing discovery of submissions to the agency that
8 contain this type of information. And you can see, as of
9 August, it's not a small number. We have a fair amount of
10 INDs and NDAs that have mentioned genotyping or
11 phenotyping.

12 When you look at how that breaks down,
13 something really jumps off the page here, and that is most
14 of what we've seen in the submissions are related to 2D6.
15 Almost three-fourths of the genotyping or phenotyping is
16 related to 2D6, but not to exclude other applications which
17 are on the bottom in smaller percentages, and how this will
18 continue to play out in the future might be very
19 interesting.

20 Keeping 2D6 in mind, let's look at a recent
21 example of pharmacogenetic information in a product label.
22 I've selected for the example a drug for attention deficit
23 disorder. It's atomoxetine, or Straterra. This was
24 approved recently by the agency. It is a substrate for 2D6
25 and based on the evidence that we had, the label reflects

1 | how we've linked 2D6 genotypes with various aspects of the
2 | product label. For example, a fraction of populations are
3 | PM resulting, and you can check the label yourself, but I
4 | wanted to give you a sense of where the information
5 | appears. So it appears in the human pharmacokinetic
6 | section of the label. It appears in the drug-drug
7 | interaction section of the label. We've included
8 | information on the adverse reactions related to poor
9 | metabolizers and extensive metabolizers, and finally
10 | there's information in the laboratory tests section. So
11 | relatively speaking, it's a genotypically rich label that
12 | hopefully will allow for optimal use of this particular
13 | therapeutic agent.

14 | But it brings me around to the central question
15 | that I have. That was a new drug with new evidence, a new
16 | submission. But how can existing therapies, drugs we've
17 | approved in the past 20-30 years, be improved with the new
18 | knowledge that genetics provides us?

19 | So existing therapies. I've defined the
20 | therapy. Why is this important? Well, it's important
21 | because of the problem of adverse drug reactions. I'm not
22 | sure if you've seen in the recent edition of the New
23 | England Journal of Medicine a new report that indicates 1
24 | out of 4 patients have a side effect to drugs. 10 percent
25 | of those are serious. 39 percent were avoided, and a

1 certain percent, which I can't remember but relatively
2 high, was related to too high a dose.

3 We have a problem of variability in drug
4 toxicity in the population and it raises the question is
5 some of this attributable to pharmacogenetics, and if it
6 is, it seems like we have in our hands a tool to apply
7 towards reducing this problem, not only in terms of human
8 suffering, but also in terms of pharmacoeconomics of public
9 health care.

10 We have some evidence that pharmacogenetics is
11 important. This was from a study in JAMA that looked at 27
12 drugs frequently cited in the adverse drug reaction
13 literature. I think what was important here is that 59
14 percent, or 16, of these drugs were metabolized by at least
15 one enzyme with an allele that causes poor metabolism.
16 Thinking about the database at the agency, similarly 69
17 percent are metabolized by a specific enzyme, 2D6. And in
18 contrast, we took a randomly selected number of drugs.
19 Only 7 to 22 percent of those were metabolized. So the
20 circumstantial evidence points towards pharmacogenetics
21 being a factor, not the only factor, but a factor that
22 perhaps we can think about doing something about.

23 Well, how are things working in translating
24 genetic science into bedside medicine? I don't know, but I
25 did search the new version of the PDR recently, and I

1 | wanted to address the issue of how many labels in the PDR
2 | include pharmacogenetic information. Think about the
3 | history that we have behind us, 20 years since we've
4 | identified some significant polymorphisms. What I found
5 | was that 51 labels out of several thousand contained
6 | information on genomics. When you do see this information
7 | in the label, for the most part it's not translatable into
8 | the bedside practice of medicine.

9 | There are challenges moving forward, and as we
10 | talk about including genetic information in the label for
11 | the purposes of drug dosing, in the discussion and debate
12 | of that, frequently people will ask what is the evidence.
13 | I think the evidence for including information in labels
14 | for older products is something we have to think very
15 | clearly about.

16 | Will we need, for example, well designed and
17 | well conducted prospective investigations of analytic
18 | validity and clinical utility? That would be a challenge
19 | in terms of previously approved medication. Who would do
20 | that research?

21 | How about a systematic assessment of evidence-
22 | based research in peer-reviewed journals? Would that be a
23 | standard of evidence to think about in terms of including
24 | information in product labels?

25 | What about opinions and evaluations

1 professional associations and consensus groups? Is that
2 evidence that could be considered in integrating genetics
3 into therapeutics?

4 Premarket searches for pharmacogenetic factors
5 influencing risk-benefit. We do that now with currently
6 submitted NDAs. We probably did not ask the question as
7 much as we do now, say, five years ago.

8 And we've begun to look at the FDA post-
9 marketing reports of adverse events for selected drugs that
10 are candidates for improved therapeutics by the integration
11 of genetic information.

12 So this is a body of evidence, and it's not
13 going to, obviously, apply to everything, but it's going to
14 apply in different cases, and we might want to ask you to
15 think about this evidence. What are the standards that we
16 ought to be defining for improving existing therapies using
17 genetics?

18 Well, that gets me around to some of the topics
19 for discussion. What's next? I tried to present the
20 current situation as best I can with regard to the genetic
21 area for drug dosing? "What's next" means what is the
22 systematic way of thinking about this question and what are
23 the issues as we move forward in thinking about substrates
24 for these polymorphic enzymes and starting to look for
25 evidence that would help us decide whether this information

1 | would be or would not be helpful for drug dosing and
2 | improving therapeutics in public health.

3 | The second question might be what level of
4 | detail of genomic data should be included in the label. Is
5 | it enough to simply say poor metabolizers? Poor
6 | metabolizers are simply a phenotype. Would the data be
7 | more informative recognizing that many people read product
8 | labels, not just physicians and not just patients, but
9 | people that want to apply information in many other ways.

10 | So I've indicated there are a couple of
11 | options. Is phenotype enough? Should we be more specific
12 | in the alleles that were investigated, say, within a
13 | clinical trial? Should we talk about enzyme activity, a
14 | percentage of activity that might be associated with a wild
15 | type situation? What about the PK information? Is it
16 | knowledgeable or informative to link alleles with area
17 | under curve, say, in a table, allele frequency, mutation?
18 | These are different depths of information about the
19 | genotype that we've begun to think about as being
20 | appropriate for a package insert, and we might like your
21 | opinion on that.

22 | The third question relates to where you might
23 | think this information should be contained in the package
24 | insert. Where would its location be effective? We already
25 | know that location is important, like in real estate. If

1 | it's buried somewhere in certain sections of the label,
2 | it's not very apparent to people and practitioners. So
3 | where should this information appear to have the impact
4 | that it's intended to have in improving drug dosing?

5 | So these are the questions for the committee,
6 | and I look forward to your input and advice on these.

7 | Thanks.

8 | DR. VENITZ: Thank you, Larry.

9 | Any comments by committee members?

10 | DR. SADEE: I think you really have defined the
11 | problem very well. I am concerned -- or not concerned. I
12 | think we have to consider that P450 is not the typical
13 | example. So in the case of P450s we have accumulation of
14 | non-mutations in the human population that on top of it
15 | directly affect drug metabolism or drugs in a way that is
16 | obvious. I think this is the most unusual case.

17 | So if we take 2D6 as the star example that we
18 | then expand to other examples, I think we may actually be
19 | in trouble. The majority of genetic variations will be
20 | quantitative. The majority will likely be in regulatory
21 | regions where you get a little more or a little less enzyme
22 | or receptor or signaling molecule. So I think that would
23 | be an important consideration to begin with that. If we
24 | start out with 2D6, this may not be the template for the
25 | whole field.

1 DR. FLOCKHART: I guess I'd make two comments.
2 First of all, in terms of translating the pharmacogenetic
3 science into bedside medicine. And this gets to this
4 central issue of its application in clinical practice,
5 which I think we're very close to. I mean we're going it a
6 little in research centers and a little connected to a
7 number of small companies around the country, but we're on
8 the edge of a much wider application that has really
9 important implications for public health, and I think we
10 need to think about this very seriously.

11 There are two general observations I'd make.
12 One is that I'm very concerned as a clinician that the
13 standard of evidence being applied here for the requirement
14 for a test may well actually be much, much higher, almost a
15 quantum higher, than is applied to many of the normal tests
16 that clinicians use in everyday practice. I point out as a
17 doctor a vast amount of the information one gets as a
18 physician is gray information. It's not black and white.
19 It's not an absolutely clear mandate to do this or to do
20 that. It's something that you integrate into all the other
21 things about an individual patient, the patient's size, the
22 patient's gender, the patient's disease status, the
23 patient's other drugs that they're taking. These are
24 things that you integrate into a larger picture, and that's
25 what medical practice is.

1 If we try and generate tests that are so
2 pristinely studied and so carefully economically worked
3 out, A, it's going to cost so much that it's going to be
4 impractical to do that with all tests. And B, at the end
5 of it, you're not going to end up with a test that really
6 has any greater impact on the practice of medicine. There
7 are many tests, like for example, the PSA which is a test
8 of prostate specific antigen which is widely used by cancer
9 physicians around the world, but which has relatively low
10 specificity, relatively low sensitivity. The potential
11 benefit of some of the pharmacogenetic tests we're talking
12 about for patients is very remarkable when compared to
13 tests put in the same ball park like the PSA or, indeed,
14 the BRCA testing that we use for a small minority of
15 patients with breast cancer.

16 So my first concern is that the committee set a
17 series of standards that are not only practically but
18 economically and clinically unattainable. So we need to
19 set standards for these tests in the context of standards
20 for other tests that are applied.

21 Now, that gets me directly to the question of
22 what specific information should be included in the label,
23 because it gets to the quality of the testing. I think
24 that there are two separate processes here. There are
25 going to be FDA-approved tests that are not FDA-approved

1 tests at the moment, but in the description of those tests,
2 it would seem to be incumbent upon the companies and the
3 research centers involved to describe the alleles that
4 they're testing for and to communicate that information.

5 Personally I think the location in the label of
6 specific allelic information and very specific genetic
7 information that relates really to how the test is done,
8 what alleles are tested for and so on, would be overkill.
9 And that partly derives from my concern, the concern we all
10 have, about there being too much information in labels.
11 And I'm cognizant of the fact that people are working on
12 that and that we're moving towards labels where there will
13 be a specific section that is very clearly, in appropriate
14 language, aimed at patient information.

15 In that section, there should be something that
16 talks about the availability of pharmacogenetic tests I
17 think, and there should be something that talks about the
18 phenotypes. Personally I don't think it should get into
19 allelic detail and that should be information that's
20 provided in terms of a description of the test.

21 The second concern that I have -- and I want to
22 just put this on the table for discussion -- is in relation
23 to pharmacogenetic counseling. Do we say anything in the
24 label about a pharmacogenetic test is available? You
25 should consult or there are people available to provide

1 | this kind of counseling. This derives in part from a major
2 | concern that I have because I think in the gross picture
3 | here, what's going to happen is there are going to be a
4 | relatively large number of people, relatively suddenly in
5 | the history of things, wanting and using these tests.

6 | Now, if you think about it, what you need to
7 | provide counseling -- I'm talking as someone who has tried
8 | to do this -- to a patient for a pharmacogenetic test is
9 | you basically need a lot of information about the drugs.
10 | You need to know the side effects of the drug you're
11 | dealing with. You need to know the effects of the drugs
12 | you're dealing with. You need to be familiar with the
13 | trials involving that drug. You need to be very familiar
14 | with the drug interactions involved. And it is not simply
15 | enough to be a genetic counselor. You can't do this
16 | without a lot of information about drugs.

17 | So there's a separate discussion I think that
18 | needs to take place about how that needs to be done, and
19 | that should not be part of the FDA's concern or something
20 | that we would mention in the label I think. But it is a
21 | concern that I have that suddenly we're going to have a
22 | large number of patients needing this, and there frankly
23 | are not enough people, by a long shot, to explain this kind
24 | of information clearly to patients.

25 | DR. McLEOD: Going back to some of the general

1 framework that is needed for this, I think there are two
2 specific issues that we need to come up with some kind of
3 general language for.

4 First of all, in my mind the most critical
5 component to any of these tests, whether they're genetic or
6 otherwise, is in genetic parlance the penetrance. In other
7 words, how often does this variant really result in the
8 predicted outcome? So, in other words, with TPMT
9 homozygous mutations -- how often does that result in a
10 severe or life-threatening toxicity from standard doses of
11 mercaptopurine? In that particular example, it's nearly
12 100 percent.

13 But for many of the other examples, it will not
14 be that. There will be other components that come into
15 play. As a matter of fact, I think many of us who are
16 involved with TPMT and other examples have come across
17 people who were prescribed thiopurines and had a homozygous
18 variant genotype but did not have toxicity for issues
19 related to things such as drug adherence. So they weren't
20 taking the medicine, therefore they didn't get toxicity
21 even though they were genetically predisposed to get the
22 toxicity, reflecting that there is an environmental
23 component, i.e., actually taking the medicine, that
24 contributes to that. So it's not just bad genes. It's bad
25 genes with the wrong drug that lead to the outcome. So

1 | that knowledge needs to be there.

2 | That's a framework that can be for any
3 | genotype, pharmacogenetic or otherwise. Certainly with the
4 | genetic testing for familial disease, that's a big issue,
5 | as to how often does this gene really cause the phenotype.
6 | So that needs to be sorted through.

7 | The other part is that I think we need to
8 | define -- I don't know the language we should use -- the
9 | goal much more realistically. The goal in my mind should
10 | be we need tests that allow us to do better, and that isn't
11 | very quantitative. It's also not very objective. But it
12 | really is where we should be going. So right now, if
13 | you're prescribed mercaptopurine, you have testing
14 | performed on you. It happens to be a liver function test,
15 | a panel that is performed, in order to decide whether to go
16 | forward. Liver function tests are usually ordered prior to
17 | purine prescription.

18 | So there's already testing being performed in
19 | order to decide on dosage to some level and also whether
20 | the drug is going to be used at all. So the concept of
21 | using testing for thiopurines is old. Just in this case,
22 | TPMT offers a lot greater precision than something as
23 | nonspecific as liver function tests.

24 | So in my mind simplistically TPMT testing, as
25 | an example, is much more precise and useful than liver

1 function testing because it gives you a more quantitative
2 endpoint, something you can actually do with the results,
3 rather than a qualitative endpoint such as liver function
4 tests. If they're high, you don't give it, that sort of
5 thing.

6 So if we could somehow, as a committee, come up
7 with that sort of framework whereby we can decide whether a
8 test really advances the precision, that is a good thing.
9 The goal of getting 100 percent predictability every time
10 for every test in my mind is unrealistic because we're
11 talking about polygenic diseases, polygenic metabolic and
12 transport pathways, and also we're in a world where genes
13 aren't everything. There's plenty of environmental
14 components that will have no genetic basis. So I think 100
15 percent predictive testing is not our goal, but rather
16 doing better than we're currently doing should always be
17 the goal.

18 DR. RELLING: I'd just like to congratulate
19 Larry because I think he did an outstanding job of
20 summarizing these issues. In fact, he addressed the issues
21 that you're talking about with penetrance and clinical
22 utility in his slide where he talked about separating out
23 analytical validity, clinical validity, and clinical
24 utility. So I didn't hear any requests that the tests be
25 100 percent predictive of the phenotype, and in fact,

1 including information about just how much of the clinical
2 variability you think might be accounted for by genetic
3 testing was exactly what he presented. So it sounds to me
4 like the FDA is already considering all of the issues that
5 you brought up, and I don't see those as any kind of
6 problem whatsoever. That's how penetrance would be
7 accounted for by any sort of --

8 DR. McLEOD: The testing community, though, has
9 the desire of 100 percent sensitivity, 100 percent
10 specificity. To me that is unrealistic in the context. If
11 we know that someone has a 6-fold risk of toxicity, that
12 often is a test that has maybe only a 60 percent
13 selectivity and specificity. But if we know that someone
14 has 6 times the risk, which is more than TPMT brings, then
15 we would alter the therapy. I think that's what I meant.
16 I totally agree with you that what Larry has summarized
17 hits it.

18 But if we can be very clear on some suggestions
19 on the goal. The goal is not the usual lab medicine
20 specificity/sensitivity issues, but rather better
21 guidelines on how to actually use this stuff. So often
22 people look at genetic tests and look at it in the old lab
23 medicine way and say, oh, it's not sensitive or specific
24 enough. Well, it's still useful. It's better than we
25 currently are doing, and our goal should be to do better

1 and not to meet certain minimum guidelines for a device.
2 That's the point I was trying to make.

3 DR. RELLING: I see. I agree with that
4 statement.

5 I think that the issues of separating out how
6 well does a genotypic test predict observed phenotypes and
7 then how well does the genotypic test predict the larger
8 clinical outcome question both are the kind of data that
9 would be useful to have in the label, if available.

10 I thought that the examples that you gave of
11 where the label information was were all useful places.
12 Like you say, for a clinician trying to get at it, having
13 things cross-referenced under multiple sections is good.
14 The other place where it might be useful to have
15 pharmacogenetic information would be, I think, under
16 warnings and under the dosage, especially where there's
17 data to indicate the dosage could be individualized or at
18 least thought to be individualized based on genotype.

19 You talked about how to get information for
20 changing labels based on existing pharmacogenetic data, and
21 I thought those were all good places and good things.
22 Looking at the peer-reviewed literature, talking to
23 professional associations, and getting consensus
24 guidelines, asking specifically as new drugs come to
25 market, whether genetic predictors have been evaluated and

1 | whether there are known polymorphisms in the targets and
2 | the transporters and drug metabolizing enzymes I think
3 | would be helpful.

4 | The last thing that you mentioned, the thorough
5 | evaluation of FDA post-marketing reports of adverse events,
6 | I would have more concern that the lack of information
7 | about post-marketing adverse events should not be taken as
8 | evidence that there's a lack of a problem because in many,
9 | many cases these things are so well known in the scientific
10 | literature that clinicians don't consider them to be
11 | reportable events anymore. I know that that happened in
12 | the case of TPMT, that those events may not have been
13 | reported to the manufacturer or the FDA, but they were well
14 | known in the literature and by clinicians.

15 | And at the end, you mentioned where in the
16 | label should genomic data related to drug metabolism be
17 | included. Like Dr. Sadee said, I would just be careful
18 | about -- I think many of the genetic polymorphisms that we
19 | may end up needing to refer to in labels are not going to
20 | be just drug metabolism. So let's not limit ourselves to
21 | that because I think there will be examples with
22 | transporters and receptors. There already are examples of
23 | targets and receptors that could probably benefit by being
24 | included in labeling, for example, the thymidylate synthase
25 | and the methylene tetrahydrofolate reductase polymorphisms.

1 Then on the issue of whether TPMT testing
2 should be something that takes place preventatively prior
3 to patients receiving the first dose or a posteriori after
4 a patient has exhibited toxicity, I think really depends on
5 the details of the particular treatment protocol. As an
6 ALL therapist, I could give you examples of that.

7 If you were going to give 6-mercaptopurine the
8 first time in a relatively mild and uncomplicated two-drug
9 regimen, you might be willing to observe the patient, see
10 if there's toxicity, and then do TPMT testing to see
11 whether it's 6-mercaptopurine or the other drug. But many
12 ALL regimens will have the first time the patient receives
13 thiopurine in a very complex regimen with five or six or
14 seven myelosuppressive agents and if a TPMT-deficient
15 patient would receive those five, six, or seven agents,
16 along with mercaptopurine, they might have very severe
17 myelosuppression and it would be impossible to figure out
18 what the cause of that myelosuppression was. It could be
19 myelosuppression that lasts for weeks or even months,
20 compromising the initiation of further therapy. So for
21 that particular type of drug regimen, it might make more
22 sense to test patients a priori. In other regimens, it
23 might make sense to test them later.

24 So whether the FDA wants the labeling to get
25 into that level of detail or not, I don't know, but I think

1 that's the reason that there's debate in the oncology
2 community about whether to do this proactively or a
3 posteriori, and it's because it really depends on the
4 details of therapy.

5 But I think it's terrific that you're
6 considering this agency-wide, and it looks to me like
7 you're thinking about it in the right way.

8 DR. FLOCKHART: I have to really congratulate
9 you also, Larry. I think putting this together -- and
10 there's a lot of information you've provided us that is
11 new. But I think it also indicates the level to which the
12 agency is energized about this. The way you have organized
13 the thinking about it, I totally agree with Mary in
14 congratulating you in putting it together.

15 Just two little things to add to try and
16 respond to your questions. I think that in the label,
17 there has to be something that describes the size of the
18 change, and this gets to the question -- it's relevant to
19 Wolfgang's first comment I think. And I wouldn't agree
20 with you in that sense that 2D6 is not a good paradigm to
21 go forward with because the 2D6 size of change is very
22 remarkable, and in that sense, it is something that
23 promotes a useful example for other drugs and other
24 polymorphisms.

25 But the size of the change -- and this is for

1 | thinking about all the prior drugs, not for new drugs, this
2 | huge problem, which ones do we consider revising the label.
3 | And I would say this. If there is not a clear polymorphic
4 | distribution -- in other words, not a skewed distribution,
5 | but a clear change -- then that's something that's really
6 | hard to start upon. It's not impossible, but that might be
7 | a way of separating out the drugs and polymorphism
8 | combinations that are worth going after.

9 | Of course, the other way of thinking about that
10 | is where there are very significant clinically important
11 | adverse events or, for that matter, loss of efficacy that
12 | occur as a result.

13 | I'll stop there.

14 | DR. SADEE: I also wanted to say once more that
15 | I'm very excited about the prospect of having genetic
16 | information brought directly to the prescribers and to the
17 | patients. I think this opens an entirely new era, if you
18 | wish. So this is a very important step, and therefore,
19 | like you said, Larry, we have to be very careful as to
20 | exactly what goes into the labels and actually what does it
21 | open up for us.

22 | I fully agree with you, David, about the need
23 | for genetic counseling because once you put a single piece
24 | of genetic information into a label, there are, the more
25 | you think about it, multiple implications. Let me give you

1 | just an example. These implications are legal, economic,
2 | health outcomes, et cetera.

3 | If we come back to 2D6 and an example that
4 | would be fairly clear, as far as I know, codeine is an
5 | allogenic drug that has to be activated by 2D6 or it won't
6 | work in patients who do not metabolize it through the
7 | active ingredient. That appears to be fairly clear. So
8 | here's an example that's nearly an all or none.

9 | What would be important is if we were to
10 | include with codeine a description that if you do not
11 | respond, what are the implications? Well, now we have a
12 | situation where we might imply this is a poor metabolizer.
13 | Alternatively, if we would have known or we would have
14 | genotyped, this person doesn't have activity for 2D6, then
15 | automatically we are obliged to provide information that
16 | actually 20 percent of the drugs this person may have
17 | trouble with. And that's a pretty big implication right
18 | there.

19 | In addition, if codeine doesn't work, oxycodone
20 | might not work because, to my knowledge, it's also
21 | activated by the same gene. Nevertheless, physicians will
22 | just go to oxycodone, prescribe it. It doesn't work
23 | either. The pain is going on and it's actually aggravating
24 | the situation.

25 | So each single piece of information that we

1 | introduce has a whole host of further implications that if
2 | we phrase it carefully, introduce it carefully, must then
3 | engender a much greater capability of doing genetic
4 | counseling because it will become very, very important very
5 | quickly.

6 | There's one more aspect to that. The
7 | cytochrome enzymes, for instance, have associated with them
8 | disease susceptibility, and so the question is, is there a
9 | responsibility to extend this and to say, if you have this
10 | genotype, you may be at greater risk, for instance, when
11 | smoking and getting lung cancer? We have to have some kind
12 | of a clause that if we introduce the first genetic piece of
13 | information, there should be some kind of a clause in there
14 | saying, if there is this type of information, it's
15 | important in more ways than one and there may be some
16 | things in terms of genetic counseling.

17 | DR. FLOCKHART: I'd just say that the word
18 | needs to be pharmacogenetic counseling because everything
19 | you said was really related to a drug. I will put it on
20 | the table. I don't think simple genetic counseling is
21 | enough for this, but again, it's not particularly on the
22 | subject.

23 | I have a concern about putting the metabolism
24 | of multiple other drugs in as a consequence of a particular
25 | genetic phenotype for two reasons. One is that 2D6, 2C9,

1 and 2C19's experience have all shown us that the same
2 genetic variant does not mean the same things for all
3 drugs. For example, 2C19 which has a huge effect, a 10-
4 fold effect, on the exposure to omeprazole is basically
5 clinically irrelevant. It doesn't mean anything. It's not
6 a useful piece of information. It helps a little bit in
7 terms of treating Helicobacter, but it's not something
8 that's become widely used, even though it's been carefully
9 examined. But certainly for a patient taking omeprazole,
10 they wouldn't notice any difference whether or not they're
11 a 2C19 poor metabolizer.

12 On the other hand, it's very obvious to an
13 individual patient who's taking diazepam and who's heavily
14 dependent on 2C19. They get completely knocked out for a
15 long period of time when there are genetic variants. So
16 there are different consequences of an individual variant
17 for different drugs.

18 So I think we have to be very cautious about
19 making a statement in the label like, for example, because
20 you're a 2D6 poor metabolizer or because you carry a TPMT
21 poor metabolizer phenotype, it follows that you will have
22 sensitivities to a large number of drugs. I think we need
23 to have specific criteria for what those drugs would be,
24 for obvious reasons. One is we're talking about cross
25 labels here, just as you would in drug interactions. So if

1 | you raise it with one label, you have to consider it with
2 | the other label. That makes the process considerably more
3 | complicated. But I think some criteria for what that list
4 | of drugs should be is something we ought to try and
5 | provide.

6 | DR. SADEE: I don't think I really wanted to
7 | put something specific in other than to say that knowing
8 | this phenotype has broader implications than usual, discuss
9 | with your prescriber, to a patient. I think that would
10 | also bring the ball back into the court of people ought to
11 | know these things. There will not be clear enough
12 | examples. If it applies 100 percent to codeine, it may
13 | apply 50 percent to another drug and it may be irrelevant
14 | to a third one. But that's exactly the type of counseling
15 | that one would need. Some people are more sensitive to
16 | drugs. There must be a system behind this, and we're
17 | getting to the bottom of that system. So I think that's
18 | possible to include in some fashion.

19 | DR. McLEOD: I think in terms of the field of
20 | applied pharmacogenetics, your comments are very true. I
21 | think in the context of the label, the precedent is that
22 | just because there is a variable that affects one drug, you
23 | don't have to mention everything else. So if you look at
24 | renal function, you can clearly indicate that impaired
25 | renal function is important for drug A, but you don't have

1 | to say anything about the other 400 drugs that it also will
2 | influence. Now, behind that is the more readily available
3 | knowledge that impaired renal function will affect a lot of
4 | things. So I think that gets to what you're talking about,
5 | that there's an education issue that is dependent of the
6 | agency that needs to take place to try to sort some of this
7 | out.

8 | If there's a clear drug-drug interaction or a
9 | clear basis whereby a genetic variant for drug A will be
10 | important for a drug that is commonly co-administered, then
11 | I think there's precedent to follow to try to develop that
12 | into a label. But otherwise, I think it's going into a
13 | very important clinical applied area but not necessarily an
14 | area that needs to be in the label.

15 | DR. RELLING: I'd like to echo Dr. McLeod's
16 | comments. I completely agree. We should be careful not to
17 | treat pharmacogenetic information as completely different
18 | ancillary clinical laboratory information that we have in
19 | deciding how to individualize medicines for patients, and
20 | the renal function example is a really good one. It has
21 | broad implications, but it's not part of the label and it
22 | doesn't prevent us from putting that information in the
23 | label that all of the implications of having renal
24 | dysfunction, having liver dysfunction, having diabetes,
25 | having other comorbid conditions. The complexity and the

1 far-reaching consequences that having a genetic
2 polymorphism might mean doesn't preclude us from using that
3 information, and it shouldn't be held to a much different
4 standard than any other laboratory information that goes
5 into the label is.

6 DR. VENITZ: Just to follow up on that, I think
7 we have to separate what the label for the drug of interest
8 should say and what the label of the test should say. A
9 lot of things that you were talking about I think should be
10 in the label that goes along with the test because it tells
11 me how to use the test and what the limitations are. I
12 think that's where the information would go not only about
13 false positives and false negatives, but also things like
14 what's the positive and negative predictive value based on
15 the prevalence of whatever you're testing for. So the kind
16 of information that as clinicians we expect of all of our
17 tests to be readily available.

18 Now, to go back then to your question, Larry,
19 about what information should be in the drug label, because
20 I think that's what we are to focus on, I'm not sure
21 whether you need that specific information.

22 What I'd like to see is, number one, there is a
23 polymorphic receptor drug-metabolizing enzyme or
24 transporter involved in the kinetics of the drug, that
25 there's evidence to suggest that.

1 Number two, that there's evidence to show that
2 that has a consequence in terms of the side effect profile
3 or the lack of efficacy and what those consequences are and
4 that there is a way that you can test for the genotype of
5 that particular enzyme, transporter, or receptor.

6 I think it's those three pieces of information
7 that should be there, and I'm not sure whether you need to
8 list specifically the alleles even if it has been studied
9 as part of the clinical program or specifically the
10 pharmacokinetic consequences quantitatively because I think
11 then you're putting the burden of interpreting the results
12 on combining that information with the test because it's
13 the interaction of the two that leads one to change
14 treatment if necessary.

15 DR. FLOCKHART: So you would go just with
16 simply putting, as I was saying at the beginning, the
17 phenotype.

18 DR. VENITZ: Yes.

19 DR. McLEOD: I do think that the frequency
20 information brings a lot to it. It doesn't have to be the
21 frequency of each individual genotype, but for the TPMT
22 example or for the 2D6 example, knowing that it's 10
23 percent makes someone at least pay attention. There are
24 many examples out there where if you look at the ultra-
25 rapid metabolizer genotype for 2D6, it's 1 percent or so,

1 and a lot of people might just say, oh, well, if we ever
2 come across one of those people, we'll do something about
3 it. But it's such a low frequency, they won't bother.
4 Now, if you're one of those people, it's a big deal. 10
5 percent. That's a fairly large amount of your practice.
6 If that number is robust, then I think it would add
7 something.

8 DR. VENITZ: I agree with that, but the reason
9 why I would agree with you is because it has significant
10 consequences. Lots of times, even knowing the prevalence,
11 if the consequence is headache or whatever the side effect
12 profile looks like, it may not be relevant. And I'm
13 concerned that we are already overfeeding information into
14 the label that is very difficult to interpret.

15 DR. DERENDORF: I think there should be two
16 pieces of information, frequency and magnitude, so that one
17 can assess the situation. How often does it happen, and if
18 it happens, how bad is it?

19 DR. SADEE: If you bring frequency into play,
20 then you might also have to bring into play ethnic
21 differences there. Clearly they are so large in some cases
22 between different ethnicities, that once you mention these
23 frequencies, it may necessary to do that too. One would
24 like to possibly avoid this. But it's an issue we need to
25 consider.

1 DR. FLOCKHART: There is precedent for that in
2 labels. Specifically in the label that's on the screen
3 right now, what level of genomic data should be included in
4 the label? I think if something is very common in African
5 Americans like the 3A5*1 variant or is relatively rare in
6 African Americans like the 2D6 variants, that's worth
7 putting in. If that data is robust and there is a clinical
8 consequence, it is worth putting in.

9 DR. McLEOD: I think having the range of
10 frequency that is found in, in this case, the United States
11 population, because this is the FDA for the U.S., is
12 useful. Putting the specific ethnic groups I do not think
13 is useful for two reasons. First of all, it gives someone
14 an excuse to forget about it or try to put it out of their
15 mind in certain groups. Oh, it's only 2 percent in African
16 Americans; therefore, I won't even think about it.

17 Also, there are so few components of the U.S.
18 population that have been evaluated that we could focus on
19 the European American and African American groups, Chinese
20 American or Asian American in general, which is a very
21 heterogenous population often not evaluated, Hispanic
22 Americans are usually not evaluated, and then the huge
23 numbers of others. So if you have a range, if there is a
24 robust range, then that's fine, but if you start actually
25 pinpointing the ethnic groups, then you might as well list

1 the ones you haven't tested as well. Often the range that
2 is seen with the more common ethnic groups in the U.S. does
3 reflect the range that is there in general.

4 I guess my worry is people now are trying to
5 figure out whether ethnicity should or should not be a
6 component of medical decision making, and I think we all
7 have our opinion. I don't know that including specific
8 ethnic groups would be in a patient's best interest.

9 DR. SADEE: But that addresses also the cost-
10 benefit issue. So there is a limit as where you say beyond
11 a certain frequency, that's where it actually becomes cost
12 effective through genotyping. So if you can define a range
13 of frequencies very precisely that you can assign to an
14 ethnicity, then yes, it could well be that in this case,
15 let's say, for Mexican Americans, it may not be worthwhile
16 to do it, but for Asian Americans, it may as a whole
17 because it's cost effective. I think that needs to be
18 considered too. So the cost effectiveness is one that will
19 play a major role. You will only implement something that
20 you get more out of, you get a real benefit compared to the
21 cost you have to put in because the overall energies you
22 can put into health care is limited, and you take away from
23 something else.

24 DR. McLEOD: That's a test issue not a drug
25 issue. PSA is only ordered for half of the population in

1 | the U.S. Females don't get PSA testing. It turns out
2 | there's no reason to. So choices are being made on other
3 | endpoints. Currently only breast cancer has HER-2
4 | evaluation because it's known to be more frequently
5 | amplified in breast cancer and therefore of more utility.
6 | So what you mentioned about having a distinct group, in
7 | that case a tumor type or a gender, is currently used in
8 | terms of decision making.

9 | Even though you could test HER-2 for every
10 | tumor type and see if you find somebody that's amplified in
11 | lung cancer or whatever else, you don't because of the
12 | limited utility, like you mentioned. But that's a device
13 | issue rather than a drug issue. I mean, herceptin labeling
14 | doesn't indicate much about that, as far as I know. It's
15 | more the actual HER-2 test that reflects it.

16 | DR. RELLING: Yes. I was just going to say I
17 | didn't hear it said that the FDA needs to consider cost
18 | effectiveness of testing in its decision of whether to
19 | mention the availability of pharmacogenetic testing for
20 | individual drugs. I can't see how that would really be
21 | appropriate for the drug label because those costs are
22 | constantly changing and the costs of the test may change a
23 | lot depending on how its used. So I agree that that might
24 | be part of a clinician's decision making, but I don't think
25 | that that's really relevant to the labeling.

1 The other thing I was just going to mention
2 because I've heard HER-2/neu testing being used as an
3 example of how patients are tested before it's decided
4 whether to give them the specific drug, and that's
5 analogous to what would be done, for example, for TPMT
6 testing and the decision of whether to do that before
7 giving 6-mercaptopurine. But there is a difference, and
8 some of that genetic testing like HER-2/neu testing has
9 implications as to whether the medication will work at all
10 depending on the results of that genetic test. So you
11 might save the patient inappropriate use of a medicine that
12 wouldn't work by having information on negative expression
13 of HER-2/neu.

14 Whereas, at least as far as we know, for TPMT
15 testing and for lots of other pharmacogenetic testing, it
16 wouldn't be a decision as to whether the drug has any
17 utility at all with the exception of your example you gave
18 of some narcotics and 2D6, but just a matter of how to dose
19 the medicines. So I think that's why there can be a little
20 gray area as to whether pharmacogenetic testing would have
21 to be recommended prior to prescription of the drug at all
22 versus a posteriori after seeing how the patient responds
23 to the medicine.

24 DR. FLOCKHART: I think you have to think about
25 what the label is for. The label is to guide prescribers

1 | and to help patients. The label is about a drug. So I
2 | think that information about the incidence, as we said many
3 | times, is important. It makes a huge difference whether
4 | something is .1 percent frequent or something is 20 percent
5 | frequent.

6 | Personally I think there are situations where
7 | the ethnic difference is so extreme, Howard, that I would
8 | disagree with you. There is such a marked difference that
9 | you can't just throw out race. You can't say it doesn't
10 | matter because in both the patient's and the physician's
11 | decision about that drug -- not about the test
12 | specifically, but about that drug -- it makes a difference
13 | whether they're African American or they're Asian or
14 | they're caucasian if the difference is sufficient. We need
15 | to talk about what sufficient is. It's not 1 percent. It
16 | would have to be a very notable difference I think. There
17 | are situations where ethnicity might be included in the
18 | label, and I think it's not useful to say, Howard, that we
19 | haven't studied every sub-ethnic population within the
20 | United States because what you would include would be
21 | information about where there is a large difference where
22 | it really would be useful in clinical practice. So if I'm
23 | looking at an African American, that's something -- let me
24 | make an extreme example. Something that was 30 percent
25 | incidence in African Americans and 1 percent in caucasians,

1 that's a different decision for a patient and a different
2 decision for a prescriber.

3 DR. McLEOD: I agree with you, but what about
4 other common groups that aren't evaluated? That's where it
5 starts falling down. For example, 2D6, the ultra
6 metabolizer genotype is much more common in populations
7 from an East African heritage compared to a lot of the
8 other populations. But maybe Indian and Pakistani
9 populations also have that high frequency but just haven't
10 been evaluated carefully. Maybe other groups.

11 So it's positive information, but I think guess
12 what it's missing is there as well. Taking it and saying
13 I'm only going to test African American subjects because
14 they're the only ones that have the higher frequency is not
15 useful. That's what I'm afraid of. If it's dictated too
16 carefully, people will say, oh, that's the only time I need
17 to look.

18 DR. FLOCKHART: That's a language question.
19 You can put it in language that says other populations have
20 not been carefully studied, but there's a positive here.

21 DR. SADEE: What policy you will be setting is
22 going to lead into the future for a number of years. This
23 is initiating a new trend.

24 I would like to bring up a consideration that
25 actually technology will outrun what we're discussing right

1 | now so that the prospect of having your computer chip
2 | embedded where all the relevant SNPs are available is not
3 | that far off. But in the area of drug metabolism or
4 | pharmacogenetics, within 3 years you will not have a single
5 | test. If you order a test, the cheapest way, this is only
6 | going to be one, two, or three years away. The test will
7 | include 20, 30, or 40 or 50 SNPs depending on how fast we
8 | do this, and that information will be instantaneously
9 | available to all those who prescribe and to the patient as
10 | well. It will be economically inefficient to do a single
11 | test because the controls around the test are such that
12 | just doing the test is extremely expensive, but adding 1 or
13 | 20 or 100 new SNPs will become trivial.

14 | That's why I brought in the implications of
15 | once we add this in, there's a whole slew of new things
16 | that are coming our way. If we only talk about the label,
17 | of course, we won't include this, but we must be cognizant
18 | that this is coming very, very rapidly, and if we open
19 | Pandora's box and seeing the single piece of genetic
20 | information there, in three years this single piece will be
21 | a very complex piece of information provided to all. That
22 | needs to be safeguarded. That needs to be privatized in
23 | such a way it cannot be utilized elsewhere and so on. So
24 | all these issues. It's an enormous issue and it starts
25 | right here with setting the proper policy.

1 DR. RELING: Well, this wouldn't be the
2 initiation of genetic testing. There are genetic tests
3 that are being done all the time for factor V Leiden, for
4 MTHFR, for Gilbert's disease with UGT1A1, for G6PD
5 deficiency. So this has been out there for a while. I
6 don't want people to overreact to the idea that by just
7 mentioning a few genetic polymorphisms in relevant drug
8 labels, it's opening a flood gate to genetic information
9 that hasn't been present for the last 10 or 20 years.
10 There is precedence for having genetic information, using
11 it in specific ways and specific clinical information, and
12 providing clinicians with useful information for how they
13 treat patients that's not going to have overnight
14 overwhelming sea change in patient care related to genetic
15 information if the FDA decides to have a more uniform
16 policy on how to incorporate genetic testing into drug
17 labels.

18 DR. SADEE: Well, I think it is a sea change
19 for drug therapy. There are small pieces coming into play,
20 but within the next few years, there will be a sea change.
21 That's how I see it coming.

22 DR. RELING: I will be delighted if in one or
23 two or three years we have accurate genotyping information
24 on tens or hundreds of SNPs. We're not finding that it's
25 all that easy.

1 DR. FLOCKHART: This is something where I
2 heartily agree with Mary actually. I'm very concerned
3 about how rapidly we move here, and I think it has to be
4 done very carefully. Again, I think Larry set out a very
5 nice rubric for doing it. But because we're doing it
6 carefully -- and I think a lot of the conceptual issues
7 with genetic testing have been dealt with by things like
8 G6PDH, even cholesterol. So it's not new conceptual
9 things, and I'm nervous of calling it something big like a
10 sea change.

11 Really, these are genetic tests. They're not
12 new. They're laboratory tests. They're not new. They're
13 tests that influence pharmacotherapy. They're not new.
14 These things have been done before. It's an evolution to
15 me rather than a sea change.

16 DR. LESKO: In the context of thinking about
17 the broader paradigm that I mentioned in my slides, there's
18 another element to this. It gets to perhaps prioritizing
19 or thinking about the best clinical value for a test. I
20 can think of two different types of drugs that are based on
21 how they're used in therapeutics.

22 At one extreme we might have something like a
23 thiopurine with a TPMT, where there's a clear distinction
24 between the activity of the drug in the genotype.

25 Another example might be a drug like dilantin

1 | or phenytoin. I believe it's a 2C19 substrate, but when
2 | you begin therapy with it, there's no immediate response,
3 | either efficacy or safety. There's some time that it takes
4 | to respond to that drug.

5 | Contrast that maybe to a beta blocker, say,
6 | metoprolol where you're using it for hypertension. So you
7 | see an immediate antihypertensive response and can react to
8 | a dose adjustment based on an easily measured phenotype.
9 | Warfarin with 2C9 substrate, polymorphic, but I can use an
10 | INR.

11 | So I guess my question sort of revolves around
12 | how to think about priorities for a genetic test improving
13 | drug therapy in the face of having or not having easily
14 | measured phenotypes that I can use as a titrator of dose.
15 | It's sort of trial and error, but it's trial and error in
16 | the context of being able to look at response readily.

17 | This isn't a small question because I mentioned
18 | last week's New England Journal of Medicine or JAMA, one of
19 | the two, with the new report on adverse drug reactions.
20 | But again, like other reports similar at the top of the
21 | list in terms of frequency were the antidepressants and the
22 | beta blockers and drugs that are typically polymorphically
23 | metabolized.

24 | So where do you think this vector of titrating
25 | a drug versus not titrating a drug in a sense, a dilantin

1 | versus a beta blocker, come into play in the thinking about
2 | a genetic test improving therapy?

3 | DR. FLOCKHART: I always seem to have an
4 | opinion about these things.

5 | First of all, I think that the availability of
6 | a phenotypic test does not mean that a genetic test is
7 | useless. The phenotypic test often takes considerable
8 | effort and is often not perfect. One nice thing about
9 | pharmacogenetic tests is you do it once and hopefully if
10 | it's done well, you don't have to do it again.

11 | I could go through the specific examples that
12 | you mentioned, but they're illustrative actually. In the
13 | case of phenytoin, the difficulty with phenytoin
14 | prescribing is as one gets to the therapeutic
15 | concentration, there's a risk that as you increase the
16 | dose, you saturate metabolism and you go off the proverbial
17 | therapeutic roof. And having seen this happen with a few
18 | patients, that is what it feels like. So there's an issue
19 | there of although you're often measuring phenytoin
20 | concentrations, you still get a big surprise when you
21 | change the dose, and that's listed as an adverse drug
22 | reaction and that can cost a lot of money.

23 | I could make similar arguments with warfarin.
24 | You follow warfarin all the time, but the way we normally
25 | do warfarin is we test INR a few days after we make changes

1 | because we know it takes a while.

2 | And in real clinical practice, I think we have
3 | to put this in the environment of regular medical care in
4 | the United States. It's not easy for people to see a
5 | physician. It just isn't. And it's not easy often to just
6 | schedule an absolutely easily timed test for therapeutic
7 | drug monitoring be it by phenotypic kind of changes like
8 | INRs or be it by drug concentrations.

9 | So I think more information available to
10 | physicians and prescribers in general -- and that would
11 | include pharmacists and psychologists and so on -- is only
12 | a positive thing in the prescribing process and an
13 | addendum. An addition of a genetic test on top of an
14 | available phenotypic test in general is not something I
15 | think contaminates the prescriber's efforts. It enhances
16 | it.

17 | DR. SADEE: I would just like to raise one
18 | general issue as a cautionary note, and that is recently --
19 | well, not just recently. There's a recognition that gene
20 | expression, regulation and so on is not necessarily related
21 | to a primary change in the DNA so that a SNP analysis will
22 | tell you whether there's even a change in regulatory agent.
23 | Most of those SNPs we don't even know. But there are many
24 | other phenomena that are epigenetic, gene silencing,
25 | histone acetylation, et cetera that could actually last

1 over many cell generations or in an individual. Now the
2 first article has come out that this may actually override
3 many of the genetic changes.

4 So I just wanted to have this on protocol that
5 we're cognizant of the SNP analysis is just one of the many
6 ways that one could assess phenotypic variation and
7 evolution across a population or within the same individual
8 as a function of environmental impact and so on.

9 DR. McLEOD: I think the framework that we're
10 talking about will encompass genotype, haplotype, gene
11 expression profile, if there is a profile A versus profile
12 B, silencing, non-silencing, methylation, non-methylation.
13 If we do it right, whatever the means, it will be useful.

14 I think it's already in place in a way. Going
15 back to the renal function example, below a threshold --
16 and it's different for different drugs -- there are
17 warnings about the implications for that. And it may be
18 the same thing. It may be that there's a reason in the
19 future that gene expression profile A patients are at
20 higher risk of toxicity or lack of efficacy, and if that
21 data is as robust as we're talking about, then it will be
22 able to fit right into this framework because when it comes
23 down to it, the utility of these tools, regardless of
24 whether they're biochemical tests, a molecular test of any
25 ilk, a protein-based assay, we have to be able to stick a

1 | label on a person to then use it. If they're in cluster A
2 | -- that cluster may have 33,000 genes in it -- they are
3 | cluster A patient, therefore their risk is X.

4 | So I think the same framework will be useful
5 | because you're right. We're talking about genotype today.
6 | We'll be talking about those other things tomorrow or in
7 | the future, to be more precise. So I think getting the
8 | framework right this time will then allow all the rest of
9 | it to just slide right through.

10 | DR. VENITZ: Just two points to follow up your
11 | comments. In the very article that you mentioned, it is
12 | not apparent whether the genetic testing would have made
13 | any difference because they didn't really separate out
14 | drug-drug interactions, and the very drugs that you
15 | mentioned are also prone to drug-drug interactions.

16 | The second thing, more importantly for the
17 | discussion that we're having, a lot of those studies that
18 | find an increased incidence of side effects, let's say,
19 | usually find as the main reason for that is that the
20 | information is available. It's not being translated in
21 | practice. So it's not like we don't know it. It's not
22 | like we don't have the genetic tests whether they're in the
23 | label or not. People don't know how to use it or they
24 | don't use it. So there are systemic flaws.

25 | I think maybe at a later point in time, we

1 | ought to discuss this. How do we communicate that
2 | information in a way that it makes a difference, not just
3 | in a way that it reflects the best science that we know of
4 | and all the uncertainties involved, but in a way that it
5 | actually makes sense to the prescribers that are not
6 | necessarily highly educated in those areas.

7 | DR. LESKO: Those are both good points. We
8 | didn't talk much about it today or even in our October
9 | meeting, but we are working on a guidance that would
10 | encompass label language that at some point, when it's in a
11 | suitable form, we might bring to the committee to talk
12 | about representative language for including genetic tests
13 | in a label.

14 | I did want to bring up another dimension
15 | because I think there are many small bridges that we have
16 | to cross as we progress and move forward. I don't think it
17 | would be appropriate to be thinking about cost
18 | effectiveness in terms of a genetic test if it's important
19 | to public health, although you can't ignore that and how it
20 | factors in the thinking. We have to acknowledge that it
21 | does in some ways.

22 | But probably more important in the context of a
23 | label with a pharmacogenetic test is the test availability.
24 | It wouldn't make sense, for example, to put a test in a
25 | label that physicians can't access if they read the label

1 and then choose to use it in applying it to their patient.
2 We are somewhat in a bit of transition in the sense that
3 none of the pharmacogenetic tests have been submitted or
4 approved by the agency. So we're dealing with a community
5 of testing laboratories that I'm not quite sure what the
6 availability of testing is, let's say, for cytochrome 2D6,
7 and if the sites are widely available for physicians to
8 access, what the quality of that report is from that
9 laboratory. I wondered if people had any thoughts on that
10 aspect of what we're talking about today.

11 DR. RELING: I mean, you're right. It is an
12 issue. I guess I don't quite understand what is the
13 percentage of specialized tests that are done in health
14 care or even non-specialized tests that are FDA-approved.
15 Is that something that is the vast, vast majority of
16 laboratory tests that are used? I don't think that it is.
17 So the fact that there aren't pharmacogenetic tests that
18 are yet FDA-approved shouldn't be something that would
19 prevent clinicians from utilizing them.

20 I guess the Internet helps a lot because now it
21 is relatively easy for any of us to type in CYP2D6 genetic
22 testing and probably come up with a list of companies that
23 are doing that. Maybe not as many clinicians as need to
24 know do know that they should look for things like CLIA
25 approval or CAP approval for the test that they're going to

1 use as some sort of external imprimatur that there's some
2 basic quality control.

3 But making that information available to both
4 clinicians and to patients is a challenge, and I don't know
5 whether the FDA wants to take responsibility for that or
6 not. But those of us in this field deal with it daily,
7 that physicians and clinicians and patients want to know
8 about how they get genetic testing done and it's still at
9 the point where it's not easily ordered by any clinician
10 anywhere they're practicing and taken care of by the
11 therapeutic drug monitoring lab or the pathology lab that
12 handles processing orders.

13 So although that is definitely a hurdle that
14 needs to be overcome, in the case of TPMT testing and
15 CYP2D6 testing, at least for now, it looks like those tests
16 are probably here to stay and widely enough available that
17 they should be available to clinicians if they are
18 mentioned in package labeling.

19 DR. FLOCKHART: I do not have an opinion about
20 this, what I'm about to say, one way or the other, but I
21 just want to put it on the table. It's whether it's
22 appropriate for the agency to talk about actually
23 counseling in a label or not in the sense of we haven't
24 don't this with G6PDH, we haven't done it with other
25 things. Is the smell of this beast sufficiently different

1 | that we would want to do something like that?

2 | I have concerns about the availability of tests
3 | without counseling. I know this has been carefully dealt
4 | with in the HIV area. So I would welcome a little bit more
5 | discussion at some point about that.

6 | DR. LESKO: I was thinking about another aspect
7 | of product labels, and I recently searched the PDR for
8 | indications of therapeutic ranges for drugs, how many
9 | labels indicate that and what we say about that. There's
10 | actually a fair amount of drugs for which we recommend
11 | blood level monitoring, and not much is said in the label
12 | itself about interpreting blood levels other than this
13 | might be the therapeutic range. There's no counseling
14 | component in labels with respect to that.

15 | It sort of brings you around to the question
16 | about genotyping which actually has some implications for
17 | third party reimbursement, and that is, what do you call
18 | it? Therapeutic drug monitoring is looking at a blood
19 | level and then adjusting dose and trying to get a patient
20 | in a therapeutic range where the probability of good things
21 | happening is higher and the probability of bad things
22 | happening is lower. It doesn't strike me that it's
23 | necessarily screening to measure a blood level of
24 | theophylline. It strikes me that's more monitoring. And
25 | if there's an opinion on this I don't know, but how would

1 | you think about genotyping? Is this therapeutic drug
2 | monitoring? Is it screening? What is the appropriate
3 | terminology that would be applied to this activity?

4 | DR. FLOCKHART: I think that's easy. It's case
5 | dependent. In the majority of situations, I think it's
6 | like therapeutic drug monitoring. You do it after you've
7 | started on a specific drug. There are very few situations
8 | possibly including 6-mercaptopurine -- I'd welcome Howard's
9 | and Mary's opinions about that -- where there might be a
10 | case for screening before.

11 | DR. SADEE: HIV therapy should maybe set an
12 | example because it's already done. In 50 percent of the
13 | cases of high intensive therapy of HIV, you have
14 | genotyping. In this case it's genotyping of the virus, but
15 | it's the same idea. And that is being reimbursed. So I do
16 | not know who is doing the tests and what are the quality
17 | controls and who's regulating that, if at all, and how then
18 | the third parties decide to reimburse this on the basis of
19 | what criteria. That may be a good example because it's in
20 | practice.

21 | DR. CAPPARELLI: At least in terms of the HIV
22 | genotyping situation, a lot of it is actually done after
23 | therapy. In a sense the wild type is not the issue or the
24 | sensitivity of the wild type. It's the sensitivity of
25 | patients who've already had therapy. So I think it gets

1 maybe again more towards the model of after therapy is
2 initiated. In clinical trials, that's a different issue,
3 that there is some up front discovery work being done, but
4 I think for the great majority of this, it's really again
5 being applied after the initial exposure to the drug
6 classes.

7 DR. SADEE: There's continued therapy and so
8 maybe initially you assume that it's not cost effective to
9 do it right away, but while you go along, resistance is
10 acquired, so it becomes necessary at one point.

11 DR. CAPPARELLI: No. It is a necessary
12 component, but it also changes. It's a bit of a different
13 animal than genotyping the patient where we're linking it
14 to what we expect is an exposure pattern that we expect to
15 be relatively constant over time.

16 DR. RELLING: I don't know what difference it
17 makes for third party reimbursement, but it does seem that
18 pharmacogenetic testing should be more closely linked with
19 therapeutic drug monitoring labs just because the results
20 are definitely interpreted by the people who are monitoring
21 and adjusting therapy and they may be very closely linked
22 to a posteriori tests of blood levels of the medications
23 themselves.

24 And TPMT is a great example. We have a very
25 detailed algorithm for how we do genetic testing, activity

1 phenotyping, and sometimes drug metabolite level
2 monitoring, and all three of those things are used in
3 conjunction with each other in really assessing what the
4 patient's status is. Certainly having all of those tests
5 interpreted by the same clinicians in a therapeutic drug
6 monitoring laboratory makes sense I think.

7 There may be some examples where there are
8 genetic tests performed that have implications not only for
9 drug prescribing but for other health risks or other
10 interventions, and some of those prothrombotic genetic risk
11 factors are an example of that where it may be a bit of
12 overlap between how much is patient monitoring outside the
13 context of drug therapy and how much is patient monitoring
14 within the context of drug therapy. So some of those
15 details are probably going to have to be worked out over
16 time.

17 DR. McLEOD: I think therapeutic drug
18 monitoring is a good example of the spectrum of what we're
19 going to see because you have situations like Mary
20 described where you have very thoughtful linkage between
21 the assay and the interpretation, and then you have the
22 other extremes whereby a lab medicine department will
23 measure something and put it onto the results, and it stops
24 there. Then it's up to the individual clinical team to
25 interpret what it means.

1 I think that's what's going to happen with
2 pharmacogenetics. You're going to have situations where
3 you have the whole package where the patient gets very
4 thoughtful interpretation, and then you're going to have
5 just labs that measure stuff and report a result and then
6 good luck to you.

7 We see some of that now with direct-to-consumer
8 marketing of pharmacogenetics which thankfully isn't
9 widespread but is occurring whereby patients are encouraged
10 to send a chunk of tissue in to the lab where they can
11 measure some stuff and send results back and then take it
12 to your physician for interpretation, which currently is
13 not very useful. We wouldn't want to encourage that as the
14 industry standard by any means, but I think it is and will
15 continue to happen.

16 DR. SADEE: I think it's important that if
17 there were a label on the drug that says there is genetic
18 variability, then the first important piece there is not
19 that one should go ahead and do genotyping, but it alerts
20 the prescriber and the patient to say there is a chance I
21 will have a very unusual reaction.

22 That was the same what happened to drug level
23 monitoring initially. One would want to measure as many
24 drugs as possible and then one could see the variation
25 across the population. Then people like Lew Sheiner would

1 | come in and say how can we, with that knowledge, apply it
2 | to a population, minimize the risk by just looking at all
3 | the factors that we do know and then suggest a dose which
4 | did mean that in many cases we dropped measuring normal
5 | levels because it wasn't necessary. The benefit was not
6 | sufficient. The error was so large that it was not
7 | worthwhile doing it.

8 | So just by having a genetic label doesn't mean
9 | that we're necessarily locked into actually doing the
10 | genotyping, but rather that it alerts you that there could
11 | be problems and here is one of the reasons for the problem
12 | with that particular drug. That's step number one, and
13 | that's all education. That's all in the counseling area.

14 | Then somebody needs to decide whether
15 | genotyping is appropriate. In a few cases, one may decide
16 | that a priori this drug should only be given if there's
17 | genotyping, and this will be a small minority of cases at
18 | the present time.

19 | DR. VENITZ: Any final comments before we
20 | break?

21 | (No response.)

22 | DR. VENITZ: Then I suggest we take our
23 | scheduled break and reconvene at 10:30 for our last topic.

24 | (Recess.)

25 | DR. VENITZ: Let's get started, please.

1 Our last topic deals with transporters and drug
2 metabolizing enzymes, and Dr. Shiew-Mei Huang, the Deputy
3 Director of the Office of Clinical Pharmacology and
4 Biopharmaceutics, will be giving us our final presentation.
5 Shiew-Mei.

6 DR. HUANG: Thanks, Jurgen.

7 What I'd like to talk about right now, the last
8 topic, is some of the recent issues and challenges in the
9 evaluation and labeling of drug interaction potentials of
10 new molecular entities. I'd like to start to review with
11 you some of the recently withdrawn drugs from the U.S.
12 market due to safety reasons, and I also added two drugs
13 that were not approved in the respective year.

14 You can see that many of these drugs that were
15 withdrawn had serious drug-drug interactions. Terfenadine,
16 mibefradil, astemizole, drug X, cisapride, and more
17 recently cervistatin, and drug Y. Many of these were
18 associated with serious effects. For example, we have seen
19 cases of torsade de pointes for drugs that were approved
20 and were on the market before the approval and also for
21 drugs that are being reviewed. We have seen QT
22 prolongation. Some of the other drugs the toxicity
23 involved hepatotoxicity, acute liver failure, bromphenac
24 and troglitazone, and others such as alosetron, ischemic
25 colitis, serious constipation, rhabdomyolysis,

1 bronchospasm, and other effects. Drug Y is an inducer
2 that's affecting the metabolism of other drugs. So you can
3 see that drug interaction is very involved in the drugs
4 that were withdrawn recently.

5 What about the drugs that are on the market?
6 As we have discussed earlier this morning, there's a
7 tremendous number of adverse events every year. There are
8 about 2 million based on these reports, about 100,000
9 deaths that are adverse drug reaction related, and it ranks
10 about 4 to 6 of the cause of death in the U.S.. Not only
11 is there harm for the patients, there's economic and health
12 issues related to this ADR.

13 So why are there so many ADRs? In the recent
14 study showing that one of the reasons could be because
15 there's a lot of use of drugs or patients are given
16 polypharmacy. Here this is the total use of individuals.
17 This use is any use that's prescription drugs, vitamins,
18 herbs, dietary supplements. And here is the breakdown
19 between men and women.

20 If you look at the most susceptible group,
21 elderly women over age 65, you can see that more than 50
22 percent was taking more than five drugs at one time, and
23 about more than 10 percent were taking 10 drugs at one
24 time.

25 In a recent study in '95, it shows that drug

1 | interactions represent 3 to 5 percent of preventable ADRs,
2 | and they are an important contributor to emergency room
3 | visits and hospital admissions.

4 | Earlier this month, a JAMA article has done a
5 | population-based evaluation of three co-administration, and
6 | they found that -- this is a study in Canada in an Ontario
7 | population. They showed that elderly patients with digoxin
8 | toxicity, that they are 12 times more likely to be given
9 | clarithromycin. In the paper this contributed to an
10 | interaction that's based on P-gp. Earlier reports have
11 | shown that this could be also because of a macrolide that's
12 | inhibiting bacteria lenton. That's a microorganism that's
13 | contributed to the metabolism of digoxin to
14 | dihydrometabolites.

15 | In the same article, it has other combinations
16 | indicating, for example, pharmacodynamic interactions,
17 | patients that are given ACE inhibitors with hyperkalemia,
18 | and they are 20-fold more likely to have been given
19 | potassium-sparing diuretics. And other one is related to a
20 | pharmacokinetic interaction in patients with glyburide with
21 | the hypoglycemic effect, 6-fold more likely to be given
22 | with an inhibitor of 2C9 such as cotrimoxazole.

23 | There are some recent studies showing that this
24 | could be related also to an interaction that's OATP based.
25 | That's organic anion transporting polypeptide. So you can

1 | see there are a lot of interactions based on cytochrome
2 | P450 enzymes or transporters.

3 | What's interesting in this article is they also
4 | monitor these patients and see their prescription. They're
5 | assuming if the doctors know there is an interaction, then
6 | perhaps they would modify the regimen. However, most of
7 | the patients discontinued. So perhaps the doctors do not
8 | know about the interactions, even many of these were stated
9 | in the labeling. For example, clarithromycin. This was
10 | stated in the labeling. Even it didn't say P-gp, it
11 | mentioned microorganism interaction. So they have
12 | postulated it's very likely because either the information
13 | was not updated for some other cases or because there is so
14 | much information overload. In the labeling there is so
15 | much trivial interactions that will pop up in the computer
16 | systems that the hospital used, that the operator just
17 | overrides anytime you see interaction.

18 | So I think it's very important that we put the
19 | information properly in the labeling. I think this is
20 | related to our discussion earlier this morning. Also our
21 | discussion today about a classification system for CYP3A
22 | inhibitors is related also to address the issue and proper
23 | labeling.

24 | In our Office of Clinical Pharmacology and
25 | Biopharmaceutics, our good review practice and quality

1 review, as far as drug interaction is concerned, we ask
2 several questions. We must evaluate drug interactions
3 well, especially in the cytochrome P450 area. So much is
4 known and we are expecting that this be evaluated.

5 And as discussed yesterday, many of the
6 interactions were pharmacokinetic based. So how do we
7 interpret the clinical significance of the drug
8 interactions, such as 100 percent increase in AUC, a 2-fold
9 increase in Cmax? What's their clinical significance? I
10 think we need to evaluate the safety/efficacy database and
11 explore the exposure-response relationship, and many of the
12 quantitative approaches that were proposed yesterday were
13 discussed with this committee.

14 The drugs that are withdrawn from the market
15 that I showed you earlier. We found that the Dr. Doctor
16 letters that were sent out later may not be as effective as
17 we would like them to be.

18 We think that it's important that you use
19 prominent labeling when the drug is introduced to the
20 market, and we also would like to project a level of risk
21 in drug interactions. And later on we'll address what
22 approach that we can take.

23 In addition, earlier on, the committee members
24 already mentioned that the labeling, even the information
25 is accurate, it's important but it may not be efficient.

1 So I think we need to continue to develop better means of
2 communicating dosing information to practitioners and
3 patients. Larry mentioned yesterday about a labeling
4 initiative that the agency is taking where you highlight
5 important interactions or information in labeling or we
6 give an additional medication guide to patients. There are
7 many other ways that we need to do. However, the starting
8 point is still the labeling. So I think today what we're
9 going to talk about is really focused on labeling.

10 So what is the optimal information for an NDA
11 submission? Because so much is known about cytochrome
12 P450, we think it's very important, and this is our
13 guidance document that we have communicated to our
14 sponsors. We must elucidate metabolic pathways well, the
15 contribution of key cytochrome P450 enzymes, the fraction
16 that's metabolized by those particular pathways so that we
17 will be able to properly evaluate the effect of other drugs
18 on the new molecular entities.

19 In addition, we need to evaluate enzyme
20 modulating potential. The drugs that were withdrawn from
21 the market or not approved -- the one that I mentioned.
22 There are two drugs. Their main interactions is because of
23 this modulating effect. One is a strong inhibitor of
24 cytochrome P450 and P-gp. The other one is an inducer. So
25 it is important to evaluate the effect so that we will be

1 | able to understand the effect of this new molecular entity
2 | on the other drugs.

3 | We have several guidance documents that have
4 | been published over the years. With '97, we have an in
5 | vitro interaction guidance talking about using in vitro
6 | metabolic methodology. In '99 we published an in vivo
7 | interaction guidance, and some of the committee members
8 | have been involved in the evaluation of this guidance. And
9 | using the population PK approach has been addressed by some
10 | speakers yesterday to address drug interaction issues.

11 | And this is important. We have a so-called
12 | MAPP. This is like a reviewer's guidance. It's a Manual
13 | for Policy and Procedures where we instruct the reviewers,
14 | when you have a new molecular entity that we're going to
15 | contraindicate this drug with the other drug or that will
16 | result in a dose change of the other drug, that the review
17 | team needs to talk to each other if they're from different
18 | divisions so that eventually the sponsor of the interacting
19 | drug will be informed, and if there's a labeling change,
20 | they will be informed to submit supplements to effect the
21 | change in the labeling.

22 | We have the good review practice, which Dr.
23 | Lesko has discussed at the FDA Science Board a week ago,
24 | which talks about what key questions that we must ask in
25 | our NDA review, and that included drug interaction as an

1 | important issue.

2 | The CDER working group right now is working on
3 | an in vitro metabolism because so much has evolved since
4 | '97, and we are having recommendation of a standardized
5 | approach to look at cytochrome P450 enzymes. We're also
6 | developing a guidance to look at phase II of metabolizing
7 | enzymes and other transporters such as P-gp. Part of this
8 | is being published in our intranet for reviewers, and we
9 | are still developing other portions.

10 | Again, in order to interpret the interaction
11 | outcome, the exposure-response relationship is very
12 | important to explore, and this is an guidance which Dr.
13 | Lesko mentioned yesterday.

14 | I'll just briefly mention our office's good
15 | review practice. This is one paradigm that we have
16 | recommended for our reviewers when we review the
17 | interaction data. We say initially we could look at a new
18 | molecular entity and use in vitro method using human
19 | tissues or expressed enzyme for key cytochrome P450 such as
20 | 1A2, 2C9, 2C19, 2D6. For each of these enzymes, we ask the
21 | question, is it a substrate or is it an inhibitor or
22 | inducer?

23 | This question is no different than what we
24 | asked in '97 except at that time we thought the method is
25 | only available for substrate and inhibitor. Now I think we

1 | believe the in vitro technology has developed so that we
2 | will be able to also evaluate using human hepatocytes, the
3 | in vitro induction effect.

4 | So if we ask this question based on the in
5 | vitro method, if the answer is no, it's not an inhibitor or
6 | inducer, then we could stop and we do a general labeling
7 | that that particular cytochrome P450 is not involved. It's
8 | not being modulated by this new molecular entity. We would
9 | supplement this with population PK to look at in the
10 | patient population whether there is an interaction that we
11 | have missed. Are there other transporters not screened by
12 | this particular method that we might detect using that
13 | approach?

14 | If the information is not available or we said
15 | it is an inhibitor or inducer, then we recommend in our '99
16 | guidance that we use the most sensitive substrates, for
17 | example, for CYP3A you could use midazolam or some of the
18 | statins or buspirone, the one that's shown to be very
19 | sensitive and very large-fold changes when you give an
20 | inhibitor. And if the result is negative, then we could
21 | stop there and we can label it. If the result is positive,
22 | then we can continue with less potent inhibitors or use the
23 | drugs that are more commonly co-administered. And I'll
24 | address how do we define most sensitive substrates.

25 | So if the drug is found to be a substrate or

1 not to be a substrate, that's another determining factor.
2 If based on in vitro information we say it's not, then we
3 could stop and give a general labeling again and then use
4 supplemental with the population PK information.

5 If it is a substrate or the information is not
6 known, then we continue this pathway and ask another
7 question. Is this pathway major? If even this drug is a
8 3A pathway, if it's metabolized by 3A but the renal
9 clearance is the major clearance pathway, then again we say
10 you don't need to evaluate. You can do a general labeling
11 and again use population PK to pick up unexpected findings.

12 However, with the event of pharmacogenetics, I
13 think we need to be careful or be mindful about this
14 approach. For example, if you say this drug is not a 3A
15 substrate, it's a 2D6 substrate, however 3A may become
16 important in the poor metabolizer. I think recently we've
17 seen some studies on tolterodine that it's a 2D6 substrate,
18 but in poor metabolizers we found ketoconazole has
19 inhibited its metabolism and increased the AUC by more than
20 2-fold.

21 So again, if the pathway is major or we don't
22 know -- we don't have the in vitro information -- then we
23 recommended in our '99 guidance that we use the most potent
24 inhibitor or inducer to study its effect. Again, if the
25 result is negative, because a lot of times we don't know

1 | the percent contribution of the pathway, then we could stop
2 | there and label. However, if the result is positive, then
3 | we continue the path and use a less potent inhibitor or
4 | inducer.

5 | We just show this as one of the paradigms.
6 | It's not the only way to do it. However, we think with
7 | this approach, we can obviate certain in vivo interaction
8 | studies and only focus on the cytochrome P450 that's more
9 | important in the metabolism or in the modulating effect.

10 | I want to talk about here, when we say most
11 | sensitive substrate and most potent inhibitor and relate it
12 | to a document which PhRMA has just published this month in
13 | the Journal of Clinical Pharmacology where PhRMA has
14 | proposed a classification system for 3A. I just want to
15 | mention. This is related to our approach as well, but it's
16 | an extension of that approach.

17 | In the PhRMA paper, actually PhRMA has -- we
18 | have started a discussion when they had first prepared this
19 | early last year. They propose to use midazolam as a probe
20 | substrate. This one has about 40 percent bioavailability
21 | and it has both intestinal and liver high extraction. If
22 | we use it as a probe substrate and we look at the AUC ratio
23 | with the inhibitor versus without the inhibitor, given
24 | alone -- and these are the various inhibitors -- you can
25 | see they have different degrees of inhibition as manifested

1 | in the fold change in AUC of midazolam and the use of
2 | boundaries of 200 percent and 500 percent.

3 | So if a drug, an inhibitor, increased midazolam
4 | more than 500 percent or the fold change was 5, then we
5 | call that a potent inhibitor or strong inhibitor. If it's
6 | between 2- and 5-fold, then it's moderate. If it's less
7 | than 2, it's mild. And with our '99 guidance, we actually
8 | also have one that says there's not an inhibitor if the
9 | ratio is between 80 and 125 percent.

10 | So this classification and what is potential
11 | use -- what I want to say is this has been used to guide in
12 | vivo studies. For example, if a drug is found to be a
13 | substrate of 3A, we have been recommending using the most
14 | potent one, and I think this has been commonly done.
15 | Again, it's ketoconazole or itraconazole. Most of the time
16 | we see ketoconazole as an inhibitor. However, if the
17 | result is positive and the extent of change is so that you
18 | may wonder whether safety data would support that or not,
19 | then you want to continue to study the less potent one to
20 | see what's the extent of change. So this has been used.
21 | Even we don't have a formal classification system in our
22 | review in our discussion with industry, this approach has
23 | been used.

24 | Initially PhRMA has recommended that this
25 | system also be used in the labeling, although in the

1 | eventual published paper, it only recommends that
2 | discussion with the regulatory agency on its use in the
3 | label.

4 | But since the discussion, we have thought about
5 | this use in the labeling for inhibitor and substrates, and
6 | I will show two examples later on how this could be
7 | applied.

8 | In our various discussions, there are concerns
9 | raised by individuals in the working group, individuals in
10 | scientific rounds where we have internal discussion and
11 | also with external experts like yourselves. Some of the
12 | concerns that inhibitors may modulate a lot of other
13 | pathways, other cytochrome P450 enzymes, other
14 | transporters. And if a compound was labeled as a potent
15 | inhibitor, you're expecting a large change with midazolam
16 | or some other substrates.

17 | However, this potent inhibitor may affect other
18 | pathways and which may have opposing effect, may end up
19 | with no interaction. One example could be ritonavir which
20 | with alprazolam is a 3A substrate, but yet it may also
21 | affect the glucuronidation induction such that eventually
22 | after multiple doses, you don't see an effect. But most of
23 | the other cytochrome 3A substrates, you're seeing a large-
24 | fold change even after multiple dosing.

25 | Similarly substrates are not just substrates of

1 | one enzyme. Midazolam is a good example. It's a
2 | cytochrome P450 3A substrate. It's not a P-gp substrate.
3 | But a lot of other 3A substrates are also substrates of
4 | P-gp. So it's depending on how this interaction -- the
5 | classification may not precisely give us the output of what
6 | we would imagine based on the classification system.

7 | Again, there are multiple drugs being
8 | prescribed and we have shown that elderly women, more than
9 | 50 percent, are taking 5 drugs at one time. If we label a
10 | drug as a moderate inhibitor and if this person is taking
11 | five moderate inhibitors, would that affect as potent
12 | inhibitors and we may not catch this if we only look at the
13 | potent inhibitors.

14 | In order for the system to work, we need to
15 | classify drugs according to the system. With ketoconazole
16 | the data that I showed you, 16-fold change is only from one
17 | of the studies, and there are many studies in the
18 | literature, and the fold change ranges from 5 to 16. So
19 | depending on the study design, the dose, the dosing
20 | regimen, so we have to be very careful when we put the
21 | drugs -- to classify to the system. I think there are a
22 | lot of other concerns. And there are also genetic concerns
23 | on CYP3A, whether the same inhibition for CYP3A4 substrates
24 | versus the 3A5 substrates.

25 | So with these concerns, we have several

1 | discussions since PhRMA has the white paper. We have
2 | scientific rounds discussion within CDER. And we have
3 | talked about this at professional meetings and open forum
4 | at the American Association of Pharmaceutical Science
5 | meeting last year in Toronto and more recently at the
6 | American Society of Clinical Pharmacology and Therapeutics
7 | annual meeting. And Dr. Flockhart was on the panel and Dr.
8 | Vega in attendance also participated in that discussion.

9 | I'd like to bring it up again with this
10 | committee to see what other factors we need to consider in
11 | implementing this. To facilitate our discussion, I'll have
12 | two cases that came from our NDA data but the data have
13 | been altered so that we can discuss publicly. Some of the
14 | labeling recommendations have also been changed since our
15 | first discussion based on the input from the scientific
16 | rounds discussion internally.

17 | So there are two cases I'd like to talk about.
18 | First is the new molecular entity as a substrate. As I
19 | mentioned earlier, based on our good review practices, we
20 | need to ask three important questions. Are drug
21 | interactions evaluated? What are the data? And what's the
22 | clinical significance based on the exposure-response
23 | relationship? And how do we label these interactions?

24 | We look at this chart. This compound is a
25 | substrate; pathways, major. And we will get an in vivo

1 | with the most potent inhibitor. So if you look at CYP3A
2 | substrate -- and this is drug A. It's given with
3 | ketoconazole. That's the most potent inhibitor based on
4 | the classification system. AUCs increase 6-fold and Cmax
5 | 4-fold. And if you look at the other two which has been
6 | classified as moderate inhibitors based on the
7 | classification system, the AUC increased 4-fold and the
8 | Cmax about 3-fold.

9 | For this drug and the particular clinical
10 | response that we're looking at, we thought Cmax was more
11 | appropriate. So we look at the Cmax. And ketoconazole is
12 | a 4-fold increase and erythromycin and verapamil about 3-
13 | fold.

14 | There is exposure-response data looking at one
15 | of the important clinical responses, and there was some
16 | response relationship. We have not really modeled it, but
17 | if you look at the clinical database, initially the
18 | proposed clinical doses were 15, 30, and 60. And all of
19 | those three doses, the data do support that they are safe
20 | and effective. We proposed to approve 15 and 30 because of
21 | the drug interaction concern. The drug is a linear
22 | kinetic, so you can see that there are 4-fold exposure that
23 | we are comfortable with. When we approved 15 and 30, so
24 | you know if people are given 30, there's a 2-fold
25 | possibility change and it's still safe. If they're given

1 15, there's still a 4-fold increase in exposure that would
2 still have data to support its safety.

3 So how do we label those interactions? The
4 potential labeling language based on this data is not to
5 take this drug with potent or strong 3A inhibitors because
6 you could increase 4-fold or more, and that's outside our
7 safety database.

8 What are the potent, strong inhibitors? And we
9 have some list developed up to this point. We're still
10 debating what information supporting to remain on the list
11 or what other drugs that we need to include in this list.
12 But these are some of them in the PhRMA paper, some of them
13 based on our current labeling with ketoconazole and
14 itraconazole, and so on.

15 We said we propose that we use lower doses with
16 moderate inhibitors, which means give 15. And we do have
17 data with 60, so you can increase up to 4-fold without any
18 problem. And the moderate inhibitors so far showing a 3-
19 fold increase in exposure. So they're still within the
20 safety range.

21 What are the moderate 3A inhibitors? And based
22 on some in the PhRMA paper some of our internal
23 information, they could be erythromycin, verapamil,
24 diltiazem, and so on.

25 The second case is new molecular entity as an

1 | inhibitor. Again, we ask the three questions. The drug
2 | interaction is evaluated. What about the clinical
3 | significance of the substrates? Since now we're talking
4 | about the new molecular entity as a modulator, as an
5 | inhibitor of others -- the exposure-response information
6 | that we would like to have is for the other drugs, other
7 | co-administered drugs -- how do we label this? This is
8 | the case where it's an inhibitor. We want to study with
9 | more sensitive substrates.

10 | The sponsor did follow this approach. So this
11 | is drug Y. The study with midazolam, the most sensitive 3A
12 | substrate. Here you show 6-fold increase in AUC, 3-fold in
13 | Cmax. Here we're going to use AUC as a parameter that we
14 | look at when we determine clinical significance.

15 | For simvastatin, 8-fold, 5-fold increase in
16 | Cmax. Cisapride is 3-fold increase, 2-fold in Cmax. The
17 | drug is not an inhibitor for 1A2 and the other pathway,
18 | 2C9. The sponsor did the study and showed no interaction
19 | with theophylline or warfarin.

20 | So based on the information with midazolam, 6-
21 | fold increase in AUC. If you look at this table, the drug
22 | appeared to be a potent or strong 3A inhibitor. So based
23 | on our understanding of the other substrates, the sensitive
24 | substrate or substrate with a narrow therapeutic index,
25 | which are 3A substrates, we look at some of the labeling

1 language in our existing where the strong inhibitors are
2 contraindicated.

3 So we look at two cases. One is sensitive
4 substrates. The drug is metabolized mainly by 3A, and the
5 other one that was narrow therapeutic range. They're
6 softly defined because of their QTc prolongation effect.

7 So here those are not underlined. We consider
8 they're sensitive substrates: midazolam, triazolam,
9 simvastatin, lovastatin, atorvastatin. These are some of
10 the drugs that are named in our '99 guidance as suitable
11 for study as sensitive substrates because of contribution
12 of the 3A to the clearance.

13 And the ones that are underlined, some of them
14 are not on the market anymore. Some of them are still
15 under IND use. We do have pimozide. These are drugs that
16 cause QTc prolongation and they're also major contributors
17 for drugs withdrawn from the market in the last five years.
18 So we're still compiling a list to see which are sensitive
19 substrates, which are substrates with narrow therapeutic
20 index. And drug A, the case one that I mentioned, may also
21 make this list.

22 So how do we label this? Since this drug is a
23 strong inhibitor, we think in the labeling we do want to
24 say that the use of this drug is a strong 3A inhibitor. We
25 actually say in the labeling. Currently we have not

1 consistently done so. A lot of strong inhibitors in the
2 labeling -- we only said that it's an inhibitor. We do not
3 mention anything about strong or moderate. So we thought
4 if we say the use of drug B is a strong 3A inhibitor is
5 contraindicated with some of the narrow therapeutic index
6 drugs or sensitive substrates or the co-administration
7 needs to be monitored such as midazolam or other drugs,
8 sildenafil, budesonide -- for those substrates that we
9 currently have in ketoconazole, itraconazole labeling, and
10 we're still compiling the list. And this is what the
11 working group is looking at. So if we have a strong
12 inhibitor, what do we put in the labeling? This may
13 facilitate this information to be used in the computer
14 system that's used in the hospital.

15 I just want to mention some of the concerns
16 that we discussed, these two cases. I have discussed these
17 two cases internally in our scientific rounds and also in
18 ASCPT meeting. In general, I think the feedback -- then
19 you can comment later -- is this is an effective way to
20 label an interaction by indicating that the drug, if it's
21 an inhibitor, whether it's a strong inhibitor or moderate
22 or mild or not an inhibitor. And when we approve a drug
23 which is a sensitive substrate, that we know what drugs to
24 put in the labeling to contraindicate or to put in
25 precaution.

1 There are several concerns in that inhibitors
2 may also affect other enzymes or transporters such as UGT
3 or P-gp transporter.

4 I just want to talk very briefly on P-gp --
5 especially we have seen the report on clarithromycin and
6 digoxin interaction in this April JAMA article -- before we
7 go back to questions for the committee.

8 The P-gp based interactions. How important it
9 is and how that affects our evaluation of drug
10 interactions. We know this is an important interaction
11 based on the various reports.

12 If we are going to evaluate a drug, whether
13 it's an inhibitor or modulator of P-gp, knowing that there
14 are a lot of inhibitors of 3A also inhibit P-gp, such as
15 ketoconazole, initially we take comfort that any drug
16 that's a 3A substrate, the sponsor will conduct a study
17 with ketoconazole. So if it's a P-gp substrate, you might
18 see some effect. So we may be covered in that area.

19 But what about as a inhibitor? This is the in
20 vitro study showing that there's still some differentiation
21 of inhibitors that inhibit both 3A and P-gp, but depending
22 on the IC50 ratios, you can see the range from less than 1
23 to more than 120 where you can see here's the 3A inhibition
24 effect much higher here compared to PSC833. This may help
25 us to determine what can we use for in vitro system in the

1 evaluation of whether this drug is a P-gp substrate.

2 If we look in the literature, most of the
3 studies evaluating P-gp substrate use fexofenadine and
4 digoxin as a substrate. And some of the literature data or
5 the first two examples are based on PDR labeling that
6 ketoconazole and erythromycin -- its effect on fexofenadine
7 which has minimal metabolism and yet it increased the AUC
8 and Cmax.

9 With itraconazole is shown a similar effect.

10 With verapamil, this is a recent study that was
11 just presented at the ASCPT meeting just a couple weeks
12 ago, and this is Steve Hall's data from Indiana, showing
13 that verapamil perhaps inhibited and induced P-gp. So
14 depending on the time of administration, initially you
15 might inhibit the P-gp transport. So you have higher
16 levels on day 1 and day 10, but on day 38, the two
17 interactions canceled out and you did not see a change in
18 day 38. I'm interested in seeing more data coming out of
19 this, but this is something that's of interest showing the
20 P-gp effect on verapamil or other transporters.

21 On the other hand, we have seen also
22 fexofenadine used to evaluate an induction effect by
23 rifampin, which you see AUC ratio decreased, or St. John's
24 wort. The data are a combination of various studies and
25 they're a comparison of multiple dose versus single dose,

1 or this is with different preparations, showing that St.
2 John's wort, given acutely, might have inhibited the P-gp,
3 but given chronically as St. John's was given to treat
4 depression, that it actually induced the P-gp.

5 What's interesting again, this was some data
6 published by George Dresser and Richard Kim and presented
7 again in the April meeting where grapefruit juice is an
8 inhibitor of 3A and P-gp here is actually showing a
9 decrease in fexofenadine levels. One of the possible
10 mechanisms that it's inhibiting OATP, the organic anion
11 transporter polypeptide, which is an intake transporter.
12 So by inhibiting it, you reduce the absorption and
13 therefore a reduction in the level. So this put in a
14 question of how fexofenadine would be an effective P-gp
15 substrate to study, if, as we can see more and more drugs
16 may affect OATP, whether this is a still a suitable
17 substrate to study.

18 The other one is digoxin that's often used by
19 the sponsors in their study. In some of the literature
20 data, these go back 18-20 years on quinidine and verapamil
21 would increase some of the plasma levels. This may not be
22 at steady state, but at day 7 or various days after
23 treatment. I know this verapamil data is not 38-day
24 treatment, so this is still an increase in the plasma
25 concentration.

1 And recently with -- this is a Merck
2 publication with this drug as shown, using digoxin to show
3 that it has no effect on P-gp. Again, it's the substrate
4 that's been used to study induction, and rifampin has shown
5 a decrease in AUC and Cmax, St. John's wort also showing a
6 decrease in plasma levels, and a grapefruit juice study
7 showing that it's a small increase, a 9 percent increase.

8 I wanted to share with you some of our surveys.
9 Right now we don't have a guidance that said every new
10 molecular entity must be evaluated to see if they're a
11 substrate or inhibitor, inducers of P-gp unlike the
12 cytochrome P450. However, we're seeing a lot of digoxin
13 data. You can see based on some of the surveys -- this was
14 done in '96 and up to '97 -- that cimetidine and digoxin
15 are the two most often studied drugs in drug interactions.
16 Cimetidine was in the past because it was used as a
17 general, nonspecific inhibitor, and digoxin mostly because
18 the likelihood of co-administration as a narrow therapeutic
19 drug. So the sponsors tend to study it. So we do have a
20 lot of digoxin data, except our explanation of interactions
21 may be different now. It may not be change in tissue
22 binding distribution. Instead it may be the P-gp
23 involvement.

24 So digoxin has continued to be studied,
25 especially if a sponsor is developing cardio-renal

1 products, antiviral products, but not necessary in all
2 therapeutic areas. So we have digoxin data even we don't
3 have in vitro data. So my question later on is with the
4 interplay of cytochrome P450 3A and P-gp, OATP, are we
5 ready to recommend that P-gp as a standard approach or is
6 it sufficient that this still continue to be studied
7 because of the likelihood of co-administration. We do have
8 a MAPP for our reviewers to see if P-gp is going to
9 evaluated, what are the concerns that we need to look at
10 when we evaluate in vitro data and in vivo data.

11 So our next steps -- that's what we're doing
12 right now -- is to revise our guidance. This is the '99
13 guidance looking at drug metabolism and drug interaction.
14 There are several issues that we would like to address in
15 our addendum or the revision.

16 One is to look at the classification system and
17 to talk about it in our guidance. The feedback we got from
18 the PhRMA proposal, classification system, has been
19 positive, although in the Toronto meeting, there were other
20 suggestions of different systems, maybe just strong versus
21 weak, just two instead of three. And this is further being
22 deliberated in our working group.

23 We also need to generate the list of strong
24 inhibitors and moderate inhibitors and looking at
25 literature data very carefully to see where would they fit,

1 understanding the concerns of the interplay of the other
2 metabolizing enzymes and P-gp.

3 We also want to say something about P-gp-based
4 interaction. That's what we're doing right now.

5 We do have a cross-labeling manual of policy
6 and procedures. We'd like to finalize it. Again, we have
7 worked on half of the components of this manual where we
8 look at CYP induction, inhibition, P-gp, and the phase II
9 metabolizing enzymes, and we'd also like to finalize it.

10 So the questions for the panel based on my
11 presentation. In addition to the three questions that were
12 in the agenda, what we discussed at our internal meeting.
13 What are the factors to consider in implementing the
14 classification system for CYP3A inhibitors in the labeling
15 now that you know what's our present thinking?

16 And do we need to define the sensitive
17 substrates? Because we do need the list of the substrates
18 where we could properly put it in the labeling so that when
19 we're approving a strong inhibitor, we know what to place,
20 what drugs to be put into precaution or maybe
21 contraindication.

22 And if you agree with the classification
23 system, should we now -- important to consider application
24 of a classification system to inhibitors of other CYP
25 enzymes. We have heard that the adverse events -- a lot of

1 | them are related to antidepressants, calcium channel
2 | blockers. These are 2D6 substrates. Do we want to
3 | consider that and include that in the labeling so we can
4 | talk about strong inhibitors as the substrates?

5 | What about inducers? We have informally
6 | indicated that rifampin is a strong inducer. Rifabutin,
7 | rifapentine are moderate based on our study design. When
8 | we talked to the sponsor, if rifampin induced the
9 | metabolism to such an extent that we have to
10 | contraindicate, then the next step is to study rifabutin to
11 | see if the alternate co-administration is proper. So we
12 | have done it informally. Is it important to put the
13 | classification system in the labeling to facilitate
14 | computer system in generating possible pairs or
15 | combinations of interaction?

16 | What about transporter-based interaction? Let
17 | me give you a limited update on this. We probably can talk
18 | about, in subsequent meetings, whether we should recommend
19 | routine evaluation at this point based on what we know.

20 | So I'll pause right here.

21 | DR. VENITZ: Okay, thank you, Shiew-Mei.

22 | Any questions about her presentation and/or
23 | comments in regards to those three questions?

24 | DR. DERENDORF: Yes. You mentioned several
25 | times, rightfully so, that all interactions have to be seen

1 | in the light of the response, that the exposure by itself
2 | really is not the clinical relevance, but it's what it does
3 | to the patient later on. But yet, in your classification
4 | system, you focus on exposure. You know, the weak,
5 | moderate, strong focuses on the AUC, independent of what it
6 | really means clinically. And I think there's an
7 | inconsistency.

8 | Also, the language. A 4-fold increase in AUC
9 | -- to call that moderate, it may be not relevant, but I
10 | don't think you would ever call a 4-fold increase in dose a
11 | moderate dose increase. So this language I think becomes
12 | very important, how this is seen by people who are not that
13 | involved in the details.

14 | DR. HUANG: Yes. On your first point, if I
15 | show you on our case 1, which is a substrate, and we've
16 | shown that there's a 4-fold increase with ketoconazole and
17 | then a 3-fold increase with the moderate. So based on that
18 | information, coupled with exposure response, where we feel
19 | that a 4-fold increase we're comfortable. I mean, more
20 | than 4-fold, we are not comfortable and that determined our
21 | language. So if you have a different data set where you
22 | say 2-fold increase I'm not comfortable, then we would have
23 | contraindicated the moderate inhibitors as well. Again, it
24 | still comes back to what exposure-response data we have,
25 | and based on the information we have, we're only