

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

PEDIATRIC ADVISORY SUBCOMMITTEE  
OF THE ANTI-INFECTIVE DRUGS ADVISORY COMMITTEE  
SECTION 17, BEST PHARMACEUTICALS FOR CHILDREN ACT  
ADVERSE EVENT REPORTING

Thursday, June 12, 2003

3:45 p.m.

Holiday Inn Gaithersburg  
The Ballrooms  
2 Montgomery Village Avenue  
Gaithersburg, Maryland

PARTICIPANTS

Joan P. Chesney, M.D., Chair  
Thomas H. Perez, R.Ph., M.P.H, Executive Secretary

VOTING CONSULTANTS

David Danford, M.D.  
Susan Fuchs, M.D.  
Richard Gorman, M.D., FAAP  
Stanley Ip, M.D.  
Naomi Luban, M.D.  
Judith O'Fallon, Ph.D.  
Don Mattison, M.D.  
Robert Nelson, M.D., Ph.D.  
Thomas B,Newman, M.D., M.P.H.

FDA

Diane Murphy, M.D.  
Paul Andreason, M.D.  
Min Chen, M.S.  
Mark Hirsch, M.D.  
Solomon Iyasu, M.D.  
Mary Parks, M.D.  
Robert M. Stasko, M.D.

C O N T E N T S

Call to Order:	
Joan P. Chesney, M.D.	4
Meeting Statement:	
Thomas H. Perez, R.Ph., M.P.H.	6
Adverse Event Reports, as per Section 17, Best Pharmaceuticals for Children Act	
Solon Iyasu, M.D.	7
Open Public Hearing	
Sheila McDonald	33
Chair, Committee Final Comments	35

1                   P R O C E E D I N G S

2                   Call to Order, Introductions

3                   DR. CHESNEY: We would like to start with  
4 introductions because there are some new people at  
5 the table. It looks like the left side of the  
6 table is depleted, so we will start at the right.

7                   Dr. Murphy, I don't know if you and Dr.  
8 Cummins need to introduce yourselves or we can move  
9 on.

10                  DR. MURPHY: I think you can move on.

11                  Solomon, you need to introduce yourself.

12                  DR. IYASU: Good afternoon, My name is  
13 Solomon Iyasu. I am a team leader with the  
14 Division of Pediatric Drug Development,  
15 pediatrician, epidemiology. I will be talking  
16 about adverse events today.

17                  DR. CHESNEY: Thank you. Continuing  
18 around the table?

19                  DR. CHEN: Hello. My name is Min Chen. I  
20 am Associate Director of the Office of Drug Safety,  
21 CDER.

22                  DR. HIRSCH: I am Mark Hirsch. I am the  
23 medical team leader in urology.

24                  DR. PARKS: I am Mary Parks. I am the  
25 Deputy Director, Division of Metabolic and

1 Endocrine Drug Products.

2 DR. FUCHS: Susan Fuchs, Pediatric  
3 Emergency Medicine Physician, Children's Memorial  
4 Hospital, Chicago, a member of the committee.

5 DR. O'FALLON: Judith O'Fallon,  
6 statistician, Mayo Clinic Cancer Center, Rochester,  
7 Minnesota.

8 DR. LUBAN: Naomi Luban, pediatric  
9 hematologist-oncologist, Director of Transfusion  
10 Medicine and Laboratories, Children's Hospital and  
11 George Washington University School of Medicine,  
12 member of the committee.

13 DR. DANFORD: David Danford, Department of  
14 Pediatrics, Joint Section of Pediatric Cardiology,  
15 University of Nebraska Medical Center and Creighton  
16 University in Omaha.

17 DR. NELSON: Robert Nelson, pediatric  
18 critical-care medicine at Children's Hospital,  
19 Philadelphia.

20 DR. CHESNEY: Joan Chesney, Division of  
21 Pediatric Infectious Diseases at the University of  
22 Tennessee, Memphis Health Science Center.

23 MR. PEREZ: Tom Perez, Executive Secretary  
24 to this Committee.

25 DR. GORMAN: Rich Gorman, pediatrician in

1 private practice in Ellicott City, Maryland.

2 DR. MATTISON: Don Mattison, NICHD.

3 DR. IP: Stanley Ip, Department of  
4 Pediatrics, New England Medical Center.

5 DR. ANDREASON: Paul Andreason,  
6 psychopharm leader, Division of  
7 Neuropharmacological Drug Products, FDA.

8 DR. STASKO: Robert Stasko, medical  
9 officer, Division of Neuropharmacology.

10 DR. NEWMAN: Tom Newman, Departments of  
11 Epidemiology and Biostatistics and Pediatrics at  
12 UCSF.

13 DR. CHESNEY: Thank you.

14 Mr. Perez is going to read the conflict-of-  
15 interest statement for this meeting.

16 Meeting Statement

17 MR. PEREZ: Thank you. The following  
18 announcement addresses the issue of conflict of  
19 interest with respect to this meeting and is made  
20 part of the record to preclude even the appearance  
21 of such at this meeting. All participants have  
22 been screened for interests related to the product  
23 to be discussed, their sponsors' competing products  
24 and their sponsors.

25 In accordance with 18 U.S.C. 208(b)(3),

1 the following participants have been granted  
2 waivers that permit them to participate in the  
3 discussions; Dr. Joan Chesney for owing stock in  
4 the sponsor of Zoloft and Lipitor, value between  
5 \$52,000 and \$100,000 and for owing stock in a firm  
6 that makes a competing product to Zoloft. The  
7 stock is valued from \$5,001 to \$25,000.

8 Dr. Richard Gorman for owing stock in the  
9 parent company of the sponsor of Ditropan. The  
10 stock is valued between \$50,000 and \$1.00 to  
11 \$100,000.

12 In the event that the discussions involve  
13 any other products or firms not already on the  
14 agenda for which an FDA participant has a financial  
15 interest, the participants are aware of the need to  
16 exclude themselves from such involvement and their  
17 exclusion will be noted for the record.

18 With respect to all other participants, we  
19 ask, in the interest of fairness, that they address  
20 any current or previous financial involvement with  
21 any firm or product they may wish to comment upon.

22 Thank you.

23 DR. CHESNEY: Thank you.

24 Our main speaker for this afternoon is Dr.  
25 Solomon Iyasu. He is going to discuss the adverse-event

1 reports for four drugs, I understand.

2 Adverse Event Reports

3 DR. IYASU: Good afternoon.

4 [Slide.]

5 I know this is very late in the day. I  
6 will try to make it as painless as possible. In  
7 the next hour and a half, I will be presenting to  
8 you the one-year post-exclusivity adverse-event  
9 review for four drugs.

10 As you recall, I presented preliminary  
11 data for Zoloft in the last meeting. Today, I will  
12 present the review of full year adverse-event  
13 reports of Zoloft and Ditropan and preliminary  
14 results for Zocor and Lipitor.

15 [Slide.]

16 As you well know, reviewing and reporting  
17 the reports for the Pediatric Advisory Subcommittee  
18 is mandated by Section 17 of the BPCA. We  
19 discussed this during our last meeting.

20 [Slide.]

21 Sertraline, or Zoloft, was granted  
22 exclusivity on February 1, 2002. It is a selective  
23 serotonin-reactive inhibitor. In adults, it is  
24 indicated for the treatment of major depressive  
25 disorder or CV panic disorder, post-traumatic



1 disorder, premenstrual disorder, social anxiety  
2 disorder. In children, it is indicated for the  
3 treatment of obsessive-compulsive disorder in age  
4 six years and older.

5 [Slide.]

6 How frequently is this drug being used?

7 To answer this question, I will present data from  
8 two data sources; the IMS Health or National  
9 Prescription Audit Plus which provides data on  
10 projected frequency of dispensed prescriptions by  
11 retail pharmacies. Data is gathered from a sample  
12 of 22,000 randomly selected pharmacies in the U.S.  
13 The pharmacies in the sample represent about 40  
14 percent of the pharmacy stores and approximately 45  
15 percent of prescription coverage.

16 The second database is National Disease  
17 and Therapeutic Index which provides projected  
18 frequency of total mentions or appearances during  
19 patient visits to the office-based practice. The  
20 data are gathered from a panel of 2,000 to 3,000  
21 office-based physicians in the Continental U.S.

22 A drug mention can result from a  
23 prescription written, a refill authorized, a sample  
24 given, the drug administered in the office and so  
25 on or any combination of these.

1 [Slide.]

2 Data from the NPA Plus indicates that  
3 total dispensed prescriptions for all ages  
4 increased from 21 million in 1998 to 31 million in  
5 2002. Family medicine, internal medicine and  
6 psychiatry were the top three specialties  
7 prescribing Zoloft in and accounted for most of the  
8 increase during this time period.

9 The pediatric specialty were responsible  
10 for close to 400 dispensed prescriptions during  
11 2002. Frequency of Zoloft mentions during patient  
12 visits to office-based practice increased slightly  
13 between 2000 and 2002 in children zero to sixteen  
14 years of age.

15 Two thirds of the mentions were in adults  
16 and children. Zoloft was used more in male  
17 children than females while the opposite is true in  
18 the adult population. In 2002, there were close to  
19 700,000 drug mentions in all pediatric-aged  
20 children representing about 8 percent of total  
21 Zoloft mentions or appearances.

22 [Slide.]

23 There are very important limitations of  
24 drug-use databases. NPA Plus did not provide, for  
25 example, demographic information and NDTI

1 projections can be unstable due to small sample  
2 size when use of a drug is less prevalent.

3 [Slide.]

4 Before I go into the actual review of the  
5 adverse events reported for this product, I would  
6 like to dwell a little bit on the adverse events  
7 limitations and the limitations of the database  
8 that we have at FDA.

9 Oh; I think have a different slide here.  
10 This is the labeling information which you have.  
11 Do you have it in the packet? I understand that  
12 the labels are in the package so this is a summary  
13 of what the label says regarding pediatric  
14 information. Adverse events are generally similar  
15 to those seen in adults and the other adverse  
16 events in pediatric patients included hyperkinesia,  
17 twitching, fever and so on as indicated on this  
18 slide.

19 In the precaution section of the label,  
20 there is mania, hypomania, weight loss, risk of  
21 seizure and suicide mentioned as precautions in the  
22 Precaution Section. In the Pediatric Section,  
23 there is information about decreased appetite and  
24 weight loss from studies that were done in the  
25 pediatric population and recommendations to do

1 regular monitoring of weight and growth for  
2 children who are taking this medication.

3 [Slide.]

4 Let me dwell, then, on the adverse-event  
5 reporting system and its important limitations  
6 before I go into the actual review. AERS is a  
7 spontaneous and voluntary system as opposed to an  
8 active surveillance system. Therefore,  
9 underreporting adverse events is an important  
10 limitation. The extent of  
11 underreporting may vary by drug and length of time  
12 a drug has been in the market. Another limitation  
13 is reporting bias. There tend to be more reports,  
14 for example, for new drug entities which have just  
15 come into the market. Often the quality of the  
16 reports is poor and in conflict which makes is very  
17 difficult to adequately assess the relationship  
18 between the event and the suspect drug.

19 There are no real numerators or  
20 denominators and, therefore, it is not possible to  
21 estimate the true incidence rate of events or  
22 exposure or risk. Numerators are ascertained.  
23 Denominators can only be projected for many of  
24 these drugs. Unlike in clinical trials, they are  
25 one kind of reliably estimated risk because there

1 are no control groups to compare to.

2           It may be a good signal generator or  
3 detector for rare unlabeled serious adverse events.  
4 However, it is poor at detecting the depth and  
5 strength of the signal especially when the  
6 background rate of an event is high or unknown.  
7 The veracity of a causal attribution based on the  
8 AERS data is often questionable. It is soft data  
9 at best, but often that is all we have.

10           So, with that introduction to the AERS  
11 database, let me go to the actual report.

12           [Slide.]

13           Let me turn to this report, the one-year  
14 report, that is on the table here. When looking at  
15 this table, the numbers are not going to add up for  
16 two reasons. First, the totals in the first row  
17 include reports with unknown age. Second, these  
18 counts may include duplicate reports. Fortunately,  
19 duplicates are easy to sort out by a careful  
20 review. The numbers in parentheses on this table  
21 are adverse-event reports from the U.S. alone.

22           Therefore, the AERS search for the one  
23 year after granting exclusivity generated a total  
24 of 1,249 reports worldwide of which 847 were from  
25 the U.S. alone. Among pediatric-age patients,

1 there were 51 adverse-event reports of which 40  
2 were serious and five were reports of death. While  
3 the pediatric deaths is a duplicate report upon  
4 hand review, minor review; that is, having four  
5 unduplicated pediatric deaths in the final analysis

6 [Slide.]

7 Adverse-event reports are categorized  
8 according to preferred terms. This slide presents  
9 the top ten most frequently reported adult and  
10 pediatric adverse events in decreasing frequency of  
11 occurrence. Note that adverse events not  
12 previously described or not on the label are marked  
13 by an asterisk. This includes, for the pediatric  
14 patients, maternal drugs affecting the fetus,  
15 complication of maternal exposure and memory  
16 impairment.

17 [Slide.]

18 I would like to turn my attention to the  
19 demographics of the 49 unduplicated pediatric  
20 adverse-event reports for the one-year after  
21 exclusivity. Looking at the age distribution,  
22 there were nine reports among infants less than one  
23 month old. All the reports under one month old can  
24 loosely fall under the category of maternal  
25 exposures of the fetus. A little less than half of

1 the reports were in children older than twelve  
2 years. 21 were in females and 27 in males.

3 [Slide.]

4 Based on the predominant adverse events  
5 reported in each case, the 49 pediatric cases could  
6 generally be summarized in the following five  
7 categories. However, it must be emphasized that  
8 most reports involved more than one drug and  
9 possible confounding by underlying medical  
10 disorders.

11 There were thirteen patients with  
12 psychiatric events most commonly characterized by  
13 aggression, hostility or hallucinations. Ten  
14 patients had neurologic events. Most of the  
15 events, however, were extrapyramidal movement  
16 disorders. There were 13 cases with congenital  
17 events in the context of exposure by maternal use.  
18 These included congenital malformations and  
19 neonatal withdrawal syndromes.

20 [Slide.]

21 There were nine patients whose adverse  
22 events were due to either an overdose or accident  
23 or intentional medication error or suicide. Five  
24 nonfatal overdoses, of which three cases were  
25 accidental ingestions, two were intentional

1 overdoses in adults and children. Of the three  
2 fatal cases, two completed suicides and one case  
3 was an accidental toxicity.

4           There were four other cases that cannot  
5 fit into any category. So this is, in general,  
6 putting them into different adverse-event profiles.

7           [Slide.]

8           An examination of outcomes revealed, as I  
9 said before, four unduplicated pediatric deaths.  
10 There were nineteen hospitalizations and 26 that  
11 were life-threatening or required interventions or  
12 medically important events.

13           Just a reminder, there is a regulatory  
14 definition for what a serious adverse event is. It  
15 is defined as any adverse drug experience occurring  
16 at any dose that results that in any of the  
17 following outcomes; a death, a life-threatening  
18 adverse drug experience, an in-patient  
19 hospitalization or prolongation of existing  
20 hospitalization, a persistent or significant  
21 disability, incapacity or a congenital anomaly or  
22 birth defect.

23           [Slide.]

24           Let's turn to the diagnosis or indication  
25 for use recorded in the adverse-event case reports.



1 The commonest indication for use is depression in  
2 sixteen patients. You will recall that Zoloft is  
3 not approved for depression. It is approved for  
4 OCD.

5           Although few, it appears that it was also  
6 used for ADHD, OCD, vocal-cord disorder, stress  
7 emotional disorder, anxiety and adjustment  
8 disorder. There were a large number of in utero  
9 exposures. We also had several for which  
10 indication for use was either accidental or  
11 unknown.

12           [Slide.]

13           Let me discuss the cases that led to the  
14 four deaths. A premature baby born to an HIV-positive  
15 mother who was using Zoloft and multiple  
16 other meds during pregnancy. The baby died after  
17 developing pneumothorax and septic shock. The  
18 death was probably unrelated to Zoloft in this  
19 case. I am just presenting the summary.

20           [Slide.]

21           Patient No. 2, an adolescent child  
22 committed suicide following a one-week trial of  
23 sertraline for depression. The patient remained  
24 significantly depressed during therapy. This  
25 report is an update provided during 2002 to an

1 initial report that was provided to FDA in 1997.

2 Two days ago, the sponsor provided us  
3 additional information regarding this patient which  
4 became available as a result of litigation. The  
5 information suggests the patient exhibited serious  
6 behavioral and emotional problems including anger,  
7 aggression, social withdrawal, suicide ideation for  
8 the six months prior to initiation of therapy or  
9 also to the event.

10 As a known suicide risk in major  
11 depressive disorder is labeled and there is a  
12 Precautions Section of the drug label. The  
13 information to date, including what we got  
14 recently, is suggestive of the event being related  
15 to the underlying condition of depression. The  
16 role of sertraline, if any, is unclear in this  
17 case.

18 [Slide.]

19 This report is from a relative of the  
20 deceased. An adolescent child committed suicide  
21 after using Zoloft without prescription about six  
22 times during the ten-year period. The intention  
23 was to get high. He was introduced to Zoloft by a  
24 student ten days prior to the event. There was no  
25 prior history of depression. However, the patient

1 reportedly had hallucinations the night before and,  
2 on the morning of the event, it is also reported  
3 that the patient stayed home due to fever that  
4 morning. This is probably a drug-abuse situation  
5 with a possible overdose although there was no  
6 information in the case report about dose.

7           So it is unclear if sertraline is causally  
8 related to this death or not.

9           [Slide.]

10           I just want to bring to your attention,  
11 which is on the label, Zoloft label and suicide  
12 risk Precaution Section, the possibility of a  
13 suicide attempt is inherent in the major depressive  
14 disorder and may persist on until significant  
15 remission occurs. Close supervision of high-risk  
16 patients should accompany the initial therapy.  
17 Prescriptions for Zoloft should be written for the  
18 smallest quantity of tablets consistent with good  
19 patient management in order to reduce the risk of  
20 overdose.

21           [Slide.]

22           In summary, most of the pediatric events  
23 involve multiple drug exposures, had confounding  
24 medical disorders, generally were similar to adult  
25 events and most were labeled or previously

1 described events except maternal drugs affected the  
2 fetus, complications of maternal exposure and  
3 memory impairment. Even memory impairment can  
4 probably be the same as concentration impairment.

5           There were two suicides in adolescents,  
6 one with a history of depression and the other  
7 without a history of depression. The causal  
8 relationship between these two events is unclear.

9           [Slide.]

10           So the question that we would like to pose  
11 to the committee is any feedback on this report  
12 because, although there were 49 unduplicated  
13 reports, there was little to suggest that this was  
14 causally related to the drug. So I invite any  
15 comments and questions or clarifications on this  
16 product and this report.

17           [Slide.]

18           I would like to acknowledge Carol Pamer,  
19 Laura Governale for their contributions because  
20 they did most of the safety review.

21           DR. CHESNEY: Dr. Nelson?

22           DR. NELSON: The 392,000 prescriptions in  
23 the past year, I assume, is just the number of  
24 prescriptions. I guess two questions; A, what is  
25 the capture of that particular database relative to

1 national usage and, B, can one take that and at  
2 least divide by 30, since most plans only give you  
3 30 pills per prescription, at least to get a  
4 relative number, at least a low number, of the  
5 number of actual people behind those prescriptions  
6 as opposed to number of prescriptions?

7 DR. IYASU: They used data that I  
8 mentioned comes from the pharmacy data which is  
9 really dispensed prescriptions. It doesn't  
10 necessary apply to use. It covers only about 40  
11 percent of the pharmacies in the country and 45  
12 percent, I think, of the prescriptions. So, if you  
13 were to try to calculate how many tablets, it would  
14 be difficult, probably, from this database.

15 DR. NELSON: But, at least from that, you  
16 could at least double it to get an idea of national  
17 usage, at least roughly.

18 DR. IYASU: I am not sure that you can do  
19 that. I don't know what the accuracy or validity  
20 of doing that would be because I haven't really  
21 calculated. We don't have a national database that  
22 covers--so there really is a comparison that we do.  
23 But you can have a rough calculation and put some  
24 confidence limits around that and see how good the  
25 estimates are.

1           I haven't done it so I can't give you a  
2 straightforward answer.

3           DR. CHEN: I think Dr. Nelson's question  
4 was about person-year calculation to get an  
5 estimate of the exposure. The data we have, the  
6 prescription data, is already in a projection so we  
7 can get that rough estimate again as far as person-year  
8 exposure data to be used as a denominator if  
9 we want to calculate anything. Right?

10          DR. NELSON: I guess that is a more  
11 sophisticated way of stating my question.

12          DR. CHEN: Maybe Laura would like to add a  
13 little bit more about drug use data specifics.

14          DR. GOVERNALE: As Dr. Iyasu stated  
15 previously, what we are getting from the National  
16 Prescription Audit is the number of prescriptions  
17 from the pharmacy databases. This number is  
18 projected to the national level so the numbers that  
19 were quoted are the nationally projected estimates  
20 of prescription volume.

21          DR. CHESNEY: Dr. Danford, you were next.

22          DR. DANFORD: I am interested in the  
23 thirteen either birth defects or fetal problems  
24 that came to light. Was there a particular pattern  
25 among those that you could recognize as a specific

1 problem with the drug?

2 DR. IYASU: Among the four cases of  
3 malformations which were all related to maternal  
4 exposure, we could not establish any causal  
5 relationship. But there were two cardiac defects,  
6 one limb reduction and then there was another face  
7 anomaly.

8 Given that we have some information about  
9 the effect of this drug on birth defects, there are  
10 no human studies to suggest that there is an effect  
11 of that kind, unexpected. But, again, the AERS  
12 database is not the best place to try to establish  
13 some causal relationship. But, from the human  
14 studies, there is no signal that suggests that  
15 there are birth-defect effects from the drug.

16 DR. CHESNEY: Dr. Luban?

17 DR. LUBAN: I presume that the drug is  
18 contraindicated in pregnant women?

19 DR. IYASU: Right. It is contraindicated  
20 and that risk and benefit has to be weighed before  
21 this drug is given to pregnant women but it has not  
22 been studied in pregnant women.

23 DR. CHESNEY: Dr. Gorman.

24 DR. GORMAN: We have approved many drugs,  
25 or BPCA has approved many drugs. How were these

1 four drugs selected for our review today?

2 DR. IYASU: The law states that we have to  
3 provide a review of all the drugs that have gotten  
4 exclusivity. The selection is based on the dates  
5 on which these drugs have gotten their exclusivity  
6 determination done. If they were given on a  
7 certain date, then they are put on the list. So,  
8 on the anniversary of that granting of the  
9 exclusivity, then we are obliged to provide that  
10 review within three months or so, depending on how  
11 extensive the review is

12 So that is how they come into the picture.  
13 Two of these drugs, for example, were approved in  
14 February and the statins were approved on February  
15 22, two of them. So all four of them have  
16 completed their one year plus the time that we need  
17 to review the data. That is how they got selected  
18 into the presentations this time.

19 DR. CHESNEY: I don't see any other  
20 questions. Should we go ahead, then?

21 DR. MURPHY: Our neuropharm  
22 representatives are leaving so this is your last  
23 chance, ladies and gentlemen, before they depart,  
24 if you have any more questions about Zoloft. I  
25 just wanted to point that out. Okay.



1                   Sorry, guys. I just wanted to make sure  
2 they understood that you would not be staying  
3 around.

4                   DR. GORMAN: One more question. Is this  
5 pattern of neonatal withdrawal syndrome seen with  
6 the other agents that we are not getting reported  
7 on today, and the same thing with the facial  
8 abnormalities and limb-length discrepancies. Is  
9 that when you look at all the selective serotonin  
10 reuptake inhibitors, that looks more convincing  
11 than just with Zoloft alone?

12                  DR. ANDREASON: If I heard you correctly,  
13 you said the withdrawal syndromes or the  
14 discontinuation?

15                  DR. GORMAN: The neonatal discontinuation.

16                  DR. ANDREASON: The neonatal  
17 discontinuation. Pretty much with the neonatal  
18 discontinuation syndromes, they seem to be fairly  
19 constant across the group with some hint that the  
20 longer half-life SSRIs are not showing as many  
21 cases. But, again, it is hard to tell because this  
22 is an AERS database. There are cases reported kind  
23 of across the board. Whether these represent  
24 discontinuation or toxicity followed by  
25 discontinuation is, at this point, difficult to say

1 because sometimes symptoms are showing directly  
2 after birth which would not give an adequate amount  
3 of time for the drug to wash out and have that be a  
4 withdrawal or a discontinuation syndrome.

5 As far as the cranial-facial  
6 abnormalities, I am not sure on that one. I would  
7 assume that they are. Is Andy here? Andy, could  
8 you speak to the cranial-facial abnormalities?

9 DR. ANDREASON: This is Andy Mosholder who  
10 reviewed many of these drugs. He is with the  
11 Office of Drug Safety now.

12 DR. MOSHOLDER: Off the top of my head, I  
13 am not sure I can contribute anything about  
14 cranial-facial anomalies with SSRIs. I think  
15 fluoxetine has been the best studied in the  
16 literature and there hasn't been any association  
17 established in terms of congenital anomalies to  
18 date that I am aware of. But I am not sure of the  
19 others in the class have been studied to the same  
20 extent at this point in time.

21 DR. IYASU: Thank you.

22 [Slide.]

23 My second talk is a review of the adverse-event  
24 reports involving oxybutynin or Ditropan.

25 [Slide.]

1                   Ditropan was granted exclusivity on  
2 February 3, 2002 and is an antispasmodic and  
3 anticholinergic agent. In adults, it is indicated  
4 for the treatment of bladder irritability  
5 associated with voiding in patients with an  
6 inhibited neurogenic bladder. In children,  
7 Ditropan is indicated for the treatment of detrusor  
8 muscle overactivity in association with a  
9 neurogenic condition such as spina bifida.

10                   The tablet in syrup are approved in  
11 children five years or older and the extended-release form  
12 is for ages 6 and older.

13                   [Slide.]

14                   Drug-use data for 1998 to 2002 shows that  
15 total dispensed prescriptions increased from 3.5  
16 million in '98 to 6.5 million in 2002 in all ages.  
17 The oral form was the predominant form dispensed.  
18 Urology and internal medicine were the two top  
19 specialists responsible for most prescriptions.

20                   The pediatric specialty accounted for  
21 about 82,000 dispensed prescriptions during 2002.

22                   [Slide.]

23                   The frequency of drug mentions or  
24 appearances during pediatric patient visits in  
25 office-based practice decreased to 82,000 down from

1 138 in 2002 and 105 in 2000. Children between the  
2 ages of two and eleven represented over 70 percent  
3 of the use. Overall, the use was much higher in  
4 females than males in pediatric and adult patients.  
5 However, there were gender and age differences in  
6 the leading indications for use.

7           In males age two to eleven years, the  
8 leading indications were frequency of urination and  
9 polyuria. In age twelve to sixteen, it was for  
10 incontinence of urine. In females age two to  
11 sixteen years, the leading indications were other  
12 bladder dysfunction such as hyperactive bladder,  
13 paralysis of bladder or neurogenic bladder.

14           [Slide.]

15           In the office-based setting, the top three  
16 physician specialties prescribing Ditropan were  
17 urology, pediatrics and nephrology.

18           [Slide.]

19           During the year following exclusivity,  
20 there were a total of 40 adverse-event reports,  
21 five of which were in pediatric patients. All the  
22 pediatric events had serious outcomes. There were  
23 no pediatric deaths.

24           [Slide.]

25           This slide shows the ten most frequent

1 adverse-event reports listed in decreasing order of  
2 frequency. Adverse events not previously described  
3 or not on the label are marked by an asterisk. In  
4 adults, the drug being ineffective was the most  
5 common reported events. This is unlabeled. In  
6 pediatric patients, although several reported  
7 events are not labeled such as depression,  
8 hallucinations, panic reaction, abnormal behavior,  
9 anger, anxiety, aggression. However, the number of  
10 events are just too few and uninterpretable.

11 [Slide.]

12 However, when we looked at the reports  
13 from the drug-approval date which was 1975 to March  
14 19, 2003, there were 745 adults and 74 pediatric  
15 reports. This slide shows the unlabeled events  
16 only. In adults, the most common, again, was drug  
17 ineffective. Other events were pruritus and the  
18 condition aggravated.

19 There were also unlabeled events in  
20 pediatric patients, several of which are  
21 psychiatric events such as personality disorder,  
22 thinking abnormal, agitation and so on. But,  
23 again, the numbers were not that great. We did not  
24 do a detailed analysis in review of the case  
25 reports since '75.

1 [Slide.]

2 The five reports for the post-exclusivity  
3 period were in patients between the ages of two and  
4 eleven years of age. Two were female and three  
5 were male. The administered doses ranged from 5 to  
6 37.5 milligrams per day.

7 [Slide.]

8 This slide shows the diagnosis for which  
9 Ditropan was used as recorded in the case reports,  
10 one each for enuresis, nocturnal enuresis,  
11 neurogenic bladder and detrusor muscle spasms.  
12 There was no information for one patient.

13 [Slide.]

14 I will briefly discuss each of the five  
15 pediatric reports. A school-age child in Ditropan  
16 and Desmopressin, which is a synthetic antidiuretic  
17 hormone agonist, was used in the treatment of  
18 primary nocturnal enuresis. The patient was  
19 hospitalized with low osmolality, hyponatremia,  
20 weight gain which mostly may have been the effects  
21 of the Desmopressin.

22 There were three medically significant  
23 events. A school-age child on Ditropan had a  
24 seizure after taking Benadryl. The patient was  
25 treated in the ER and Ditropan was resumed. The

1 patient is seizure free.

2           Next was a fragile preschool-age child  
3 with a history of tracheostomy, ventricle-peritoneal shunt  
4 on Ditropan syrup via G tube. The  
5 patient became unconscious and Ditropan was  
6 discontinued. The only other information we have  
7 is the catheterization increased from four to six  
8 times a day during therapy.

9           [Slide.]

10           The next case is a preschool child born  
11 with brain damage who developed behavioral and  
12 psychiatric events after six to twelve months of  
13 treatment with Ditropan for bed wetting. After  
14 Paxil was added, the patient became violent and a  
15 danger to one's self and others and developed  
16 personality changes. Paxil was discontinued and  
17 resumed at half dose after the patient became  
18 depressed and suicidal. The symptoms improved but  
19 the bed wetting persisted.

20           The patient was put on Detrol and Paxil  
21 following which Ditropan was discontinued and  
22 eventually bed wetting improved as the patient  
23 matured.

24           [Slide.]

25           The next case is an anxious school-age

1 child put on Ditropan for nocturnal enuresis but  
2 developed several psychiatric symptoms. The  
3 patient was put on Atarax and Ditropan was  
4 discontinued after a month.

5 [Slide.]

6 In summary, all the five pediatric reports  
7 had serious outcomes. However, several adverse-event terms  
8 were unlabeled as indicated before.  
9 Most of the psychiatric events primarily were  
10 reported from the two patients with possible  
11 underlying psychiatric conditions. The pediatric  
12 events cannot be solely attributed to Ditropan use  
13 because of concomitant drug use, possible  
14 overdosing or confounding medical conditions.

15 Once again, the limitations of the AERS  
16 data makes attribution of causality very difficult  
17 when one is considering the clinical question of  
18 did the suspect drug cause the event. From an  
19 epidemiologic standpoint, causality is a public-health  
20 question that requires a population-based  
21 approach to adequately answer the question.  
22 Postmarketing reports are limited in this aspect  
23 unless you have a large number of signals.

24 [Slide.]

25 The question, then, to the committee is,



1 because of the small number of reports that we have  
2 for the one year, should we consider an additional  
3 one year of AERS follow up.

4 DR. MURPHY: This applies to both  
5 products, we are asking this.

6 DR. CHESNEY: Would anyone feel strongly  
7 that we should not ask for an additional year of  
8 follow up? In other words, we would ask for  
9 another year of follow up. I think everybody  
10 agrees that we would like an additional year of  
11 follow up for both drugs.

12 DR. IYASU: Okay. Thank you. Any  
13 comments or questions? Let me go to the next one.

14

15 [Slide.]

16 My last presentation is a review of  
17 adverse events of simvastatin or Zocor, events that  
18 were reported to the FDA during the one year after  
19 pediatric exclusivity was granted.

20 Exclusivity for this drug was granted on  
21 February 22, 2002. Simvastatin is a lipid-lowering  
22 agent. In adults, it is approved for use in  
23 coronary heart disease with hypercholesteremia. In  
24 children, it is indicated for the treatment of  
25 heterozygous familiar hypercholesterolemia as an

1 adjunct to diet to reduce total cholesterol, low-density  
2 lipoproteins and Apo B levels in boys and  
3 postmenarchal girls age ten to seventeen years.

4           This condition occurs at the prevalence  
5 rate of 1 in 500 and is associated with an  
6 increased risk of premature coronary heart disease  
7 in adulthood. Adjunct therapy is used if, after  
8 the adequate trial of dietary therapy, LDC-C  
9 remains equal to or more than 190 milligrams per  
10 deciliter or it is equal to more than 160  
11 milligrams per deciliter and a family history of  
12 premature heart disease or two or more CVD risk  
13 factors are present.

14           [Slide.]

15           Based on data from NPA Plus, total  
16 dispensed prescriptions for Zocor have increased  
17 from 18.5 million in 1998 to 28.8 million in 2002  
18 for all ages.

19           [Slide.]

20           In 2002, there were a projected 4,000 drug  
21 mentions or appearances in office-based practice  
22 for the pediatric age group zero to sixteen years  
23 of age. There were no mentions of this drug during  
24 2000 and 2001. Hyperlipidemia was the lead  
25 indication in this setting.

1 [Slide.]

2 Now, counts of adverse events during the  
3 year after exclusivity shows that there were a  
4 total of 1,309 reports in all ages including  
5 domestic and foreign sources. Most were in adults.  
6 There were eight reports in the pediatric age  
7 groups. However, a careful of review of the case  
8 reports revealed four of the age reports were in  
9 adults. That is leaving four pediatric reports for  
10 review. One of the four was a pediatric death.

11 [Slide.]

12 Today, I will only present a summary  
13 preliminary review of the pediatric cases. This is  
14 really a preliminary report. There were four  
15 unlabeled and unduplicated events with serious  
16 outcomes including one death. However, the number  
17 of reports are too few again for a meaningful  
18 interpretation. All the pediatric events reported  
19 to FDA were from foreign sources.

20 Preliminary review indicates that the  
21 events cannot be solely attributed to Zocor use.  
22 Two cases were exposed in utero. Both were  
23 delivered by C-section because of fetal distress.  
24 The first case was exposed to Zocor in the first  
25 trimester only. There were no other concomitant

1 maternal medications. The baby is a healthy,  
2 normal weight term baby.

3           The second in utero exposure involved  
4 multiple concomitant maternal medications,  
5 prematurity and resulted in postnatal death from  
6 complications of prematurity. The rest of the  
7 pediatric reports had concomitant medications and  
8 confounding medical conditions. As I stated  
9 earlier, the reports are too few and the causal  
10 link to Zocor cannot be made from the available  
11 information for any of the events I discussed  
12 above.

13           [Slide.]

14           The question for the committee, again, is  
15 because of the small number of reports and  
16 relatively low pediatric use, should we consider  
17 then an additional year of AERS follow up.

18           DR. CHESNEY: Can I speak for the rest of  
19 the committee and say we would appreciate another  
20 year of follow up.

21           DR. IYASU: Thank you.

22           Let me go to the last report

23           [Slide.]

24           The next drug is Lipitor. It was granted  
25 exclusivity on February 22, 2002, the same day as

1 Zocor. I will get you out of here in five minutes.

2 The label for the drug is in your package. The  
3 approved indications in adults and children are  
4 summarized on this slide and they are similar to  
5 what it is for Zocor.

6 [Slide.]

7 The next two slides are the use data for  
8 Lipitor. The total dispensed prescriptions for  
9 Lipitor are increasing, 24.8 million in '98 to 65.7  
10 million in 2002.

11 [Slide.]

12 Frequency of drug mentions for pediatric  
13 patients in office-based settings are also  
14 increasing from 9,000 in 2000 to 14,000 in 2002.  
15 Pediatric use represents less than 1 percent of all  
16 Zoloft mentions during the year. The most common  
17 indication, again, is hypercholesterolemia.

18 [Slide.]

19 During the one year after exclusivity was  
20 granted, there were a total of 966 adverse-event  
21 reports. However, there were no pediatric reports  
22 during the year so I have nothing to report.

23 [Slide.]

24 I would ask the same question now as for  
25 the other drug.

1 DR. CHESNEY: We would like more  
2 information.

3 DR. IYASU: Thank you.

4 DR. CHESNEY: Thank you very much. This  
5 brings us to the open public hearing.

6 Open Public Hearing

7 DR. CHESNEY: I understand that nobody has  
8 signed up for the open public hearing. Is there  
9 anybody from the public who would like to speak?  
10 Yes? I think the time is generally about three to  
11 five minutes.

12 MS. McDONALD: My name is Sheila McDonald.  
13 I am a Member of the Board of Directors of the  
14 Child and Adolescent Bipolar Foundation. Ms.  
15 Judith Cornelius is also a member of the Board of  
16 Directors of the Child and Adolescent Bipolar  
17 Foundation which is a national organization of  
18 12,000 families raising children with bipolar  
19 disorder.

20 As you can imagine, our mission is to  
21 educate families, professionals and the public  
22 about early-onset bipolar disorder and to advocate  
23 for increased research on the nature, causes and  
24 treatment of bipolar disorders in children.

25 Parents report that before diagnosis,

1 serious adverse drug reactions have occurred.  
2 These parents report to us on our website  
3 constantly and they have reported that, as a result  
4 of single drug-therapy treatment with SSRIs alone,  
5 there have been adverse events. Whenever there are  
6 adverse events reported to us, we ask that they  
7 send it to the formal system.

8           However, many families report that, as an  
9 adjunct to appropriate treatment with new  
10 stabilization therapy, SSRIs can be an important  
11 tool in helping to improve the quality of our  
12 children's lives. So we feel, importantly, that  
13 clinicians and families need this important safety  
14 data to help guide appropriate use of these and  
15 other medications and we urge increased attention  
16 to safety studies in children and to improve the  
17 adverse drug-event reporting system. We are  
18 hopeful that the SSRIs will be continued to be  
19 looked at as an adjunct to appropriate treatment.

20           Thank you.

21           DR. CHESNEY: Thank you. Would anybody  
22 from the agency like to respond?

23           DR. MURPHY: No, except to say that we  
24 will continue to be looking at the SSRIs.

25           Chair/Committee Final Comments

1 DR. CHESNEY: I think that brings our  
2 afternoon open session to a close. Is that  
3 correct? Would you all like to make final remarks?  
4 I would like to thank Dr. Iyasu and your colleagues  
5 for doing all this follow-up information for us. I  
6 think, even though we are not represented by very  
7 many here, it is very, very important information.  
8 So we really appreciate all the effort you all have  
9 put into it.

10 DR. MURPHY: I think any feedback from the  
11 group--I think one of the things we are going to  
12 have to do is not have it at the very last, which  
13 we tend to. I think that is probably one of the  
14 things I would begin to suggest we change a little  
15 bit. Any suggestions from the committee on how to  
16 make it more useful to them, again, in the context  
17 that we have limited resources. You saw our one  
18 safety person is dedicated totally to peds, so we  
19 have many people who have also assisted and  
20 cooperated, and help us. Office of Drug Safety has  
21 committed significant time and effort to this.

22 Knowing, within that context, we just  
23 don't have unlimited resources. If there is any  
24 other way that we can make this more useful, we  
25 would like to hear from you.



1 DR. CHESNEY: I think, in a very pragmatic  
2 sense, it would be nice to get this information  
3 before we come and particularly to have the  
4 pediatric adverse effects that have been reported,  
5 everything about the pediatric use in the drug  
6 insert highlighted. It took me a while to find the  
7 Zoloft information. If that was highlighted and if  
8 it were possible to get the report you just gave us  
9 beforehand, so we could be formulating questions  
10 and absorbing it better than at the end of the day.

11 I would agree with you, Diane, maybe it  
12 would be possible to do it early in the session or  
13 in the middle of a session or take time out to do  
14 it because I do feel badly that there are not more  
15 people here to hear it. But I am also glad that  
16 there is nothing that we--it sounds like there is  
17 nothing we need to be particularly alarmed about  
18 this time.

19 DR. MURPHY: We, again, would like to say  
20 thank you very much to everybody, and particularly  
21 to you guys and ladies that hung in here to the  
22 very end.

23 DR. CHESNEY: We understood there was a  
24 limousine to take us if we stayed later. Thank you  
25 all, very much, for all the work that you did as

1 background information. We just have a very, very  
2 easy job compared to what you do and so thank you  
3 very much for all you do.

4 [Whereupon, at 4:39 p.m., the meeting was  
5 adjourned.]