

FOOD AND DRUG ADMINISTRATION
CENTER FOR DRUG EVALUATION AND RESEARCH

MEETING OF THE
GASTROINTESTINAL DRUGS ADVISORY COMMITTEE

8:33 a.m

Thursday, June 26, 2003

Marriott Washingtonian Center
9751 Washingtonian Boulevard
Gaithersburg, Maryland

ATTENDEES

COMMITTEE MEMBERS:

M. MICHAEL WOLFE, M.D.
Professor of Medicine and Physiology
Boston University School of Medicine
650 Albany Street
Boston, Massachusetts 02118

THOMAS H. PEREZ, M.P.H., R.P.H.
Health Science Administrator
Food and Drug Administration-CDER
5600 Fishers Lane, HFD-21, Building 5630
Rockville, Maryland 20857

MICHAEL CAMILLERI, M.D.
Professor of Medicine and Physiology
Mayo Clinic
Gastroenterology Unit, Charlton 7
Rochester, Minnesota 55905

SUSAN COHEN, Consumer Representative
9814 Inglemere Drive
Bethesda, Maryland 20817

ROBERT A. LEVINE, M.D.
Professor of Medicine
Division of Gastroenterology
State University Hospital
750 East Adams Street
Syracuse, New York 13210

WEICHUNG JOE SHIH, PH.D.
Professor and Director
Division of Biometrics
University of Medicine and Dentistry of New Jersey
School of Public Health and Cancer Institute
335 George Street, Liberty Plaza Room 3456
New Brunswick, New Jersey 08903

ATTENDEES (Continued)

ONCOLOGIC DRUGS ADVISORY COMMITTEE MEMBERS: (Voting)

OTIS BRAWLEY, M.D.
Emory University School of Medicine
Atlanta, Georgia

JOHN CARPENTER, M.D.
University of Alabama
Birmingham, Alabama

DAVID KELSEN, M.D.
Memorial Sloan-Kettering Cancer Center
New York, New York

SPECIAL GOVERNMENT EMPLOYEES: (Voting)

JAMES GILLETT, PH.D., Patient Representative
Department of Natural Resources
Ithaca, New York

ALLEN MANGEL, M.D., PH.D.
Research Triangle Institute
Research Triangle Park, North Carolina

ACTING INDUSTRY REPRESENTATIVE: (Non-voting)

GEORGE S. GOLDSTEIN, M.D.
White Plains, New York

FOOD AND DRUG ADMINISTRATION STAFF:

MILTON FAN, PH.D.
HUGO GALLO-TORRES, M.D.
FLORENCE HOUN, M.D., M.P.H.
ROBERT JUSTICE, M.D.
EDVARDAS KAMINSKAS, M.D.

ATTENDEES (Continued)

AXCAN SCANDIPHARM, INC. REPRESENTATIVES:

MARY P. BRONNER, M.D.
PATRICK COLIN, B.PHARM., PH.D.
ALLAN DONNER, PH.D.
FRANCOIS MARTIN, M.D.
BERGEIN F. OVERHOLT, M.D.
KENNETH K. WANG, M.D.

C O N T E N T S

NDA 21-525, Photofrin (porfirin sodium),
 Axcen Scandipharm, Inc.
 Photodynamic therapy with Photofrin is indicated for
 the ablation of high-grade dysplasia in
 Barrett's esophagus among patients who refuse
 esophagectomy and who are in overall good health.

* * *

AGENDA ITEM	PAGE
MEETING STATEMENT by Mr. Thomas Perez	9
OPENING COMMENTS by Dr. Robert Justice	10
AXCAN SCANDIPHARM, INC. PRESENTATION	
Introduction by Dr. Francois Martin	12
Management of High-Grade Dysplasia in Barrett's Esophagus by Dr. Kenneth Wang	20
Efficacy and Safety of Photofrin Photodynamic Therapy in the Ablation of High-Grade Dysplasia in Barrett's Esophagus by Dr. Bergein F. Overholt	30
Histopathology of High-Grade Dysplasia in Barrett's Esophagus by Dr. Mary P. Bronner	41
Conclusion by Dr. Francois Martin	52
QUESTIONS ON PRESENTATIONS	59
FDA PRESENTATION	
Medical Officer's Presentation by Dr. Edvardas Kaminskas	100
QUESTIONS ON PRESENTATION	116
OPEN PUBLIC HEARING	130

C O N T E N T S (Continued)

AGENDA ITEM	PAGE
CHARGE TO THE COMMITTEE by Dr. Robert Justice	130
DISCUSSION OF QUESTIONS	131

P R O C E E D I N G S

(8:33 a.m.)

1
2
3 DR. WOLFE: Good morning everyone. It is a
4 little past 8:30. I think we'll get started and try to
5 stay on schedule as much as possible. I'd like to welcome
6 you all to today's advisory meeting for the Committee on
7 Gastrointestinal Drugs.

8 I'm Michael Wolfe. I'm a professor of medicine
9 at Boston University School of Medicine, and I'm the Chair
10 of the Advisory Committee for Gastrointestinal Drugs for
11 the FDA. Unless there's some kind of emergency in the next
12 four days, it's also my last meeting as chair, and it has
13 been an enjoyable experience.

14 Before Mr. Perez reads the meeting statement,
15 I'd like everyone to introduce themselves at this table.
16 George?

17 DR. GOLDSTEIN: George Goldstein, Vice
18 President, Regulatory Affairs for Mankind Corporation,
19 acting industry representative to the panel.

20 DR. MANGEL: Allen Mangel, Research Triangle
21 Institute.

22 DR. KELSEN: David Kelsen, medical oncology,
23 Sloan-Kettering, New York.

24 MS. COHEN: Susan Cohen, consumer member.

25 DR. GILLETT: Jim Gillett, Cornell University

1 and Esophageal Cancer Awareness Association, President.

2 MR. PEREZ: Tom Perez, Executive Secretary to
3 this meeting.

4 DR. LEVINE: Bob Levine, Upstate Medical
5 Center, State University of New York, and a member of the
6 committee.

7 DR. BRAWLEY: Otis Brawley. I'm a medical
8 oncologist and epidemiologist at Emory University in
9 Atlanta.

10 DR. SHIH: Weichung Joe Shih, University of
11 Medicine and Dentistry of New Jersey. I'm a
12 biostatistician.

13 DR. CARPENTER: John Carpenter. I'm a medical
14 oncologist from the University of Alabama at Birmingham.

15 DR. CAMILLERI: Michael Camilleri, Mayo Clinic,
16 Rochester, Minnesota, a member of the GI Advisory
17 Committee.

18 DR. KAMINSKAS: Edward Kaminskas. I'm a
19 medical reviewer in the Division of Gastrointestinal and
20 Coagulation Drug Products, FDA.

21 DR. GALLO-TORRES: Hugo Gallo-Torres, a medical
22 team leader in the Division of Gastrointestinal and
23 Coagulation Drug Products, FDA.

24 DR. JUSTICE: Robert Justice, Director of the
25 Division of Gastrointestinal and Coagulation Drug Products.

1 DR. HOUN: Florence Houn, Office Director for
2 Drug Evaluation III in FDA.

3 DR. WOLFE: Thank you. After you speak,
4 although it's not absolutely necessary, if you can turn
5 your microphone off, it does help. There may be occasional
6 feedback otherwise.

7 Mr. Perez will now read the meeting statement.

8 MR. PEREZ: Thank you and good morning.

9 The following announcement addresses conflict
10 of interest with regard to this meeting and is made a part
11 of the record to preclude even the appearance of such at
12 this meeting.

13 Based on the submitted agenda for the meeting
14 and all financial interests reported by the committee
15 participants, it has been determined that all interests in
16 firms regulated by the Center for Drug Evaluation and
17 Research, which have been reported by the participants,
18 present no potential for an appearance of a conflict of
19 interest at this meeting.

20 We would, however, like to note for the record
21 that Dr. George Goldstein is participating in this meeting
22 as a non-voting acting industry representative.

23 In the event that the discussions involve any
24 other products or firms not already on the agenda for which
25 FDA participants have a financial interest, the

1 participants are aware of the need to exclude themselves
2 from such involvement and their exclusion will be noted for
3 the record.

4 With respect to all other participants, we ask
5 in the interest of fairness that they address any current
6 or previous financial involvement with any firm whose
7 product they may wish to comment upon.

8 Thank you.

9 DR. WOLFE: Thank you, Tom.

10 Dr. Justice will now offer some opening
11 comments.

12 DR. JUSTICE: Before I talk briefly about the
13 current application, I'd just like to comment that we have
14 two members who are rotating off the committee today, Dr.
15 Wolfe and Dr. Richter. On behalf of the division and the
16 office, I'd like to thank you for your time and effort and
17 expert advice that you've provided over the last few years,
18 and we very much appreciate it.

19 Again, I'd like to thank the committee and
20 consultants for participating in today's meeting.

21 Photodynamic therapy with Photofrin was originally approved
22 for the palliation of patients with completely obstructing
23 esophageal cancer or partially obstructing esophageal
24 cancer which cannot be satisfactorily treated with laser
25 therapy.

1 It was subsequently approved for the reduction
2 of obstruction and palliation of symptoms in patients with
3 completely or partially obstructing endobronchial non-small
4 cell lung cancer and for the treatment of micro-invasive
5 endobronchial non-small cell lung cancer in patients for
6 whom surgery and radiotherapy are not indicated.

7 Today's application seeks approval for the
8 ablation of high-grade dysplasia in Barrett's esophagus
9 among patients who refuse esophagectomy and who are in
10 overall good health.

11 The primary study supporting the application is
12 PHO BAR 01, a randomized, multicenter, open-label trial of
13 Photofrin photodynamic therapy plus omeprazole versus
14 omeprazole alone. There are also two supportive single-
15 center trials, one of which randomized the two light doses
16 and the other which randomized to steroids or not to assess
17 the effect on strictures. Minimum patient follow-up in
18 these trials was 12 months.

19 The major issues that we would like the
20 committee to consider are, first, the high rate of failure
21 to confirm the diagnosis of high-grade dysplasia by the
22 central reference laboratory. What implications does this
23 have for the use of photodynamic therapy with Photofrin
24 outside of a clinical trial?

25 Second, do the data from PHO BAR 01 demonstrate

1 that photodynamic therapy with Photofrin is effective in
2 completely ablating high-grade dysplasia in Barrett's
3 esophagus?

4 Third, is a 2-year follow-up period adequate to
5 demonstrate cancer risk reduction in patients with high-
6 grade dysplasia in Barrett's esophagus following
7 photodynamic therapy with Photofrin? If not, will 5 years
8 of follow-up be adequate?

9 Finally, is the safety profile of photodynamic
10 therapy with Photofrin in this patient population
11 acceptable?

12 We look forward to receiving the committee's
13 advice on these issues. With that, I'll turn it back over
14 to the chair.

15 DR. WOLFE: Thank you, Dr. Justice.

16 The sponsor Axcan will now begin their
17 presentation, and I hope I pronounce this right. Dr.
18 Francois Martin.

19 DR. MARTIN: Don't worry. I won't give my
20 presentation in French.

21 (Laughter.)

22 DR. MARTIN: Good morning all. Mr. Chairman,
23 members of the GI Advisory Committee, members of the
24 Oncology Advisory Committee, special government employees,
25 my colleagues, on behalf of Axcan Pharma, I want to thank

1 the division for giving us the opportunity to present to
2 the advisory committee the scientific evidence to support
3 our proposal for a novel treatment modality for the per-
4 endoscopic ablation of a premalignant condition, the high-
5 grade dysplasia in Barrett's esophagus.

6 Photodynamic therapy, PDT, requires the
7 combined use of a pharmacological agent and a light
8 delivery system. Photofrin, porfirmer sodium, is the drug
9 agent. It is a cell photosensitizer which is administered
10 parenterally. The light delivery system is made of
11 interrelated devices used to deliver activating laser light
12 to target tissue. Balloon catheters, fiber optic
13 diffusers, and laser light emitters are used.

14 Photofrin, porfirmer sodium, is the cell
15 photosensitizer that needs to be administered in a single,
16 slow intravenous injection over a 3- to 5-minute period at
17 a 2 milligram per kilogram body weight.

18 Centering balloon catheters with opaque tips
19 made of silver inside lining, specially made to increase
20 and contain light reflection, are used. These balloon
21 catheters of different window sizes of 3, 5, or 7
22 centimeters are made to hold the fiber optic light
23 diffusers, also of different window size of 5, 7, and 9
24 centimeters, and are positioned inside the balloon for
25 stability and uniformity of light diffusion.

1 To illuminate mucosal nodules as a pretreatment
2 procedure or complete illumination of isolated BE segments,
3 shorter cylindrical diffuser or bare tip fibers are
4 currently used for direct application to the mucosa.

5 The first systems used for PDT were Coherent
6 laser systems and Laserscope. Coherent is the big system
7 here, and Laserscope is here. Coherent is an argon dye
8 laser, whilst the Laserscope engineered a dye laser module
9 that can be connected to the KTP Yag laser.

10 The first generation technology has several
11 limitations. They are large in size, require special
12 electrical connection, and water cooling. More recently
13 the company Diomed has engineered a new diode laser which
14 is compact and portable, air-cooled, and there is a pre-
15 program that is user-friendly, makes the use of a touch-on
16 screen to establish the time and energy delivery parameter
17 individualized to each patient.

18 We have presented equivalence for this Diomed
19 laser with the other two lasers in our submission, although
20 no patients in our study were treated with this laser
21 apparatus.

22 The dysplastic cell destruction created by PDT
23 is mediated largely by the generation of singlet oxygen.
24 Intracellular Photofrin absorbs light and transfers this
25 energy to molecular oxygen to create singlet oxygen.

1 Porphyrins normally bind to low-density lipoprotein in the
2 blood serum. It has been proposed that low-density
3 lipoprotein receptors play an integral role in the
4 porphyrin localization in and on tumor cells. So this
5 propagation of superoxidative reaction bringing in the
6 oxygen triplet causes ischemic necrosis in the cell and
7 leads to cell destruction.

8 Here's an overview of the PDT process.
9 Photodynamic therapy begins with the IV administration of a
10 photosensitizer, and this drug makes patients sensitive to
11 sunlight for approximately 30 to 90 days. Their greatest
12 photosensitivity is during the first 2 weeks after
13 injection. Patients need to wear protective clothing that
14 shields their skin from all light exposure.

15 48 hours after the drug is injected, photo
16 illumination is performed. At that time, the patients are
17 given supplemental oxygen via a nasal catheter. Oxygen is
18 a key component of therapy and it is required to generate
19 singlet oxygen.

20 The damage produced by photodynamic therapy is
21 not visible immediately after the first course of
22 treatment. Therefore, not uncommonly patients have to
23 return 38 to 48 hours after the first course for inspection
24 of their esophagus.

25 A second laser light application may be given

1 to a previously treated segment in which there was
2 insufficient mucosal response or skip area. Patients with
3 remaining persistent dysplasia, persistent Barrett's, or
4 untreated segments should be treated for a second PDT
5 course no earlier than 90 days later.

6 The PDT process. The laser light is applied to
7 the esophageal mucosa. An argon pump dye laser or diode
8 laser is tuned to a wavelength of 630 nanometers and that
9 delivers light endoscopically through the window centered
10 esophageal balloon catheter system. Power density is
11 typically between 200 and 270 milliwatt per centimeter of
12 diffusion, providing energy density of approximately 130
13 joules per centimeter to tissue. The advantage conferred
14 by the centering balloon is the uniformity of energy
15 delivery to the target area.

16 Dr. Justice presented the already approved
17 indications for PDT and Photofrin in several conditions. I
18 just had the dates of approval for esophageal cancer in its
19 palliative treatment component. It's been approved in
20 January 1998 for non-small cell cancer, the curative aspect
21 of it, and non-small cell cancer for palliation of
22 obstructive bronchial cancer.

23 Barrett's esophagus is a morphological
24 condition with a serious potential for malignant
25 transformation. The sequential progression up to high-

1 relevant regulatory history. There was an end of phase II
2 meeting which was held in 1997 where the essentials of the
3 protocol considered as our pivotal phase III trial, called
4 here PHO BAR 01, were agreed upon, and the acceptance by
5 the division to include two non-pivotal investigator-
6 sponsored studies that needed to be reanalyzed in
7 accordance to the endpoints for efficacy and safety of the
8 pivotal trial. At that meeting, esophagectomy was ruled
9 out as a comparative therapy.

10 An orphan drug designation was obtained from
11 the division in October 2001. We also received, much to
12 our satisfaction, supporting our continuing effort, this
13 acceptance for a priority review in July 2002, and the
14 reception from the Gastrointestinal Division of an
15 approvable letter for our NDA was also a great stimulation
16 to maintain our continued effort to make this novel therapy
17 approved as a new therapeutic option for patients suffering
18 from this serious premalignant condition.

19 The current status for this novel therapy in
20 other countries is as follows. It was approved in Canada
21 March 14, 2003, and it is under review in Europe and the
22 decision is expected November 2003.

23 So we will present what our NDA application is
24 composed of, and it's based on a large multicenter,
25 partially blinded pivotal trial and two supportive studies,

1 with a total of 399 patients studied, of which 219 had
2 high-grade dysplasia and had received the PDT Photofrin
3 therapy.

4 The endpoints that were studied were for the
5 primary endpoint, the complete ablation of high-grade
6 dysplasia. You'll hear about the definition of CR1, CR2,
7 CR3 for your understanding.

8 Secondary endpoints were quality of complete
9 response, duration of complete response, time to
10 progression to cancer, time to treatment failure, as well
11 as survival time.

12 I'm not anticipating what I will say after the
13 presentation, but I think we are proud to put forward some
14 conclusions concerning the efficacy of our trial, and I
15 think we can fairly say, as you will see from the upcoming
16 presentation, that Photofrin PDT plus omeprazole is
17 significantly more effective than omeprazole alone in the
18 ablation of HGD in Barrett's esophagus. Concerning safety,
19 this treatment modality is an acceptable treatment option
20 for ablative therapy in HGD.

21 We are also ready for discussing issues that
22 you might see pertinent here, namely, concerning screening
23 failures -- Dr. Justice has alluded to that already -- the
24 length of evaluation time, the comparative therapy,
25 intervening therapy for patients who have progressed to

1 more severe conditions, and patient selection concerning
2 the label or the proposed indication.

3 I've gone through the first portion of our
4 agenda. I will now have colleagues who will present on the
5 management of HGD in Barrett's esophagus, Dr. Kenneth Wang,
6 who is Associate Professor, Director of Barrett's esophagus
7 Unit at Mayo Clinic, Rochester, Minnesota. Dr. Bergein
8 Overholt, Medical Director, Laser Center, Thompson Cancer
9 Survival Center in Knoxville will present the clinical
10 data, mainly the pivotal study. Dr. Mary P. Bronner,
11 Director of GI and Hepatic Pathology, Cleveland Clinic
12 Foundation, will address histopathological issues related
13 to diagnosis and follow-up of patients with high-grade
14 dysplasia. And I'll come back later on to conclude.

15 Thank you for your attention. Is this agenda
16 acceptable to the chairman? Thank you.

17 Yes. I'm sorry. I want to identify the
18 consultants who are here with us today. Mary P. Bronner
19 will be a speaker later on. Allan Donner, with 2 L's, is
20 Professor and Chairman, Department of Epidemiology and
21 Biostatistics, University of Western Ontario, Canada. Dr.
22 Overholt and Dr. Wang.

23 DR. WANG: Hi, ladies and gentlemen. Thank you
24 very much for giving me the opportunity to talk to you
25 today about the management of Barrett's esophagus with

1 high-grade dysplasia.

2 My first slide illustrates why there is a
3 problem with Barrett's esophagus. Basically there have
4 been seven studies that have come out that have said that
5 there's an increased incidence in esophageal adenocarcinoma
6 in western countries, four of these from the United States,
7 three of these from Europe, all concluding the same thing,
8 that there's been a geometric increase in the incidence of
9 esophageal adenocarcinoma. This highlights the crux of the
10 problem. We know we're kind sitting on the peak of an
11 epidemic and we think that the cause of this is this
12 lesion, Barrett's esophagus.

13 As shown on the panel on your left, this is an
14 endoscopic view of the esophagus. The proximal portion,
15 where the arrow is, is the normal squamous, whitish
16 epithelium. Distal to this is this reddish columnar
17 replacement of this epithelium by what is termed
18 specialized intestinal metaplasia, or Barrett's mucosa.
19 This is defined microscopically as columnar epithelium
20 containing goblet cells, and these are necessary features,
21 both the visible segment and the histological features, for
22 diagnosis of Barrett's esophagus.

23 Now, the epidemiology of Barrett's esophagus is
24 also well known. Basically it's associated with chronic
25 gastroesophageal reflux disease which 7 percent of the U.S.

1 population is known to have daily. 10 percent of chronic
2 heartburn sufferers, patients with GERD, are thought to
3 have Barrett's esophagus. With this risk of esophageal
4 cancer in Barrett's esophagus, we have come up with
5 statistics such as 30 to 60 times increased risk in the
6 general population and up to 2 percent increased risk of
7 cancer developing in patients with Barrett's esophagus.

8 Now, the pathogenesis of this condition is
9 thought to occur obviously starting with gastroesophageal
10 reflux. Various constituents of the refluxate such as
11 acid, bile salts, and pancreatic enzymes may play a role in
12 causing injury to the epithelium. This injury produces
13 esophagitis or inflammation of the mucosa which can then
14 undergo two rounds, either restitution back to the normal
15 squamous epithelium or metaplasia and production of
16 Barrett's esophagus, which is thought to be a more acid-
17 resistant epithelium which is why it occurs.

18 Now, this slide illustrates the progression to
19 cancer from Barrett's esophagus. On the left is actually
20 the normal squamous epithelium. Then you progress on to
21 metaplasia, or Barrett's esophagus. From this point on, it
22 is endoscopically indistinguishable, these various stages.
23 Whether you have low-grade dysplasia, high-grade dysplasia,
24 we really can't tell in endoscopy. It still looks fairly
25 flat and fairly reddish in nature. However, on random

1 biopsies, which are currently advised to survey these
2 patients, you see features such as loss of nuclear polarity
3 and even invasion when we get down to the region of cancer.

4 The progression of high-grade dysplasia in
5 Barrett's esophagus to cancer has been studied. Three
6 major studies have been placed out there, one from our
7 institution and one from the group at the University of
8 Washington with Brian Reid. Both indicate that there's a
9 fairly high evolution of high-grade dysplasia to cancer.
10 In our series, 32 percent of the patients evolved to cancer
11 over an 8-year period of surveillance, whereas in the Reid
12 study, 59 percent of their patients evolved to cancer over
13 5 years. There may be some selection bias in this because
14 these all tertiary referral centers likely to get the worst
15 cases.

16 The Schnell study in the middle comes from the
17 VA in Chicago, and they have the lowest incidence of cancer
18 in the literature, but they excluded all patients who
19 developed cancer in the first year. If you included those
20 patients, their incidence would be fairly comparable to the
21 rest of the series, but they wanted to look at just
22 incident cancers so if you exclude those that develop in
23 the first year, they had a 16 percent incidence of cancer
24 over the following 7.3 years of follow-up.

25 Now, as far as the role of proton pump

1 inhibitors in the management of patients with Barrett's
2 esophagus is concerned, the general consensus among
3 physicians is primarily it's used to control reflux
4 symptoms. There is evidence to suggest that it decreases
5 inflammatory atypia as well, and there is experimental
6 evidence that acid can produce epithelial proliferation in
7 culture and even in patients. However, the effect of anti-
8 acid therapy as a chemopreventative has not been studied in
9 humans.

10 Now, the control of acid for ablative therapy
11 has also been well known. We know we have to do something
12 to change the constituents of the refluxate to prevent
13 metaplasia from reoccurring. However, the degree of acid
14 control necessary has also not been established. There
15 have been at least two prospective, randomized trials
16 published in the literature that have found that whether or
17 not acid control is achieved, the degree of ablation is
18 unchanged.

19 Now, these are the current management
20 guidelines published by the American College of
21 Gastroenterology. This is from their Practice Committee
22 authored by Richard Sampliner.

23 Now, for patients without dysplasia, the group
24 on the top line, it's recommended that a follow-up
25 endoscopy be done in 1 year. If it is negative, then the

1 patients are said to follow a surveillance program of
2 endoscopy every 3 years. Because the risk of cancer is so
3 low, nothing is advised further than surveillance for
4 management of these patients.

5 For patients with low-grade dysplasia, which
6 would be the highest grade determined on a repeat endoscopy
7 1 year later, endoscopy would be advised at yearly
8 intervals until no dysplasia is found. Once again, the
9 incidence of cancer in these patients is thought to be
10 fairly low and only surveillance is warranted.

11 Now, with high-grade dysplasia, the current
12 guidelines recommend that that specimen be sent out for
13 review by an expert pathologist. If confirmation is
14 received that this is indeed high-grade dysplasia, an
15 immediate repeat endoscopy is warranted with biopsies to
16 rule out the presence of a concomitant malignancy.

17 Now, they did break this down a little bit
18 further into categories. These were established at our
19 institution and have really not been prospectively
20 validated. Now, with uni-focal high-grade dysplasia, which
21 we define as less than 5 aberrant crypts in one biopsy
22 assessment out of an entire surveillance set, this was
23 found to have less chance of progression to malignancy, and
24 therefore the group felt that surveillance or possibly
25 intervention was warranted. If you have more than this

1 small amount of high-grade dysplasia, it was termed multi-
2 focal and intervention is required, either endoscopic or
3 surgical. And finally, if there's any evidence of mucosal
4 irregularities such as nodularity, ulcers, or strictures,
5 then intervention with esophagectomy or endoscopic therapy
6 was recommended.

7 Now, the management of high-grade dysplasia in
8 Barrett's esophagus currently for the patient involves
9 three choices. First, confirmation that the biopsy truly
10 contains high-grade dysplasia. After this is established,
11 we always tell the patient there is a chance of concomitant
12 cancers, and it may be as high as 40 to 75 percent that we
13 just can't find with initial endoscopy. Given this
14 scenario, the patient can still continue to undergo
15 surveillance --so-called active surveillance has been
16 promoted by the group in Chicago -- endoscopic ablative
17 therapy, which obviously we're here to talk about today, or
18 surgical resection.

19 Now, for the patient, this entails several
20 drawbacks with each of these proposed methods of treatment.
21 With surveillance, there's a constant worry that with every
22 follow-up endoscopy, they may be told they have cancer, not
23 to mention the inconvenience of going in to see your local
24 gastroenterologist every 3 months for these procedures.

25 With endoscopy therapies, there's a possibility

1 that the therapy is not complete, that the risk is not
2 eliminated, and as you'll hear about later, there are
3 several known complications.

4 And finally, with surgical therapy, this is a
5 major operation with significant mortality and morbidity,
6 which many patients in this age group -- and by the way,
7 Barrett's presents itself usually in the fifth and sixth
8 decades of life, at least Barrett's with high-grade
9 dysplasia. So these are generally a little bit older
10 patients. This could be quite a challenge.

11 The guidelines for esophagectomy. These are
12 summarized by Tom Demeester and placed into this slide.
13 This was an article that he wrote for one of the surgical
14 journals. Basically he thought that the candidates that
15 were best suited for esophagectomy were those that were
16 less than 75 years of age, had an ejection fraction of
17 greater than 40 percent, and an FEV pulmonary function of
18 greater than 1.25. So basically reasonable cardiac and
19 respiratory function and not too old of a patient. If that
20 patient fulfilled those criteria, then they were surgical
21 candidates and should undergo further evaluation for
22 actually metastatic cancer. That's why EUS, endoscopic
23 ultrasound, and CT scans of the chest and abdomen were
24 recommended.

25 Now, if you don't find any evidence of

1 metastatic disease, then the patient could undergo
2 esophagectomy, of which there were several techniques,
3 transhiatal or transthoracic, and also a new one promoted
4 by Dr. Demeester, vagal sparing.

5 Otherwise, the patient could be considered for
6 endoscopic ablative therapy. Obviously, if the patient was
7 not a good surgical candidate, endoscopic ablative therapy
8 would play a greater role.

9 Now, recently there was a study published from
10 Johns Hopkins University with their experience with
11 prophylactic esophagectomy just for high-grade dysplasia in
12 Barrett's esophagus. This does not include any individual
13 cancers which a lot of the series have published. It's an
14 experience with 60 patients. Overall, over this long
15 period of time, almost two decades, their operative
16 mortality rates weren't too bad. They're a little less
17 than what's reported for esophagectomy, but still range
18 about 2 percent and really hasn't changed much. The
19 complication rates are about 29 percent, and these are
20 fairly significant complications, very severe strictures,
21 anastomotic leaks, infections, and so forth.

22 Interestingly enough, as I mentioned, there's
23 this occult adenocarcinoma that we endoscopically can't
24 detect, but it actually has been dropping at the Johns
25 Hopkins institution from 43 percent in about the first

1 decade to about 16.7 percent more recently. We believe
2 those factors have to do with protocols for standardized
3 regimens or for biopsying Barrett's and also for
4 improvement in the technology. We now use video endoscopes
5 which magnify the esophagus versus the old fiber optic
6 systems.

7 Most recently at our annual meeting in May of
8 this year, a decision analysis was performed by the group
9 at the University of North Carolina. Now, this is
10 unpublished data. It was just presented at this meeting.
11 But what they looked at were three different strategies for
12 treatment of patients with high-grade dysplasia. One
13 strategy was observation. One was ablation using
14 photodynamic therapy and data taken from Dr. Overholt's
15 center, and the third was surgical resection.

16 Overall, what they found out was that the
17 ablation strategy was the most effective and that's
18 illustrated in this curve here. On the y axis is actually
19 quality adjusted life years saved. That's, I guess, a
20 typical cost efficacy outcome. And the higher the bar, the
21 better the result. As you can see, ablation dominates
22 effectiveness, with observation coming in second, and
23 actually surgical resection coming in last probably because
24 of the drop-off in quality of life.

25 Now, the observation arm was actually the most

1 cost effective, basically that you're not doing any major
2 intervention, and that comes out at \$2,319 per quality
3 adjusted life year. It's cheaper obviously because the
4 endoscopic arm with ablation includes surveillance
5 afterwards. It was not thought that these patients would
6 go on and not ever have endoscopy.

7 And finally, ablation therapy, in addition to
8 observation, added \$13,226 per quality adjusted life year,
9 which is within the realm of several prevention strategies
10 like Pap smears and so forth. So it was thought to be a
11 fairly cost effective approach.

12 At this time, I'd like to turn over the podium
13 to Gene Overholt who was the principal investigator of this
14 multicenter trial. Gene?

15 DR. OVERHOLT: Thank you, Dr. Wang. Mr.
16 Chairman, members of the committee, and guests, I'm Bergein
17 Overholt, Medical Director of the Laser Center of the
18 Thompson Cancer Survival Center in Knoxville, Tennessee,
19 and on behalf of my 27 co-investigators, it is my privilege
20 to introduce to you the results of the study on the
21 efficacy and safety of Photofrin PDT for the ablation of
22 high-grade dysplasia in Barrett's esophagus.

23 This is the pivotal study, the phase III study.
24 There are supportive studies, a phase I/II and the phase
25 II, from the Thompson Center, but today we'll be discussing

1 our pivotal study on PHO BAR 01, the phase III clinical
2 trial.

3 This was a phase III, multicenter study,
4 blinded for efficacy; that is, the pathologists were
5 blinded. It used a central pathology laboratory, and there
6 were 30 participant sites primarily in North America and in
7 Canada, and with one in France and two in the United
8 Kingdom.

9 The primary objective of the study was to
10 assess the efficacy of Photofrin PDT plus omeprazole in
11 producing complete elimination of high-grade dysplasia in
12 patients with Barrett's esophagus compared to omeprazole
13 alone.

14 The secondary objectives were to assess the
15 complete elimination of all Barrett's dysplasia and
16 metaplasia and all histologic grades of dysplasia.

17 Secondary objectives included duration of the
18 response, time to progression to cancer, time to treatment
19 failure, and survival time.

20 The power of the study was based on the primary
21 objective -- and that is complete elimination of high-grade
22 dysplasia -- and the secondary objective, time to
23 progression to cancer.

24 Patients with an established diagnosis of high-
25 grade dysplasia were referred to one of the 30 sites. They

1 underwent informed consent and then screening endoscopy
2 which consisted of 4-quadrant, large particle or jumbo
3 particle biopsies every 2 centimeters over the entire
4 length of the existing Barrett's esophagus. If the
5 biopsies were proven in the central pathology lab to show
6 high-grade dysplasia in Barrett's, the patients were then
7 randomized 2 to 1 with 2 patients going to the Photofrin
8 PDT plus omeprazole 20 milligrams b.i.d. treatment arm
9 versus the control arm of omeprazole 20 milligrams b.i.d.

10 The study design for those who were treated
11 with photodynamic therapy included the institution of
12 omeprazole b.i.d. therapy 2 days before. On day 1,
13 patients were administered Photofrin intravenously at 2
14 milligrams per kilogram, and on day 3 they underwent light
15 exposure using the lasers that were shown to you earlier.
16 On day 5, they were reexamined and any skip area in the
17 field of treatment could be retreated at that particular
18 time. Patients could undergo a maximum of three courses of
19 therapy at 3-month intervals between the original
20 treatment.

21 After randomization and treatment, patients
22 underwent continuous endoscopic surveillance every 3
23 months. However, if there were four consecutive quarterly
24 endoscopic biopsy exams that were negative for high-grade
25 dysplasia, they could then be followed at 6-month

1 intervals, with again the primary endpoint of evaluation
2 and power being the elimination of high-grade dysplasia.

3 Now, there were three response levels. The
4 first was CR3 or better, which was the primary endpoint of
5 complete elimination of high-grade dysplasia. CR2 or
6 better included elimination of all histologic grades of
7 dysplasia, and CR1, the superior and the best response, was
8 complete replacement of all Barrett's dysplasia and with
9 complete replacement of all Barrett's by normal squamous
10 epithelium.

11 The primary endpoint again was the proportion
12 of patients who achieved complete elimination of high-grade
13 dysplasia determined by histopathology after a minimum of
14 2-year follow-up, subjected to the Fisher's exact test
15 statistically.

16 The secondary endpoints included the proportion
17 of patients who achieved complete replacement of all
18 Barrett's dysplasia and metaplasia with normal squamous
19 epithelium and the elimination of all histologic grades of
20 dysplasia, both subjected to the Fisher's exact test.
21 Secondary endpoints included duration of complete response,
22 time to progression to cancer, time to treatment failure,
23 and survival time as determined by the Kaplan-Meier method.

24 485 patients were referred for screening. Of
25 those that were eligible for randomization, the 208

1 patients, 138 were randomized to the Photofrin
2 PDT/omeprazole treatment arm and 70 to the control
3 omeprazole only arm.

4 The groups, in terms of demographics, were very
5 comparable with a mean age of 66, predominantly males,
6 predominantly caucasian, and the smoking history in both
7 groups was comparable at 64 percent. This really is
8 representative of the disease population that we see in
9 practice.

10 Now, the extent of high-grade dysplasia in
11 Barrett's at the baseline was comparable in both groups.
12 In the PDT group, there were 36 percent of patients who had
13 high-grade dysplasia at a single focus and 39 percent in
14 the omeprazole only group, whereas there were 63 percent of
15 the PDT/omeprazole group that had multi-focal high-grade
16 dysplasia and 61 percent in the omeprazole only. So the
17 groups were comparable, but this is significant disease.

18 The endoscopic findings at baseline exam were
19 also of interest. Hiatal hernia is prevalent in both
20 groups. One-third of patients, 33 percent, in the
21 treatment arm and 27 percent in the control arm had nodules
22 at the time of the baseline endoscopy, and there was a
23 small percent with ulcers and esophageal strictures.

24 In terms of the patient disposition, 485
25 screened, 208 randomized, 138 for the ITT in the treatment

1 arm, PDT plus omeprazole. The safety population, there
2 were 133 that were evaluated for safety, and 130 patients
3 were evaluable for the study. In the omeprazole control
4 arm, 70 for the ITT, 69 for the safety population, and 69
5 for the evaluable population.

6 In terms of the screening, there were 277
7 failures, and Dr. Bronner will discuss this further, but no
8 high-grade dysplasia was found in 237 of these patients, a
9 rather remarkable finding. A small number, 13, failed
10 screening inclusion and exclusion criteria, and 25 declined
11 participation.

12 Again, the primary endpoint was CR3 or better,
13 that is, absence or elimination of high-grade dysplasia.

14 The ITT population at the end of the 2-year
15 minimum follow-up shows highly statistically significant
16 favor toward the Photofrin PDT group, with 77 percent being
17 clear of high-grade dysplasia compared to the control group
18 of 39 percent. But it's also important to notice on this
19 slide the difference at all points, 6 months, 12, 18.
20 There is a wide variation between the response in all
21 groups. This is a highly significant finding.

22 Secondary endpoints. Let's look at these. The
23 quality of complete response. For the CR2 or better and
24 the CR1 or better, likewise highly statistically
25 significant improvement in favor of Photofrin PDT with no

1 dysplasia in 59 percent of patients versus 14 percent in
2 the control. In fact, there was elimination of dysplasia
3 and replacement by a squamous epithelium in 52 percent of
4 the treatment arm versus 7 percent of the control,
5 statistically significant at less than .0001.

6 Duration of complete response, defined as the
7 period in days from the day of the first documentation of a
8 response until the day of the first documentation of the
9 loss of the response.

10 The Kaplan-Meier curve for the CR1 response
11 censored shows the curves with a median time for the
12 treatment group of 316 days and the control group of 84
13 days, a wide discrepancy here.

14 For the CR2 group, the median time was 478
15 days, and for the control group it was 184 days.

16 For the CR3, which was the primary endpoint,
17 there is wide discrepancy. This is the treatment arm and
18 the control arm, with a median time of 987 days for the
19 treatment and 98 days for the control, one-tenth of the
20 time.

21 In terms of that being summarized on table
22 form, the CR3 or better responders, 77 percent in the
23 treatment arm versus 39 percent in the control arm. But
24 again, a median CR3 or better response in terms of duration
25 was 987 days for the treatment arm compared to 98 days for

1 the control arm. This is one-tenth or 10 times the
2 difference in this.

3 Progression to cancer. This is an important
4 one. The proportion of patients progressing to cancer,
5 again statistically significant in favor of the Photofrin
6 PDT group. 28 percent of controls progressed to cancer
7 over the follow-up of the 24-month follow-up versus 13
8 percent in the treatment arm, statistically significant at
9 .006.

10 The time to progression to cancer also is
11 statistically significant in favor of the PDT group, as you
12 can see, at a p level of .0014.

13 Time to treatment failure, defined as the
14 progression of high-grade dysplasia to cancer or the start
15 of any intervening therapy for high-grade dysplasia other
16 than the randomized study treatment, and this data was
17 censored at their last efficacy assessment. Again,
18 statistically highly significant in favor of Photofrin PDT.
19 This is the K-M curve for the treatment group and the
20 control group, significant at a level of less than .0001.

21 Survival time was essentially equal for both
22 groups, as there were very few deaths.

23 Now, let's move on to safety, and you're all
24 interested in this, of course, because this is where
25 patients are treated. In terms of the first group here,

1 those that were evaluated for safety, 133 in the treatment
2 arm and 69 in the control arm. Adverse events were common
3 in both groups. Associated adverse events were, of course,
4 more common in the PDT treatment group. The serious
5 associated adverse events, 12 percent in the treatment arm
6 versus 1 percent in the control arm.

7 Now, there were 3 deaths that were recorded.
8 None of these were disease-related. None of these were
9 treatment-related. There was 1 PDT patient who expired
10 with breast cancer, 1 who expired after CABG surgery, and
11 there was 1 in the control group that suffered a stroke and
12 expired from that.

13 The common adverse events: photosensitivity in
14 68 percent, vomiting in 38 percent, strictures in 36,
15 constipation, noncardiac chest pain, and fever. Whereas in
16 the control group, 12 percent with noncardiac chest pain
17 and 10 percent with diarrhea.

18 In terms of photosensitivity reactions, as
19 judged and assessed by the treating physician, 69 percent
20 were mild. Now, there were a total of 223 events, so that
21 a number of patients had more than one event. 69 percent
22 mild, and we would define this as redness of the skin, a
23 mild sunburn. And 24 percent were moderate, that is, a
24 sunburn with some edema; and 7 percent as severe, sunburn,
25 marked edema, even progressing to the point of blistering.

1 A few of these patients healed with some scarring of the
2 skin tissue. This is something that we now put a great
3 deal of emphasis on in terms of education of patients to
4 avoid photosensitivity. It's an inconvenience for the
5 patients, but considering the alternative therapy, that is,
6 esophagectomy, this is a minor inconvenience for these
7 patients in our experience. These all healed. None
8 required hospitalization, and the patients are doing well.

9 Esophageal strictures. 36 percent of patients
10 in the treatment arm had esophageal strictures or developed
11 those versus 0 percent in the control arm. 8 of this group
12 developed them with one course of therapy, an additional 22
13 percent if there were two courses of therapy, and 5 percent
14 more if there were three courses of therapy. Multiple
15 courses are associated with treatment field overlap, and
16 when you treat one field and treat the next field, you get
17 an overlap, so you ultimately got a double dose on that
18 treatment field, making it more prone to develop an
19 esophageal stricture.

20 The intensity of these strictures, again as
21 assessed by the treating physician, mild in 29 percent,
22 moderate in 51 percent, and severe in 16 percent of the
23 patients. Those patients required dilation for relief. 12
24 required one or two dilations. 8 required 3 to 5. 14
25 required 6 to 10, and 15 required more than 10, but all

1 patients are swallowing solid food and eating well and
2 doing essentially quite well in terms of their swallowing.

3 So let me conclude. Photofrin PDT is
4 significantly more effective than omeprazole only in the
5 elimination of high-grade dysplasia in patients with
6 Barrett's esophagus after a 2-year follow-up at a p level
7 of less than .0001.

8 Second, the proportion of patients progressing
9 to cancer in the Photofrin PDT/omeprazole group is
10 significantly lower than those in the omeprazole group
11 after the 2-year follow-up, again at a p level of .006.

12 Third, patients in the Photofrin PDT and
13 omeprazole treatment group experienced a significant delay
14 in the progression to cancer, a p level of .0014.

15 Fourth, there was no treatment-related death
16 reported.

17 Fifth, the most frequently reported adverse
18 event occurred in 68 percent of patients in the treatment
19 group and that was photosensitivity. 93 percent of those
20 were mild to moderate and all patients have healed
21 satisfactorily.

22 Sixth, 36 percent of patients in the treatment
23 Photofrin PDT plus omeprazole group developed esophageal
24 strictures. All were manageable through dilations.

25 Thank you. And now it's my opportunity to

1 introduce to you Mary Bronner, Director of the GI and
2 Hepatic Pathology Department and Professor at the Cleveland
3 Clinic in Cleveland.

4 DR. BRONNER: Thank you, Dr. Overholt.

5 Ladies and gentlemen, thank you for your
6 attention to the pathology issues in this trial of
7 photodynamic therapy in Barrett's esophagus.

8 Now, why is pathology important? The main
9 concern is that pathology is required for the definition of
10 Barrett's esophagus and absolutely required for the
11 identification of precancerous change. We term the
12 precancerous change dysplasia and we grade it. That's the
13 primary role of the pathologist at the microscope looking
14 at biopsy material.

15 So the definition requires two components, as
16 Dr. Wang has already pointed out. Not only do you need an
17 endoscopic abnormality of columnar mucosa in the esophagus,
18 but you also have to have biopsy documentation that it is a
19 particular type of epithelium. We term it metaplastic
20 columnar epithelium with goblet cells, or intestinal
21 metaplasia.

22 This slide shows you an example of Barrett's
23 metaplasia and at the very beginning phase of neoplastic
24 progression within Barrett's as it proceeds towards cancer
25 or low-grade dysplasia. So this half of the slide

1 demonstrates Barrett's esophagus but negative for
2 dysplasia. It has no precancerous changes, and this half
3 of the slide shows the early stages of precancerous
4 dysplasia.

5 Notice the great variation in the nuclei. The
6 nuclei are these dark blue/purple structures. They are the
7 sites of the DNA within the cell, the sites of the
8 chromosomes and the genetic material. And they become
9 abnormal as cells proceed towards cancer. The nuclei
10 become abnormal. So you can see that the nuclei over on
11 this half are quite small. They're all single and basally
12 oriented within this epithelium. Down towards the base of
13 the epithelium is where the nuclei normally reside.
14 Whereas, on this side we see the nuclei beginning to
15 enlarge, to stratify or stack up on top of each other. But
16 note that these nuclei are still quite orderly. The long
17 axis of the nuclei remains perpendicular to the basement
18 membrane which defines where the epithelium ends and the
19 sub-epithelial tissue or lamina propria begins.

20 So this is maintenance of nuclear polarity.
21 This is negative. This is low-grade dysplasia.

22 High-grade dysplasia, on the other hand, shows
23 a progression of these nuclear abnormalities. The nuclei
24 are more disordered than in that case of low-grade
25 dysplasia you've just seen. They develop more nuclear

1 enlargements, more nuclear chromasia, hyperchromasia, which
2 is how darkly they stain on this particular hematoxylin and
3 eosin stain. And they're quite disordered relative to the
4 basement membrane, as I pointed out before. These nuclei
5 no longer are perpendicular. They're much more jumbled and
6 disorderly, and in addition to the cytologic changes
7 relative to the nuclei, the architecture is much more
8 disordered in high-grade dysplasia as well. So this
9 combination of features allows pathologists to categorize
10 the varying phases of dysplasia.

11 The next slide illustrates the final step in
12 neoplastic progression, and that is actual invasion or
13 development of adenocarcinoma, cancer. So these cells
14 here, which are highlighted by these black arrowheads, are
15 all individual cancer cells that have escaped from the
16 epithelial confines. They've invaded beyond that basement
17 membrane that delimits the epithelium from the stroma, and
18 they are now infiltrating within the stroma. This is the
19 very earliest phase of carcinoma where it's in the mucosa,
20 and it will then proceed to invade more deeply and to
21 metastasize. But once the cells escape into the stroma
22 here, they are malignant and they have the competence.
23 They developed a capacity to metastasize.

24 So that's the job of the GI pathologist in the
25 diagnosis of Barrett's esophagus and neoplastic progression

1 within the esophagus.

2 Now, the three photomicrographs I've shown you
3 as examples are classic examples. They're very
4 straightforward. They're at the end of the bell curve for
5 each one of their categories. It's not always so
6 straightforward. As in many things in life, it's much more
7 complex and certainly that's the case in the grading of
8 dysplasia in Barrett's esophagus. The reason is complex,
9 and I'd like to take you through some of the difficulties
10 that we face.

11 However, I'd like to point out at the outset
12 that expert GI pathologists are well aware of these
13 problems and are able to deal with them and achieve
14 excellent diagnostic uniformity at the high end of the
15 neoplastic spectrum or high-grade dysplasia and cancer,
16 which is the important end where these severe therapeutic
17 interventions become an issue. So GI pathologists do very
18 well at the high end of this spectrum. And let me show you
19 some of the problems.

20 First of all, Barrett's epithelium within a
21 Barrett's segment inside of a patient's esophagus is not
22 always intestinal metaplasia with goblet cells. It's an
23 admixture of cell types, not only goblet cells but also
24 gastric type epithelium. And gastric type epithelium or
25 gastric cardiac epithelium can take on a very atypical

1 appearance when it becomes irritated by reflux disease.
2 The inflammation and the toxic components in the refluxate
3 that enter from the stomach into the esophagus, composed of
4 bile and acid and pancreatic juice, are quite irritating
5 especially to gastric type mucosa. The intestinal type
6 mucosa of Barrett's tends to be more resistant, but the
7 gastric type mucosa may become quite atypical and simulate
8 all the features of dysplasia. So the GI pathologists know
9 how to recognize the gastric mucosa -- there's a number of
10 very specific differences -- and can avoid that trap.

11 The next trap is the atypia of metaplastic
12 epithelium that's limited to the basal glands. Now, the
13 basal glands of any intestinal mucosa that are deeper into
14 the bowel wall as opposed to the very surface epithelium --
15 that's what I'm talking about is basal. Those basal glands
16 of any intestinal epithelium are where the progenitor cells
17 are, the dividing cells, the proliferative zone of that
18 epithelium. It turns over every 2 to 3 days. So it's a
19 highly replicative and proliferative epithelium. So that
20 basal zone is always activated and it's characteristically
21 cytologically atypical. The GI pathologist knows that --
22 that's just normal histology in intestinal epithelium --
23 and knows not to over-interpret that basal zone as
24 dysplasia. So that's another pitfall to be avoided.

25 Another pitfall is inflammatory atypia.

1 Inflammatory atypia is the bane of surgical pathologists,
2 not just in the esophagus but everywhere in the body. It's
3 something that needs to be factored into the assessment of
4 neoplastic change. Certainly Barrett's is a prime concern
5 because it's principally an inflammatory disease caused by
6 gastroesophageal reflux. So that's a big problem that can
7 be avoided with recognition.

8 Sampling error is a serious issue with any
9 neoplastic surveillance program. The problem is that we're
10 only sampling a small minority of the epithelium when we
11 take biopsies. So even though we're taking 4-quadrant
12 biopsies intensively at every 2 centimeters throughout the
13 Barrett's segment, we're only sampling less than 5 percent
14 of the entire surface area. You combine that less than 5
15 percent sampling with the fact that dysplasia is often very
16 focal within the entire field of Barrett's epithelium. So
17 you combine those two factors and obviously you're going to
18 have difficulty detecting these lesions from sampling
19 error. So that's another problem.

20 Nuclear polarity, as I've tried to illustrate
21 to you on those two photomicrographs of low and high-grade
22 dysplasia, is our most objective criterion to separate low-
23 and high-grade dysplasia. It's under-utilized by many
24 pathologists as a criterion.

25 Morphologic spectrum. As I've already

1 mentioned to you, in any biological system and particularly
2 as cells proceed toward cancer, there's a morphologic
3 spectrum of change. One cannot precisely define the
4 boundaries. It's a combination of thousands of different
5 features that are being collated by the pathologist's mind.

6 Is this severe enough alteration to separate low- and
7 high-grade dysplasia? Is this negative for dysplasia or is
8 this atypia enough to make it low-grade dysplasia? Are
9 these cells really invading the lamina propria or is this
10 inflammatory destruction? So the boundaries are blurred
11 and that makes it a difficult issue as well.

12 But with experience and a continual high volume
13 exposure to this material, this type of histologic
14 material, GI pathologists are actually excellent at
15 separating these changes, especially at the high end of the
16 spectrum, as I'll show you in a moment. So experience and
17 volume are the key elements.

18 The FDA recognized that this was a problem,
19 pathologic agreement on diagnoses. Early on, they mandated
20 a rater study to assess whether the three pathologists who
21 were reviewing all the material for this PDT trial could
22 agree with each other on the diagnostic assessments. Three
23 pathologists were necessary for this trial just because of
24 its scope. To date the three pathologists, who include
25 myself, the late Dr. Rodger Haggitt, and Dr. Shari Taylor,

1 have reviewed over 30,000 glass slides thus far in this
2 trial. So one person couldn't do it by themselves. We
3 needed at least three observers.

4 But the FDA wanted to know are these three
5 people equivalent and can they accurately assess these
6 diagnoses. So the rater study undertook assessment of this
7 and you can assess agreement statistically looking at
8 percent agreement or kappa statistics, which are both
9 analyzed here, and let me explain them to you.

10 You have to look at the slides twice, so round
11 1 and round 2, in order to assess whether an observer
12 agrees with himself, so intra-observer variability. So we
13 not only had to look at these slides originally, we had to
14 look at them again. The agreement is excellent to
15 outstanding actually. There are no medical assessments in
16 the literature, be they radiologic or clinical or
17 pathologic, that have percent agreements that are really
18 quite this high. These were outstanding results I'm happy
19 to report. It was a nerve-racking situation until we got
20 the data back. We were very happy to know that we
21 performed well.

22 And the kappa statistics are a different
23 biostatistical measure. A statistic of more than .8 is
24 near perfect agreement so that the inter-observer
25 variability between the three pathologists was near perfect

1 and the pathologists amongst themselves, how well they
2 agreed with themselves, ranged from near perfect to
3 excellent, but this difference was not statistically
4 significant. So the pathologists did quite well in the
5 confines of this particular study. Now, these three
6 pathologists all worked together for many years, so it's no
7 surprise that they shared diagnostic opinions.

8 The next slide illustrates a recommendation by
9 the American College of Gastroenterology which Dr. Wang has
10 already pointed out that deals with the fact that although
11 three GI pathologists at one institution may agree with
12 each other extremely well, that may not be generalizable to
13 the entire group of anatomic pathologists making these
14 diagnoses across the country. So all of those difficulties
15 that I've pointed out, taking all of that into account, a
16 very wise recommendation by the ACG was that a diagnosis of
17 high-grade dysplasia, given its serious clinical
18 consequences, should be confirmed by an expert GI
19 pathologist. So it has serious consequences and it's a
20 difficult diagnosis. So it makes sense that it should be
21 confirmed by somebody who has a high volume experience.

22 The next slide illustrates how that
23 recommendation applies to this particular study of
24 photodynamic therapy. Specifically in the screening phase
25 when we were trying to identify 208 patients who entered

1 variability were excellent at .7, and for intra-observer
2 variability, how well the pathologists agree with
3 themselves, it was near perfect. So expert GI pathologists
4 who do see a high volume of this material on a continual
5 basis are qualified to make these diagnoses and can do it
6 quite accurately.

7 And with that, I will end the pathology
8 discussion and turn it back over to my colleague, Dr.
9 Martin.

10 DR. MARTIN: Thank you, Dr. Bronner.

11 Before formally concluding this presentation
12 from our group here, allow me please to just summarize
13 briefly the supportive studies that are also present in our
14 submission, and my intention is to present the integrated
15 summary for efficacy and safety in this disease indication,
16 high-grade dysplasia.

17 This was the clinical development program that
18 we had, and three clinical trials composed our submission:
19 a pivotal trial, which was presented by Dr. Overholt, and
20 the two supportive studies that were investigator-sponsored
21 trials originating from the Thompson Cancer Survival Center
22 in Knoxville and conducted by Dr. Overholt.

23 The first study, which was accomplished as of
24 1993 up to 1998, had the objective to evaluate the safety
25 and efficacy of PDT in Barrett's esophagus patients with

1 dysplasia or early adenocarcinoma to determine light dose.
2 So it was a dose-ranging study. All patients received PDT
3 plus omeprazole but different light dosing ranging from 250
4 to 300 joules per centimeter. So the design was an
5 investigator-initiated, partially blinded, uncontrolled.
6 The enrollment for this study was 99 patients.

7 The second study was to evaluate the incidence
8 and severity of stricture between PDT in patients receiving
9 steroids versus PDT alone in dysplasia and adenocarcinoma
10 in Barrett's esophagus, and this study design was to
11 demonstrate a potential or look for a potential effect of
12 steroids in diminishing or reducing the incidence or
13 severity of stenosis. Patients received either PDT plus OM
14 with or without steroid. Again, it was an investigator-
15 initiated, partially blinded, randomized, controlled study,
16 and the enrollment for this study was 87 patients.

17 So if we integrate and cumulate all patients
18 having received PDT therapy, either for HGD or for other
19 conditions, including other extensions of dysplasia or
20 early carcinoma, we see that we have a cumulative number of
21 224 patients who received PDT plus omeprazole with the HGD
22 condition and we have 100 patients having received PDT here
23 for other conditions. This is the control group of our
24 pivotal study.

25 The overall clinical response when cumulated

1 and specifically looking at the CR3, or the complete
2 ablation of HGD or better, response from our pivotal trial,
3 you still see the figures, 77 percent positive response
4 versus 39 percent positive response for the omeprazole
5 group. And in the two other studies, each one had a very
6 high efficacy in ablating HGD up to 93 percent or 95
7 percent, and if we integrate all those data, PDT for the
8 ablation of high-grade dysplasia gives a very high positive
9 response of 83 percent.

10 What is more important perhaps or at least very
11 confirmative of the efficacy of this treatment modality is
12 the duration of response in number of days as depicted in
13 our pivotal trial that is high, up to 987 days. So you
14 understand then that we have patients that have been in the
15 trial for a minimum or median number of years, 3.5 years.

16 The omeprazole response is also present. There
17 is some regression of high-grade dysplasia, but the
18 duration of this response does not exceed 3 months. We
19 could not find this duration of response in this study, but
20 in this supportive study, 390 days was also a long-term
21 duration of response.

22 Again, if we integrate those data, the duration
23 of response for the PDT treatment is 672 days which is very
24 significant as an outcome for this therapy.

25 The safety profile. Again, if we cumulate all

1 patients who received PDT for the high-grade dysplasia
2 indication, we can cumulate 219 patients in the HGD plus
3 the omeprazole group coming from our pivotal study, and you
4 see that the adverse events, when cumulated, are present in
5 99 percent of patients having received PDT, the associated
6 adverse events are also very high, and you will understand
7 that photosensitivity and strictures do account for the
8 high associated adverse events. They are much less, of
9 course, in the OM group.

10 Serious adverse events are present to 29
11 percent, and you've heard the clarification on severity of
12 either stenosis, strictures, or photosensitivity, and this
13 accounts for that number. But you also see some serious
14 adverse events depicted in the OM group alone.

15 Serious associated adverse events account for
16 11 percent, and again this comes from the severity that was
17 described earlier for some patients with severe
18 photosensitivity and the severe stenosis, all conditions
19 manageable, not leading to permanent conditions.

20 There were 4 deaths in the HGD-PDT group.
21 There were 2 in our pivotal study; 2 come from the
22 supportive studies. None are disease-related or therapy-
23 related, more specifically of PDT therapy.

24 The common adverse events are regrouped by
25 system. A lot of them more so into the HGD-PDT group are

1 in the GI system. You can expect that with the strictures,
2 and also common symptoms encountered in most studies,
3 diarrhea, nausea, et cetera are different in the OM group
4 on account of the intervention imposed on patients
5 receiving PDT. Skin, of course, is a system that is
6 touched by this PDT therapy, namely photosensitivity. And
7 the rest are not necessarily enlightening onto the absence
8 of safety of this therapy.

9 In conclusion, Mr. Chairman and committee
10 members, I think we have demonstrated the effectiveness of
11 PDT Photofrin in ablation of HGD in Barrett's esophagus.
12 The absolute risk reduction in progression to cancer after
13 2-year follow-up is 15 percent. That is the difference of
14 incidence of progression to cancer in both groups in favor
15 of the PDT group. The patients we heard can be adequately
16 identified through labeling based on current diagnostic
17 guidelines. Adverse events, including strictures, are
18 manageable. And this novel treatment modality represents
19 to our sense an acceptable alternative to current
20 therapeutic options for the treatment of this premalignant
21 condition, high-grade dysplasia in Barrett's esophagus.

22 I thank the committee for their attention and
23 interest in our presentation. Thank you.

24 DR. WOLFE: Thank you, Dr. Martin. I'd also
25 like to thank Dr. Wang, Dr. Overholt, and Dr. Bronner for

1 their excellent presentations.

2 We're doing very nicely and I want to continue
3 on. I want to make a couple of comments first before we
4 open up the questions for the panel to ask the sponsor.
5 The comments are the following.

6 First of all, this is very nicely done
7 scientifically as a study. But I want to stress a couple
8 of points.

9 Dr. Bronner very carefully pointed out how
10 difficult it is for the pathologist. I don't want to
11 minimize the difficulty for the average gastroenterologist.

12 The toughest place by far for a gastroenterologist to
13 biopsy within the GI tract is the lower esophagus. Let me
14 explain why.

15 You're down at the bottom of the esophagus
16 where the diaphragm is. The person is breathing hopefully.

17 (Laughter.)

18 DR. WOLFE: As a result, the esophagus is
19 moving up and down. We're coming in through a scope, look
20 tangentially, trying to hit a target. Once you hit a
21 target of biopsy, there's blood everywhere, and it's very
22 difficult to see. So this is not an easy procedure. For
23 me it's sometimes the most frustrating part. Even though
24 it's relatively easy to get down there and do, it's just
25 very hard to biopsy accurately. And sampling error is not

1 trivial at all. We're doing a 7 millimeter biopsy of an
2 area which is much, much larger.

3 That's maybe one of the reasons you see some of
4 the differences later on because this is the largest number
5 of patients on medical therapy only I've ever seen who
6 regressed, and I really question that. I think sampling
7 error really has to be brought in as a possibility.

8 I'm getting old so I like to make all these
9 general comments and philosophical comments. Someone asked
10 me a long time ago, what makes a good physician? And a
11 good physician is someone who knows his or her own
12 limitations and is not afraid to seek consultation with
13 either colleagues or others.

14 Just as GI pathology is a specialized area, so
15 is gastroenterology. In the case of GI pathology, it is
16 very, very specific. I don't think any person here would
17 go to, for a clinical problem, say, a serious problem with
18 reflux disease or diarrheal illness, to a generalist.
19 You'd go to a gastroenterologist. The same thing here.
20 With a diagnosis so difficult to make, which requires real
21 accuracy and expertise and a lot of experience, this is
22 something in the purview of a gastrointestinal pathologist.
23 I gained a lot of respect for this when I was at Brigham
24 and Women's Hospital when Jim Madara and others were there.
25 It was an excellent GI pathology group.

1 Now, I'm going to start along those lines. I'm
2 going to ask a question because one of the problems is that
3 people don't realize their limitations and they may think I
4 can diagnose this. I don't need a GI pathologist. Do you
5 have any other evidence? Was any study done just taking
6 some general pathologists to do the same study you did to
7 show that they did not have that degree of inter- or intra-
8 observer agreement?

9 DR. MARTIN: Dr. Bronner?

10 DR. BRONNER: Thank you for that question. I
11 would point to actually the results of the screening phase
12 as the best available data that I know of in the
13 literature, looking at the ability of general pathologists
14 from the community to make the diagnosis of high-grade
15 dysplasia in Barrett's esophagus.

16 DR. WOLFE: That's not exactly the same,
17 though. That's where you disagree and you had the
18 expertise. But I think it would be very valuable just to
19 show the general pathologists that they don't have the
20 means, the tools, the proper experience that you do, that
21 they cannot really agree with each other and they don't
22 agree with themselves either, if you look at the intra-
23 observer variation.

24 DR. BRONNER: Exactly. I think that would be
25 an important study to conduct.

1 The other piece of evidence that I would point
2 to is that general pathologists I believe do recognize that
3 this is a difficult area and that it's fraught with
4 diagnostic issues. The reason why I say that -- not all,
5 of course. But I think the community is improving in
6 general and that consultation is sought. Pathologists hate
7 to make the diagnosis of high-grade dysplasia in Barrett's
8 because they know the serious consequences. That's pulling
9 a trigger that they don't want to pull unless they're 100
10 percent sure. So many people seek consultation at the
11 level of high-grade dysplasia. My own personal
12 consultation practice last year received over 1,000
13 requests for review of a diagnosis of high-grade dysplasia.
14 So that's another piece of evidence.

15 But I do think pathologists need to be studied
16 for intra- and inter-observer in the community.

17 DR. WOLFE: Dr. Wang, actually before you
18 answer, I want you to consider this. Of the
19 gastroenterologists here, how many of us get patients
20 referred to us with definitely they have dysplasia, high-
21 grade, low-grade, without question, and then we give it to
22 our pathologists and they don't have it? And they've been
23 seen by another gastroenterologist several times. We all
24 see this. So it may be improving but it's not there yet.

25 DR. BRONNER: I agree. I completely agree.

1 DR. WANG: Yes. I just wanted to point out
2 there was a study by Doug Rex where they took an indexed
3 set of five slides and sent them to the community
4 pathologists in the Indiana area and got their
5 interpretations, something like 12 or 13 local, not GI
6 pathologists, general pathologists, and their
7 interpretation rates were very poor, on the average of
8 about 30 percent agreement with the indexed biopsies.

9 DR. WOLFE: The advantage you have here -- you
10 had the slides already prepared and you had the agreement
11 in the slide preparations within your group. You can do a
12 direct comparison.

13 DR. BRONNER: You're right. We should do that.
14 We will do that.

15 DR. WOLFE: Dr. Levine.

16 DR. LEVINE: Well, Mike, I have a few more
17 years on you, so I'm going to go back, having done Yag
18 laser, Nd:Yag laser, having done lots of dilatations, and
19 continue to do so.

20 I think you hit upon two big points here. One
21 is we've just discussed the GI pathologist. As a matter of
22 fact, Dr. Wang, we have a GI pathologist taking a second
23 year at the Mayo Clinic. We have a GI resident finishing
24 up going to the Mayo for another year in GI pathology. And
25 there is a national shortage in GI and hepatic pathologists

1 to an extreme degree where at my medical center, which is
2 an academic medical center, where we sit around at weekly
3 conferences and debate whether there's high-grade
4 dysplasia, low-grade dysplasia with the GI pathologist, and
5 a cycle of about every five or six years, we lose our GI
6 pathologist and we're without a GI pathologist. So we're
7 the experts. And this goes on for many, many years. And
8 even though there's a major shortage in gastroenterologists
9 today and you can go anywhere in the country and put up
10 your M.D. and you're busy, there's a bigger one in GI
11 pathology. So there is a real problem.

12 I would complement this study in many ways.
13 It's well organized. It's well designed. I think as Dr.
14 Overholt alluded to, the screening group is so large and
15 there being such a large failure rate just emphasizes the
16 point that we really have out there in my community and
17 your community pathologists who don't know how to read
18 this. We have gastroenterologists who don't know how to
19 biopsy, and in the community where more is done than in the
20 academic medical centers, there's a potential big problem
21 not for only the cowboys who like to do endoscopy and like
22 to have toys to play with -- and we have that in our
23 specialty -- but also due to the fact that this is an ever-
24 increasing problem and we don't know how to deal with it.

25 I think the ACG addressed this very well, as

1 you alluded to in your presentation, and that is
2 restrictions. A restriction should be followed, as well as
3 education among our own people, in GI pathology and in
4 gastroenterology, that this must be looked at, these
5 slides, by a well-qualified -- i.e., GI -- pathologist.

6 So with that point, I think we can continue and
7 then ask a few more questions, if I could, reemphasizing
8 this point. I think it's a problem.

9 My first question is you had 133 patients in
10 slide number 84 and yet when you add up all the number of
11 dilatations -- you mentioned some were mild. There were 16
12 percent severe. If you add up the number of dilatations,
13 there were 104 dilatations. 104 dilatations is a lot of
14 dilatations. If you have a patient who may have had 10, as
15 you pointed out, 6, 8, 10, that's misery. I mean, even if
16 you're a good endoscopist, it's not a pleasant thing.

17 I'd like to know of the 16 percent with severe,
18 were the 104 dilatations done only in the severe group or
19 in the moderate group? Could you explain that a little bit
20 further? There are two slides, 84 and I guess it must be
21 85.

22 DR. MARTIN: While the slides are being
23 prompted on the screen, may I ask Dr. Overholt to come and
24 comment on that and expand on his original presentation for
25 Dr. Levine.

1 DR. OVERHOLT: You see the 16 percent of the
2 strictures were severe. Can we see the slide that shows
3 the numbers of dilations? There were 15 patients who had
4 more than 10 dilations.

5 DR. LEVINE: It was number 84.

6 DR. OVERHOLT: Well, there were 15 patients who
7 had more than 10 dilations, and that is the more severe
8 group.

9 DR. LEVINE: There are 101 dilatations. I
10 wondered why 101 dilatations were done.

11 DR. OVERHOLT: Is the slide you're referring
12 to?

13 DR. LEVINE: Yes. If you add up the numbers on
14 the prior slide.

15 DR. OVERHOLT: Some of these patients
16 require --

17 DR. LEVINE: 104 dilatations.

18 DR. OVERHOLT: Some of these patients require
19 multiple dilations, and that is an inconvenience for the
20 patient. There's no question about it. They have to come
21 in. They have to undergo sedation. They have to have a
22 dilator passed. They have to come back and have repeated
23 dilations.

24 But the patients are all swallowing well. They
25 all are eating solid food, and considering the alternative

1 of the esophagectomy, they are all satisfied with the
2 treatment that they've had. It's a significant improvement
3 in that they have been able to avoid the esophagectomy and
4 have elimination of their high-grade dysplasia.

5 DR. LEVINE: While you're up there, could you
6 just answer? You alluded in the literature that in
7 surgery, approximately 29 percent of people end up with
8 strictures. I don't know the surgical literature very
9 well. Are these strictures comparable if I was to advise
10 someone to have ablation therapy or surgery? Strictures
11 are a major, major problem. It's the worst thing we can
12 have for chronicity. Perhaps they're transient in this
13 case here. I don't know if you've had a long enough
14 follow-up to say if they're truly transient or chronic.
15 And I'd like you to answer that.

16 And can you tell me with the 29 percent in
17 surgery that have strictures, what kind of chronicity is
18 there and what is the nature of those strictures?

19 DR. OVERHOLT: Actually if you review the
20 surgical literature, the 29 percent incidence was, I think,
21 in the Hopkins group. But if you review it, it's up to
22 two-thirds of patients who have esophagectomy have
23 significant esophageal strictures.

24 My experience with the post-operative
25 esophageal stricture is that most can be dilated relatively

1 easily. Occasionally, though, you get one with either
2 staples or sutures that are in the actual anastomosis.
3 They create an extremely difficult stricture requiring --
4 we've got a technique that we actually cut the stricture
5 now, a strictureotomy, requiring extensive technical
6 treatment and repeated dilations.

7 In terms of the chronicity in our study, of
8 those patients that require more than 10 dilations, some of
9 those -- if I could have that slide on the duration. As
10 you can see, on the duration of the esophageal stricture
11 symptomatology -- I'm sorry if you can't see that -- the
12 great majority of patients are cleared within less than 6
13 months. There are a few that extend out here, and they
14 will require intermittent dilations, if required. Most of
15 these are infrequent now. They may be once every 6 months.

16 We have not had anybody, to my knowledge, have
17 self-dilation in this study, but I have used that technique
18 in my own practice. I think Dr. Wang does also. When they
19 get out here in this group, if they require frequent weekly
20 or every other weekly dilations, we teach them how to self-
21 dilate, and they do extremely well with that. Considering
22 the fact that they still have their esophagus, they're glad
23 they can do it.

24 DR. WOLFE: Actually, before Dr. Camilleri, I
25 have one related to this question. Do you have any QOL

1 data on that, any quality of life compared to other
2 modalities?

3 DR. MARTIN: Quality of life evaluation was not
4 part of the study design, as you may have noticed. That
5 originated in 1997. It was less in fashion to do quality
6 of life assessment. It's unfortunate. We would have liked
7 very much to have those data. Although the comparator was
8 surveillance therapy, it was less applicable to perhaps the
9 real question you're asking, what about a different
10 ablative therapy or even esophagectomy. So we don't have
11 those data you understand.

12 DR. WOLFE: Dr. Camilleri?

13 DR. CAMILLERI: Thank you.

14 Dr. Martin, just to come back to one of your
15 concluding statements and to, if I may, put it in
16 perspective. The 15 percent risk reduction relative to
17 omeprazole is, with all due respect, irrelevant because in
18 clinical practice, the comparator in these patients would
19 really be surgery, and I think we have to keep that in
20 perspective.

21 I want to ask Dr. Wang, as well, because he's
22 my good friend and colleague, whether he thinks that a
23 difference in quality adjusted life years between
24 observation and ablation and resection of .5 years makes
25 any difference. Slide 36. I wonder whether you could

1 point it out to us. Slide 36 has been plotted with a
2 number other than 0 on the y axis, so it maximizes the
3 difference.

4 DR. WANG: Yes, Michael. That actually is,
5 according to the outcomes people, fairly significant. I
6 don't want to call this into question because it's our
7 bread and butter and mainly everything I do in the
8 Barrett's unit. But if you look at surveillance and what
9 that does in terms of adding quality adjusted life years,
10 it's down in the .05 range. So you're talking months.

11 DR. CAMILLERI: Thank you, Dr. Wang.

12 Now my serious question.

13 (Laughter.)

14 DR. MARTIN: To whom?

15 DR. CAMILLERI: Well, maybe Dr. Overholt. I
16 would like you, if you wouldn't mind, to look at slide 67,
17 68, 69 because I think there's an important information
18 here. If you look at the Kaplan-Meier curves, there's
19 about 30 to 50 percent of patients who effectively don't
20 have a very good response that's significantly different
21 than the control arm, and because this is such a wonderful
22 large study, so well-controlled, expert centers, I'm
23 wondering whether you have done any further analysis to
24 help us understand who would be a poor candidate for this
25 therapy. I think that you are in a unique position to be

1 able to advise us as clinicians as to what would be the
2 covariates or the factors that determine that sharp and
3 steep curve in the first 100 days.

4 You do, of course, show us the median time, and
5 in all honesty, you correctly state the median times
6 because you calculated that from the time of 50 percent.
7 But very often the 53 percent point there, for instance,
8 would only be 160 days.

9 So I don't want to belabor the latter point. I
10 think the more important point is what have we learnt from
11 those people who appear not to be good candidates for this
12 therapy? Because that's what's really important for
13 clinicians who take this on. Thank you.

14 DR. MARTIN: Thank you for this tough question,
15 as you announced it. Who would like to take that? Perhaps
16 Dr. Donner, biostatistician, will give us his views on
17 numbers and the they were analyzed.

18 DR. DONNER: No. The duration of the response,
19 of course, was a secondary endpoint in the trial and it's
20 not a comparison that is protected by randomization because
21 we're comparing responders. But I agree that this would be
22 a very important analysis to do in order to identify those
23 patient characteristics that, in fact, do lead to a longer
24 response. Thank you.

25 DR. CAMILLERI: Or just to flip it around, a

1 shorter response, which would then tell us not to treat
2 those patients. Is that fair, sir?

3 DR. DONNER: Yes, it is.

4 DR. CAMILLERI: Thank you.

5 DR. WOLFE: Any more questions?

6 DR. KELSEN: Sort of looking at the other side
7 of that Kaplan-Meier curve, because I was interested in the
8 durability of the control, because as I look at the
9 demographics, although the median age was 65 -- and even
10 those patients have a fairly long life expectancy -- a
11 number of patients are in their 30s and 40s. How durable
12 is complete control of Barrett's? Do you have any more
13 follow-up as you look at the slides? Because as I look at
14 the Kaplan-Meier curves, they're flat, and what it sort of
15 suggests is if you achieve complete control, you have a
16 tail on the curve that doesn't relapse. Are you
17 surveilling them continually now? Is this being
18 maintained?

19 DR. MARTIN: For several patients, they are
20 still followed up and maintained in therapy, and they are
21 followed up for longer periods. The median is 3.5 years
22 and we have submitted those patients into a prolonged
23 study. The same study population is being evaluated for a
24 period of 5 years. This is the PHO BAR 02 study.

25 I will ask Dr. Donner to come and comment on

1 the Kaplan-Meiers and the probability of maintaining such a
2 remission. That explains in part the maintenance or the
3 flat curve at that moment. Could you please comment on
4 that, Dr. Donner?

5 DR. DONNER: Could you repeat the question?

6 DR. KELSEN: I think the essence of my question
7 is, does this therapy permanently and completely remove the
8 risk of the development of high-grade dysplasia? The
9 presumption would be that a patient undergoing
10 esophagectomy -- I'm not sure we even know this, but it's
11 assumed that a patient undergoing esophagectomy has had a
12 definitive curative procedure, will not develop high-grade
13 dysplasia, and will not develop carcinoma. So if we assume
14 that -- we can argue about it.

15 So my question is a patient who's 38 years old
16 gets this treatment. He goes into complete remission for a
17 year or two. He's going to live, hopefully, for X decades.
18 What's the chance that he's going to relapse and quietly
19 develop high-grade dysplasia and carcinoma?

20 DR. DONNER: I think to answer that question in
21 a confirmatory manner would require the proposed extension
22 to 5 years follow-up that Axcan is on the record of
23 supporting. I'm not sure how definitive we can be with the
24 2-year follow-up. The 5-year data will be much more
25 convincing of that.

1 DR. KELSEN: So I'll take from that that we can
2 draw no long-term conclusions beyond 2 years at this point.

3 DR. MARTIN: We have analyzed results with
4 regard to a time window of 2 years, which is usual for
5 people to get a feel for the efficacy of a treatment. As
6 said, several patients are on ongoing in the trial. The
7 median observation time is 3.5 years to date, and we are
8 prolonging our observation for 2 to 3 more years. Of
9 course, at 2 years, those are the results, but they are at
10 this moment highly statistically significant.

11 Does that erase all risk of some patients
12 undergoing reappearance of the high-grade dysplasia? Well,
13 at least we will know from our trial, and this is, by all
14 means, the largest controlled study, prospective,
15 multicenter, that has been ever conducted, including a
16 therapeutic arm and also a surveillance of patients. The
17 ones receiving only omeprazole are, more or less, under a
18 surveillance protocol. Although it was not meant to be a
19 surveillance protocol.

20 DR. KELSEN: Let me have an oncology question
21 to the gastroenterologists because I don't know. If
22 somebody has PDT, the esophagus is preserved. The reason
23 they got it because they have GERD, so they presumably
24 still have GERD. So the organ is still at risk. Would you
25 not expect them to re-epithelialize with Barrett's or does

1 this ablation presumably remove that possibility?

2 DR. WOLFE: I think the study is early on, but
3 this study itself and others have shown that you don't
4 ablate every single patient. Not only that, with sampling
5 error, you have to assume that they either still may have
6 it or that it can reappear. There's also data I know of
7 from another study that, using a monoclonal antibody, was
8 actually able to find Barrett's high-grade dysplasia that
9 wasn't seen by the pathologist.

10 This is an evolving area. This is not the end.

11 This is the beginning, and you'll see more and more of
12 this, other techniques, other methods to diagnose. For
13 example, stains weren't done with methylene blue to look
14 for areas of Barrett's in these patients, I don't think.
15 There are techniques being done endoscopically, for
16 example, microscopic endoscopy. They will look much more
17 carefully.

18 So my view as a gastroenterologist is that if
19 they receive phototherapy, they still need to be surveyed.

20 I'm not sure. I'd welcome other people's opinions.

21 Michael, do you agree? Bob?

22 DR. CAMILLERI: I think Dr. Overholt and Dr.
23 Wang are probably much more expert than myself, and we
24 could ask them their opinion.

25 DR. OVERHOLT: I'm not sure I'm answering the

1 right question, but I would like to comment on the patient
2 who has had the esophagectomy. A number of patients in our
3 series in our center have had previous esophagectomies,
4 particularly distal, and they all reflux and they reflux
5 severely. Many of them will recur with redevelopment of
6 new Barrett's and new dysplasia. By the way, for those
7 patients, the alternative therapy is not an additional
8 esophagectomy. The surgeons won't do that. So we have to
9 treat those patients with PDT and then follow them. And
10 they will continue to recur 2 or 3 years down the road and
11 we retreat them. So esophagectomy is a cure, but it's not
12 without its problems of recurrence also.

13 Now, the other question that I'm not sure I'm
14 addressing?

15 DR. WOLFE: The question was you give PDT to
16 the patients, look down there. The pathologists now are
17 going to have extra work to do because they'll be reviewing
18 all the cases all over the country, and they can't find any
19 evidence of high-grade dysplasia. Is the person cured and
20 say goodbye and never see you again? Or does that person
21 still have possibly occult high-grade dysplasia and
22 requires further surveillance?

23 DR. OVERHOLT: They definitely will continue to
24 require surveillance. Once they are clear, however -- that
25 is, clear of dysplasia and clear of Barrett's -- and their

1 squamous epithelium re-epithelialize and you have proven
2 that by biopsies on two exams, you can extend your
3 surveillance endoscopy to 1 year. There will be some new
4 data published in a couple weeks on long-term results and
5 it's very clear that they need to be followed up long-term.

6 DR. MARTIN: For patients that have received
7 therapy, namely PDT, if the Barrett's esophagus is still
8 present, there is a standard of care that is recommended by
9 the American College of Gastroenterology guidelines that
10 calls for surveillance more so if the patient has had or
11 has high-grade dysplasia or low-grade dysplasia or only
12 Barrett's. There are guidelines for that. So patients
13 should be investigated as standard of care under the ACG
14 guidelines. So I don't think it will be any different
15 after they have received PDT or esophagectomy.

16 And all the difficulties we're recognizing at
17 present concerning the risk of missing high-grade
18 regression in a shorter period, et cetera, apply to the
19 disease not so much to the treatment. So this is the same
20 situation for a patient. If you miss reappearance of high-
21 grade dysplasia, this patient would need a therapeutic
22 intervention, be it esophagectomy or any other form. The
23 advantage with our therapy is that you can repeat it,
24 whilst you cannot replace an esophagus that is missing.

25 DR. WOLFE: Dr. Mangel, then Ms. Cohen, then

1 Dr. Gillett.

2 DR. MANGEL: I have a question which has two or
3 three parts. I'll ask the entire question to give you the
4 flavor of where I'm going, and please feel free to answer
5 it in any manner you like.

6 I couldn't quite tell from either the briefing
7 document or your presentation -- I would like a little more
8 information on the inclusion criteria of exactly who the
9 patients were. The title of your NDA, I gather from your
10 briefing document, indicates for those who are not
11 considered candidates for esophagectomy. Your proposed
12 indication gives me a different connotation, for those who
13 refused esophagectomy. I actually view those as distinct
14 populations, especially when I hear that the patients are
15 otherwise healthy.

16 I want to ask the entire question at once to
17 give you a flavor of where I'm going.

18 I also do not note in either your briefing
19 document or in the presentation any mention of dropouts
20 during the study, although in the FDA briefing document, a
21 very high dropout rate in particular in the omeprazole
22 group is mentioned. And if I'm correct, only 11 patients
23 finished the 2-year treatment while you also have a
24 substantial but smaller dropout rate in your active
25 treatment group.

1 My suspicion -- but I was wondering if you
2 could comment on it -- the data presented on your slide 70,
3 which is your summary of clinical response, as well as your
4 cancer rate, for me last observation carried forward
5 analysis is of actually little value in endpoints such as
6 what you're looking at here, in particular for cancer
7 rates. And my suspicion is if you were to look at the
8 number of patients at any point in time, your actual
9 differential between your active treatment and your
10 omeprazole only group would be larger than what you're
11 actually presenting here, but by the same token, the
12 absolute rate of progression to cancer in your active group
13 or the incidence of cancer in your active group would be
14 greater.

15 I don't know if that's too --

16 (Laughter.)

17 DR. MARTIN: You should have informed me to
18 take notes of your question. I'm sorry. Which question
19 would you prefer to be answered first in the sequence of --

20 DR. MANGEL: Whichever you would prefer. So to
21 summarize, exactly who the patients were. My impression, a
22 very high dropout rate. My impression, the data are
23 represented as the total ITT population using a LOCF
24 imputation scheme rather than an observed population,
25 particularly for your clinical response and your cancer

1 incidence.

2 DR. MARTIN: Dr. Donner, do you want to address
3 one of the questions?

4 DR. DONNER: The ITT analysis, the intention to
5 treat analysis, included all patients randomized to
6 treatment whether or not they discontinued therapy. You
7 are correct that the discontinuation of the therapy, if
8 anything, would only dilute the observed treatment effect.

9 Although the results in general of the study
10 are highly significant, both clinically and statistically,
11 I think it's always important to do a number of analyses to
12 ensure that the conclusions hold up under many different
13 assumptions. So analyses were also done on an efficacy
14 basis, including evaluable patients. They were done
15 including and excluding certain sites. Multiple logistic
16 regression analyses were also done controlling for baseline
17 factors. And no matter how one analyzed the data, the
18 conclusions remained highly significant.

19 DR. MANGEL: Could you comment on when you
20 looked at your observed population, what was the cancer
21 rate versus using the denominator of your ITT population?

22 DR. MARTIN: I don't really get the question.

23 DR. MANGEL: That's fine. Maybe I'll reword
24 it.

25 DR. MARTIN: I could come back to some other

1 questions and then --

2 DR. MANGEL: No, but that's okay. I could
3 reword it. My understanding from reading the FDA briefing
4 document is, for instance, in your omeprazole only arm,
5 only 11 of the 70 patients completed the study. 20 of
6 those patients dropped out because they developed
7 adenocarcinoma during the course of the study. My
8 understanding, once again from reading the FDA briefing
9 document, is of the original 138 patients, you only had 81
10 completers in your active treatment arm with 18 percent of
11 those withdrawing because of cancer. I guess, first would
12 be if you agree with those numbers.

13 Second would be to me the percent of patients
14 which developed cancer in each of the two arms. When you
15 look at a completion, including those who withdrew because
16 of cancer, in your denominator, the percentages are higher
17 than indicated. Yet the differential between your active
18 and your placebo group is greater in favor of your active
19 group.

20 DR. MARTIN: I expect that you remember that
21 this is a 2 to 1 randomization. Could that have introduced
22 a bias in your evaluation of things?

23 DR. MANGEL: No.

24 DR. MARTIN: No? Okay.

25 We have a slide which tells about the dropouts.

1 It's a bad name, but discontinuation of therapy which
2 lists the patients that discontinued therapy in both
3 groups. You have figures here. In the omeprazole group,
4 49 patients discontinued therapy. 20 of them -- those are
5 absolute numbers -- progressed to cancer, and 21 underwent
6 other therapy and 6 discontinued therapy for administrative
7 reasons.

8 I suppose that you are questioning the number
9 of patients who progressed to cancer in the omeprazole
10 group whilst there was a 2 to 1 randomization. So there
11 were fewer numbers as compared to the ones progressing to
12 cancer in the PDT group, which is 18. Of course,
13 percentages are different there.

14 DR. MANGEL: No. I'm sorry. I apologize
15 because I know I'm not being clear. That's not the essence
16 of the question.

17 DR. SHIH: I don't usually do this, but perhaps
18 I can help out a little bit here. There is a difference
19 between drop out of a therapy and drop out of a study. I
20 think your question is they used the ITT approach. If I
21 interpret this correctly, let me know. Nobody dropped out
22 of the study. They are discontinuing from the therapy.
23 However, they are followed up still. Am I right?

24 DR. MARTIN: Yes. Except for 6 patients that
25 are not there for administrative reasons, dead persons, and

1 adverse event, the others are still in the trial.

2 DR. MANGEL: But, for instance, in the placebo
3 group, 21 of the individuals went on to other therapies for
4 treatment of their high-grade dysplasia.

5 DR. SHIH: Correct. That's why I say this is
6 ITT. They don't drop out from the study. Whether they had
7 an AE or treatment failure or they had another therapy
8 intervention, they are still counted in the originally
9 randomized group which only can jack up the rate for the
10 control group. So that's why I think this is a more
11 conservative approach here that they took.

12 I think you're right. You're asking the
13 question, suppose you do an evaluable patient approach and
14 what would be the result, and they can present that for
15 themselves.

16 But I tried to help out to explain there is a
17 difference between your concern of discontinuation of the
18 therapy or discontinuation from the study. But I think
19 they all followed up by the end of the study. Is that
20 correct?

21 DR. DONNER: Yes.

22 DR. BRAWLEY: And if I can jump in -- I
23 apologize for jumping in front of Ms. Cohen. I understand
24 your point, Dr. Mangel, but it actually speaks in favor of
25 the therapy.

1 DR. MANGEL: No, I agree. I think it will
2 enhance the differential between the active arm and the
3 placebo arm. I thank Dr. Shih because that was actually
4 the clarification that I needed. What wasn't clear to me
5 is with the large percent of dropouts, that their
6 surveillance was continuing. So if a new cancer developed,
7 it actually would have been counted as a cancer.

8 DR. BRAWLEY: They really weren't dropouts.
9 They were discontinuance of therapy.

10 DR. MANGEL: That's an important clarification.
11 Thank you.

12 But if you could answer then the very first. I
13 assume that these were candidates for esophagectomy, just
14 individuals who opted not for esophagectomy.

15 DR. MARTIN: I think you're right. In the
16 consent form, as any gastroenterologist, more so involving
17 a strict protocol like the one we had, we had to give the
18 patients all the therapeutic options available to them at
19 the time of enrollment. That included obviously
20 esophagectomy or other non-approved therapy or even
21 surveillance. So when the patient gave consent to this
22 trial, they have probably by default refused esophagectomy,
23 which was offered to them as a therapeutic option.

24 So we are, as you just said, very conservative
25 in the label of our proposed indication when we say, in

1 patients refusing esophagectomy. Conservative we are. We
2 think that there is currently a standard therapy for
3 progressing high-grade dysplasia or even in situ cancer.
4 The patient should be submitted to esophagectomy. So we
5 respect that as the clinical standard care, but this is
6 alternative therapy, which of course takes the patient away
7 from a surgical resection and offers them the possibility
8 of repeated treatment under a standard of care follow-up
9 afterward.

10 And in view of the robustness of our data, we
11 think that perhaps we should remove this limitation to
12 patients who refuse esophagectomy for patients being in
13 general good health, hopefully that patients that are
14 submitted to severe therapy or strict therapy,
15 esophagectomy or something else, that they are in a good,
16 healthy condition to at least support and sustain the
17 therapeutic intervention.

18 DR. WOLFE: Before you go any further, again,
19 Ms. Cohen knows this. It's much more efficient for the
20 discussion to finish the question of one of the panel
21 members, even if it requires going in front of the next
22 person because it just makes for a much better flow of the
23 discussion rather than coming back and repeating that.

24 Dr. Houn, did you want to make a comment about
25 this also?

1 DR. HOUN: Yes. I just want to jump on what
2 Dr. Camilleri and Dr. Mangel are asking about, the study
3 population, the control group being omeprazole with
4 surveillance, and whether you think the data support the
5 therapy as an alternative to surveillance versus what I
6 think the sponsor is asking you to suggest as an
7 alternative to esophagectomy. So I think that's a
8 discussion point they're asking for some assistance by the
9 committee to help discuss.

10 DR. WOLFE: We'll go on again for more
11 questions related specifically to the presentation. Ms.
12 Cohen.

13 MS. COHEN: Some of this you will have to
14 repeat. I'm curious to know again how often people had to
15 receive second treatments and how soon after.

16 DR. MARTIN: By protocol patients were not
17 allowed to receive a second course of therapy before 3
18 months. But we have numbers on that if we can prompt the
19 slide, and I'll ask Dr. Overholt to come and discuss that.

20 DR. OVERHOLT: While we're searching for the
21 slide, there was a limitation in the clinical trial for the
22 length of the Barrett's that we could treat. We could
23 treat up to 7 centimeters of Barrett's. Some of these
24 patients had long segments, 10 or 12 centimeters, and
25 therefore would naturally require a second treatment. Some

1 had more difficult-to-treat Barrett's esophagus with
2 dysplasia and required a second treatment. But all felt
3 that they wanted that second treatment compared to the
4 esophagectomy because they ended up with organ preservation
5 and were happy to be able to live that way.

6 MS. COHEN: Apropos of that, I don't know if
7 this is a clinically correct question or not, but one takes
8 an antibiotic. You start to have an improvement. With
9 people who start to take this treatment and they progress
10 to cancer during this treatment -- do I understand that
11 while they're being treated, they do progress to cancer?

12 DR. OVERHOLT: Some patients who received the
13 treatment only did go on to progression to cancer, 13
14 percent; 28 percent in the control.

15 DR. WOLFE: The treatment was received and then
16 they were followed.

17 MS. COHEN: No, but what I guess I'm trying to
18 think in my mind, if this had any therapeutic value as they
19 went along in the treatment or you develop cancer even if
20 you do get the treatment. And apropos of that, how many
21 people developed cancer after they had the treatment? I
22 have here 15 percent. I don't know if I wrote that
23 correctly or not.

24 DR. OVERHOLT: 13.

25 DR. MARTIN: The 15 percent is the difference

1 in number of cases observed and percentage in the
2 omeprazole group, no treatment, versus the percentage of
3 patients who have progressed to cancer in the PDT group.
4 This difference of 15 percent, the one Dr. Camilleri
5 alluded to, is I thought a good reflection of the absolute
6 risk reduction of progression to cancer, and this can even
7 lead to analyze it or present data in number of needed
8 treatments to prevent one cancer. So if you treat 7
9 patients, you can prevent 1 cancer. In medical therapy,
10 such good data confers this therapy a very high rate. This
11 is my opinion, of course.

12 MS. COHEN: Let me finish one more quickly. I
13 want to complement them, first of all, on their
14 presentation, and I also want to thank Dr. Levine because
15 that was a very sensitive thing that you raised and it's of
16 grave concern to all of us.

17 On the photosensitivity, how many of your
18 patients did or did not follow directions? Because it
19 seems like it's rather important.

20 DR. MARTIN: Well, it's difficult to say. Most
21 patients had mild photosensitivity which is inherent in
22 this use of the drug, a photosensitizer, that is diffused
23 in the body and goes into replicating cell groups. That
24 includes the skin and photosensitization comes after that.
25 But 69 percent were mild events, and they were diagnosed

1 as sunburn.

2 We don't have control of what patients are
3 doing after therapy and after they have been suggested to
4 stay away from external light or even internal light for
5 some time.

6 So we are even considering -- and we've already
7 implemented -- information to patients. When patients go
8 to receive Photofrin therapy in centers that deliver this
9 therapy, they are shown a video which pounds into them all
10 the good suggestions to stay away from external light. But
11 how many and how much compliance do they put into the
12 suggestion, well, I guess it's normal life. I cannot say
13 any more than that.

14 DR. WOLFE: I want to add to that. These
15 patients are sedated, and if you tell them, a lot of times
16 they'll forget you ever told them that. So before we let
17 any patient go after endoscopy, they have someone with them
18 so another person who can remind that they cannot go out in
19 the sun because of photosensitivity reactions. We have
20 these kind of treatments. We tell patients a lot of
21 things. We can't go home with them and hold their hands
22 for two days. So this is actually pretty low.

23 DR. MARTIN: Mr. Chairman, Dr. Wang would like
24 to make some comment.

25 DR. WANG: I think Ms. Cohen has raised a very

1 important point because this is a toxicity that isn't
2 common. It's not like what people expect to deal with.
3 And we do spend a lot of time in our treatment centers
4 trying to educate patients. We always have them see the
5 video, see a nurse, and I talk to them before they receive
6 the treatment, before they receive the injection, many days
7 before. And despite this, you've got to remember, this is
8 a population of older males, and you know how well they
9 listen.

10 (Laughter.)

11 DR. WANG: It's a big problem. Really what
12 happens is they try to get away with it by not following
13 the directions for a little bit, and then if they get a
14 slight sunburn, then they know you're serious because they
15 really don't know what to expect. And that's what I've
16 noticed is the behavior of our patients. They really want
17 to just test it a little bit and they go, whoops, he wasn't
18 kidding, and then they stop.

19 MS. COHEN: Then it begs the question, why is
20 it 85 percent males and 99 percent caucasians? Why wasn't
21 there a more mixed population?

22 DR. WANG: That's the epidemiology of the
23 disease. Unfortunately, as you notice, most of these are
24 male patients. It's at least an 8 to 2 predominance of
25 males, and they're virtually all caucasians.

1 MS. COHEN: That makes me feel better since I
2 have GERD.

3 DR. SHIH: Excuse me.

4 DR. WOLFE: Dr. Gillett was next actually. Do
5 you want to comment on this?

6 DR. SHIH: Well, continue with the patient
7 population discussion. I was wondering if you can show us
8 the distribution of how many patients you enrolled in each
9 center.

10 DR. MARTIN: Yes. We have this slide, and I
11 say up front that in Dr. Overholt's center, 25 percent of
12 the study population were included and enrolled in his
13 center. We did subanalysis to make sure that there was no
14 site imbalance because of that. I can give you the outcome
15 of this subanalysis, and there are no differences in the
16 primary efficacy as well as in safety. But I guess we will
17 prompt those data and someone will review them.

18 DR. LEVINE: I think more important, I think
19 what he's asking is like what were the least number of
20 patients enrolled, 1, 2, 3, 5 at one center versus 20. If
21 we can get some idea of that, we'd know how representative
22 it is or whether there were mainly just 3 or 4 centers
23 contributing of the 30 centers.

24 DR. MARTIN: That was not the case, but I don't
25 remember all the figures for all the centers.

1 DR. WOLFE: Just real briefly, how many of the
2 centers had more than 5 patients?

3 DR. MARTIN: Oh, more than half of them if not
4 more, 75 percent.

5 DR. WOLFE: How many had more than 10 patients?

6 DR. MARTIN: I don't have that by heart at this
7 moment.

8 DR. WOLFE: This was presented at DDW, wasn't
9 it?

10 DR. MARTIN: Yes.

11 DR. WOLFE: There was a good sampling. I saw
12 the presentation. There were many patients at many
13 centers. It's truly multicenter with a lot of patients in
14 each one.

15 DR. MARTIN: The overall clinical response
16 without site 07, just to clear the 25 percent enrollment in
17 Dr. Overholt's center. That does not answer all your
18 questions, but the difference was not significantly
19 different than the whole group including this subgroup. We
20 can prepare that during the break.

21 DR. WOLFE: That would be better.

22 DR. MARTIN: Yes, because I don't have that in
23 mind at this moment.

24 DR. WOLFE: How many people have questions?

25 (A show of hands.)

1 DR. WOLFE: There are several. So we really
2 should take a break right now and come back and finish the
3 questions. So it is 10:55. We will come back here at
4 exactly 11:10.

5 (Recess.)

6 DR. WOLFE: We'll reconvene. We'll continue
7 with questions for the sponsor.

8 DR. MARTIN: While people are coming back to
9 their seats, could you prompt the slide on the distribution
10 of patients by center? And I'll ask a colleague of mine,
11 Dr. Patrick Colin, who is Vice President, Clinical Research
12 at Axcan, to explain this slide. Please, Patrick.

13 DR. COLIN: Thank you, Francois.

14 So as we can see, we have two slides describing
15 the exact number of screened as well as randomized patients
16 in the PHO BAR 01 study. As we can see here, we have the
17 first column describing the number of patients screened,
18 the second column on the right describing the number of
19 patients randomized in the study. As previously mentioned,
20 Dr. Gene Overholt's site was the site where the highest
21 number of patients were both treated and randomized. And
22 then it's not in the order of numbers, but we can see that
23 only a few sites had more, let's say, than 10 patients
24 randomized. There are 14 here, another 14, 51 at Dr.
25 Overholt's site, 13, but most of the other sites had less

1 than 10 patients randomized.

2 The same observation can be made on the next
3 slide. Here we can see the number of randomized patients
4 ranges between 3, 2, 7. Then we have another 13 sites
5 here. So basically we have less than 5 sites where there
6 were more than 10 patients randomized and all the other
7 remaining sites where there were less than 10 patients
8 randomized.

9 DR. MARTIN: Does that answer part of the
10 question?

11 DR. WOLFE: It answers the question. We looked
12 at how many sites there were and how many had more than 1
13 patient. For me it's fine. Does anybody have any other
14 questions regarding different sites?

15 (No response.)

16 DR. WOLFE: Let's move on. Dr. Gillett, you
17 had some questions, and then we'll entertain more questions
18 from the panel.

19 DR. GILLETT: Yes. The first question has to
20 do with the extent of involvement of other drugs,
21 supplements, diet, whatever and the intra-arm variation.
22 Was there any use of NSAIDs, aspirin, cox-2 inhibitors by
23 any participants in the study? If so, was it different
24 from one arm to the other?

25 DR. MARTIN: A good question. I think to give

1 you the formal answer on it, we'll see if we have backup
2 information. Would you like to comment on that?

3 DR. COLIN: So this is basically a listing of
4 concomitant medications that were taken by the randomized
5 patients throughout the PHO BAR 01 study. It's organized
6 or classified according to the therapeutic category. For
7 example, here you have nervous system, drugs acting on the
8 nervous system. You have dermatological drugs and so on.

9 Then you can see two columns. The first one on
10 the left is the patients who were randomized in the
11 Photofrin PDT plus omeprazole treatment arm, the number of
12 patients who took some of these medications, as well as
13 their percentage. Then on the right side, the omeprazole
14 only treatment arm with the same statistics, absolute
15 number of patients taking some of these medications and the
16 respective percentages.

17 DR. GILLETT: But those don't display very
18 accurately the use of the drugs I asked about.

19 DR. WOLFE: Specifically, you want to know
20 about NSAIDs, whether they're selective or non-selective.

21 DR. GILLETT: Right.

22 DR. COLIN: Of course, in this table, we don't
23 have this very detailed information, but that's something
24 we could get from our data listing, of course.

25 DR. WOLFE: Well, if you look at

1 musculoskeletal, which I think would probably encompass
2 NSAIDs, they're 37 percent.

3 DR. GILLETT: A lot of people are on aspirin
4 for their cardiovascular --

5 DR. COLIN: In this category, what we can see
6 is that the relative percentage of patients taking this
7 kind of medication was comparable between the two treatment
8 arms.

9 DR. GILLETT: And also to return to previous --
10 I think it was slide 84. What fraction of the people
11 receiving multiple dilations had received multiple
12 treatments? What was the multiple treatment role in the
13 multiple dilations? Because it's the multiple dilations I
14 hear about most frequently as being the ones that are
15 problematic where there's a tear in the esophagus or
16 something can go wrong, and the more treatments you have,
17 the more chance there is for mischief.

18 DR. MARTIN: You're asking if repeat treatment
19 with PDT has an influence on the incidence and severity of
20 strictures. Is this what you're asking?

21 DR. GILLETT: Yes.

22 DR. MARTIN: Dr. Overholt will comment on that.

23 DR. OVERHOLT: The incidence of stricture
24 formation was 8 percent if the patients received one -- I'm
25 sorry. Let me restate that. 36 percent of our patients do

1 have esophageal strictures. Of that percentage, 8 percent
2 had received one treatment; an additional 22 percent, two
3 treatments; and an additional 5 percent had received three.

4 So multiple treatments is clearly a risk factor in
5 developing an esophageal stricture, and it's because of
6 that overlap phenomenon.

7 Now, anybody with an esophageal stricture --
8 you can't tell who is going to get the severe one and who's
9 going to get the mild one. There's no way to predict. I
10 don't know that there's any association of multiple
11 treatments in severe strictures.

12 DR. GILLETT: To finish up, do you notice any
13 difference between patients -- you have the drug regimen.
14 Do you have any difference between patients in terms of
15 their diet, dietary supplements, or other alternative
16 therapies that they may or may not tell you about? Do you
17 have any sense of their compliance? For example, I didn't
18 do well with omeprazole. I know other people who switched
19 to other PPIs or H-2s. How do you know that they're
20 complying with that?

21 DR. MARTIN: Well, I think by protocol patients
22 had to be compliant with the protocol. Of course, if the
23 patient is not taking the medication at home, again we're
24 not there to check all the time. And what they don't tell
25 us about whatever specific diet or regimens or natural

1 products or whatever, we can never know. So I think it's
2 inherent in any clinical trial that there are some missing
3 information that are not there. But your question is well
4 taken. I don't think we can pull out any data or numbers
5 to satisfy your interrogation here.

6 DR. WOLFE: Dr. Brawley.

7 DR. BRAWLEY: Yes. Can we pull up the slide
8 about people who were lost to follow-up and discontinued
9 therapy again? I just want to go through it and make sure
10 that I understand some key points.

11 While we're waiting for that, on slide 61,
12 which we can just look at in our packet, am I correct in
13 assuming that there is a relative risk reduction of about
14 50 percent in terms of HGD in people who got Photofrin
15 versus people who got the omeprazole in the observation
16 arm?

17 DR. MARTIN: I think it's more than that.

18 DR. BRAWLEY: More than 50 percent.

19 DR. MARTIN: 73 percent in the PDT group versus
20 40 percent in the omeprazole group, and the duration or the
21 maintenance of this elimination of high-grade dysplasia is
22 much shorter in the omeprazole group alone versus the PDT
23 treatment.

24 Am I responding well to your question?

25 DR. BRAWLEY: I think so. I'm going to need

1 the statisticians to help me. I think that's about a 50
2 percent relative reduction.

3 The slide that was just up here. I'm sorry.
4 It was the slide that looked at all the discontinuance that
5 we were talking about when Dr. Mangel had his questions.

6 DR. MARTIN: 32.

7 DR. BRAWLEY: Yes. When I look at the
8 progression to cancer, I see 13 percent in the treatment
9 arm and 28 percent in the omeprazole arm. I am wondering
10 is it appropriate to say that over -- this is 2-year
11 follow-up data. Correct?

12 DR. MARTIN: Yes.

13 DR. BRAWLEY: Is it appropriate to say that
14 there's a greater than 50 percent decrease in the
15 progression to cancer on the treatment arm with PDT plus OM
16 versus OM? The period prevalence of esophageal cancer is
17 more than halved on the Photofrin arm.

18 DR. MARTIN: Dr. Donner, would you concur with
19 this evaluation of those numbers?

20 DR. DONNER: Yes, that would be true.

21 DR. BRAWLEY: I come from a world of cancer
22 epidemiology and giving tamoxifen to women for 5 years or
23 finasteride to men for 7 years in hope of a 25 percent
24 reduction in relative risk of disease. So that's a
25 significant finding to me.

1 DR. WOLFE: Dr. Carpenter.

2 DR. CARPENTER: In that same line, a 15 percent
3 absolute reduction in the risk of getting cancer at any
4 time in any prevention study would be absolutely enormous.

5 The absolute reduction with tamoxifen to prevent breast
6 cancer is on the order of 10 percent, to give you an order
7 of magnitude.

8 DR. WOLFE: Dr. Kelsen.

9 DR. KELSEN: Following up the oncologic line,
10 you may have given us this, but I'm not sure I got it. Do
11 you have the stage of the cancers at the time they were
12 found? I noticed the survival curves are close to 100
13 percent. So that implies to me that the cancers that were
14 found in a third of the patients in the omeprazole arm and
15 the 15 percent in the PDT arm were at a very, very early
16 stage. So I'd like to see that.

17 And secondly, it means that this is one of the
18 first large-scale prospective trials that would say that
19 surveillance allows you to find cancer at an early stage
20 even if it's in the omeprazole arm. This is the largest
21 prospective study for that, isn't it?

22 DR. WOLFE: There are other data, but this is
23 the largest. We know that surveillance is very important
24 in these patients, and what they're showing is surveillance
25 is important even after therapy.

1 DR. KELSEN: Yes. There has been some
2 controversy about patient selection, but this is a pretty
3 big database.

4 DR. WOLFE: Actually there has been discussion
5 -- it's really interesting -- regarding this. One of the
6 fellows of mine presented at our grand rounds, and people
7 were trying to minimize the importance of Barrett's in
8 general, saying it's not that important. It doesn't really
9 progress. You can watch these patients. Don't worry about
10 it because the chances of getting cancer isn't really 1
11 percent per year as it is in the largest series of the
12 meta-analysis published in 1997. It's only 1 in 250.

13 So I stopped there. These are physicians. I
14 said to people who aren't physicians in the audience, do
15 you like your chance of being 1 in 250 getting cancer every
16 year and then in 10 years it's 1 in 25? That's not too
17 bad, is it? You don't mind that very much.

18 (Laughter.)

19 DR. WOLFE: And I think that sometimes we
20 forget as physicians what the patient is actually feeling.
21 That is a very high percentage and to say don't worry about
22 it is very easy for us to say because we're not the
23 patient.

24 Dr. Camilleri?

25 DR. MARTIN: Doctor, in answer to your

1 question, this is the cancer staging at the time of
2 discovery in the PDT/OM cancer group, the treated group,
3 and I think we have it on the other group. So as you see,
4 they are very, very early stages. There's at least one
5 good reason for that I think, that the patients are
6 surveyed every 3 months by protocol.

7 DR. WOLFE: Any other questions, comments?

8 (No response.)

9 DR. WOLFE: That's great. We'll move on then,
10 and Dr. Kaminskas will now give a presentation on behalf of
11 the FDA.

12 DR. KAMINSKAS: Mr. Chairman, committee
13 members, ladies and gentlemen, good morning. My name is
14 Edward Kaminskas. I'm the medical reviewer for this new
15 drug application for Photofrin. Dr. Milton Fan is the
16 statistical reviewer.

17 The sponsor has presented a very comprehensive
18 overview of the data supporting the new indication, and I
19 shall limit myself to several topics and present some
20 analysis from a little different point of view. I shall
21 talk about study design and treatment, study endpoints,
22 selection of patients again, course of patients during the
23 study period, and some safety aspects.

24 Dr. Wang and Dr. Overholt described the reasons
25 for treating high-grade dysplasia in Barrett's esophagus.

1 I have no disagreement with their statements. However, I
2 would like to just remind the audience about the public
3 health impact of Barrett's and of adenocarcinoma of the
4 esophagus so that we don't lose track of what we're talking
5 about. That is, most patients with adenocarcinoma of the
6 esophagus, 94 to 98 percent, do not have a history of
7 Barrett's. Most patients with Barrett's esophagus do not
8 develop cancer, as Dr. Wolfe mentioned, an annual incidence
9 of half a percent or less. Risk of adenocarcinoma in an
10 older patient with gastroesophageal reflux disease is very
11 low, 6,500 cases per year among 10 million GERD patients.

12 However, the only known premalignant lesion for
13 adenocarcinoma is high-grade dysplasia. And that requires
14 medical management and poses a challenge of medical
15 management. As mentioned before, there's wide disagreement
16 on how to manage high-grade dysplasia, from watchful
17 waiting until there's a sign of localized adenocarcinoma,
18 to ablation of high-grade dysplasia to reduce the risk of
19 adenocarcinoma, to esophagectomy. Each approach has its
20 champions.

21 The original new indication for Photofrin is as
22 stated above: ablation of high-grade dysplasia in
23 Barrett's esophagus among patients who are not considered
24 to be candidates for esophagectomy. The revised new
25 indication is as stated below: ablation of high-grade

1 dysplasia in Barrett's esophagus among patients who refuse
2 esophagectomy and who are in overall good health. I'm not
3 going to comment on this issue, and perhaps the committee
4 will. I assume that the revised indication is as a result
5 of the study and thinking about what actually happened
6 because there were a lot of patients who had esophagectomy
7 in both treatment arms. So they could not have been
8 preselected for this trial if the indication was as stated
9 originally.

10 You've heard this before. PHO BAR 01,
11 multicenter, randomized, two-arm trial with a minimum of 24
12 months follow-up. Patients randomized, a 2 to 1 ratio.
13 138 patients to Photofrin PDT and omeprazole; 70 to
14 omeprazole only.

15 And the two supporting studies from the
16 Thompson Cancer Survival Center, single center, open-label
17 trial, minimum 12-month follow-up. All of the patients
18 treated in the same way as they were in the PHO BAR 01.
19 The follow-up is 12 months, however. So I think the data
20 we have to look at in a little bit different way.

21 As noted in the first trial, 93-07, patients
22 were randomized to two light doses. In 96-01, after that
23 first trial with its high incidence of esophageal
24 strictures, Dr. Overholt attempted a tapering schedule of
25 steroids immediately after the light therapy to see whether

1 there would be any effect. Unfortunately, the results were
2 negative.

3 However, we have that experience so that I have
4 to bring to the members' attention that Dr. Overholt
5 contributed a total of about 45 percent of all the patients
6 studied in these three trials. A remarkable achievement.

7 In those two supportive trials, there were 168
8 patients and 86 of them were with high-grade dysplasia.

9 Esophagectomy is no ball as Dr. Wang said, and
10 as a surgeon said to someone I know very closely, your life
11 will never again be the same. Well, I would say that
12 Photofrin photodynamic therapy is not as arduous but it's
13 not simple and it's not for everyone, not for all comers.

14 You heard this before that patients could have
15 had up to three courses, and this slide just simply
16 illustrates how many patients in PHO BAR 01 had how many
17 courses. There was supposed to be an interval of 3 months,
18 at least, between courses so that you could see that if a
19 patient had three courses, that took a good part of the
20 year at best and in some cases longer because the intervals
21 were longer. There were two reasons. The main reason was
22 the extent of high-grade dysplasia. The length of the
23 balloon light delivery system, the maximum was 7
24 centimeters, and half of the patients in the study had
25 areas of esophagus affected by high-grade dysplasia that

1 were longer than that. That means automatically you had
2 another course. And if it was even longer than that, you
3 had a third course.

4 So here you are, multiple courses. You start
5 out with 130. You received your Photofrin which doesn't
6 make you glow in the dark, but it makes you glow in the
7 light.

8 (Laughter.)

9 DR. KAMINSKAS: You have a pretreatment of
10 nodules, followed by the balloon light. And then 2 days
11 later, there was another session for some of the patients,
12 treatment of skip areas. You wait 3 months and there you
13 are again. You go through the same thing.

14 Now, it's clear that you don't want to overlap
15 areas and increase the risk of strictures, but you don't
16 want to also leave areas that are untreated because that
17 defeats the treatment. So from my reading of this
18 protocol, this requires a lot of expertise and a lot of
19 training, and I admire the people who do it.

20 The complete ablation of high-grade dysplasia
21 is the primary endpoint at 24 months' follow-up with
22 replacement with normal squamous epithelium, CR1; with some
23 metaplasia, CR2; or with some low-grade dysplasia, CR3.

24 Here are the secondary endpoints. The way
25 they're specified, I have to say many of these secondary

1 endpoints could not be achieved because it required 50
2 percent of patients reaching an endpoint, and within 2
3 years there was no time for progression to cancer. In
4 fact, a lot of these -- let's say, for example, duration of
5 response. You see the Kaplan-Meier curves, and as far as
6 I'm concerned, when I come to 24 months or 730 days
7 afterwards, I don't know what I'm dealing with because the
8 denominator gets to be smaller and smaller. So 50 percent
9 of 2 people is 1 person. It recalls to mind Mark Twain and
10 what he had to say about statistics.

11 (Laughter.)

12 DR. KAMINSKAS: But I couldn't have done this
13 without Dr. Wang either I have to say.

14 Now, at the beginning of this PHO BAR 01
15 pivotal trial, the agency's concern was the primary
16 response variable must reflect an improvement in long-term
17 clinical outcome. Histopathological effect might be a
18 surrogate endpoint for measuring clinical benefit, but it
19 doesn't prove it. If we could live with high-grade
20 dysplasia forever, no one would have a treatment for it.
21 There's no reason to treat it except for one reason and
22 that is the risk of developing an adenocarcinoma. At that
23 point, the agency and the sponsor agreed that the follow-up
24 time of 5 years or more is recommended, but the follow-up
25 of at least 2 to 3 years is acceptable for the submission.

1 The efficacy results as noted before, 82
2 percent of patients treated -- this is the evaluable
3 population. These are people who actually received
4 treatment. 82 percent in Photofrin compared to 39 percent
5 in the omeprazole only arm. In the Thompson Cancer
6 Survival studies, 94 percent, all excellent responses. I
7 have to say the only surprise in this slide for me is the
8 39 percent response rate for patients on omeprazole only,
9 and we'll come to that.

10 What about these responses as defined before?
11 This is in percentages. What you see is that the Photofrin
12 photodynamic therapy group had mainly CR1, complete
13 replacement with normal epithelium, and the omeprazole
14 only, most of them had, of course, no response. And the
15 second one are CR3 responses which means low-grade
16 dysplasia and definite dysplasia, very rare CR1s or CR2s.

17 So the question is, does this therapy actually
18 reduce the risk of developing cancer? This is directly
19 from the sponsor's study, and as noted by Dr. Brawley just
20 now, in the Photofrin group, 18, or 14 percent, progressed
21 to cancer of those treated. 29 in the omeprazole only.
22 It's twice as many. And the 12-month data from the
23 Thompson Cancer Survival Center is not inconsistent.

24 Now, I have to say that 24-month data is really
25 very important data because if it takes you a year before

1 you finish treatment, for those patients you basically have
2 12 months of follow-up. Up to then, you're being treated.

3 So we wanted to look at these people who progressed to
4 cancer. We wanted to see who progressed to cancer and
5 whether we can learn from these failures something about
6 the success of this therapy.

7 So who progressed to cancer? If you had a
8 Photofrin photodynamic therapy treatment and you had a
9 complete response of any kind, you had a chance of 6
10 percent, 6 out of 106, of developing cancer over the next 2
11 years. If you had Photofrin therapy and had no response,
12 you had about a 10-fold higher chance of developing cancer.

13 12 out of 24, 6 out of 106. Big difference. So what
14 happens is that a responder has a very good chance and a
15 nonresponder has a very poor chance of staying cancer-free.

16 Now, in the omeprazole only arm, almost
17 everyone, 19 out of 20 patients failed to respond. Only 1
18 patient responded. So if you have a response, it makes a
19 big difference whether you're going to develop cancer or
20 not during this period of follow-up.

21 Now, as I mentioned before, of course, the
22 photodynamic therapy group had mainly CR1 responses. Of
23 those who progressed to cancer, they had poor quality
24 responses. They had low-grade dysplasias or indefinite
25 dysplasias or they didn't have a response at all. There

1 was not a single CR1 response who progressed to cancer.

2 In the omeprazole only group, there was only 1
3 responder who progressed to cancer and had a poor quality
4 response, a CR3. So I don't know. It could be that in
5 between all those metaplastic areas and dysplastic areas,
6 there was a little bit of high-grade dysplasia hidden as
7 well.

8 So to us, this was very gratifying to take your
9 data and learn something from it.

10 I'm going to come back because everyone talked
11 about it up to now, selection of appropriate patients. I
12 think Dr. Bronner, everyone up to now has referred to this,
13 the nonconfirmation of high-grade dysplasia diagnosis of 49
14 percent. Whatever we decide to do with Photofrin and
15 photodynamic therapy, this is a primary issue because if
16 you don't have it, you don't need this treatment.

17 There was one other thing that I wanted to
18 mention before I come to this point, and that is when the
19 sponsored analyzed their data, what were good predictors of
20 complete response? One of them was 3 months or more
21 treatment with omeprazole. High p value, like .022, .05,
22 whatever. Significant. Which brings to mind that patients
23 who responded in the omeprazole only arm may not have been
24 treated with omeprazole before, and it sort of makes you
25 think that perhaps before this diagnosis is really firmed

1 up, people should have their acid reflux treated.

2 Patient disposition. It sends a message here.

3 After 2 to 3.5 years of follow-up, there was 61 percent of
4 patients in the Photofrin photodynamic therapy group, 81
5 out of 138 intent-to-treat population, who were continuing
6 on and will be continued on for the next 2 to 3 years. But
7 look what happened to omeprazole only patients. 84 percent
8 dropped out. There were only 16 percent remaining.

9 Well, this is the surveillance arm, and if I
10 look at this, I would say the surveillance arm did not work
11 very well in this trial. If 84 percent leave, you don't
12 have much of a follow-up in 5 years. For example, looking
13 at days when people left the trial, it was clear that in
14 the photodynamic therapy group people left in bunches, and
15 you could see that they had their quarterly esophagoscopy
16 and suddenly they were discovered to have high-grade
17 dysplasia, and boom, they went on to other therapy. The
18 people on omeprazole left sort of one by one, quarter by
19 quarter by quarter. And I'll come to a point here, but I
20 just wanted you to remember that surveillance in this group
21 does not work even though the literature says this is a
22 good option for some.

23 And I would say that there are some people that
24 have wide differences as to how they want to be treated.
25 There are some people who got this treatment at age 88 and

1 you would think at age 88 surveillance would not be such a
2 bad choice, but they are treated. I have enough patients
3 of 100 and above who say, do something, Doctor. I don't
4 want to be in the surveillance group.

5 (Laughter.)

6 DR. KAMINSKAS: Other therapies. Now, the two
7 main reasons for dropouts were either because people
8 developed cancer or they were treated with other therapies
9 other than the assigned therapy. Of course, you see here
10 that 14 percent of patients in the Photofrin group had
11 other therapies for high-grade dysplasia. In omeprazole,
12 it was more, 32 percent, and similar data in the Thompson
13 Cancer Survival Center, 20 percent.

14 Dr. Overholt was going to show you -- and I'm
15 not sure that he did -- as to what kind of therapies people
16 elected. They're in the slides in the packet. I don't
17 think he showed them. I would just want to point out that
18 in the omeprazole only arm, about three-quarters of them
19 chose to have Photofrin PDT. Of course, those who failed
20 Photofrin went on to have esophagectomy and all kinds of
21 other ablative therapy, not Photofrin. Some of them had
22 had four courses of Photofrin and that made them the
23 exception.

24 Next I would like to relate to safety issues.
25 The way I understand it, of course, you can take adverse

1 events. You can split them up into 139 symptoms and you
2 can figure out if somebody had a myocardial infarction
3 because they're all coded under different little adverse
4 events. The way I read this is that there were acute
5 events related to the light treatment. After all, what
6 happens is you get Photofrin. They become very
7 photosensitive and you shine a light on their high-grade
8 dysplasia and they slough their esophagus, at least they
9 slough the mucosa. That's the point of the treatment. So
10 you have a bare exposed wound in the bottom of the
11 esophagus.

12 Now, 100 percent of patients did not have these
13 acute events. I don't know why but they didn't. About
14 half of them did. They had chest pain. They had abdominal
15 pain. They had fever. They had nausea. They had
16 vomiting. They couldn't swallow. It was painful to
17 swallow. And all of that is the acute injury as if you
18 sloughed somebody's esophagus. That's what you'd find.
19 And it takes a few weeks for that esophagus to heal and
20 those symptoms go away. This is not chronic. This is
21 acute.

22 You have skin photosensitivity, and I have to
23 say reading over the sponsor's literature cautioning about
24 skin photosensitivity is really enlightening because we
25 don't think about it. We're so tied into ultraviolet light

1 sensitivity, that we don't think that there's any other
2 kind. Yes, it is like a sunburn, but it's not caused by
3 the sun, ultraviolet light. It's caused by visible light.

4 So, for example, it was very interesting to see
5 sitting in dentists' offices. If you have a dental light
6 shining on you, you're going to come out with a burn. You
7 may lose a tooth, but you'll have a burn to boot. Or going
8 to out-patient surgery to have some stitches put in or
9 whatever. All of those lights are your poison, and people
10 are really very, very well cautioned about it.

11 If I remember right, I think in the principal
12 trial, PHO BAR 01, there was a higher percentage of skin
13 photosensitivity than there was in the Thompson Cancer
14 Survival Center because I think Dr. Overholt and his
15 colleagues have been doing this now for some number of
16 years, and they've had every experience that's to be had.
17 So they warned their patients.

18 The caution is 30 days, but some people go up
19 to 90 days. So it's a tricky issue. But it doesn't last.

20 It goes away even if you have a burn and it's not many who
21 do.

22 And finally, I wanted to touch on subacute
23 events related to healing and these are the esophageal
24 strictures which is esophageal narrowing on endoscopy.
25 These are not adverse events. You'd get different

1 percentages if you look at the adverse event data. This is
2 on esophagoscopy. The gastroenterologist sees esophageal
3 narrowing that required dilation. The patient cannot
4 swallow solid food.

5 I have here the three trials and I have them in
6 order of when they were completed. In the first trial, 99
7 patients, 93-07, 42 percent of patients had strictures.
8 That's when Dr. Overholt decided we have to do something
9 about them and the next trial tried the steroid therapy.
10 36 percent had strictures. This is the entire safety
11 population. This is not high-grade dysplasia. This
12 includes all comers. This is adenocarcinoma of the
13 esophagus. This is low-grade dysplasia, indefinite
14 dysplasia, everyone. In the PHO BAR 01 trial, 36 percent,
15 for a total of 38 percent.

16 So we don't know how to deal with it as of now.
17 I know that people are working on it, but right now the
18 only one is esophageal dilation or in French it's bouginage
19 I think, isn't it, if I remember right? And you pass
20 bougie.

21 This is not to minimize it. This data has been
22 presented and this is for number of patients with dilations
23 in all the trials, all three trials. Number of patients
24 with dilations, 121. This is I think the PHO BAR 01 study
25 data was presented. This is for all the studies.

1 Statistics remain very much the same. 50 percent had more
2 than 10 dilations. There was an occasional patient who had
3 dilation due to high-grade dysplasia in the esophagus.
4 They had one dilation and they were fine. In the
5 omeprazole only arm, if somebody needed to be dilated, one
6 dilation is fine. This is complex and this was not
7 minimized by the presenters.

8 So I come to my summary. Aggressive
9 surveillance. At least in PHO BAR 01, this was not a good
10 option. Patients are modern Americans. For the most part,
11 they want treatment. Do something, Doctor.

12 Information on the risk of cancer in high-grade
13 dysplasia is essential for evaluation of treatment options.

14 I don't know if we'll ever have it. We don't know if
15 high-grade dysplasia regresses to low-grade dysplasia.
16 Just like low-grade dysplasia, we don't know whether it
17 will regress to completely normal epithelium. We don't
18 know the rate of progression of cancer. We don't know so
19 much about this entity, and yet we may not be able to learn
20 as shown by this trial. It's going to be difficult to
21 enroll people in a surveillance trial.

22 Esophagectomy. We have no information. Once
23 patients had esophagectomy, they were disenrolled. We
24 don't know what happened to them for the most part. There
25 was one person in Britain who died following post-surgical

1 complications for esophagectomy. This trial does not
2 provide us information. We have to look at the surgical
3 literature.

4 Photofrin photodynamic therapy. Relatively
5 well tolerated. There were very few patients who withdrew
6 because of adverse events. This is a key indicator for all
7 drug trials, how many withdrew because of adverse events.
8 Very few.

9 There were no deaths either due to treatment or
10 because patients developed metastatic esophageal cancers
11 and died.

12 Most serious adverse events were
13 gastrointestinal and dehydration.

14 Strictures were troublesome, manageable.

15 2-year follow-up suggests that Photofrin PDT is
16 effective. Patients had 50 percent lower cancer rate.
17 Complete response rates were twice as high as in the
18 omeprazole group. Complete response was associated with a
19 lower risk of cancer than non-response. Mainly highly
20 quality CR1. That is normal epithelization. After the
21 therapy, only patients with CR3 progressed to cancer.

22 But a 2-year follow-up is too short to
23 demonstrate effectiveness in reducing the long-term risk of
24 cancer. We don't know that. We don't know the rate of
25 recurrence of high-grade dysplasia in patients who have had

1 a complete response. We don't know the rate of high-grade
2 dysplasia progression to cancer. And we're going to be
3 awaiting results of the PHO BAR 02, which is in process,
4 and it may tell us something about it and certainly will
5 give us a little more confidence. So that's the reason why
6 the sponsor is not asking for this indication because we
7 just don't know enough at this point, the long-term risk of
8 cancer.

9 Thank you very much for your attention.

10 DR. WOLFE: Thank you.

11 It's noon. We have time for questions, but
12 again, I do want to limit the questions specifically to Dr.
13 Kaminskas' presentation. Other questions can follow.

14 The public forum will be extremely short. As a
15 matter of fact, right now there will be no public forum
16 because there's nobody here to speak, but there may be
17 someone coming forward.

18 So we do have some time, again, for questions
19 for this specific presentation regarding the FDA
20 evaluation. Any questions? Dr. Camilleri.

21 DR. CAMILLERI: That was a very nice summary.
22 Thank you.

23 I want to ask the same question that I asked
24 before. Do we have any idea of the factors that predispose
25 to lack of response? That's the first question.

1 And the second question, while I agree with Dr.
2 Brawley that there is a 50 percent reduction in cancer
3 risk, I would like to reiterate that the appropriate
4 control group is a total esophagectomy. We have to keep
5 that in mind.

6 DR. KAMINSKAS: I have no disagreement with
7 either point.

8 The first part, both the sponsor and I in my
9 review looked for risk factors for failure to respond. We
10 couldn't come up with any. Originally, it was thought to
11 be age. So, for example, the division was over 65/less
12 than 65. A favorite number since Chancellor Bismarck's 65
13 division line. So we said if that is the case, is there an
14 age at which this therapy is contraindicated? Because
15 there were people up to age 88 being treated with it. So
16 then the sponsor provided an analysis by decade, and an
17 analysis by decade didn't show anything.

18 Smoking has nothing to do with it. Drinking
19 doesn't have anything to do with it. We couldn't come up
20 with risk factors that would predict response or failure to
21 respond.

22 The only issue that I have that I pointed out
23 is this issue of 39 percent response rate in patients who
24 are treated with omeprazole for 3 months. Now, that gives
25 you some food for thought, but in terms of the Photofrin

1 group, we couldn't come up with anything.

2 DR. WOLFE: I actually mentioned that before,
3 and that really is the most surprising data to me as well.
4 You don't get that kind of response. They're a
5 surveillance group being treated.

6 Actually I have a question very specifically
7 that is related to that peripherally. Were these patients
8 given specific instructions how to take omeprazole? Were
9 they told to take it before breakfast and before dinner?

10 DR. WANG: Yes.

11 DR. WOLFE: The reason I bring that up is
12 because statistically based on our study, 30 percent of
13 those patients before they came into this study were taking
14 their medication before bedtime. We've published this.
15 People are not given proper instruction and take the
16 medication incorrectly which has a great impact on how
17 these PPIs work.

18 So then the question now comes to the
19 pathologist. How many of these patients initially had
20 inflammation which may cause architectural distortion and
21 possibly some issues with regard to true diagnosis of high-
22 grade dysplasia. Again, I'm not a pathologist. You are
23 but I know from other GI pathologists there is some concern
24 if there is inflammation present. For example, I don't
25 biopsy anybody looking for Barrett's unless I know

1 inflammation is gone endoscopically.

2 I think these are important issues because that
3 is a very surprising result, to get that kind of response
4 with a PPI alone.

5 DR. BRONNER: We very carefully tracked the
6 issue of inflammation and particularly whether we as
7 pathologists thought it was obscuring the diagnosis. So
8 there are a series of boxes that we had to check off for
9 each biopsy and each slide. One of the categories was
10 inflammation, yes or no; ulcer, yes or no; and if ulcer,
11 can high-grade dysplasia be excluded. If you look at that
12 category, those cases that had a yes answer, there was
13 disagreement among the pathologists. So we know when we
14 can't tell. So that information was tracked.

15 However, there was no difference between the
16 omeprazole only and then the PDT arm in terms of this
17 obscuring inflammatory problem.

18 DR. WOLFE: No, but they all got omeprazole
19 across the board.

20 DR. BRONNER: Yes. Everybody got omeprazole.

21 DR. WOLFE: So you would expect that. The
22 question is, I guess, was there a higher rate in the
23 patients with omeprazole only who went on to regress who
24 had inflammation or ulceration on the initial biopsy?

25 DR. BRONNER: I can't answer that question off

1 the top of my head. I suspect that it has to do with the
2 extent of high-grade dysplasia and that the ones that
3 appear to be responding have a small amount and therefore
4 we've got a bigger effect of sampling error.

5 DR. SHIH: Mr. Chairman, can I follow up that
6 question?

7 DR. WOLFE: Yes.

8 DR. SHIH: Now, the 39 percent you asked that
9 question -- and earlier I commented on that they used the
10 ITT approach for the 39 percent. I believe that when they
11 do the evaluable, it's only 1 patient difference. So it's
12 39 percent whether you do ITT or you do the evaluable.

13 But there are hidden things here that I'd like
14 to clarify from the sponsor. You have 21 patients from the
15 control group, the omeprazole group, who discontinued
16 surveillance and went to another therapeutic intervention.

17 If a patient discontinued the surveillance and went to the
18 other intervention, then later had a response -- I don't
19 know how many cases there are. This is part of my question
20 -- would you count that as part of your 39 percent?

21 DR. KAMINSKAS: You mean people who had another
22 intervention?

23 DR. SHIH: Well, you see, your definition of
24 CR1 plus CR2 plus CR3 is that anytime during this follow-up
25 time, if you have a response, then that's counted here for

1 the 27. Now, how many out of the 27 was after this group,
2 after a patient has chosen another intervention?

3 DR. KAMINSKAS: Once a patient chooses another
4 intervention, they're dismissed from the trial. They're
5 discontinued.

6 DR. SHIH: No, I don't think so because your
7 denominator for the study of 9 percent is 70 or 69. As I
8 said, there's only 1 difference. But the total remaining
9 at the end of the follow-up is 11 patients. So I don't
10 think so. I think that your denominator is for the
11 patients randomized. So you must have counted some of the
12 patients in the 27 who went to another therapy.

13 This is part of the reason I suspect why the 39
14 percent was high, and the other reason could be this, that
15 the FDA statistician actually did a very nice job here that
16 gives you another calculation of consistent responders. In
17 other words, it's not anytime that during the endoscopy
18 monitoring you have a response, then you count it as 27.
19 Suppose you have to maintain the responders for other
20 times, and then that figure here I have is only 1 patient.
21 Only 1 patient out of 70, which is only 1.4 percent, have
22 a consistent response. So there's a difference between 39
23 percent and 1 percent here. Because the definition of a
24 consistent responder or any one time during this time, you
25 can have one shot and then you've called it a response.

1 That's a big difference there.

2 DR. KAMINSKAS: No. I went through the
3 sponsor's data on consistency. In other words, I was
4 afraid of exactly what you're saying, and that is, that a
5 person would respond one time and would become a responder
6 and then doesn't respond any more times. In other words,
7 they do quarterly biopsies and at one time they show a
8 response and then they stop showing any response. So I was
9 really afraid. But actually they were, to my going over
10 these data, pretty consistent responders until they failed,
11 and when they failed, then they failed and had intervening
12 therapy or whatever it is.

13 But I'm sorry that I'm not quite getting your
14 answer. Say, for example, if somebody fails the omeprazole
15 arm and has Photofrin treatment, that patient is
16 discontinued from the trial, is not counted in the
17 Photofrin group or in the omeprazole group. It's counted
18 as a failure. So maybe I'm just not getting your question.

19 DR. SHIH: I disagree.

20 DR. KAMINSKAS: What do you mean you disagree?

21 DR. SHIH: Well, first of all, I'm trying to
22 explain why you have observed 39 percent response rate in
23 the omeprazole group. It's very high, as the chairman
24 said. There are two reasons that I suspect.

25 One I confirmed here, which is the consistent

1 responders question. Consistent responders, you only have
2 1 patient according to Dr. Milton Fan's calculation here by
3 the FDA. And if you redefine your responders by consistent
4 responders, then you only have 1 patient. Okay, that's
5 one.

6 DR. WOLFE: That would make sense. Dr. Bronner
7 has explained that too, and we talked about the sampling
8 error is very, very pronounced in these patients.

9 DR. SHIH: Right.

10 And then the other reason is -- it's only a
11 suspicion here -- that because your denominator is 70 in
12 the ITT patient population, and then the definition of ITT
13 is that you follow up, as I asked earlier to clarify that
14 -- you follow up everyone. Now, suppose you have one shot
15 of the biopsy which is after you have switched to
16 intervention. Then you called it a responder. The ITT
17 definition is that you attribute that responder to where
18 you randomized which is omeprazole group only. And I asked
19 clarification if there are cases like that.

20 DR. KAMINSKAS: I have to tell you that we got
21 data listings by patient number from the sponsor, and I
22 went over every single patient who was enrolled in the
23 trial and who responded to what.

24 We'll have Dr. Fan explain because I'm not
25 capable of doing it.

1 (Laughter.)

2 DR. FAN: My name is Milton Fan, statistical
3 reviewer.

4 When I reviewed the submission, I found out
5 that they have some problem because the defined response is
6 only visit have response, is considered response. And I'm
7 thinking the more restrictive way is look at every visit is
8 considered response. And also it is considered they have
9 three kind of responses, CR1, CR2 or better, or CR3 or
10 better. The more restrictive is CR1, so (unknown words)
11 the data has come out. 11 patients for Photofrin have a
12 response, CR1, for all visits. For omeprazole only 1
13 patient have a response and the difference is about a 7
14 percent difference. It's a slight numerical benefit, but
15 does not achieve statistical significance.

16 DR. WOLFE: Dr. Carpenter.

17 DR. CARPENTER: One thing which would help to
18 clarify this would be to know if the patients who went to
19 alternative therapy are then censored after that so they
20 can no longer be added back into the response group.

21 DR. KAMINSKAS: Yes, they were.

22 DR. WOLFE: Dr. Kelsen.

23 DR. KELSEN: I think when the sponsor showed us
24 the slides of patients who developed cancer in the
25 treatment state, they also had what treatment they got, and

1 a number of patients in the omeprazole group I think did
2 not come to esophagectomy. They were treated with PDT for
3 these early stage cancers.

4 So I have a question and an observation. My
5 question is, was there a central pathology review of the
6 diagnosis of malignancy?

7 DR. KAMINSKAS: Yes, of course. Of course,
8 those quarterly biopsies all went to Seattle, University of
9 Washington.

10 DR. KELSEN: Fine. So cancer is clearly
11 cancer.

12 And I think that your analysis then of risk on
13 the basis of response is a very interesting analysis, also
14 sort of an alarming analysis because I imagine there are
15 people who don't get screened. So here you have a screened
16 group getting omeprazole, and if they don't have a good
17 response, they have a real high risk of getting cancer if
18 they really have high-grade dysplasia. It makes you wonder
19 about all the people who are not being screened who have
20 high-grade dysplasia.

21 DR. WOLFE: You got it and it's one of the
22 problems that was brought up. Dr. Levine mentioned there's
23 a serious shortage of gastroenterologists and people are
24 taking medication because it works. They feel better, but
25 one thing that wasn't mentioned is that how you feel has

1 nothing to do with how your mucosa looks. There's a
2 complete disconnect. And it's one of the dangers of people
3 taking medication without supervision, without some kind of
4 -- I hate to use the word "supervision." It sounds too
5 authoritarian.

6 DR. GILLETT: Surveillance.

7 DR. WOLFE: Surveillance.

8 Any more questions?

9 DR. KELSEN: Can I just make one more brief
10 comment?

11 DR. WOLFE: Sure. I'm sorry.

12 DR. KELSEN: From a public health point of
13 view, that's a very significant observation, and as a
14 medical oncologist who does GI, I can tell you our clinics
15 are quite full with patients who eventually develop upper
16 GI cancers, so it may complete your loop.

17 DR. WOLFE: Keep in mind that when Dr. Wang
18 presented his data about the increase in this disease in
19 all western countries, this has occurred during a time when
20 we have the most potent acid suppression available to us.
21 So would it be greater without? There are a lot of
22 questions still unanswered.

23 Dr. Levine.

24 DR. LEVINE: I'm sure this is not in the
25 purview of the agency, but it's almost like off-label. Did

1 your group look -- obviously in the questions that you want
2 us to consider this afternoon, you looked at the expertise
3 and the professionalism of various individuals, educational
4 aspects, and aspects of who is going to do this and how
5 it's going to be labeled and restricted. My question to
6 you is, has the agency been concerned about the possibility
7 of careful restriction in this, and do you have something
8 more to say about this before we talk about it this
9 afternoon about restriction in the use of Photofrin therapy
10 by who, for who, et cetera?

11 DR. KAMINSKAS: Well, in the original
12 submission, there was an indicator that the sponsor looked
13 at as to whether expertise with this therapy produces
14 better results. And they defined it if people who had 10
15 patients or more -- I don't remember the exact definition
16 -- would they be getting better results than patients like
17 Dr. Overholt who has hundreds of them. And it turned out
18 that they didn't. So I was kind of leery about whether
19 that's going to become an issue as to who is going to get
20 it.

21 However, the present proposed label by the
22 sponsor says, this therapy should be administered only by
23 physicians with special training. Now, we have not had in
24 the agency discussions about this point yet, but we will
25 during this coming month.

1 DR. WOLFE: I actually wanted to mention that.

2 I want to discuss this this afternoon under 1(b) and I
3 want to add a 1(c). That was a specific question. I
4 really want to discuss this this afternoon as one of the
5 questions that we'll be discussing among ourselves to make
6 recommendations.

7 Dr. Carpenter.

8 DR. CARPENTER: Perhaps for this afternoon, but
9 a similar issue. The proposed new indication is for people
10 who are in overall good health, and yet clinically people
11 who are not in good health and are extremely high risk from
12 esophagectomy might be a target group for this. Was that
13 proposed by the sponsor or was that arrived at in
14 discussion with the agency? Or where did this new
15 indication come from?

16 DR. KAMINSKAS: It came from the sponsor.

17 DR. WOLFE: I'd like to discuss that because I
18 had the same question. People generally refusing surgery
19 or not having surgery are those who can't tolerate surgery.
20 So I think we should discuss that as well under 1(d).

21 Any other questions regarding this
22 presentation? If not -- oh, I'm sorry. We have one more.
23 Dr. Gillett.

24 DR. GILLETT: Just a brief question. There are
25 maybe a dozen different kinds of esophageal cancer that

1 develop, but only one is associated with Barrett's? Only
2 one?

3 DR. WOLFE: I'll answer that unless the
4 pathologists want to. The most common cancer of the past
5 of the esophagus was squamous cell of the esophagus which
6 is interestingly disappearing. It's getting lower and
7 lower and lower. It's being replaced by adenocarcinoma of
8 the esophagus in the setting of Barrett's. So Barrett's,
9 reflux is associated with adeno. Squamous has other risk
10 factors which I won't get into.

11 This is a fascinating area in general, but this
12 is the FDA not the NIH. Why this is happening is a
13 question for us to all think about. I raised one of the
14 issues. This is occurring at a time when we have the best
15 therapy ever available. Why is this continuing to rise?
16 There are a lot of theories, but no proof, and one of the
17 reasons is there's no good animal model.

18 It is now 12:23. The members of the panel have
19 a place reserved in the restaurant for lunch. We will
20 reconvene at exactly 1:25. Enjoy your lunch.

21 (Whereupon, at 12:23 p.m., the committee was
22 recessed, to reconvene at 1:25 p.m., this same day.)

23

24

25

1 AFTERNOON SESSION

2 (1:35 p.m.)

3 DR. WOLFE: We're past the time for reconvening
4 and it is our fault. Actually it's the restaurant's fault
5 for not providing us our checks, but we need to get
6 started.

7 At this point I'd like to call upon Dr. Justice
8 to read the questions for us and again to explain to us
9 what our charge is this afternoon.

10 I'm sorry. Before we get started, is there
11 anybody in the public who wants to speak in the throngs out
12 there?

13 (No response.)

14 DR. WOLFE: No, okay.

15 Dr. Justice.

16 DR. JUSTICE: The first question concerns the
17 appropriate patients for Photofrin PDT. Part (a) is the
18 diagnosis of high-grade dysplasia was confirmed by the
19 central reference laboratory in about 50 percent of
20 patients with that diagnosis. We'd like you to discuss
21 what impact the inability to confirm a high-grade dysplasia
22 diagnosis has on the use of Photofrin and ask for your
23 recommendations to ensure use of this therapy in the
24 appropriate population.

25 Part (b) of the question is, should the

1 diagnosis of high-grade dysplasia be confirmed by a
2 reference laboratory of acknowledged experts before
3 Photofrin PDT is undertaken?

4 The second question concerns efficacy. Part
5 (a) is, do the applicant's data demonstrate efficacy of
6 Photofrin PDT in complete ablation of high-grade dysplasia
7 in Barrett's esophagus?

8 Part (b). Is a 2-year follow-up period
9 adequate to demonstrate cancer risk reduction in high-grade
10 dysplasia patients treated with Photofrin PDT?

11 And part (c) is, how frequently should patients
12 who have undergone Photofrin PDT be monitored by
13 esophagoscopy?

14 Part 3 is, is the safety profile of Photofrin
15 PDT acceptable?

16 Question 4 concerns follow-up. The applicant
17 is continuing to collect follow-up data in the PHO BAR 02
18 study for an additional 3 years. PHO BAR 01 and PHO BAR 02
19 taken together will provide a maximum of 5 years of follow-
20 up for patients in the two arms of the study. Is this
21 adequate to demonstrate cancer risk reduction in high-grade
22 dysplasia patients?

23 And I think that's it.

24 DR. WOLFE: Thank you.

25 1(a) and 1(b) are actually very closely tied

1 together because if we look at (b) first, if we all feel
2 that there should be a central laboratory, it sort of
3 answers your question for (a). Would that be correct?

4 DR. JUSTICE: That's correct.

5 DR. WOLFE: So how about we'll go a little out
6 of order. We're going to go to -- since we discussed this
7 at great length this morning, let's just go and discuss
8 1(b). The way I'd like to do this is the same way as
9 yesterday. If you weren't here yesterday, I'm not going to
10 explain it to you. No, actually I will. We'll go around
11 the room and you have a chance with these kind of questions
12 to give your opinion, and then we will actually just get a
13 hand vote on this.

14 So I'd like to go in different orders. We'll
15 start with Dr. Goldstein. This is 1(b). Do you feel a
16 central reference laboratory should be used to make the
17 diagnosis of high-grade dysplasia before the PDT is used?

18 DR. GOLDSTEIN: I should remind the chair that
19 I don't have a vote.

20 DR. WOLFE: But we have a discussion, though,
21 and we're not going to vote right now.

22 DR. GOLDSTEIN: Thank you.

23 I feel that the diagnosis is -- on the basis of
24 the evidence I've seen here today, I think a central
25 reference laboratory would be useful.

1 DR. WOLFE: Dr. Mangel.

2 DR. MANGEL: I'm sure I'll be in the minority
3 opinion on this. I would say no, and the logic would be we
4 don't do that now for esophagectomy. The decision tree
5 where we are when a biopsy comes back is now two arms. You
6 do surveillance or you do esophagectomy. Nothing is
7 different. It's just that we have now a potential new
8 treatment algorithm instead of esophagectomy for the same
9 biopsy reading to do PDT. I don't actually see any
10 difference, and I would say no to that.

11 DR. WOLFE: Dr. Kelsen.

12 DR. KELSEN: I would sort of take the middle
13 ground. I would say that you don't need one laboratory
14 with a panel of acknowledged experts. I would think that
15 you would want to say something about having a pathologist
16 with expertise in this area should declare that it is a
17 high-grade tumor.

18 DR. WOLFE: Ms. Cohen?

19 MS. COHEN: I would say yes, hoping that in any
20 part of the country there is some reference place where you
21 can go to get the adequate information.

22 DR. WOLFE: Dr. Gillett?

23 DR. GILLETT: I'm going to agree with Allan
24 about the lack of a need to do this simply because we're
25 having to move ahead.

1 DR. WOLFE: I'm just going to make one point.
2 I agree with your point, but two wrongs don't make a right.
3 I think what the surgeons should now do is reconsider their
4 thoughts, and if they're over-diagnosing, they should go
5 back and consider a central reference laboratory. That's
6 not our charge here. So I think rather than repeat what I
7 consider an error, I would favor a reference or reference
8 laboratories to make this diagnosis or further diagnosis.

9 Dr. Levine.

10 DR. LEVINE: With my concern, which I'll raise
11 again, about unnecessary use in performing dilatations as a
12 complication of Photofrin therapy and with Photofrin
13 therapy being utilized, if it happens to be a potential
14 money-maker for gastroenterologists, the story goes back
15 anecdotally to many other issues. And you can look at the
16 capsule endoscopy which in our regional area, it's a
17 minimal \$15,000 procedure, not paid by the insurance
18 initially but subsequently paid, a very small amount, by
19 insurance. There's nobody interested in the area that
20 wants to do this. Currently the academic center, our own
21 center, does this.

22 I think with Photofrin therapy it would be
23 extremely important to limit both to expertise and also
24 expertise opinion. Therefore, I think it's mandatory to
25 have a regional or multiple laboratories with expertise to

1 review the slides.

2 DR. WOLFE: Dr. Brawley.

3 DR. BRAWLEY: I would prefer the statement that
4 the slides should be reviewed by someone experienced in
5 diagnosis of the disease. I don't think it should be
6 mandatory however.

7 DR. WOLFE: Dr. Shih.

8 DR. SHIH: My answer is simple. Yes, you need
9 a reference laboratory to confirm the high-grade dysplasia.

10 DR. WOLFE: Dr. Carpenter.

11 DR. CARPENTER: I would favor a statement
12 similar to Dr. Brawley's, that the slide should certainly
13 be reviewed by a pathologist with expertise in the area.

14 DR. WOLFE: Dr. Camilleri.

15 DR. CAMILLERI: I agree. Pathologists with
16 expertise.

17 DR. WOLFE: Any other comments? I'll make just
18 one comment about leaving it vague with expertise. Who
19 will make that decision? That's the only question that
20 remains. If there is some sort of panel who is considered
21 to have expertise, that makes it a little easier. But does
22 anybody else want to comment on that?

23 DR. LEVINE: A brief comment.

24 DR. WOLFE: Yes, Bob.

25 DR. LEVINE: In our own area, to give you an

1 example, we cover about 2 million people in Syracuse, New
2 York, as the academic center from Binghamton up to Canada
3 and over to Rochester and Albany. It's frequent that we
4 get referrals from other pathologists to our pathology
5 department and sometimes we don't, and we see errors all
6 the time in diagnosis in gastrointestinal pathology. I
7 think it depends on your area. If you're talking about
8 large centers, certainly it's going to be easy to have good
9 GI pathologists expert in that area. In other areas, there
10 simply aren't any, and I think it's a problem. Whether
11 it's an expert who's been trained well or a central
12 laboratory, I think that's beside the point, but we need
13 expertise.

14 DR. WOLFE: Dr. Kelsen.

15 DR. KELSEN: Would FDA accept the statement
16 being amended to read reference laboratories, or does the
17 question have to be a single reference laboratory?

18 DR. WOLFE: I was going to actually just leave
19 it to them. Let's have the question rephrased, laboratory
20 or laboratories, and we'll let you make that decision.

21 DR. BRAWLEY: Who determines what is a
22 reference laboratory?

23 DR. HOUN: In this situation it would probably
24 be, if this is a recommendation and we would agree with it,
25 that we'd work out with the company whether it's training

1 programs they do and then the labs have gone under these
2 training programs or they show that they have these
3 particular types of expertise. But I think you could
4 interpret the question either laboratory or laboratories.

5 DR. WOLFE: Any more discussion, questions?

6 (No response.)

7 DR. WOLFE: So we'll vote now, those who are
8 voting members. The question will read the following.
9 Should the diagnosis of high-grade dysplasia be confirmed
10 by a reference laboratory or laboratories of acknowledged
11 experts before Photofrin PDT is undertaken?

12 All in favor of requiring the diagnosis be
13 confirmed, raise your hand please.

14 (A show of hands.)

15 MR. PEREZ: Can we go around?

16 DR. WOLFE: Do you want to go around the room?

17 Never mind. We'll go around the room for the record. Dr.
18 Mangel, yes or no.

19 DR. MANGEL: No.

20 DR. WOLFE: Dr. Kelsen?

21 DR. KELSEN: Yes.

22 DR. WOLFE: Ms. Cohen.

23 MS. COHEN: Yes.

24 DR. WOLFE: Dr. Gillett.

25 DR. GILLETT: No.

1 DR. WOLFE: Wolfe is yes.
2 Dr. Levine?
3 DR. LEVINE: Yes.
4 DR. WOLFE: Dr. Brawley?
5 DR. BRAWLEY: No.
6 DR. WOLFE: Dr. Shih?
7 DR. SHIH: Yes.
8 DR. WOLFE: Dr. Carpenter?
9 DR. CARPENTER: Yes.
10 DR. WOLFE: Dr. Camilleri?
11 DR. CAMILLERI: Yes.
12 DR. WOLFE: The final vote is 7 yeses, 3 noes.
13 So you don't need (a). Right?
14 DR. JUSTICE: Right. You've answered the
15 question.
16 DR. WOLFE: Dr. Carpenter.
17 DR. CARPENTER: Can I presume that the ultimate
18 recommendation may be some mixture of reference
19 laboratories or expertise or something in that area?
20 DR. WOLFE: The FDA knows our feeling and I
21 think they'll take it from there.
22 I want to add another question here that we
23 discussed before, and let's make it 1(c). Should there be
24 some sort of certification for gastroenterologists
25 performing this procedure? Keep in mind that the sponsor

1 did look at this question and found that there was very
2 little difference among the centers who had considerable
3 expertise with those who didn't. Actually just a quick
4 question, a nod yes or no. My take on this from just doing
5 other procedures there's a very sharp learning curve here,
6 a very steep learning curve. Is that correct?

7 (Off microphone speaker.)

8 DR. WOLFE: It's not steep? That means very
9 quickly. That's steep. Not flat. It's steep. So it
10 means very quickly.

11 DR. HOUN: You need to speak into the mike.

12 DR. WANG: Yes, it's fairly easy to learn. The
13 only thing you have to do is put down the fiber and light.
14 Management of the patients afterwards takes some time, but
15 that's general medical care.

16 DR. WOLFE: Well, that will be discussed how we
17 take care of them afterwards. Can I assume that the
18 sponsor will be producing some kind of learning materials
19 for those who will be undertaking this procedure? Okay.

20 I guess we can go on then. Is that okay? Is
21 that good enough for you, or do you want a vote? We should
22 vote on that.

23 DR. HOUN: Go on, yes.

24 DR. WOLFE: Just go on? We'll go on.

25 Question number 2(a). Do the applicant's data

1 demonstrate efficacy of Photofrin PDT in complete ablation
2 of high-grade dysplasia in Barrett's esophagus? Again,
3 that's complete ablation of high-grade dysplasia in
4 Barrett's esophagus.

5 We'll start this time with Dr. Camilleri.

6 DR. CAMILLERI: Not satisfactorily in a large
7 enough number of patients for me to say yes. So I say no.

8 DR. WOLFE: Dr. Carpenter.

9 DR. CARPENTER: It was complete eradication in
10 55 percent. So I think the answer is yes to a limited
11 extent.

12 DR. WOLFE: I'm sorry. Complete would be a
13 CR3?

14 DR. CARPENTER: Yes, but I'm talking about the
15 CR1's. That's complete eradication.

16 DR. WOLFE: The question is complete ablation
17 which we're assuming that means there is no dysplasia left
18 at all.

19 DR. MARTIN: If I read, "in complete ablation
20 of high-grade dysplasia." Isn't it what is written there?
21 That's the question. And that was the primary efficacy
22 endpoint of our study.

23 DR. WOLFE: Again, give us those figures on
24 that. High-grade dysplasia was --

25 DR. MARTIN: 77 percent.

1 DR. WOLFE: 77 percent, okay.

2 Let's start over again then. Dr. Camilleri, 77
3 percent ablation rate.

4 DR. HOUN: Let's just have the sponsor define
5 the 77 percent and the 39 percent because there was some
6 confusion on what is the numerator and denominator derived.

7 DR. WOLFE: Can the sponsor actually put up a
8 slide to show that?

9 DR. MARTIN: We have a slide up and Dr. Donner
10 will comment on it.

11 DR. DONNER: The 39 percent and the 77 percent
12 refer, respectively, out of the groups randomized to the
13 control and to the experimental group who are known to have
14 responded at 24 months.

15 We have another analysis, if we put that up,
16 which only looks at those people who were biopsied every 3
17 months for 2 years who never did discontinue therapy for
18 any reason. In this case the proportion of responders
19 compared in the two groups is even more favorable to PDT.
20 89 percent versus 39 percent. It's a coincidence that the
21 39 percent in this graph is the same 39 percent figure that
22 we saw in slide 61. But the 89 percent response rate is
23 even higher among those that were biopsied every 3 months.

24 So this just suggests that any bias resulting
25 from that analysis that was done first was in the

1 conservative direction, and I also believe it's supported
2 by the time to progression of cancer results which don't
3 deal with this issue at all because the Kaplan-Meier curves
4 incorporate censoring.

5 DR. MANGEL: Dr. Donner, am I correct? When I
6 look at that, it looks like the patients who developed
7 cancer in the active treatment group are removed from the
8 denominator, when I see the number 88.

9 DR. DONNER: No. These are the people in the
10 denominator who were biopsied continually every 3 months
11 for 2 years.

12 DR. MANGEL: I understand that. So if an
13 individual developed cancer, they're removed from the
14 denominator.

15 DR. DONNER: Yes, for this particular analysis,
16 they would have to be because you couldn't biopsy them
17 after that.

18 DR. MANGEL: Yes. And so that might at one
19 level be overestimating the response rates, I would agree,
20 for people who drop out for other reasons, but for
21 individuals who are withdrawn from surveillance because
22 they developed cancer, I believe they should remain in the
23 denominator.

24 DR. WOLFE: Can we see the ITT data?

25 DR. DONNER: This is not an ITT analysis.

1 DR. WOLFE: You have the ITT analysis, though.
2 You have that.

3 DR. DONNER: Yes.

4 DR. WOLFE: Could we just see that? Would that
5 answer your question, Dr. Mangel?

6 DR. MANGEL: No. I believe it was answered,
7 that the patients are not included.

8 DR. WOLFE: But do you want to see the ITT
9 data? Do you want to see it?

10 DR. MANGEL: No. I think it's fine. It would
11 just add 18 to the denominator for the active group and
12 about 20 to the denominator for the control group.

13 DR. WOLFE: Any more questions or
14 clarification? Dr. Camilleri.

15 DR. CAMILLERI: The clarification is that in
16 fact, with due respect to whoever put this question
17 together, I think that even though this may have been the
18 primary endpoint of the study, from a clinical perspective
19 it leaves the patients with the risk of occurrence of high-
20 grade dysplasia. And we need to come back to that.

21 DR. WOLFE: We'll come back to that. I think
22 Dr. Justice had that in mind when (b) and (c) were set up
23 as questions.

24 So let's just stick with this question right
25 now. Did the therapy work, at least in the short term?

1 We'll start over again. Dr. Camilleri.

2 DR. CAMILLERI: So to answer that specific
3 question, the answer is yes.

4 DR. WOLFE: Dr. Carpenter?

5 DR. CARPENTER: Yes.

6 DR. WOLFE: Dr. Shih.

7 DR. SHIH: Yes.

8 DR. WOLFE: Dr. Brawley?

9 DR. BRAWLEY: Yes.

10 DR. WOLFE: Dr. Levine?

11 DR. LEVINE: Yes.

12 DR. WOLFE: I say yes.

13 Dr. Gillett.

14 DR. GILLETT: Yes.

15 DR. WOLFE: Ms. Cohen.

16 MS. COHEN: I have a question because I don't
17 understand it. Does it mean they're never going to have it
18 again?

19 DR. WOLFE: No. That's (b) and (c). We'll get
20 there in a second. Did it work in the short term?

21 MS. COHEN: Well, it doesn't say short term.

22 DR. WOLFE: No, but (b) and (c) imply that.

23 MS. COHEN: Well, I have problems with the
24 question, so I'm going to abstain.

25 DR. WOLFE: Okay.

1 Dr. Kelsen.

2 DR. KELSEN: Yes.

3 DR. WOLFE: Dr. Mangel.

4 DR. MANGEL: Yes.

5 DR. WOLFE: So we have 9 yeases and 1

6 abstention.

7 Let's move to 2(b). Is a 2-year follow-up
8 period adequate to demonstrate cancer risk reduction in
9 high-grade dysplasia patients treated with Photofrin PDT?

10 Let's start this side. Dr. Goldstein, this
11 won't be a vote, but I want to hear your opinion.

12 DR. GOLDSTEIN: I think it is barely adequate,
13 but the company has already committed to a longer follow-up
14 and I think that should be more than adequate.

15 DR. WOLFE: Dr. Mangel.

16 DR. MANGEL: I agree with Dr. Goldstein that
17 the 2-year period demonstrates a risk reduction but in
18 itself is not adequate.

19 DR. WOLFE: Dr. Kelsen.

20 DR. KELSEN: I think they've demonstrated a
21 delayed time to progression. I don't know if we know
22 overall progression would be delayed, and the model I would
23 think about is where we have therapies in cancer where we
24 try for organ preservation for as long as we can. So in
25 that sense, yes, they've answered it for 2 years. I don't

1 know what it will be for 5.

2 DR. WOLFE: Ms. Cohen, is 2 years sufficient?

3 MS. COHEN: As long as there's a follow-up
4 after 2 years.

5 DR. WOLFE: Then you're saying no, it's not
6 sufficient.

7 MS. COHEN: I'm concerned. Yes.

8 DR. WOLFE: So you're saying it's not
9 sufficient.

10 Dr. Gillett.

11 DR. GILLETT: Yes, I agree that it's
12 sufficient.

13 DR. WOLFE: I don't think it's long enough.

14 Dr. Levine.

15 DR. LEVINE: I don't think it's long enough.
16 I'd like to see a post-marketing 5-year follow-up as
17 proposed by the group.

18 DR. WOLFE: Dr. Brawley.

19 DR. BRAWLEY: I am familiar with several drugs
20 that have been approved by the FDA because they reduce the
21 period prevalence of a disease. Tamoxifen is approved for
22 taking it because it reduces one's risk of breast cancer
23 during the period in which one is taking the drug, not
24 after one stops taking the drug. As such, I think that
25 they have demonstrated that you reduce the 2-year period

1 prevalence of esophageal cancer.

2 DR. WOLFE: I don't think that's the question
3 that's being asked. Most of us are saying, yes, it did
4 work for 2 years, but I think the question is stop there,
5 goodbye, see you in another lifetime, or do we talk about
6 these patients need to be monitored further.

7 DR. BRAWLEY: Oh, it becomes a very easy
8 question then. No one has figured out if it reduces the
9 risk at 3 years. That scientific question may have been
10 addressed by treating the people for 2 years, but no one
11 has shown us 3- or 4-year data, so that's an easy question
12 to answer.

13 DR. WOLFE: So keeping that in mind, do you say
14 goodbye at 2 years or do you continue on with surveillance
15 after that?

16 DR. BRAWLEY: Continue surveillance.

17 DR. MANGEL: Dr. Wolfe, I'm sorry. I actually
18 read the question differently than what you're describing.

19 DR. WOLFE: What you need to do is look at the
20 progression of questions, and that's the reason I said --
21 unless I'm wrong.

22 DR. HOUN: The issue here is a claim for cancer
23 risk reduction versus indicated for ablation of high-grade
24 dysplasia. Help us with that.

25 DR. BRAWLEY: Let me go back again. What I

1 think they have demonstrated is a cancer risk reduction in
2 the first 2 years. Have they demonstrated a cancer risk
3 reduction over a period of 5 years or for the remaining
4 life of the patient? I don't know any procedure -- has
5 polypectomy risen to that level? No, I don't think so.
6 Has ablation of cervical dysplasia risen to that level? I
7 don't think so.

8 DR. WOLFE: As long as you bring up colon
9 polyps --

10 (Laughter.)

11 DR. WOLFE: As long as you're bringing it up,
12 the reality is this. The colon and esophagus are very,
13 very similar in how they behave. If you look at
14 progression of inflammation, it goes to inflammation,
15 metaplasia, dysplasia, high-grade dysplasia, invasive
16 cancer. Exact same. As a matter of fact, the antibodies
17 that actually pick up Barrett's esophagus have a colonic
18 epitope. So they're very similar organs in that regard.
19 Ask any gastroenterologist. You would have an adenoma.
20 Guess what we do in 5 years? We check again to see if you
21 have a metachronous lesion. So recurrence does occur. So
22 if you're going to have that, then you're saying 2 years --
23 maybe we showed a 2-year risk reduction here, but we have
24 not shown anything beyond 2 years.

25 DR. BRAWLEY: I don't want to prolong this, but

1 I think we're saying the same thing. I'm saying I see a
2 benefit for the first 2 years, but you've got to follow up
3 after that.

4 DR. WOLFE: So you're saying 2 years is not --
5 okay, fine.

6 DR. SHIH: I think we need to follow up longer
7 than 2 years. I really want you to understand the
8 definition of follow-up, surveillance. That is a potential
9 problem in this study. The design says continue endoscopic
10 surveillance every 3 months, or 6 months if four
11 consecutive quarterly HGD negative follow-up endoscopic
12 biopsy result. However, I heard and I also saw the graph
13 presented, and actually it wasn't followed that way. So
14 the follow-up really has to be reinforced.

15 DR. WOLFE: Dr. Carpenter.

16 DR. CARPENTER: I think they've shown a 2-year
17 risk reduction. I'm sure that 2 years is not long enough
18 to know the efficacy.

19 DR. WOLFE: Dr. Camilleri.

20 DR. CAMILLERI: No.

21 DR. WOLFE: Now, I'm going to divide this
22 question in two for the FDA to answer your question. I'm
23 going to try first to see if we can do it by a raise of
24 hands. Has a risk reduction for 2 years been demonstrated
25 in this study? Let's show by hands first. If not, we'll

1 do a roll call. Again, the question is has the sponsor
2 shown a risk reduction over a 2-year period by use of
3 Photofrin. If you think so, raise your hand.

4 (A show of hands.)

5 DR. WOLFE: How many do not think it's been
6 demonstrated?

7 (A show of hands.)

8 DR. WOLFE: 9 to 1 that a 2-year risk reduction
9 has been shown.

10 Now, in follow-up to that question, how many of
11 you feel 2 years is an adequate period of time to say
12 that's it, you're cured? How many think that it is an
13 adequate period of time? Adequate. How many think it is
14 adequate, it is sufficient to say the patient is cured? Do
15 you any of you feel that way?

16 DR. HOUN: We're not interested in that answer.

17 (Laughter.)

18 DR. WOLFE: I am.

19 DR. HOUN: Okay.

20 DR. WOLFE: It flows to the next question.

21 DR. HOUN: We're interested in they've done the
22 2-year study. You've seen the data. Can they get a claim
23 for cancer risk reduction?

24 DR. WOLFE: We just said that.

25 DR. HOUN: Okay.

1 DR. WOLFE: For 2 years only.

2 DR. HOUN: For 2 years only.

3 DR. WOLFE: That's all that's been demonstrated
4 is 2 years at this point.

5 DR. HOUN: So you're saying, unlike tamoxifen,
6 which doesn't say reduce your risk of breast cancer while
7 you're on the drug --

8 DR. WOLFE: This is not a drug. This is a --

9 DR. BRAWLEY: It says reduce the period
10 prevalence.

11 DR. HOUN: What are you saying you're advising
12 us? To say that the claim would be reduces your risk of
13 cancer for 2 years. Is that what you're saying?

14 DR. WOLFE: I think that's what the data shows.
15 This is different from taking a drug. Don't the
16 oncologists generally call 5 years disease-free pretty much
17 cured?

18 DR. KELSEN: I think the issue they're dealing
19 with -- yes, an established esophageal cancer that's been
20 resected that hasn't recurred within -- actually the
21 highest is the first 3 years, but after 5 years is highly
22 unlikely to recur. And that's why that period.

23 I don't know if you're looking at it in this
24 way or if you can, but again, the standard of care would be
25 esophagectomy, we established this morning. And this would

1 be for at least 2 years they reduce the time to the
2 development of a tumor and preserve the organ in the
3 patients for that period. And I think what we're wrestling
4 with is we don't know if cancer will then occur in that
5 organ without esophagectomy 4 years down the line or 5
6 years down the line.

7 DR. BRAWLEY: Dr. Houn, what I would advocate
8 would be a very literal, almost skeletal like statement
9 that says at 2 years after starting therapy, the rate of
10 esophageal cancer was lower in the treated group versus the
11 group that got the other therapy.

12 DR. WOLFE: Ms. Cohen.

13 MS. COHEN: Cancer did develop in some people
14 after they have the treatment. So I say using FTC
15 language, which I'm more comfortable with, there is a
16 strong possibility that it does reduce, but to say it does,
17 period, I think puts everybody in a very vulnerable
18 position.

19 DR. WOLFE: Does the sponsor feel they've
20 demonstrated anything beyond 2 years? We're talking about
21 2 years. So they're going to do other studies to see if it
22 goes beyond that. How can they claim something that hasn't
23 been investigated?

24 Dr. Mangel.

25 DR. MANGEL: To me -- and I think I understand

1 Dr. Houn's point -- there is a difference between saying
2 that sentence in the clinical trials portion of the label
3 versus making a claim that this reduces -- or whatever the
4 wording -- you know, this reduces the prevalence or
5 frequency of cancer. If the question is should a specific
6 label indication, a label claim, be that, I would vote no.
7 If in the description of the clinical trials, as you
8 mentioned, Dr. Wolfe, there should be a description of what
9 occurred, that's how I interpreted my vote. I would vote
10 yes. But if the labeled indication, the labeled claim -- I
11 would vote no, and I must say I'm sorry. I misunderstood
12 what the vote was then.

13 DR. WOLFE: Looking at the different package
14 labels, I could see this reading that a risk reduction was
15 demonstrated using Photofrin. A durability beyond 2 years
16 has not been demonstrated, something to that effect. Does
17 that sound like something you'd be comfortable with?

18 DR. HOUN: Well, that's what we're asking
19 advice on. The indication sought is for the ablation of
20 high-grade dysplasia in Barrett's esophagus among patients
21 who refuse esophagectomy -- and I know you wanted to talk
22 more about that -- and who are in overall good health.
23 That is not a claim, an indication for cancer risk
24 reduction. This question is saying does the data support
25 that as part of an indication. We hear that we definitely

1 should be including the 2-year follow-up data in the
2 clinical trials section. I want to know and the sponsor
3 has asked for you to consider the broader indication for
4 this. So we wanted to just get your input.

5 DR. WOLFE: Dr. Camilleri, then Dr. Goldstein.

6 DR. CAMILLERI: I'm wondering whether other
7 people like Dr. Mangel will want to reinterpret this
8 question in light of his comment. I felt that none of
9 these questions really address the practical way to manage
10 this problem, and if you look at the non-C1 responders --
11 because nowhere in these questions are we going to address
12 it -- there are a lot of people. There are enough people,
13 up to 25 percent I think I was told by Dr. Carpenter, that
14 actually go on to develop cancer even in the PDT group.
15 And that's what's concerning me, and I wanted to explain
16 why my vote was a no.

17 I do believe that there is a numerical
18 reduction. We all saw that numerical reduction. But my
19 concern is that if we do not qualify and give advice to the
20 agency as to what sort of follow-up is going to be
21 necessary and how the follow-up has to be not just
22 surveillance but what would be the milestones that would
23 lead to different types of therapy, then I think I'd be
24 concerned that this move forward with a blanket approval.

25 DR. WOLFE: Dr. Goldstein?

1 DR. GOLDSTEIN: I feel absolutely certain that
2 the agency has gotten the gist of the committee's views,
3 and I think I would also suggest to you all that there are
4 facts of the study, the 2 years, the reduction of risk, et
5 cetera, all as we say, res ipso loquitur. The facts speak
6 for themselves. The distinction or the transition from
7 that to a claim and what can or cannot be in the claim is
8 something to be left, I think, between the agency and the
9 sponsor.

10 DR. WOLFE: Again, I'm looking at this just
11 very literally, and I don't think that anybody here is
12 claiming that this is a panacea, that it's complete, total
13 risk reduction. It is a risk reduction is what you're
14 asking.

15 DR. HOUN: And also help us with in whom it's
16 indicated. Is it as an alternative to esophagectomy? Is
17 it an alternative to surveillance? How do you want to
18 position this given what you've seen in the data and what
19 do the data support?

20 DR. WOLFE: Well, that's actually a separate
21 question in a way. So we'll have to add that question on.
22 In whom should this be indicated? The sponsor has asked
23 for in patients who refuse esophagectomy who are in overall
24 good health, which is in many ways, we point out, an
25 oxymoron because most of the patients who refuse surgery

1 are not in good health.

2 Ms. Cohen.

3 MS. COHEN: I'm just reading what it says here.

4 It says in the Photofrin PDT group, 18 patients have

5 progressed to cancer and another 18 had other therapeutic

6 intervention because of persistence or recurrence of HGD.

7 So you can't say positively that it cures it in 2 years. I

8 think that's very dangerous.

9 DR. WOLFE: Ms. Cohen, no one is saying it
10 cures it --

11 MS. COHEN: Well, I'm saying it.

12 DR. WOLFE: But I'm going to ask the
13 oncologists here because the oncologists treat cancer.

14 MS. COHEN: I can tell you I don't want someone
15 to tell me that it cures something if you don't know it.

16 DR. WOLFE: How many drugs offer a 100 percent
17 cure rate?

18 MS. COHEN: Well, that has nothing to do with
19 the issue.

20 DR. WOLFE: Versus a risk reduction.

21 DR. KELSEN: Not many.

22 DR. WOLFE: Do any?

23 DR. KELSEN: None. I think this is risk
24 reduction. It just means fewer people get the disease.

25 DR. WOLFE: Obviously, you would tell the

1 person, when we talk about other forms of therapy, this one
2 is available to you. Here are the data. We have numerous
3 situations in which we have different forms of therapy.

4 Let me raise a simple one and that's reflux
5 disease. People require long-term medical therapy. What's
6 offered to them is they could have long-term medical
7 therapy. They can be treated with laparoscopic
8 fundoplication or they could even undergo endoscopic
9 therapy which is now approved. So there are different
10 forms. This is much more serious, but nevertheless there
11 are choices and we explain the different choices to
12 patients and understand that none of these are perfect.
13 Esophagectomy is also not perfect.

14 Dr. Carpenter.

15 DR. CARPENTER: At some point we should talk
16 about the "in good health," and at some point I think we
17 should make advice about how to use this. It should
18 include a statement which is from the data which is of
19 those people who did not respond, there was a very high
20 risk of malignancy and 50 percent over the 2-year period
21 and that alternative therapies should be strongly
22 considered.

23 DR. WOLFE: Again, shall we first just answer
24 this specific question? Has a risk reduction been
25 demonstrated in cancer over the 2-year period? Do you want

1 us to answer that question first?

2 DR. JUSTICE: Yes.

3 DR. WOLFE: Okay. So let's just go by roll
4 call. Has a risk reduction been demonstrated over the 2-
5 year period of observation? Which way did we go last time?

6 Dr. Camilleri. Oh, no. We started with you last time.

7 Dr. Mangel, we'll start with you.

8 DR. MANGEL: If it's for a statement in the
9 label in clinical trials, I vote yes. If it's for a formal
10 indication, I vote no. I vote no if it's for a formal
11 indication. I vote yes as a statement in the clinical
12 trials. The intent of the statement changes my vote. I'm
13 sorry.

14 DR. WOLFE: Do you want us to clarify any
15 further? I think the question is, has a risk reduction
16 been demonstrated?

17 DR. HOUN: I think if you would help us in
18 terms of an indication. Right now I read to you the
19 indication was treatment is indicated for the ablation of
20 high-grade dysplasia. That is a different indication than
21 indicated to reduce the risk of esophageal cancer. So vote
22 on the indication. That helps us the most, and that's
23 probably the most important for --

24 DR. WOLFE: I want to clarify among my
25 colleagues here. We all agree that high-grade dysplasia

1 can advance to esophageal adenocarcinoma. Correct? And if
2 it's ablated, doesn't if A equals B, B equals C?

3 DR. HOUN: If the data support that, tell us
4 you say that that's part of the indication. Okay? If you
5 do not believe the data support that, you can say no, that
6 the cancer risk reduction should not be part of the
7 indication.

8 DR. WOLFE: Dr. Camilleri.

9 DR. CAMILLERI: Mr. Chair, I don't understand
10 why you want to add something to the indication that the
11 sponsor is suggesting.

12 DR. WOLFE: I'm not saying I want to do
13 anything. I haven't voted yet. But I'm looking at this
14 from a scientific perspective and what does the data
15 actually demonstrate and why are we ablating high-grade
16 dysplasia in the first place. Why are we doing it? What
17 is the purpose of it?

18 DR. MANGEL: To me, Dr. Wolfe, the difference
19 is the practical extrapolation from if it's a sentence
20 talking about what happened in the clinical trials section
21 of the label, if it's information, it helps in guidance for
22 the physician versus if it's the indication. To me, like
23 many other drugs, the propensity is too great if it's in
24 the indication, if it's a formal indication for the drug,
25 that if it's good for 2 years, it's good for 5 years, it's

1 good for 10 years, it's good for life when it's a formal
2 indication versus if it's clearly described in the clinical
3 trials what they saw and what they didn't see. So to me
4 that's why my vote, with the same data, varies depending on
5 where in the label the sentence or statements are.

6 DR. BRAWLEY: Can I quickly ask, Dr. Mangel?
7 Are you saying that cancer risk reduction is not a reason
8 to get the treatment, but --

9 DR. MANGEL: No. I think cancer risk reduction
10 is the reason to get the treatment. For me the data are
11 not robust enough in terms of longevity to have it as the
12 formal indication, where I would agree the extrapolation,
13 as Dr. Wolfe was saying, of ablation of high-grade
14 dysplasia is a reduction in cancer, but I would not allow
15 it to be discretely said.

16 DR. WOLFE: We have a difference of opinion.
17 The question reads 2-year. I think the data you've shown
18 showed a 2-year reduction in the risk of cancer. Didn't
19 the data show that? Okay. So again, keeping that in mind,
20 we can then discuss and then vote.

21 Ms. Cohen, you have a question or comment?

22 MS. COHEN: Yes, I do. "Adequate" says
23 something. It's qualifying and if it were adequate in the
24 2 years, then you wouldn't need a 5-year. So you're
25 already saying that it's adequate and therefore you can do

1 it and it's going to be fine, but that's not the case
2 because you want to continue to study it.

3 DR. BRAWLEY: Perhaps I can speak up here as a
4 card carrying epidemiologist. When we talk about risk
5 reduction, we very frequently talk about risk reduction
6 over a defined period of time. Sometimes we talk about
7 risk reduction over that defined period of time being one's
8 life. Sometimes we talk about risk reduction over a 2- or
9 a 5- or a 10-year period of time.

10 MS. COHEN: You know what bothers me, Dr.
11 Brawley, is that, again, we're looking for people who are
12 experts in their field who will be able to explain this,
13 and it all depends upon the health delivery system that you
14 get the full information.

15 DR. WOLFE: Dr. Camilleri, you had a question
16 or a comment?

17 DR. CAMILLERI: I was going to ask whether
18 anybody knew enough about the natural history of risk
19 reduction in high-grade dysplasia over a 2-year period to
20 determine whether that period of time is sufficient to be
21 sure that there wouldn't be a cancer developing
22 subsequently.

23 DR. WOLFE: I think the question was rephrased,
24 however, and we're now looking at the adequacy of the 2-
25 year period. Is that good enough? I don't think any of us

1 think that's good enough. We rephrased the question. The
2 question was, as Dr. Houn just mentioned, would the label
3 include a statement saying that there's a risk reduction --
4 again, I may be paraphrasing you incorrectly. Do the data
5 demonstrate a risk reduction in cancer in patients with
6 high-grade dysplasia treated with this modality? Is that
7 correct? Do you want that information? Do you care?

8 DR. HOUN: If this is too difficult for the
9 committee, I'm happy to table this. But again, I think
10 it's the distinction between describing it in the clinical
11 trials versus allowing the drug to be advertised for cancer
12 risk reduction. We wouldn't use the word "prevention," but
13 it could be --

14 DR. WOLFE: Reducing the risk.

15 DR. HOUN: -- reducing the risk. And if you
16 feel that 2-year data are supportive enough for that
17 indication or maybe they could get the risk reduction
18 indication after 5 years. That is the issue.

19 DR. BRAWLEY: Can I make the following
20 statement? I think most of the committee would agree with
21 this, I hope at least. I believe that the scientific data
22 suggests that this intervention reduces an individual's
23 risk of getting the diagnosis of esophageal cancer over the
24 2-year period of time. I do not believe that the data is
25 robust enough to say anything beyond 2 years, and I do

1 believe that people should undergo observation beyond the
2 2-year period of time for both dysplasia, as well as
3 esophageal cancer.

4 DR. WOLFE: Once again, we're saying exactly
5 the same thing.

6 DR. LEVINE: Why don't we vote on that --

7 DR. WOLFE: We're going to vote on that
8 exactly.

9 DR. LEVINE: -- on Dr. Brawley's statement, and
10 then it's clear?

11 DR. WOLFE: The question then is sort of
12 restated. Do the data demonstrate a risk reduction over
13 the 2-year period in the development of adenocarcinoma of
14 the esophagus in those patients treated with this modality?

15 DR. HOUN: It's not do the data show that.
16 It's is the data adequate to give them an indication.

17 DR. BRAWLEY: For 2 years.

18 DR. HOUN: For 2 years. If you're now
19 rewriting it so it's 2 years.

20 DR. LEVINE: A point of information.

21 DR. WOLFE: Yes.

22 DR. LEVINE: Dr. Houn just said she didn't want
23 it construed by virtue of advertising that it could be
24 advertised as a cancer preventive. If it was in the label
25 that it was from the scientific study to 2 years, that's

1 all right, but what we're clearly saying is we don't think
2 that it's preventive over a longer period of time. So
3 you're putting yourself in a box if we vote on is it 2
4 years preventive.

5 DR. BRAWLEY: No. Risk reduction.

6 DR. WOLFE: They're very different.

7 DR. LEVINE: Even risk reduction I think can be
8 construed, if there's no follow-up to point out that we do
9 not think it's adequate for longer term.

10 DR. HOUN: If you want to caution the agency
11 that the data not be misconstrued that way and you're
12 concerned that if it's put in the indication, it would be
13 misconstrued, you could state that.

14 When the drug is approved and the indication --
15 that is what is the black and white -- you know, the PPI.
16 Acid reducer, heartburn treatment, GERD treatment. The
17 goal is for the -- what is the indication they can promote.
18 Now, they can put in smaller print what's found in the
19 clinical trials. So it's a very important aspect on how
20 you want the drug to be used and what you think the data
21 support for the indication.

22 DR. WOLFE: So, again, I'll ask, are we voting
23 on do the data support a 2-year risk reduction in the
24 development of adenocarcinoma of the esophagus? That's the
25 question we're asking right now.

1 DR. HOUN: Do the data support an indication
2 for 2-year esophageal cancer risk reduction?

3 DR. WOLFE: Dr. Goldstein.

4 DR. GOLDSTEIN: Let me see if I can help. This
5 may turn out to be a semantic issue at the end.

6 If you use the words "drug X is indicated for
7 the reduction of the risk of cancer for 2 years," that's
8 one thing. If you agree that the data support that simple
9 fact, but the wording would go into the clinical trials
10 section, it would go into the labeling -- it's established.

11 It's in the body of the text, but not necessarily an
12 indication for its use. Penicillin is indicated for the
13 treatment of streptococcus. Penicillin is indicated for
14 the treatment of and so forth and so on. Here what they're
15 trying to do is, is it an indication? Is the evidence
16 sufficiently robust to, if you will, elevate it to a status
17 of an indication for its use? Or is the data there?
18 They've confirmed that, which I believe they have, that it
19 does do what is said here for 2 years, but not necessarily
20 as a trigger for somebody to use it for that purpose.
21 That's the distinction.

22 DR. WOLFE: Any other clarification needed
23 before we vote? Ms. Cohen.

24 MS. COHEN: If you can add a caveat in the 2-
25 year clinical trial, 18 patients had progressed to cancer.

1 I think you have to have full disclosure. Not to do this
2 is a failure to state a material fact in the language of
3 the FTC. If I'm one of the 18 people who progressed to
4 cancer, I'd be very upset if it wasn't disclosed.

5 DR. JUSTICE: That would go in the clinical
6 study section.

7 DR. WOLFE: So once again, we're voting on
8 whether the data supports a risk reduction, a reduced risk,
9 in the development of cancer over the 2-year period of
10 time. That's what we're voting on. We're going to do it
11 by roll call. Dr. Mangel.

12 DR. MANGEL: As part of the indication, I say
13 no.

14 DR. WOLFE: The answer is no.

15 DR. MANGEL: I say no.

16 DR. WOLFE: Dr. Kelsen.

17 DR. KELSEN: Yes.

18 DR. WOLFE: Ms. Cohen?

19 MS. COHEN: No, not unless there's a full
20 disclosure.

21 DR. GILLETT: Yes.

22 DR. WOLFE: I'll just put a little caveat ahead
23 of time, just one brief statement, again we already said
24 that it reduces high-grade dysplasia, which causes cancer.

25 Therefore, I say yes, it's been demonstrated to reduce the

1 risk of developing cancer.

2 DR. WOLFE: Dr. Levine?

3 DR. LEVINE: No, because I think it will be
4 construed conceivably for advertising purposes.

5 DR. WOLFE: Dr. Brawley.

6 DR. BRAWLEY: Yes.

7 DR. WOLFE: Dr. Shih.

8 DR. SHIH: Yes.

9 DR. WOLFE: Dr. Carpenter.

10 DR. CARPENTER: No.

11 DR. WOLFE: Dr. Camilleri.

12 DR. CAMILLERI: No.

13 DR. WOLFE: Well, we helped you out a lot.

14 It's 5 to 5.

15 (Laughter.)

16 DR. HOUN: Thank you.

17 DR. WOLFE: Now, the one thing I do want to
18 ask, not to change somebody's vote, but you asked about as
19 long as there's a full disclosure. They said there would
20 be a full disclosure.

21 MS. COHEN: I don't know what the advertising
22 is going to do.

23 DR. WOLFE: I don't think there's going to be a
24 big campaign on this.

25 MS. COHEN: Oh, please.

1 DR. WOLFE: This is an orphan drug more or
2 less. It's not an orphan? This is an orphan. So, again,
3 I don't see big, gigantic ads being placed.

4 But that's beside the point. We voted. It's 5
5 to 5.

6 Dr. Carpenter.

7 DR. CARPENTER: Could we have some statement or
8 vote on the part about "in good health"?

9 DR. WOLFE: We'll get to that in a second. I
10 first want to finish what we have here, and that is we
11 pretty much all agreed -- or most of us agreed -- that
12 patients need to be followed up. So how frequently should
13 patients who have undergone Photofrin PDT be monitored by
14 esophagoscopy? This is following the procedure itself.
15 They have it. There's no visual evidence of Barrett's
16 high-grade dysplasia by biopsy. How often should patients
17 be endoscoped?

18 Do you mind, no offense to anybody else, if we
19 start with the gastroenterologists first since we have
20 experience in this area? Dr. Camilleri.

21 DR. CAMILLERI: I was impressed with Dr.
22 Overholt's statement and that is in the first year, every 3
23 months, and after that, if the patient is clear, every 6
24 months, and then beyond that, probably every year.

25 DR. WOLFE: Dr. Levine.

1 DR. LEVINE: I concur, yes.

2 DR. WOLFE: I agree. That sounds exactly like
3 what I would do too.

4 Now, do you want to fight with us, any non-
5 gastroenterologists here?

6 (Laughter.)

7 DR. WOLFE: Dr. Justice.

8 DR. JUSTICE: Could you just clarify this?
9 Every 6 months for how long?

10 DR. WOLFE: Q 3 times 1 year; q 6 the second
11 year; then q 1 after that.

12 That was much faster than I thought that was
13 ever going to be.

14 (Laughter.)

15 DR. CAMILLERI: Please help me. Are we ever
16 going to vote on the proposed indication?

17 DR. WOLFE: That's what we're doing right now.
18 Now, the indication talked about which patient population.
19 Are you talking which patient population?

20 DR. CAMILLERI: And the specific request from
21 the sponsor with the specific wording.

22 DR. WOLFE: Yes. That's the patient
23 population, high-grade dysplasia in those people who are
24 healthy. Okay. So let's discuss that now because I think
25 several of us have issues with that specific definition.

1 We started with you, so we'll start with Dr. Goldstein this
2 time.

3 DR. GOLDSTEIN: I'm sorry, Mr. Chairman.

4 DR. WOLFE: Let's specifically look back to
5 your first page, and it says here in italics underneath
6 "new drug application (NDA) 21-525," "PDT with Photofrin is
7 indicated for the ablation of high-grade dysplasia in
8 Barrett's esophagus among patients who refuse esophagectomy
9 and who are in overall good health."

10 So do we feel that is the appropriate target
11 population? Is that what the indication should say?

12 DR. GOLDSTEIN: My feeling is that the language
13 needs to be cleaned up, that in fact it is confusing, to me
14 at least, as written, and in some elements contradictory,
15 as I think Dr. Carpenter has pointed out earlier.

16 DR. WOLFE: Can I offer an alternative? Then
17 we can discuss from there. How about is indicated for
18 patients with high-grade dysplasia -- among patients with
19 high-grade dysplasia? Period. It's an alternative form of
20 therapy. Does anybody else want to add to that?

21 DR. GILLETT: I think it's really important to
22 do that because you have both those who are refused
23 esophagectomy and those who refuse esophagectomy. You have
24 all stages of health. There so far is no indication of
25 adverse interaction with other illnesses or states of

1 health. I think it confuses the issue to have the extra
2 verbiage in there.

3 DR. WOLFE: Dr. Kelsen.

4 DR. KELSEN: My only concern about that is by
5 not having it in the indication a statement about
6 esophagectomy one way or another -- and I'll also have a
7 statement -- is I'm just a little bit worried that people
8 will not even consider what is still right now the standard
9 of care. And I wonder if the agency would be comfortable
10 with something that says among patients who are either not
11 candidates for or who refuse esophagectomy and can tolerate
12 the proposed treatment plan, which would allow you to still
13 clue a physician in -- it's hard to believe somebody
14 wouldn't know this, but it would clue a physician to the
15 fact that the alternative option is surgical intervention.

16 DR. WOLFE: I agree. I think the information
17 in the PI should say something to the effect that a direct
18 comparison in a study comparing this phototherapy to
19 esophagectomy has not been performed, and esophagectomy
20 still is considered the gold standard of therapy so that
21 that is indicated in there and physicians understand and
22 patients are made to understand that they are being treated
23 with something which has not been tested against the gold
24 standard. But that's their right in my view to choose
25 which form of therapy they want taking into account, again,

1 organ preservation, potential quality of life. They need
2 all the information available to them. This doesn't differ
3 from a lot of things we do. A lot of things are offered to
4 patients.

5 DR. GOLDSTEIN: Mr. Chairman, I wonder if I
6 might be allowed to suggest perhaps alternative language,
7 and the indication would read photodynamic therapy with
8 Photofrin is indicated under special circumstances as
9 alternative therapy for the ablation of high-grade
10 dysplasia in Barrett's esophagus. Period.

11 DR. WOLFE: I'm not sure. Do you want to
12 define the "under special circumstances"?

13 DR. GOLDSTEIN: Well, the point is the special
14 circumstances clause is meant to refer those interested to
15 those special circumstances such as central reference or
16 regional reference experts or laboratories or to allow the
17 agency I think to interpret with the sponsor those special
18 circumstances. They can give fuller meaning to the term
19 "special circumstances."

20 DR. WOLFE: Ms. Cohen.

21 MS. COHEN: I used to draft my own cease and
22 desist agreements, so you have to forgive me. I would say
23 that photodynamic therapy with Photofrin could be or might
24 be considered in the ablation so that you're saying there
25 are other things that might be done, but it isn't saying

1 definitely it must be. It's to be considered. And then it
2 begs the question then what other things would you offer
3 me.

4 DR. WOLFE: I'm going to come back again to an
5 example which is not nearly as dire. Come back to the case
6 of GERD. H-2 blockers are indicated in the treatment of
7 erosive esophagitis. Proton pump inhibitors are indicated
8 in the treatment of erosive esophagitis. It doesn't
9 qualify which therapy is better. What I'm proposing here
10 is very broad. It's not that broad because I don't know
11 how many patients we're talking about. But it's a broad
12 definition which is a decision made by the physician and
13 patient together. So I'm proposing it as a form of therapy
14 for the treatment of a disease.

15 DR. BRAWLEY: Mr. Chairman, can I second your
16 motion?

17 DR. WOLFE: What we can do is we can put that
18 up, and if it's voted down, we can then pick another
19 definition. How does that sound instead?

20 Dr. Levine.

21 DR. LEVINE: I think the gastroenterologists
22 here are a little skewed and more sophisticated, if I can
23 say, with this disease than the public or other physicians
24 who will be referring to them. I think although it's
25 obvious, as Dr. Camilleri pointed out to us, that it's

1 sufficient to stop and just say high-grade dysplasia as an
2 indication, I agree with the previous comment. I think we
3 probably have to put something about esophagectomy because
4 that is the gold standard, and I think if it's not there in
5 the indication, it may get lost to our colleagues who are
6 not nearly as sophisticated in gastroenterology.

7 DR. WOLFE: Dr. Carpenter.

8 DR. CARPENTER: Could you just say who do not
9 undergo esophagectomy?

10 DR. WOLFE: Who choose not to undergo
11 esophagectomy.

12 DR. CARPENTER: Who do not, and leave it open.

13 DR. KAMINSKAS: I just thought of combining
14 both the old phrasing and new phrasing by the sponsor
15 because the old phrasing, among patients who are not
16 considered to be, sort of implies a physician's decision.

17 DR. WOLFE: No, it doesn't. It implies a
18 decision was made between the physician and --

19 DR. KAMINSKAS: Among patients who are not
20 considered to be candidates for esophagectomy.

21 DR. WOLFE: But that could be the patient
22 considering that as well.

23 DR. KAMINSKAS: But I was thinking of among
24 patients who refuse esophagectomy or who are not considered
25 to be candidates for esophagectomy.

1 DR. WOLFE: Let's vote on this. I like the
2 language that was added. The therapy is indicated for the
3 ablation of high-grade dysplasia in Barrett's esophagus
4 among patients who do not undergo esophagectomy.

5 DR. GOLDSTEIN: Would you accept, addressing
6 Dr. Levine's earlier comment and my earlier suggestion, as
7 an alternative to esophagectomy? Because that in fact is
8 what it is, an alternative to esophagectomy.

9 DR. WOLFE: Dr. Camilleri.

10 DR. CAMILLERI: I'm sorry. That almost implies
11 that this is as good as, and I'm worried about that
12 terminology.

13 DR. GOLDSTEIN: Well, I certainly didn't mean
14 to imply that.

15 DR. WOLFE: By saying who don't undergo
16 esophagectomy implies to me -- it's implicit -- that
17 esophagectomy is the preferred form of therapy.

18 Dr. Mangel.

19 DR. MANGEL: I favor, if we're about to vote,
20 going back to Dr. Kelsen's proposal. When we look at what
21 was actually done in the study, the population was those
22 who refused esophagectomy, and then his proposal extended
23 it for those who are not candidates for esophagectomy. The
24 data were collected in individuals who refused
25 esophagectomy. Results in a different population may be

1 different. Probably not, but could be different.

2 DR. WOLFE: Could I ask the sponsor a question?

3 Why were these patients considered in good health and
4 refused esophagectomy? Were questions asked why they
5 refused it?

6 I'd really prefer to be as broad as the
7 statement we just said, and let's vote on it. If we vote
8 it down, then we'll change the language. So again, we're
9 going to vote on this right now, unless someone really
10 feels strongly we should discuss this further. It's going
11 to be saying the following. Photodynamic therapy with
12 Photofrin is indicated for the ablation of high-grade
13 dysplasia in Barrett's esophagus among patients who do not
14 undergo esophagectomy.

15 Dr. Mangel.

16 DR. MANGEL: Yes, I vote in favor of it.

17 DR. WOLFE: Dr. Kelsen.

18 DR. KELSEN: Yes.

19 DR. WOLFE: Ms. Cohen.

20 MS. COHEN: No.

21 DR. WOLFE: Dr. Gillett.

22 DR. GILLETT: Yes.

23 DR. WOLFE: Yes.

24 Dr. Levine.

25 DR. LEVINE: Yes.

1 DR. WOLFE: Dr. Brawley.
2 DR. BRAWLEY: Yes.
3 DR. WOLFE: Dr. Shih.
4 DR. SHIH: Yes.
5 DR. WOLFE: Dr. Carpenter.
6 DR. CARPENTER: Yes.
7 DR. WOLFE: Dr. Camilleri.
8 DR. CAMILLERI: Yes.
9 DR. WOLFE: 9 to 1.
10 Does that help you a little bit?
11 (Laughter.)
12 DR. HOUN: Yes.
13 (Laughter.)
14 DR. WOLFE: It's now 10 to 1.
15 (Laughter.)
16 DR. WOLFE: All right. Now let's move on to
17 the next question. Is the safety profile of Photofrin PDT
18 acceptable? Keeping in mind that there was some toxicity
19 in a very serious disorder, was this acceptable? We'll
20 start with Dr. Camilleri.
21 DR. CAMILLERI: Yes.
22 DR. WOLFE: Dr. Carpenter.
23 DR. CARPENTER: Yes.
24 DR. WOLFE: Dr. Shih.
25 DR. SHIH: Yes.

1 DR. WOLFE: Dr. Brawley.
2 DR. BRAWLEY: Yes.
3 DR. WOLFE: Dr. Levine.
4 DR. LEVINE: Yes.
5 DR. WOLFE: Yes.
6 Dr. Gillett.
7 DR. GILLETT: Yes.
8 DR. WOLFE: Ms. Cohen.
9 MS. COHEN: Believe it or not, yes.
10 DR. WOLFE: Wait. I have to sit down for a
11 second.
12 (Laughter.)
13 DR. WOLFE: Dr. Kelsen.
14 DR. KELSEN: Yes.
15 DR. WOLFE: And Dr. Mangel.
16 DR. MANGEL: Yes.
17 DR. WOLFE: We have a unanimous vote. We could
18 take like a 30-second drink break if you'd like.
19 (Laughter.)
20 DR. WOLFE: Moving to the last question. The
21 applicant is continuing to collect patient follow-up data
22 in PHO BAR 02 study for an additional 3 years. PHO BAR 01
23 and PHO BAR 02 taken together will provide a maximum of 5
24 years of follow-up for patients in the two arms of the
25 study. Is this 5-year period adequate to demonstrate

1 cancer risk reduction in high-grade dysplasia patients?

2 We'll start -- who did we start with last time?

3 Should we start in the middle this time? We'll start in
4 the middle. We'll start with actually Dr. Levine.

5 DR. LEVINE: In the ideal world, I'm all a 5-
6 year follow-up, real 5-year follow-up at the end of
7 treatment. And I would like to see it in the original
8 study and not in additional arms of the study that were
9 previously used back in '91 or '94 when it was the first
10 date it started. I can't remember the dates.

11 DR. MARTIN: 1993.

12 DR. LEVINE: 1993. I think, if I'm correct,
13 most of those studies were done exclusively in one unit, is
14 that correct, in Tennessee?

15 SPEAKER: (Off microphone.)

16 DR. LEVINE: So they were done by one
17 investigator in one unit.

18 DR. WOLFE: You're continuing this study for an
19 additional 3 years. Correct?

20 DR. MARTIN: Yes. This is the PHO BAR 01 study
21 that we are continuing for 3 more years. So we have given
22 you the results at 2 years. We are extending the
23 observation period for 3 more years. The study is called
24 PHO BAR 02. And those are the original patients that were
25 in.

1 DR. LEVINE: By one investigator.

2 DR. WOLFE: No, no. It's a multicenter. It's
3 the same patients.

4 DR. LEVINE: I stand corrected.

5 I don't see a problem with that. I think it's
6 mandatory to have a good output, and I don't know what the
7 number of years should be, but I think 5 is a very good
8 guess estimate.

9 DR. WOLFE: Dr. Brawley.

10 DR. BRAWLEY: I hate to do this. Remember,
11 it's a maximum of 5 years. It's not everybody at 5 years
12 or beyond. Again, I think the data that might have a
13 median follow-up of 4 years would indicate a decrease in
14 risk for that 4 years and probably indicate a decrease in
15 risk for the remainder of the life of the patient. But on
16 technicality, the answer to the question in my mind is no.

17 DR. WOLFE: Dr. Shih.

18 DR. SHIH: Yes, I have a problem with that
19 maximum 5 years. I thought it was a minimum of 5 years.
20 So maybe there's some consideration of the maximum of 5
21 years problem.

22 DR. WOLFE: Before we go any further, can I ask
23 a clarification of the sponsor? Because I really want to
24 know. What do you intend to do here? Why don't you let us
25 know? Do you intend to get the patient to 5 years and stop

1 the study? How many patients do you intend to take to the
2 5-year period of time?

3 DR. MARTIN: When patients will have been
4 surveyed for 5 years in the trial, the trial will be
5 terminated in these patients.

6 DR. WOLFE: After all the patients have been --

7 DR. MARTIN: As many patients that we can
8 follow through until 5 years.

9 DR. WOLFE: Obviously, if they drop out or die,
10 then --

11 DR. MARTIN: Yes.

12 DR. WOLFE: But those patients who are still
13 alive and willing to participate.

14 DR. MARTIN: Yes.

15 DR. WOLFE: So it's all the patients that are
16 available. There will be dropouts, I assume, for several
17 different reasons, but otherwise, you're extending the
18 study to 5 years now.

19 DR. MARTIN: Yes, except the patients who will
20 discontinue therapy for any event. But the trial is going
21 on.

22 DR. KELSEN: You mean a minimum of 5 years, not
23 a maximum of 5 years.

24 DR. DONNER: The intention is to follow all the
25 patients for 5 years. Some inevitably will not be followed

1 for 5 years for the reasons that --

2 DR. WOLFE: But some actually go longer than 5
3 years possibly.

4 DR. DONNER: Yes, absolutely.

5 DR. WOLFE: So your intent here is to continue
6 the study -- make it a 5-year instead of a 2-year.

7 DR. DONNER: Yes.

8 DR. WOLFE: Does that provide some
9 clarification?

10 DR. BRAWLEY: I think it's misworded here. I
11 agree with Dr. Kelsen. It's a minimum of 5.

12 DR. WOLFE: I agree. Well, you know what the
13 question should say. It's basically saying by changing it
14 to a 5-year observation period after therapy, is that
15 adequate to say now that --

16 DR. BRAWLEY: Yes. I would change my vote to
17 yes in that instance.

18 DR. WOLFE: Dr. Shih.

19 DR. SHIH: I really think that the minimum
20 follow-up is ambiguous here. I asked the question
21 previously, you know, if a patient discontinued the
22 therapy, are they followed up by their endoscopy? And I
23 heard they returned to their private physician and they
24 never collected data. That does not imply follow-up.
25 Follow-up means that you monitor. You collect the data.

1 So I would like to really have them clarify that. If they
2 didn't collect the data, they didn't follow up.

3 DR. WOLFE: Can the sponsor clarify? Will the
4 patients beyond year 2 be having yearly endoscopies?

5 DR. SHIH: Even after they switched therapy,
6 even after they discontinue. The omeprazole group, even
7 they started a new intervention. When you say you follow
8 up for at least 24 months, that means you still collect
9 their data. According to your protocol design, under
10 surveillance, you still go to endoscopy.

11 DR. MARTIN: Dr. Colin, do you want to comment
12 on it?

13 DR. COLIN: Yes, thank you, Francois. Just an
14 additional clarification about the long-term extension of
15 the PHO BAR 01 study which is called PHO BAR 02. We have
16 decided to go on with the follow-up of these patients in
17 order to gather long-term efficacy and safety data, but up
18 to 5 years. None of the patients will be followed in the
19 PHO BAR 02 study beyond 5 years. They may be followed
20 locally by their treating physician. We're not
21 prospectively collecting the efficacy data on these
22 patients because they will not be in the PHO BAR 02 study
23 anymore.

24 Moreover, I have also to mention that 61
25 patients only are being followed in this long-term

1 expansion because many clinical investigators did not
2 accept to participate in the PHO BAR 02 long-term extension
3 because they were already convinced of the therapeutic
4 benefits of PDT Photofrin for their patients.

5 DR. WOLFE: The FDA will have to help us here
6 with the number of patients you're going to require for a
7 long-term study. So you're saying there are 60 patients in
8 which group?

9 DR. COLIN: 61 total; 48 patients in the active
10 PDT Photofrin treatment arm, and only 13 patients in the
11 omeprazole control arm.

12 DR. HOUN: So when you say you will follow up
13 the 61 patients, is it that there will be no lost to
14 follow-up because you will continually get information on
15 them?

16 DR. MARTIN: Yes.

17 DR. HOUN: So if they took another
18 intervention, if the omeprazole group, the 13, and they go
19 to esophagectomy or PDT, you still will follow up on them?

20 DR. MARTIN: No, not if they have received
21 another intervention for a reason. If it is for cancer, it
22 would be counted as a treatment failure. If it is for
23 return of high-grade dysplasia, it is a treatment failure.

24 After the patient has the esophagus removed, they won't be
25 followed because they will then be outside the protocol.

1 DR. COLIN: Except, Francois, for cancer and
2 patient survival, we will still collect data for those
3 patients even if they reach an endpoint in the PHO BAR 02
4 study. Cancer and survival only.

5 DR. MARTIN: We will account them, but we will
6 not collect data. We cannot do biopsies on an absent
7 esophagus.

8 DR. WOLFE: I want to clarify just one thing
9 before Dr. Carpenter speaks. Remember, the omeprazole
10 group is, in essence, a surveillance of high-grade
11 dysplasia which has been only advocated to my knowledge by
12 one group, and that's the people in Chicago. Most don't
13 feel people patients with high-grade dysplasia should be
14 surveyed, except that group does. Most people have felt
15 they should have some type of intervention. So in a way,
16 this is very important data on patients who are just being
17 treated with a PPI, nothing else.

18 Dr. Carpenter.

19 DR. CARPENTER: Some of this is semantic. I
20 understand that people who have had another therapy won't
21 be followed up and then they won't be getting repeat
22 endoscopies by same group. I think what we want to know is
23 are you going to collect survival data and are you going to
24 collect data on whether or not they get cancer on all the
25 participants.

1 DR. WOLFE: Are you going to collect that data?

2 DR. COLIN: Yes. Yes, we will by telephone
3 contact with the patients.

4 DR. WOLFE: I don't think that the FDA is going
5 to say, okay, 3 years ago we decided it was okay, no
6 problem, without looking at the data 3 years from now. Is
7 that correct? You're going to look at it again to see what
8 kind of claims. Someone else besides me will be sitting
9 here telling you all that information. So we're just
10 looking, theoretically now, in principle, if this is a
11 successful study and data are provided 3 years down the
12 road and it does show that this is a durable form of
13 therapy, will then be able to say, yes, it is indeed
14 durable for a period of 5 years. Is that what you're
15 saying?

16 DR. HOUN: Yes.

17 DR. WOLFE: Okay. Now, keeping that in mind,
18 Ms. Cohen, sure.

19 MS. COHEN: I'm sorry. What about post-
20 marketing data? Are you going to forget that?

21 DR. WOLFE: I'll speak for the company. You're
22 going to do post-marketing surveillance, aren't you?

23 MS. COHEN: Well, I think you ought to think
24 about it and say that it will or will not be done.

25 DR. WOLFE: It's required, isn't it?

1 DR. HOUN: Yes.

2 MS. COHEN: The way this is worded, it isn't
3 included in it and that worries me.

4 DR. WOLFE: That's omission. It's required by
5 law that they do post-marketing surveillance. So they will
6 do it.

7 So keeping that in mind, can we start again
8 with Dr. Levine?

9 DR. HOUN: I just want to make sure Dr. Shih's
10 issues -- did you have any other questions about this
11 follow-up?

12 DR. SHIH: Yes. I think they clarified it that
13 they provide up to 5 years follow-up. They have clarified
14 that. So my answer is this is not adequate.

15 DR. WOLFE: Let's just start again. Dr.
16 Levine, are you still happy?

17 DR. LEVINE: With minimum or with maximum, I
18 think the gist of it, as long as the 5 years is in there, I
19 think it's sufficient. I'd say yes.

20 DR. WOLFE: Dr. Brawley.

21 DR. BRAWLEY: It's barely adequate.

22 DR. WOLFE: So a small Y.

23 (Laughter.)

24 DR. WOLFE: Dr. Shih. You said no. Correct?

25 DR. SHIH: Yes. I confirmed I said no.

1 (Laughter.)

2 DR. WOLFE: Dr. Carpenter.

3 DR. CARPENTER: Yes.

4 DR. WOLFE: Dr. Camilleri.

5 DR. CAMILLERI: A barely Y.

6 (Laughter.)

7 DR. WOLFE: Dr. Mangel.

8 DR. MANGEL: Yes, and I would add the comment
9 after the 5-year data, I would be comfortable having it in
10 the indication.

11 DR. WOLFE: Dr. Kelsen.

12 DR. KELSEN: Yes.

13 DR. WOLFE: Ms. Cohen.

14 MS. COHEN: If maximum is taken out and just
15 provide 5 years.

16 DR. WOLFE: Dr. Gillett.

17 DR. GILLETT: Yes, minimum.

18 DR. WOLFE: And mine is a yes also.

19 Is there anything else you would like us to do
20 besides turn the microphone on when you're speaking? Dr.
21 Camilleri.

22 DR. CAMILLERI: I'm still a little concerned
23 about what information and guidance will be given to the
24 practitioners with regard to that follow-up period in the
25 first 6 months because I found the data a little bit

1 difficult to follow because the denominator seems to be
2 shifting all the time. But it seems to me that there are
3 19 to 23 percent, depending on which data you see from the
4 agency or from the sponsor, that don't respond. Now,
5 clearly we know what to do with those patients.

6 However, if you look at the Kaplan-Meier
7 curves, whether it's for the C1, C2, C3 endpoint, there's
8 about a 30 to 50 percent of patients in the first 6 months
9 that fail to maintain that response. And the question here
10 is, should there be recurrent treatment for failure to
11 maintain the response? That's one question.

12 How are practitioners going to be able to use
13 this therapy? And should there be any advice in the
14 information provided to practitioners? What educational
15 programs are going to be offered? I'd like to hear a
16 little bit more about that, please.

17 DR. WOLFE: Will someone from the sponsor
18 please address this issue? I'll choose someone if you
19 don't --

20 DR. MARTIN: I don't know what to say to the
21 questions of Dr. Camilleri.

22 This study that we've presented, the pivotal
23 study, is a study started 5 years ago. We're planning to
24 continue observing patients for 3 more years. This is the
25 largest single randomized study evaluating a therapeutic

1 modality to treat and correct or ablate a premalignant
2 condition. Up to this time, there are some guidelines to
3 survey patients with high-grade dysplasia. No matter what
4 we can say today, high-grade dysplasia will remain a pre-
5 neoplastic disease. Patients should either receive
6 esophagectomy, which is another treatment modality, but to
7 my knowledge, has never been tested as much as we are
8 testing our proposed treatment modality at present. And no
9 other treatment modalities that are used by individual
10 physicians have suffered or sustained any prospective
11 randomized evaluation for such a long period.

12 So I think standard of care will continue to
13 exist for whoever chooses it, but an alternative therapy is
14 there that at least with data statistically significant
15 shows that this condition be ablated at least for 2 years
16 and perhaps longer. So this is all I can say. I don't
17 think we can individualize all treatments or all decisions
18 in whatever label or product monograph.

19 DR. WOLFE: Can I just ask a question of the
20 FDA? Will there be in the label recommendations that
21 endoscopy should be performed? So you'll have all that in
22 there. Is that one of the questions you're asking?

23 DR. CAMILLERI: I want to hear Dr. Overholt
24 because I don't think I got an answer. Sorry.

25 DR. OVERHOLT: I am involved with the company

1 in developing a training program. We have had one. We've
2 got one scheduled in another month and one two months after
3 that. It's a direct observation of patient care delivery
4 of the PDT to the patient, followed by a day of lecture and
5 a half a day of, in the lab, hands on actual mentoring and
6 training. Out of that program, we feel that it's adequate
7 for credentialing purposes in hospitals.

8 DR. WOLFE: Michael, are you happy with that?

9 DR. CAMILLERI: So if somebody has a C3 lesion
10 back, if they have Barrett's back, at 6 months in follow-
11 up, does the practitioner then know what to do?

12 DR. OVERHOLT: Call me.

13 (Laughter.)

14 DR. OVERHOLT: If they have a continuation of
15 Barrett's mucosa after PDT, we would encourage, based on
16 long-term data, that the patient be followed with whatever
17 modality is used for ablation of the residual Barrett's.
18 They do know that. They will know that.

19 DR. CAMILLERI: I'm happy that there appears to
20 be educational materials that will be developed.

21 DR. WOLFE: Yes. I don't think it's completely
22 decided, but it looks like it's being addressed very
23 seriously.

24 Any other questions or comments? Any questions
25 from the FDA?

1 DR. HOUN: Is the company's plan that this type
2 of training and lecture and lab be part of the approval
3 package? In order for a GI guy to do this, they undergo
4 company training and lab and lecture?

5 DR. MARTIN: I think I can commit today that we
6 could have a fair discussion with the agency considering
7 your question here.

8 DR. HOUN: Would it be the recommendation of
9 the committee that such training of lab and lecture be part
10 of this package or voluntary?

11 DR. WOLFE: I would prefer just right now that
12 we say training would be required, mandatory for using
13 this, or something to that extent. You can't just go ahead
14 and give this. It's not like taking a pill.

15 DR. CARPENTER: Highly recommended.

16 DR. LEVINE: I had a previous concern that even
17 though this is an orphan drug and it might be used in a
18 small number of people, if it's as simple as the sponsor
19 states and the learning curve is so quick and it's
20 basically a quicky course, whether it's going down
21 overnight, 2 days, 3 days, and the reimbursement is large
22 for Photofrin therapy -- I can guarantee it is large for
23 repeated dilatations in the 36 percent that have strictures
24 -- you will be getting a flood of people who are rushing
25 out to use this new toy, and I think it should be mandatory

1 that they're either regional centers and that there should
2 be an insistence that there's an expert level obtained.
3 Whether it can be done in a quick course, if it's that
4 simple, fine. I think that will discourage overuse and
5 probably keep the numerator rather than the denominator
6 eventually of the high-grade dysplasia being at a proper
7 level rather than the 250 percent almost level of patients
8 biopsying and misinterpreting the endoscopic appearance.
9 So between the requirement that we recommended about
10 expertise in pathology, I think there should also be
11 comparable expertise in doing this.

12 DR. WOLFE: I was involved with the Stretta
13 procedure from the get-go. This is analogous although this
14 is actually a steeper learning curve because it sounds like
15 one will be enough. I had people who were interested in
16 doing it come and do some endoscopy with me. They just
17 spent an afternoon with me and people in the area, and that
18 was it. You have enough people with experience throughout
19 the country that you can do the same thing. If someone
20 wants to start doing this, it would be highly recommended
21 they go spend one afternoon with someone who is experienced
22 in this area. So I think it's actually a good analogy
23 because it's a very similar type of device in many ways.

24 Dr. Mangel.

25 DR. MANGEL: Before we mandate too much, we

1 need to remember the drug is available on the market in the
2 United States right now for other indications. I think the
3 FDA should work with the company to provide as much
4 educational material. Perhaps the company could work
5 through AGA, ACG, et cetera to encourage the education of
6 physicians. But it's available right now for anybody who
7 wants to use it, and I think when we're framing our
8 recommendation to the FDA on that, we also need to keep
9 that in mind.

10 DR. WOLFE: I think FDA and the sponsor both
11 get the idea. We want some kind of training. I feel
12 comfortable with the two of them working it out.

13 Any other comments or questions?

14 (No response.)

15 DR. WOLFE: I want to thank all of you. It was
16 an actually very delightful and lively discussion, and I
17 also want to thank all of for the opportunity to spend the
18 last couple of years as your chair. I really enjoyed the
19 opportunity and the experience.

20 DR. HOUN: Thank you, Dr. Wolfe. Thank you so
21 much.

22 (Applause.)

23 (Whereupon, at 3:02 p.m., the committee was
24 adjourned.)