

UNITED STATES OF AMERICA

+ + + + +

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL

PRODUCTS ADVISORY COMMITTEE

+ + + + +

MEETING

+ + + + +

WEDNESDAY, MAY 27, 1998

The meeting took place in Versailles rooms I and II, Holiday Inn, 8210 Wisconsin Avenue, Bethesda, Maryland, at 9:00 a.m., Patricia L. Ferrieri, M.D., Chair, presiding.

15333-98 JUN 17

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy. Chair

PRESENT:

- PATRICIA L. FERRIERI, M.D. Chair
- NANCY CHERRY Exec. Secy.
- WILLIAM FREAS, Ph.D. Substitute Exec. Sec.
- MARY LOU CLEMENTS-MANN, M.D. Member
- REBECCA E. COLE Member

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005



1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

ALISON O'BRIEN, Ph.D.

NATHANIEL PIERCE, M.D.

SPEAKERS:

DR. MARGARET BASH

DR. SCOTT STIBITZ

ALSO PRESENT:

CAROLYN HARDEGREE, M.D.

C O N T E N T S

	<u>PAGE</u>
1	
2	
3	5
4	
5	
6	
7	
8	8
9	14
10	26
11	
12	32
13	
14	
15	103
16	123
17	
18	
19	
20	
21	162
22	163
23	
24	
25	

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



P R O C E E D I N G S

9:14 a.m.

1  
2  
3 CHAIR FERRIERI: We need to have an open  
4 public hearing. I'll just introduce myself first and  
5 then -- I'm Pat Ferrieri of the University of  
6 Minnesota and I chair the committee, and Nancy Cherry,  
7 our executive secretary will introduce the open public  
8 meeting.

9 MS. CHERRY: This is an opportunity for  
10 anyone who wishes to make a statement to the committee  
11 relative to the subject to be discussed at this  
12 session, you could come forward and speak. I've not  
13 been notified that anyone wishes to. No one showing  
14 any indication then, we will go on with the session.

15 CHAIR FERRIERI: Thank you, Nancy. Let's  
16 start with introductions of the committee then,  
17 starting with Dr. Poland. Please state your  
18 institution as well.

19 DR. POLAND: Greg Poland, Mayo Clinic,  
20 Rochester.

21 DR. EDWARDS: Kathy Edwards, Vanderbilt  
22 University, Nashville.

23 DR. HUANG: Alice Huang, Cal Tech.

24 DR. SNIDER: Dixie Snider, Centers for  
25 Disease Control and Prevention.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

- 1 DR. HALL: Caroline Hall, University of  
2 Rochester.
- 3 DR. GREENBERG: Harry Greenberg, Stanford.
- 4 DR. CLEMENTS-MANN: Mary Lou Clements-Mann,  
5 Johns Hopkins University.
- 6 DR. FINKELSTEIN: Dianne Finkelstein,  
7 Harvard.
- 8 DR. DAUM: Robert Daum, University of  
9 Chicago.
- 10 MS. COLE: Rebecca Cole, Consumer  
11 Representative, Chapel Hill, North Carolina.
- 12 DR. MINTZ: Eric Mintz, Centers for Disease  
13 Control and Prevention.
- 14 DR. KIM: I'm Kwang Sik Kim, Children's  
15 Hospital, Los Angeles.
- 16 CHAIR FERRIERI: Pat Ferrieri, University of  
17 Minnesota, Minneapolis.
- 18 DR. KARZON: David Karzon, Vanderbilt.
- 19 DR. KOHL: Steve Kohl, UCSF.
- 20 DR. FLEMING: Tom Fleming, University of  
21 Washington.
- 22 DR. EICKHOFF: Ted Eickhoff, University of  
23 Colorado.
- 24 DR. BREIMAN: Rob Breiman, National Vaccine  
25 Program Office.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

DR. O'BRIEN: Alison O'Brien, Uniformed Services University of the Health Sciences, Bethesda, Maryland.

DR. HOLMES: Randy Holmes, University of Colorado, Denver.

DR. PIERCE: Nate Pierce, Johns Hopkins University.

CHAIR FERRIERI: Thank you. Before we start we'd just like to mention that we conduct business by raising hands and being recognized. Speak and then introducing yourselves so that we have everything for the transcriber. Everything you say today is transcribed so that might influence you in your thinking and speaking.

MS. CHERRY: And the transcripts appear on the Internet.

CHAIR FERRIERI: Thank you, Nancy. I did not know that, or I hadn't thought of that.

Well, this is Session 4 for us. It's open and it's dedicated to the Cholera vaccine, Live Oral CVD 103-HgR, from the Swiss Serum and Vaccine Institute. And I'll turn the meeting over now to Dr. Scott Stibitz from FDA who will introduce the subject and then he can introduce the other two speakers.

We will do everything we can to stay on time

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 or we will not make the agendas for the rest of the  
2 day. Dr. Stibitz.

3 DR. STIBITZ: Thank you. I'd like to thank  
4 the committee for the time this morning and the reason  
5 why we're here is to seek your input regarding data  
6 submitted in support of a product license application  
7 for CVD103-HgR, a live oral cholera vaccine. Trade  
8 name for this product is Mutacol Berna.

9 The sponsor for this product is the Swiss  
10 Serum Vaccine Institute of Berne, and the indication  
11 for this vaccine is for the prevention of cholera in  
12 travelers to cholera-affected areas. This PLA was  
13 submitted February of 1997.

14 This slide just gives the vaccine  
15 composition. The vaccine is packaged in a foil sachet  
16 containing two hermetically sealed compartments, each  
17 containing dry ingredients.

18 Compartment A contains between two and ten  
19 times  $10^8$  viable vaccine organisms of CVD103-HgR. It  
20 also contains approximately ten times as many non-  
21 viable organisms.

22 Compartment B contains a dry sodium  
23 bicarbonate ascorbic acid buffer and the vaccine is  
24 administered by mixing both compartments with 100  
25 milliliters of water and consuming them.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           This is just a brief outline of the  
2 construction of the CVD103-HgR vaccine strain. I  
3 think you'll hear more details about this during the  
4 sponsor's presentation. But this strain was created  
5 in two steps from the starting of Vibrio cholerae 569B  
6 strain.

7           This cholera strain is of the Classical  
8 biotype and the Inaba serotype. It is also non-  
9 shigatozin producing. In the first step which was  
10 introduced by genetic manipulation the gene for the A  
11 subunit of cholera toxin in both chromosoma loci and  
12 coding collar toxin was deleted.

13           This leaves the B subunit gene intact and  
14 this strain produces the B subunit in its native  
15 pentameric form.

16           In a second step that was performed  
17 primarily to mark this strain phenotypically for  
18 environmental studies and not for the purposes of  
19 further attenuation, a gene encoding mercury  
20 resistance was introduced at the hemolysin gene locus  
21 -- the resulting deletion of most of hemolysin gene.

22           Thus, the desired end phenotype of this  
23 strain is that it does not produce the A subunit of  
24 collar toxin -- notice it's non-toxigenic -- yet it  
25 produces the B subunit of allowing this to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 presented as an antigen to create anti-cholera toxin  
2 antibodies. It's also hemolysin-negative and mercury  
3 resistant.

4 Now, the point of this slide is to point out  
5 that during the rather lengthy process involved in the  
6 creation of this strain, apparently a second unknown  
7 or uncharacterized mutation was introduced. And the  
8 phenotype of this mutation is that it results in  
9 reduced colonization with this vaccine strain.

10 This reduced colonization can be  
11 demonstrated when one compares colonization of CVD103-  
12 HgR either to the parental CVD103 or to an analogous  
13 strain, CVD103-HgR2, which was created in a manner  
14 that involved far fewer passages in vitro.

15 So that in either a mouse or a rabbit model  
16 for colonization, CVD103-HgR is seen to colonize less  
17 than either of these two strains. In addition, in  
18 human volunteers, CVD103-HgR was shed from human  
19 volunteers significantly less than CVD103.

20 Okay. Now one of the primary reasons we're  
21 addressing the VRBPAC today is with questions  
22 regarding efficacy of this vaccine. And to put this  
23 in context it's necessary to go back about five years  
24 now to the VRBPAC meeting of January 1993.

25 And at this time a generic question was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 addressed. That was the question of whether data from  
2 human challenge studies could be sufficient to  
3 demonstrate efficacy of cholera vaccines for use in  
4 travelers to endemic areas, or to cholera-affected  
5 areas.

6 And the reason for asking this question was  
7 that there appeared to be differences in the way  
8 different populations respond to cholera vaccines,  
9 such that travelers from more developed countries  
10 where field trials cannot really be performed respond  
11 to this vaccine different than residents in cholera-  
12 endemic areas where one could and have, performed  
13 field trials.

14 And these differences are revealed by the  
15 dose of vaccine which is needed to achieve comparable  
16 rates of seroconversion. Thus, in endemic areas  
17 approximately a tenfold higher dose of vaccine is  
18 needed to achieve the same rate of seroconversion.

19 In addition, immunogenicity in these  
20 populations tends to be less than in naive volunteers.  
21 And this has been attributed to two, non-exclusive  
22 possibilities.

23 One is that in endemic areas higher levels  
24 of pre-existing immunity to cholera limit the  
25 replication of the vaccine organisms, thus engendering

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 less of an immune response. And also that perhaps  
2 competing ileal microflora can also compete with this  
3 vaccine strain limiting its replication.

4 Now, the questions that we're bringing to  
5 the panel today are primarily directed at efficacy,  
6 and the data submitted to support efficacy in this  
7 application have primarily involved the volunteer  
8 challenge studies with live *Vibrio cholerae*.

9 Now, the degree of protection observed in  
10 these studies has varied depending upon the nature of  
11 the challenge strain. The highest protection was seen  
12 against challenge with the classical parental vaccine  
13 strain, 569B, and somewhat lower efficacy was seen  
14 with El Tor biotype strains.

15 In addition, we've just recently received  
16 results of a large scale field trial of CVD103-HgR in  
17 Indonesia, and this did not demonstrate efficacy  
18 against cholera. Possible causes for this -- and I'm  
19 sure the sponsors will elaborate on this -- would  
20 include that the timing of the disease peak incidence  
21 was not optimal relative to time of vaccination.

22 In addition, the requirement for protection  
23 against El Tor biotype of gamma serotype strains --  
24 this is virtually all the disease that was seen was  
25 due to strains of this type -- and this represents a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 heterologous challenge to this vaccine.

2 In light of what I've said, the questions  
3 for the VRBPAC are as follows. First question: In  
4 light of the recent results from the Indonesian field  
5 trail, does the panel consider that volunteer  
6 challenge studies with *Vibrio cholerae* can suffice to  
7 demonstrate the efficacy of CVD103-HgR in the  
8 prevention of cholera in U.S. travelers to cholera-  
9 affected areas?

10 The second question: If the panel considers  
11 that challenge studies can be adequate for  
12 demonstration of efficacy in travelers, are the data  
13 from the challenge studies presented for CVD103-HgR  
14 adequate in this regard?

15 This has four subparts: a) were the  
16 challenge studies designed and executed adequately?;  
17 b) are the data regarding heterologous biotype  
18 challenge (in other words, with El Tor strains)  
19 adequate in light of the prevalence of El Tor strains  
20 in endemic areas?; c) are the data sufficient to  
21 demonstrate protection from challenge for a period of  
22 time following vaccination that is sufficient for  
23 travelers?; and d) if the panel feels that the data  
24 regarding efficacy are not sufficient to support  
25 licensure, what additional studies would be needed to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 address these issues?

2 The third question addresses the question of  
3 bridging data: Can immunogenicity studies be used to  
4 provide bridging data to the adult volunteer  
5 population to support administration of this vaccine  
6 to children?

7 And four, we would like the panel to comment  
8 on the adequacy of the data supporting safety in the  
9 target population -- in adults and in children.

10 That's all I have at this point. Any  
11 questions? If not, I will turn the podium over to Dr.  
12 Eric Mintz who will give us some background on the  
13 epidemiology of cholera.

14 CHAIR FERRIERI: Thank you, Dr. Stibitz.

15 DR. MINTZ: Good morning. It's a pleasure  
16 to be here this morning and I'd like to thank the  
17 committee for inviting me. Most of the slides I'll  
18 show are included on this handout. There are several  
19 copies I think, circulating, and there are also some  
20 additional references -- copies are also available.

21 Cholera has challenged humanity for  
22 centuries and yet despite our best efforts it is still  
23 a disease that remains very much in force today. This  
24 talk will focus on cholera epidemiology in the modern  
25 era, and I'll begin when cholera ventured forth in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 pandemic fashion from its homeland on the Indian  
2 subcontinent to populations throughout the rest of the  
3 inhabited world.

4 According to Politzer, the first cholera  
5 pandemic began in 1817 and ended six years later in  
6 1823. No isolates of *Vibrio cholerae* from that  
7 pandemic were serogrouped or biotyped; in fact, the  
8 bacterial cause of cholera would not be discovered  
9 until many years later.

10 Similarly, pandemics 2, 3, and 4 were caused  
11 by *Vibrio cholerae* of an unknown serogroup and  
12 biotype. We do know, however, that the fifth and  
13 sixth pandemics were both caused by *Vibrio cholerae*  
14 strains that were serogroup 0-1 and the Classical  
15 biotype.

16 Although other serogroups and biotypes of  
17 *Vibrio cholerae* were recognized causes of diarrheal  
18 disease, until the 1960s it was widely believed that  
19 only the 0-1 serogroup and the Classical biotype  
20 strains had the potential to cause epidemic disease.

21 The El Tor biotype was first isolated from  
22 the dead bodies of returning Pilgrims in Egypt in  
23 1905, and was considered at that time a curiosity. It  
24 was not seen again until 1937 when it resurfaced in  
25 Egypt and it caused sporadic cases and small outbreaks

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 there over the next 20 years.

2 In 1958 the World Health assembly concluded  
3 that El Tor Vibrio lacked the capacity for epidemic  
4 spread; a decision that was soon overturned in the  
5 face of overwhelming evidence to the contrary provided  
6 by the 7th pandemic.

7 This ongoing pandemic that began in 1961,  
8 has reached more countries, caused more cases, and has  
9 lasted far longer than any of its predecessors. I'll  
10 say a bit more about it and the features that  
11 distinguish the El Tor from the Classical biotypes  
12 shortly.

13 In 1992 an epidemic of cholera emerged in  
14 Madras, India, thoroughly disproving the other tenet  
15 of traditional cholera scholarship. The strains from  
16 this epidemic did not agglutinate in O-1 antisera or  
17 in any of the other existing O-group antisera, and was  
18 designated serogroup O-139.

19 Molecular analyses have since demonstrated  
20 that the O-139 strains resemble serogroup O-1 biogroup  
21 El Tor strains, although infection with one does not  
22 confer immunity with infection to another.

23 After causing epidemics in a dozen countries  
24 on the Indian subcontinent and in Southeast Asia, the  
25 O-139 strain has all but disappeared, leading one to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 question whether it will return to cause a much  
2 heralded 8th pandemic, or sink into public health  
3 obscurity.

4 This slide shows the distribution of cholera  
5 during the first six pandemics, from 1817 through  
6 1950. And this slide shows the global spread of the  
7 7th pandemic of cholera from 1961 through 1991 -- the  
8 first 30 years of the El Tor pandemic.

9 To bring this slide up-to-date we should  
10 really extend this red line that goes down the coast  
11 of South America, eastward along the Amazon River and  
12 both South and Northward along the coast of Brazil and  
13 the Guyanas.

14 Please also note this small, green circle  
15 marked 1977 in the riverine coastal areas of  
16 Queensland, Australia, and this small, yellow circle  
17 marked 1973, off the Gulf Coast of the United States.  
18 These two circles represent endemic, natural foci of  
19 *Vibrio cholerae*; distinct, toxigenic, *Vibrio cholerae*,  
20 serogroup O-1, biotype El Tor strains that differ from  
21 the pandemic strain.

22 The date represent the years in which the  
23 first cases of cholera due to these endemic strains  
24 were recognized. Since those years a handful of  
25 sporadic cases related to drinking or swimming in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 contaminated waters in Australia, or even raw or  
2 undercooked shellfish from the Gulf Coast of the  
3 United States, have been documented.

4           However, neither of these strains has spread  
5 in epidemic fashion. Over the last decade or two the  
6 infamous agent of pandemics 5 and 6, the Classical  
7 biotype of *V. cholerae* O-1, has behaved just like this  
8 with a small, endemic focus responsible for a few  
9 sporadic cases in only one location in the world --  
10 Bangladesh.

11           What are some of the clinically and  
12 epidemiologically relevant differences between the  
13 Classical and the El Tor biotypes? The El Tor strains  
14 survives longer in the environment and multiplies  
15 faster in foods than the Classical strain. These two  
16 pie charts illustrate the symptom profile of patients  
17 infected with either Classical or El Tor strains.

18           Asymptomatic cases, shown in green,  
19 represent 59 percent of Classical biotype infections  
20 and 75 percent of infections with the El Tor biotype.  
21 Severe cholera, shown in red, occurs in 11 percent of  
22 those patients infected with Classical strains, and  
23 only two percent of those infected with El Tor.

24           It may be that this apparent, reduced  
25 virulence confers a competitive advantage to El Tor

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 strains. Asymptomatic patients and those with mild or  
2 moderate disease may contribute more of the  
3 transmission overall than patients with severe cholera  
4 who may die soon after their illness begins. Severe  
5 illness is also associated with high dose exposure,  
6 low gastric acidity and blood group O.

7 Turning now to cholera surveillance, this  
8 histogram shows the number of cases reported to WHO by  
9 member nations from 1984 through 1996. Global  
10 surveillance for cholera has its problems. Fears of  
11 economic sanctions keep many nations from reporting,  
12 and even those countries that do report fail to  
13 identify many cases.

14 Nonetheless, we can see that worldwide, some  
15 40- to 50,000 cases who reported annually in the late-  
16 1980s, rising to about 70,000 cases in 1990, and to  
17 nearly 600,000 cases in 1991 -- the year the 7th  
18 pandemic reached Latin America.

19 Since then, the reported world total has  
20 steadily dropped to 143,000 cases in 1996 - the most  
21 recent year for which data are available.

22 This is the same graph only the cases  
23 reported from the Americas are shown in yellow. The  
24 steady decline in reported cases from Latin America  
25 from nearly 400,000 cases in 1991 to less than 25,000

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 cases in 1996, is evident. Also apparent is the surge  
2 in cases in 1991, mostly due to activity in Africa,  
3 and another surge in 1993 and '94 related in part to  
4 the Asian spread of *Vibrio cholerae* O-139.

5 Data for the African, Asian, and American  
6 regions are shown more clearly here. Note that in  
7 1996 Africa reported far more cases than any other  
8 region.

9 What about the situation in the U.S.? This  
10 graph shows cholera cases in the United States by  
11 year, from 1965 through 1997. Here too, one can see  
12 the dramatic impact of the Latin American epidemic in  
13 1991, and the 1993/94 epidemic of O-139 in Asia.

14 These are essentially the cases that the  
15 United States reports to WHO each year, and cholera  
16 surveillance in the United States also has its  
17 problems. To meet the case definition of the CDC a  
18 person has to have a diarrheal illness and either a  
19 positive culture or a serologic test confirmed at CDC.

20 Therefore, all asymptomatic cases and all  
21 cases whose illness is not laboratory-confirmed, are  
22 missed. We try our best to confirm every suspected  
23 case of cholera reported to us but we miss cases who  
24 do not seek medical attention; those who seek medical  
25 attention but in whom cholera is not suspected; and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 those who are treated without our being informed.

2 We don't have a very precise idea of how  
3 many cases that represents -- how many patients -- but  
4 it would include for example, cases among ex-patriots  
5 living overseas long-term.

6 What do we know about the reported cases in  
7 the U.S.? They numbered 333 over a 33-year period --  
8 an average of ten cases per year. Four patients died,  
9 for a case mortality rate of 1.2 percent.

10 Two hundred and twenty-seven cases, or 68  
11 percent, occurred in persons who reported foreign  
12 travel in the seven days before illness; compared with  
13 58, or 17 percent of cases who reported eating Gulf  
14 Coast seafood in the seven days before illness, and  
15 from whom the Gulf Coast strain was isolated.

16 Eighteen cases, or five percent, were  
17 infected with *Vibrio cholerae* O-139. Although most  
18 cases were sporadic, several large outbreaks  
19 contributed to the total. An outbreak in 1994 among  
20 passengers on an Asian cruise, contributed 17 of the  
21 18 total O-139 cases.

22 An outbreak in 1992 led to cholera in 75  
23 airline passengers on a flight from Lima to Los  
24 Angeles. And the largest domestic outbreak occurred  
25 in 1981 when 16 workers on a Gulf Coast oil rig

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 developed cholera after sharing a common meal.

2 This graph shows the 58 cases of cholera  
3 associated with Gulf Coast seafood by year of onset.  
4 Apart from the occasional outbreak, few or no sporadic  
5 cases are reported each year. And here I should  
6 mention that in many areas cholera is a seasonal  
7 disease.

8 For example, cases associated with Gulf  
9 Coast seafood have always clustered in the late summer  
10 months when the waters are warm and consumption of raw  
11 oysters and steamed crab are at a peak. In Central  
12 America and other countries north of the equator,  
13 these same summer months tend to be the periods of  
14 most epidemic activity, whereas in Peru and in  
15 countries south of the equator, the most cases occur  
16 in their summer months -- from January through April.

17 Travel-associated cases in the U.S. don't  
18 show any particular seasonality, probably because they  
19 include a mix of many travelers to many different  
20 areas with overlapping and opposing seasonal patterns  
21 of cholera.

22 This graph shows the 227 travel-associated  
23 cases, not by season but by year, from 1965 through  
24 1997. Again, the impact of the Latin American  
25 epidemic and the O-139 epidemic in Asia in the early

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 1990s is evident, with considerably fewer cases  
2 reported in the last two years.

3 Let's examine these travel-associated cases  
4 a little more closely. Two travelers died as a result  
5 of their infections for a case fatality rate of one  
6 percent. The number of cases reported rose from an  
7 average of 1.6 per year from 1965 through 1991, to 21  
8 cases per year from 1992 through 1997.

9 However, there was a much less dramatic  
10 change in the rate of travel-associated cholera cases  
11 per 100,000 to overseas air travelers. From 1965  
12 through 1991 this rate was estimated at 0.2 cases per  
13 100,000 -- or approximately one case per million air  
14 travelers.

15 This rose to approximately .3 cases per  
16 100,000 from 1992 through 1994. The rate has remained  
17 relatively stable in large part because of the  
18 enormous overall increase in international air travel  
19 in recent years.

20 When one looks at specific countries or  
21 regions one can find higher rates; for example, as  
22 high as 2.3 cases per 100,000 air travelers for India  
23 and Pakistan in 1992 through '94.

24 Who are the travelers who get cholera? From  
25 1992 through '94 only 50 percent of them were U.S.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 residents. Many of the non-U.S. residents who live  
2 overseas imported their cases of cholera with them on  
3 a visit to the U.S.

4 They are small numbers, but among the 40  
5 U.S. residents with travel-associated cholera for whom  
6 the reason for travel was known, 31, or just over 75  
7 percent were homeland visitors -- people who were born  
8 overseas and who acquired cholera during a trip home  
9 to visit family or friends -- while only a small  
10 number of cases occurred in traditional tourists or  
11 business travelers.

12 The large and heterogeneous group of  
13 homeland visitors also makes up the majority of cases  
14 of typhoid fever and malaria in the U.S., and they  
15 represent the difficult population to target with  
16 standard prevention measures such as health education,  
17 chemoprophylaxis, and immunizations.

18 To conclude, I threw this slide together and  
19 I hope it's not too controversial. It makes some  
20 rough comparisons between the epidemiology of cholera  
21 and that of typhoid fever.

22 I want everyone to understand that these  
23 diseases are different, that the surveillance systems  
24 operated out of CDC for these two diseases are quite  
25 different, the data available for comparison are from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 different periods, and that different denominators  
2 were used to calculate rates per 100,000 travelers.

3 So if you bear all of that in mind we can go  
4 through this and see that there were 203 cases of  
5 cholera reported in 1992 through 1997, compared with  
6 2,445 cases of typhoid fever reported from 1985  
7 through 1994. This works out to an average of 34  
8 cholera cases per year compared with about seven times  
9 that many, or 245 typhoid cases per year.

10 One death was attributed to cholera and ten  
11 deaths occurred due to typhoid fever. And 185, or 91  
12 percent of the cholera cases occurred among travelers,  
13 compared with 1,687, or 72 percent of typhoid fever  
14 cases.

15 The one cholera death occurred in a traveler  
16 and five, or half of the typhoid fever deaths occurred  
17 in travelers. By coincidence, 57 percent of the  
18 travelers who acquired cholera were U.S. residents;  
19 the same percent of travelers who acquired typhoid  
20 fever were U.S. citizens.

21 Finally, despite the approximately 7-fold  
22 fewer cholera cases per year, the rates of cholera per  
23 100,000 air travelers are approximately the same as  
24 the rates of typhoid fever per 100,000 travelers.  
25 This is in part due to the fact that for the typhoid

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 fever denominator, persons returning from Mexico and  
2 Canada over land or by sea were also included in the  
3 denominator.

4 And finally, travelers to India and Pakistan  
5 were at greatest risk for both cholera and typhoid  
6 fever for the periods studied: a rate of 2.3 cholera  
7 cases compared with the rate of 4.5 typhoid fever  
8 cases per 100,000 air travelers.

9 That concludes the presentation. If time  
10 permits I'd be happy to entertain questions.

11 CHAIR FERRIERI: Thank you, Dr. Mintz. Dr.  
12 Poland.

13 DR. POLAND: Are those U.S. civilian cases  
14 only? In other words, would military personnel be  
15 included in the numbers that you showed?

16 DR. MINTZ: I honestly don't know the answer  
17 to that question. I'm not aware of any military cases  
18 among personnel in the military reported to us in  
19 recent years. I think that would depend on whether or  
20 not we received a specimen -- we were notified and  
21 received a specimen for confirmation.

22 CHAIR FERRIERI: Dr. Edwards.

23 DR. EDWARDS: Could you comment on what  
24 countries require you to have cholera immunizations  
25 prior to entering, or are there any, currently?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. MINTZ: To the best of my knowledge --  
2 and this is perhaps several years out of date -- no  
3 countries require cholera immunization. I was told in  
4 1991 when the epidemic -- the 7th pandemic reached  
5 Latin America -- that the last country -- and I  
6 believe it was Pitcairn Islands -- abolished the  
7 requirement for a cholera vaccination for entry.

8 Now, that is what WHO is told and what  
9 actually occurs at the frontier of one country and  
10 another country during a cholera epidemic may be  
11 different from what WHO has on the record books.

12 CHAIR FERRIERI: Other questions? Dr.  
13 Greenberg.

14 DR. GREENBERG: Your data on the incidence  
15 of cholera in travelers, do you have any idea of what  
16 the duration of that travel was -- how that was  
17 defined? And specifically, the large number of  
18 cholera cases in homeland travelers, were those the  
19 typical 2-week to 2-month travelers, or could they be  
20 traveling for longer periods of time?

21 DR. MINTZ: We don't have that data, and  
22 regrettably. I think that would help inform  
23 recommendations and prevention measures. I think  
24 there's a range of -- and this is by anecdote -- of  
25 some patients who I'm aware had been overseas for a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 short time, a week or two, and others who I think had  
2 been overseas for a good deal longer. But I can't  
3 give you any harder numbers.

4 CHAIR FERRIERI: Do you have any recent  
5 data, Dr. Mintz, on the prevalence of any specific  
6 biotypes of differences worldwide?

7 DR. MINTZ: Biotype El Tor and Classical?  
8 El Tor is predominant in every country in the world,  
9 and I believe the Classical biotype continues to cause  
10 relatively few sporadic cases in Bangladesh, but not  
11 elsewhere.

12 CHAIR FERRIERI: Thank you.

13 DR. MINTZ: Cholera has proven that it can  
14 surprise us and I can't predict what will happen, and  
15 I don't think anyone can.

16 CHAIR FERRIERI: Thank you very much. Oh,  
17 there is one other question. Yes please, Dr. Pierce?

18 DR. PIERCE: I take it from your data that  
19 if individuals traveling to other countries became ill  
20 in those countries, were treated and got better, that  
21 those episodes would not appear here. Is that right?

22 DR. MINTZ: Unless the person came back to  
23 the U.S. or their physician overseas reported the case  
24 to us, they would not be counted, that's correct.

25 CHAIR FERRIERI: Yes, Dr. Karzon.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 DR. KARZON: Is your intelligence good  
2 enough so that a traveler can call you and say, I'm  
3 going to X country and will be there three weeks and  
4 I'm going to be doing thus and such work, for you to  
5 be able to say, what is there during that current  
6 period and what type it would be, and therefore  
7 whether a given vaccine is appropriate?

8 Essentially as is done with malaria where  
9 the sites are known and the resistant strain types are  
10 also known, and so one can tailor the response.

11 DR. MINTZ: No. I think it's partly a  
12 reflection of the surveillance problem. Many  
13 countries do not report cholera even though cases  
14 occur there, so we rely on other sources other than  
15 official sources.

16 In a sense, travelers are guinea pigs, our  
17 surveillance system, and we have information on every  
18 strain we isolate from a traveler in every country  
19 that traveler went to, and that's our most accurate  
20 source of this information. But it's not up-to-date.

21 CHAIR FERRIERI: Dr. Snider.

22 DR. SNIDER: Eric, I know it's almost a  
23 catch-22 since a lot of the places people would go if  
24 they got ill there with a diarrheal disease, may not  
25 have the facilities to really prove the diagnosis of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 cholera.

2 But I was wondering about Peace Corps  
3 workers. If we've talked to those folks who do have  
4 access to -- if generally get very ill -- would have  
5 access to a good medical care, that might be  
6 evacuated. But do we know anything about cholera in  
7 that population?

8 DR. MINTZ: Again, in my time working with  
9 cholera surveillance at CDC about the last seven or  
10 eight years, no cases among Peace workers have been  
11 reported to us, and we have gotten on multiple  
12 occasions, notification or serologic specimens from  
13 Peace workers with suspected typhoid fever, for  
14 confirmation.

15 So I think the link between the Peace Corps  
16 and the Centers for Disease Control is close enough  
17 that we would hear if a case of cholera were diagnosed  
18 in a Peace Corps worker.

19 CHAIR FERRIERI: Dr. Breiman.

20 DR. BREIMAN: Eric, given the fact that  
21 we're going to be talking about challenge studies in  
22 a little while, do you have a -- in your epidemic  
23 studies -- do you have an idea of what the attack rate  
24 is given exposure? I'm sure it also has to do with  
25 the amount of exposure, but do you have a sense of --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 is it one in 100 or one in ten?

2 DR. MINTZ: Well, the -- really from  
3 sporadic cases we can't get that information so it's  
4 only in the outbreaks such as the ones that I  
5 mentioned here. Attack rates there tend to be fairly  
6 high.

7 The 75 infected passengers on the airline  
8 flight I think made up more than half the total  
9 passengers on that flight; I don't recall the data  
10 exactly and I don't know that everyone on the flight  
11 ate the implicated food, either. But they tend to be  
12 fairly high, I would say, in the outbreaks that we've  
13 detected.

14 DR. BREIMAN: Okay. And one other thing.  
15 I'm sort of used to thinking about pandemics for  
16 another disease. Is the way you define a pandemic for  
17 cholera relevant in terms of how long the El Tor has  
18 lasted? Does your surveillance influence that? In  
19 other words --- well, maybe you could summarize how a  
20 pandemic is actually defined.

21 DR. MINTZ: I think it's based on isolating  
22 the same or a very similar strain from a predominant  
23 number of cases in a country or region or the world.  
24 And when one looks very closely at the seven pandemic  
25 strains one can see fine differences probably arising

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 from mutations. There are two strains circulating in  
2 Latin America. They are somewhat different from the  
3 strains in Africa. But overall it's a fairly  
4 homogeneous group of isolates. That's how we define  
5 them.

6 CHAIR FERRIERI: I'm afraid we have to close  
7 now in order to get on to the sponsor. Thank you very  
8 much, Dr. Mintz. That was very helpful.

9 Dr. Levine, will you be presenting? Please  
10 introduce yourself and then you can introduce other  
11 members of your team.

12 DR. CRYZ: Okay. My name is Stanley Cryz.  
13 I'm director of Research and the Serum and Vaccine  
14 Institute in Berne, Switzerland, and I'd like to first  
15 thank the committee and the special consultants for  
16 their time and effort spent in considering this  
17 massive amount of data you've been inundated with.

18 If I could have the first slide. What I'd  
19 briefly like to do is go through the topics that we  
20 will cover today, which we've divided into eight  
21 sections. I will briefly make some introductory  
22 comments followed by, again, a very brief overview on  
23 the indications for use.

24 I'll skip the manufacturing and concentrate  
25 on galenic formulation of the vaccine; then move on to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the rationale for why we developed the live, oral,  
2 attenuated vaccine for cholera -- specifically that  
3 versus an inactivated vaccine.

4 We'll then move on to a presentation on the  
5 construction and genetic characteristics of *Vibrio*  
6 *cholerae* CVD 103-HgR. And then, although we've heard  
7 an excellent presentation by Dr. Mintz on the  
8 epidemiology of cholera and the incidence in U.S.  
9 travelers and U.S. personnel, we'd also like to raise  
10 the question: Is vaccination warranted against  
11 cholera in the international traveler?

12 The next subject will be the safety and  
13 immunogenicity of the vaccine in subjects residing in  
14 cholera endemic and non-endemic regions; followed by  
15 the efficacy of the vaccine as determined in a  
16 volunteer challenge model.

17 And the final compartment will be the large-  
18 scale, double-blind, randomized, placebo-controlled  
19 field trails to demonstrate the effectiveness of  
20 Mutacol Berna vaccine in Jakarta, Indonesia.

21 Now, my introductory comments will focus on  
22 the current, existing vaccine that's licensed in the  
23 United States for use in preventing cholera among  
24 travelers. This is the armamentarium that we  
25 currently have. It's a venerable vaccine. Its method

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 of manufacturing and characteristics has remained  
2 unchanged, essentially, for the one century since it  
3 was first introduced.

4 It's comprised of phenol inactivated Vibrio  
5 cholerae whole cells of both the Inaba and the Ogawa  
6 serotype. Primary immunization consists of two doses  
7 given one to four weeks apart by either the  
8 intramuscular, interdermal, or subcutaneous route.  
9 The single booster dose is recommended every six  
10 months upon continued exposure to cholera.

11 As far as adverse reactions go, this is a  
12 direct quote from the package circular: local  
13 reactions manifested by erythema, induration, pain,  
14 and tenderness at the site of injection occur in most  
15 recipients, and such local reactions may persist for  
16 a few days. Recipients frequently develop malaise,  
17 headache, and mild to moderate temperature elevations  
18 which may persist for one or two days.

19 My own personal experience with this vaccine  
20 is that after receiving the first dose I experienced  
21 most if not all of these reactions, which did not  
22 motivate me to receive my second immunization as  
23 recommended.

24 Efficacy -- again, a direct quote: field  
25 studies carried out in endemic cholera areas have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 shown cholera vaccines to be approximately 50 percent  
2 effective in reducing incidence of disease, and only  
3 for three to six months.

4 Now, some of what I'm to cover in the next  
5 three slides has already been addressed by Dr. Stibitz  
6 so I'll make it very brief. The vaccine, the strain  
7 is entitled CVD 103-HgR as a deletion in the A subunit  
8 of cholera toxin, and a cassette of genes encoding for  
9 mercury resistant was inserted into the hemolysin A  
10 loci.

11 A single, oral dose contains two to eight  
12 times  $10^8$  colony forming units of the vaccine  
13 organism, as mentioned. We envision the target  
14 population for this vaccine to be travelers greater  
15 than two years of age entering an area where cholera  
16 is either epidemic or endemic.

17 We'd like to emphasize immunization of high  
18 risk individuals -- and we believe we can target those  
19 -- and those with predisposing conditions which  
20 increase the risk of acquiring cholera. Dosing and  
21 administration, very straightforward. A single, oral  
22 dose of vaccine and buffer reconstituted in 100 mls of  
23 water taken on an empty stomach.

24 The galenic formulation of this vaccine is  
25 relatively unique and I'll spend a few moments on it.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 The vaccine is presented in a double chambered,  
2 aluminum foil sachet.

3 Chamber A as Dr. Stibitz stated, contains  
4 sodium bicarbonate. Ascorbic acid buffer is necessary  
5 to neutralize the gastric acidity to maintain the  
6 viability of the vaccine organisms as they transit the  
7 gut.

8 The B chamber contains the lyophilized  
9 vaccine strain, together with excipients which are  
10 predominantly sugars.

11 Administration of the vaccine is relatively  
12 straightforward. You essentially -- let's go back to  
13 this slide -- you essentially fold along this  
14 perforation, you cut along the lines. The contents  
15 are emptied into 100 mls of water and they're ingested  
16 on an empty stomach.

17 And that is the extent of my introductory  
18 presentation. I'd like to save as much time as  
19 possible for the clinical aspects. If there are any  
20 questions I'll be happy to entertain them.

21 CHAIR FERRIERI: We'll be holding questions  
22 until afterwards. Thank you.

23 DR. LEVINE: Good morning, ladies and  
24 gentlemen. There are three populations that  
25 international advisory groups have targeted as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 potential recipients that might benefit of new cholera  
2 vaccines.

3           These populations of the vaccines that could  
4 help such populations would have somewhat different  
5 characteristics. To prevent disease in endemic areas  
6 where there's a high incidence in toddlers and pre-  
7 school children, one would need a vaccine that could  
8 be administered within the expanded program on  
9 immunization because that is virtually the only  
10 infrastructure for delivering vaccines. And the  
11 vaccine would have to confer long-term protection, the  
12 vaccine would have to be extremely inexpensive to be  
13 used in that situation.

14           One of the characteristics epidemiologically  
15 of cholera, is that it tends to occur in relatively  
16 explosive or endemic areas, and in seasonal activity.  
17 And we've seen across the world in the past decade,  
18 certain populations such as refugees in sub-Sahara  
19 Africa and in Southeast Asia suffer cholera when they  
20 have gathered in refugee camps.

21           We've seen in the early days of cholera  
22 hitting Latin America, populations near areas of  
23 cholera activity at risk. And for those populations  
24 one would want a vaccine that ideally would work with  
25 a single dose, would have a very short period of time

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 between vaccination and the onset of protective  
2 activity.

3 And lastly, the group of travelers such as  
4 Dr. Mintz described, from industrialized countries who  
5 visit areas of the world where cholera is endemic or  
6 epidemic.

7 There is some degree of relationship between  
8 these groups. There's no country at present that uses  
9 cholera to prevent recurring, endemic disease. There  
10 is great interest on the part of the World Health  
11 Organization and other international agencies to  
12 perhaps stockpile vaccine for use in this type of  
13 situation.

14 And the use in vaccine in travelers creates  
15 the manufacturing commitment if you will, to make  
16 vaccine, and sales of vaccines to travelers form a  
17 subsidy that creates the potential for use of vaccine  
18 in other venues. We will be talking about a vaccine  
19 in the next minutes that we believe represents a step  
20 forward for the prevention of cholera in travelers.

21 The rationale for our approach of developing  
22 a live, oral cholera vaccine can be succinctly  
23 summarized in the followed bullet points. First, we  
24 and others found that an initial infection caused by  
25 a wild type *Vibrio cholerae* O-1 confers a high degree

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 of protection against subsequent cholera.

2 Cholera enterotoxin is a necessary  
3 prerequisite for the causation of cholera gravis --  
4 the severe, rice water purging of voluminous stools.  
5 The fundamental, protective immunity against cholera  
6 as anti-bacterial which can work synergistically with  
7 antitoxic immunity, and serum vibriocidal antibody  
8 against *Vibrio cholerae* O-1 represents the best  
9 measure that we have, the best correlate of  
10 elicitation of anti-bacterial immunity.

11 Although about 84 percent of those  
12 vibriocidal antibodies are directed against the  
13 lipopolysaccharide O antigen, about 15 percent are  
14 directed against protein antigens, and there remains  
15 debate again, about what those antigens are.

16 Summarizing this then, we took the approach  
17 of trying to stimulate the same type of protection  
18 that wild type *Vibrio* stimulate by disarming *Vibrio* of  
19 their ability to produce cholera toxin, thereby of the  
20 ability to produce cholera gravis, leaving intact all  
21 the other surface antigens involved with protection.

22 I'd like to give a bit of background on some  
23 of these points. In 1976 the U.S. cholera panel of  
24 the National Institutes of Health asked the Center for  
25 Vaccine Development to establish an experimental

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 challenge model, a volunteer model of cholera, that  
2 would allow the evaluation of an oral toxoid vaccine,  
3 a glutaraldehyde cholera toxoid.

4 Such a model was set up as a cohort  
5 challenge model in healthy adult, community  
6 volunteers. The volunteers were students from Towson  
7 State University and other universities within the  
8 Baltimore Metropolitan area.

9 The challenge studies were carried out on a  
10 research isolation ward which at the time was a 22-bed  
11 ward. They were carried out under quarantine. When  
12 *Vibrio cholerae* O-1 organisms were given with buffer  
13 there was a high attack rate of diarrhea induced, and  
14 in a proportion of individuals, the diarrhea was quite  
15 copious with aggressive oral, and as necessary,  
16 intravenous rehydration and early antibiotic therapy.

17 There were no adverse consequences of the  
18 heavy purging, and those individuals who reached a  
19 total diarrheal stool volume purge of five liters were  
20 considered severe cholera in this model. Those who  
21 had a 3-liter purge or more were considered moderate  
22 cholera. There was precise quantitation by means of  
23 collecting all of the stools and measuring the stool  
24 volume.

25 We found that this oral toxoid vaccine did

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 not confer protection in those early studies, and the  
2 question arose as to whether this model was relevant;  
3 that is to say, would any vaccine, would anything  
4 protect in this model? We then began to explore  
5 whether an initial, experimental cholera infection  
6 could protect against subsequent cholera infection.

7 We found out that indeed, infection derived  
8 immunity was potent and could last up to three years  
9 if stimulated by Classical biotype. And we found over  
10 the years that certain vaccines were protective in  
11 this model.

12 Here we summarized the re-challenge studies  
13 by biotype. Within the classical biotype, whether a  
14 volunteer experienced an Inaba or an Ogawa serotype  
15 infection, he or she was completely protected  
16 clinically, against re-challenge with Classical  
17 biotype of either homologous or heterologous serotype.

18 Not only was there clinical protection but  
19 by direct coproculture we could not grow a Vibrio from  
20 the stool cultures, showing that Classical biotype  
21 stimulates a particularly potent protection.

22 Within the El Tor challenge model there was  
23 again, a high level of protection but there were  
24 occasional breakthroughs and the antibacterial  
25 immunity was less potent, and these data suggested

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 that El Tor in the volunteer model was somewhat less  
2 immunizing than Classical.

3 At the time that these studies were carried  
4 out the only data from the field at that time was one  
5 report that suggested that wild type cholera in the  
6 field, in the ancestral home of cholera in Bengal, did  
7 not protect.

8 However, consequent to the volunteer  
9 studies, two reports came out -- very nice  
10 epidemiologic studies, that in fact, corroborated the  
11 volunteer studies. The first was by Roger Glass who  
12 showed a high level of protection against subsequent  
13 cholera in the Maclabazar field area where a quarter  
14 of a million individuals are under long-term  
15 surveillance against cholera.

16 The most elegant study was carried out by  
17 John Clemens who had the opportunity to look at this  
18 question at a time when both Classical and El Tor  
19 infections were occurring in the Maclab community.  
20 What he found was that if an initial, clinical cholera  
21 infection was caused by the Classic biotype, that  
22 conferred complete protection against subsequent  
23 cholera, whether due to Classical or El To biotype.

24 In contrast, an initial, clinical, El Tor  
25 infection conferred only limited, long-term protection

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 -- about 29 percent protection -- against subsequent  
2 El Tor infections, and no protection against  
3 Classical. Taking these data, along with the  
4 volunteer data, if one wanted to make a vaccine  
5 against El Tor one would choose from these data,  
6 starting with a Classical biotype strain for the  
7 vaccine.

8 Although cholera is a non-invasive,  
9 intestinal, mucosal infection and many groups,  
10 ourselves included, have looked for intestinal or  
11 mucosal correlates of protection, the fact is that the  
12 best correlate of protection remains serum vibriocidal  
13 antibodies.

14 In endemic areas where infection is  
15 repeated, individuals develop serum IgG vibriocidal  
16 antibodies after repeated infections. In the  
17 experimental challenge model the vibriocidal response  
18 if exclusive IgM, it drops to baseline after a few  
19 months, but protection continues long thereafter.

20 And the serum vibriocidal assay has proved  
21 to be a very helpful assay for evaluating all vaccines  
22 in different populations, particularly in non-immune  
23 populations. It is less helpful in immunizing  
24 populations, or less helpful for assessing vaccines if  
25 there is a high degree of background immunity.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Dr. Jim Kaper now, will tell us about the  
2 construction of CVD 103-HgR.

3 DR. KAPER: Good morning. I'm Dr. James  
4 Kaper from the University of Maryland and I'll briefly  
5 discuss the genetic construction in CVD 103-HgR. This  
6 is the operon, the gene structure of cholera toxin, in  
7 which you have the A and the B subunits.

8 The A1 subunit is enzymatic -- the active  
9 portion of the toxin. That is the toxin portion that  
10 causes all the subsequent effects due to cholera  
11 toxin.

12 The B subunit is the binding portion  
13 antibody but is non-toxic to itself. Antibodies  
14 against the B subunit can protect against the effects  
15 of the whole toxin. There's a single promotor, a  
16 single transcript.

17 The mutation we introduced in the cholera  
18 toxin gene -- and there's two mutations that we  
19 deliberately introduced into the strain -- is the  
20 deletion of the A1 subunit -- and this is a particular  
21 restriction besides Xba1 CLA1 -- we deleted 94 percent  
22 of the A1 gene for cholera toxin.

23 This was then recombined into a wild type  
24 strain of cholera -- strain 569B -- representing the  
25 top line as the chromosome of the strain, with a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 promotor A1B subunit. We first introduced a plasmid  
2 to the selectable tetracycline resistance marker by  
3 allelic exchange and mods recombination.

4 We had an intermediate strain that had the  
5 tetracycline in the middle of the cholera toxin  
6 operon, and then we took this intermediate strain and  
7 then added a plasmid that contained a deletion of the  
8 A1 gene and looked for tetracycline sensitive; that  
9 is, with allelic exchange, homologous recombination.

10 The loss of tetracycline resistance means  
11 that the wild type A1 genes had been replaced by the  
12 mutant, by the deletion of the A1 genes. And so the  
13 final strain -- we ended up with CVD 103 with the  
14 promotor A2B subunit, but not the toxic A1 subunit  
15 genes.

16 We then introduced a marker for the purposes  
17 of tracking the strain in the environment, and we used  
18 mercury resistance to avoid the use of any antibiotic  
19 resistance marker. We introduced this into a  
20 hemolysin gene of *Vibrio cholerae*, and we first made  
21 a deletion with the hemolysin gene, the single  
22 restriction enzyme site here, and we took a mercury  
23 resistance gene -- just the operon including mercury  
24 resistance; no other genes for transfer or trans-  
25 resistance or anything.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           We introduced that mercury resistance into  
2 the middle of the hemolysin gene. This is all again,  
3 in plasmids and E. coli, and then we recombined -- we  
4 introduced this then, into the CVD 103 intermediate  
5 strain. We first of all had again, to use our  
6 tetracycline resistance marker in the hemolysin gene.

7           Homologous recombination starting with CVD  
8 103 allowed introduction of the tetracycline gene into  
9 the hemolysin locus, to end up with another  
10 intermediate strain, JMK4. And finally, JMK4, the  
11 tetracycline resistance gene was then added -- the  
12 plasmid was added that has the mercury resistance gene  
13 and the hemolysin locus.

14           Again, homologous exchange replaced the  
15 tetracycline resistance gene with the mercury  
16 resistance gene. And so now we have this other  
17 strain, CVD 103-HgR, which has the deletion of the  
18 cholera toxin gene, the mercury resistance gene and  
19 hemolysin gene.

20           Another plasmid we used, selected this event  
21 resistance plasmid, a spontaneously cured derivative  
22 of this which lacked this resistance plasmid. So the  
23 final construction then, at the end of our  
24 manipulations was a CTXA deletion strain with a  
25 mercury resistance gene as a marker and hemolysin

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 locus.

2 As Dr. Stibitz mentioned along the way,  
3 along the various manipulations, another spontaneous  
4 mutation occurred which reduced somewhat the  
5 colonization ability of the strain in a mouse model  
6 and human volunteers, but the main mutations that  
7 prevent the strain from causing disease, from causing  
8 cholera, is deletion of the A1 subunit for the cholera  
9 toxin genes.

10 Thank you. Dr. Levine will now proceed with  
11 our presentation.

12 DR. LEVINE: Dr. Mintz from CDC gave a very  
13 broad-ranging, very comprehensive, excellent summary  
14 of the epidemiology of cholera including the risk for  
15 travelers. What I'd like to do now is complement and  
16 add to that a bit and try to add some practical  
17 suggestions.

18 Dr. Mintz pointed out that using purely  
19 passive surveillance, one of the difficulties in  
20 quantitating the magnitude of the problem of cholera  
21 in travelers is that one needs a confirmed case -- a  
22 case of not cholera unless there's confirmation --  
23 which in most instances requires bacteriology, and  
24 bacteriology is not performed in most instances.

25 There have been two studies that are very

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 important because they represent a prospective, active  
2 look attempting to quantify the problem. They used  
3 good bacteriology and at least one of them had the  
4 advantage of a precise denominator which can answer  
5 one of the questions raised by Dr. Breiman.

6 The first of these two studies was carried  
7 out by Colonel Dave Taylor working in Peru. He set up  
8 surveillance at the U.S. Embassy where there was a  
9 health clinic. He arranged so that every individual  
10 with diarrhea had a good bacteriologic specimen with  
11 alkaline peptone water enrichment followed by TCBS  
12 medium, which is the preferred bacteriologic medium.

13 He carried out surveillance over three  
14 years, and what he found was that about one or two  
15 percent in these years of individuals with diarrhea  
16 attending this health clinic, grew *Vibrio cholerae* O-  
17 1. These tended to be the most severe of these  
18 traveler's diarrhea-type cases.

19 He was able to calculate an incidence per  
20 1000 person years of exposure for the U.S. workers at  
21 the Embassy, and incredibly in this prospective  
22 surveillance, it turned out to be 5.3 per 1000. A bit  
23 later we'll see the incidence rate in the control  
24 group during one year in a famous field trial in  
25 Bangladesh, and the incidence was 5.3 per 1000. This

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 would be a right-good incidence -- a hot year in  
2 Maclabazar.

3 Another study was carried out by Swiss  
4 investigators in conjunction with Japanese  
5 investigators. Here they did not have precise  
6 denominators but they had very good bacteriology. And  
7 what they did was to give questionnaires to Japanese  
8 tourists coming back from Indonesia and Thailand on  
9 large, jumbo-jet group flights.

10 And they asked if anyone had had diarrhea  
11 within the past three days, and if they did, a culture  
12 was taken. They found that the incidence of cholera  
13 -- this is culture-proven cholera now -- despite the  
14 fact that many of these individuals had received  
15 antibiotic therapy, the incidence of confirmed cholera  
16 was 13 cases per 100,000 travelers for Japanese  
17 tourists going to Indonesia, and 2.9 per 100,000 for  
18 those going to Thailand.

19 They mention in this report that the average  
20 tour, the average stay, was seven days. If one takes  
21 ten days to add a bit of conservatism, and calculates  
22 an annual incidence based on these numbers, one gets  
23 an incidence very, very similar, virtually identical  
24 for Indonesia, to what Dave Taylor found in his  
25 prospective study for U.S. citizens in Peru.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           Now, one can argue that Japanese tourists,  
2 because of food preferences for raw seafood, might be  
3 at particularly great risk. On the other hand, again,  
4 many of these individuals had already been treated  
5 with antibiotic.

6           To make this story short, these two  
7 prospective data suggests that the incidence of  
8 traveler's cholera is far higher than we had  
9 appreciated and in fact, is not only as high as  
10 traveler's typhoid, but is arguably even a 10-fold or  
11 even 100-fold higher if you do prospective  
12 surveillance.

13           Occasionally cholera can be very severe, and  
14 I want to present a famous example because it's in the  
15 literature, of someone who developed cholera in a  
16 sticky situation, and who had very early therapy, but  
17 nevertheless had a potentially life-threatening  
18 disease.

19           This was an epidemiologist who worked in  
20 East Bengal and woke up one morning in rural Bengal  
21 having a queasy feeling, diarrhea, and nausea. Within  
22 one hour because of the way he felt, a stool culture  
23 was taken, rehydration was begun, and antibiotics were  
24 initiated -- within one hour of onset of diarrhea.

25           Within three hours the diarrhea had become

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 what was called severe. He was developing muscle  
2 cramps and was receiving more rehydration. And it was  
3 decided to put him in a boat and to get him to a  
4 hospital.

5 In the boat with him was a physician, expert  
6 and experienced in the treatment of cholera, and three  
7 liters of IV fluids for a 5-hour boat ride. He began  
8 purging during the boat ride, rice water stools  
9 estimated to be at least one liter per hour.

10 He arrived at the hospital after five hours  
11 as a typical cholera patient with sunken eyes, poor  
12 skin turgor, dry mucous membranes, and a systolic  
13 pressure of 80. He went on to receive ten liters of  
14 IV fluids to replace the nine liters that he continued  
15 to lose over the next 21 hours.

16 This is an example of how severe cholera can  
17 be, and had this individual not had experts, clinical  
18 care and access to intravenous fluids, this  
19 potentially could have been a fatal case. We do not  
20 recommend cholera vaccine for all travelers, but we  
21 believe that there are subgroups of travelers at  
22 special risk, and if they're caught developing  
23 cholera, with bad luck and certain circumstances,  
24 their life could be in danger.

25 And so we recommend cholera vaccine in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 following situations. There are high risk countries  
2 and regions. Agreed, often we recognize these based  
3 on surveillance data of previous two or three years,  
4 but travel medicine is becoming fairly sophisticated  
5 and high risk areas are recognized.

6 These include parts of Latin America, Peru,  
7 Ecuador, Bolivia, and Guatemala, for example, parts of  
8 the Indian subcontinent, parts of Indonesia, much of  
9 Sub-Sahara Africa, and the Horn of Africa. In many of  
10 these areas there is a precise -- fairly precise  
11 cholera season and it's known -- for example,  
12 summertime in Peru.

13 We recommended in particular for travelers  
14 who will be somewhat off the beaten track -- that is  
15 to say, away from health care -- and it's away from  
16 health care not in terms of kilometers, but in terms  
17 of hours. And the reason that that's important is  
18 that in a previously healthy adult cholera can bring  
19 an individual to severe dehydration and near fatality  
20 within six or seven or eight hours.

21 And lastly, there are some sub-  
22 subpopulations of travelers who have host problems  
23 that put them at greater risk of the consequences of  
24 the fluid and electrolyte losses of cholera. These  
25 include individuals with cardiac chronic problems who

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 often are on medication, individuals who are  
2 achlorhydric or who are taking medications that make  
3 them hyperachlorhydric.

4 For such populations we believe that CVD  
5 103-HdR represents an advance over the venerable  
6 killed cholera, or over the parenteral killed cholera  
7 vaccine, and for such populations they could and  
8 should be offered the possibility of protection.

9 I'd now like to pass the podium to Dr. Karen  
10 Kotloff who will begin to tell us about safety and  
11 immunogenicity in North American and European  
12 populations.

13 CHAIR FERRIERI: I'm sorry to remind the  
14 sponsors that your allotted time was 50 minutes. We  
15 started at 9:35 and so if all of you could keep that  
16 in mind. Theoretically we would be stopping now, but  
17 I realize that you still have much to do, but we'll  
18 try to be as concise as possible, please.

19 DR. KOTLOFF: During the initial Phase I  
20 studies a total of 226 volunteers participating in 16  
21 separate studies received CVD 103-HgR in a dose of  
22 approximately  $10^8$  cfu. In these uncontrolled trials  
23 the vaccine was very well tolerated with mild diarrhea  
24 occurring in approximately four percent of subjects,  
25 and high immunogenic with a 4-fold rise in vibriocidal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 antibody occurring in 94 percent of subjects.

2 The next step was to evaluate the safety and  
3 immunogenicity of the vaccine in a more rigorous trial  
4 using randomized, double-blind, placebo-controlled  
5 study design in a Phase II trial. Ninety-four healthy  
6 college students were randomized to receive a single,  
7 oral,  $5 \times 10^8$  dose of either CVD 103-HgR or heat  
8 killed lyophilized E. coli K12 placebo.

9 In this crossover study design, eight days  
10 after the first inoculation the vaccine recipients  
11 received a dose of placebo and the placebo recipients  
12 received a dose of vaccine.

13 To evaluate safety of the vaccine,  
14 volunteers kept a diary for seven days after each  
15 dose, reporting any symptoms that they experienced.  
16 They recorded the consistency as looser formed of  
17 every stool that they passed, and took and recorded  
18 their evening oral temperature.

19 The immune response was measured by getting  
20 blood before vaccination and on days 8, 15, 21, and 28  
21 after each dose. And vaccine excretion was measured  
22 using peri-rectal swabs on day-1, -3, and -7 after  
23 each dose.

24 The sample size was powered to detect a six  
25 percent difference between the vaccine and placebo

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 recipients in symptoms that were estimated to occur in  
2 one percent of placebo recipients.

3 These are the results of the clinical  
4 evaluation. In the first column here, these are  
5 symptoms that occurred in subjects after receiving the  
6 vaccine and after receiving the placebo. These  
7 symptoms occurred after receiving vaccine but not  
8 placebo, and these symptoms occurred after receiving  
9 placebo but not vaccine.

10 There was no statistically significant  
11 difference in the occurrence of any of these symptoms  
12 following vaccine versus placebo.

13 A 4-fold rise in vibriocidal antibody titer  
14 was observed in 97 percent of subjects -- 67 percent  
15 of whom developed a titer of greater or equal to one  
16 to 2,560. The geometric mean vibriocidal titer post-  
17 vaccination was 133 times higher than the titer pre-  
18 vaccination.

19 Seventy-two percent of subjects developed an  
20 antitoxin, antibody response, and 19 percent of  
21 subjects shed the vaccine for one day or longer.

22 I'd now like to introduce Dr. Carol Tacket  
23 who will give some more data on the safety and  
24 immunogenicity of the vaccine.

25 DR. TACKET: I'd like to describe a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 moderately large, Phase I safety study of CVD 103-HgR  
2 in which 339 volunteers were randomized to received  
3 either CVD 103-HgR at  $10^8$  or at  $10^9$  cfu. This is 10-  
4 fold larger than the proposed dose for use in  
5 travelers or in an activated E. coli K12 placebo.

6 The volunteers kept a symptom diary for a  
7 few days after vaccination. Diarrhea in this  
8 outpatient study was defined as four loose stools in  
9 24 hours.

10 Here are the results of that study. We  
11 accrued data on the symptoms that are listed in this  
12 column and these are the rates of these symptoms among  
13 placebo recipients -- the lower dose vaccine  
14 recipients and the higher dose vaccine recipients. And  
15 the P values are shown here.

16 The only one that reaches statistical  
17 significance is the incidence of nausea which is  
18 higher in the high dose vaccine recipients than among  
19 placebo recipients. However, among volunteers who  
20 received the proposed dose, the rate of nausea is  
21 lower than among placebo recipients.

22 DR. LEVINE: I'd now like to present some  
23 examples of immunogenicity and of safety studies in  
24 developing countries. You've seen that in North  
25 American volunteers the vaccine is well tolerated and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 with a single dose of 8 logs one encounters  
2 vibriocidal responses with a geometric mean titer of  
3 about 2600, and approximately 130 meanfold rise in  
4 titer.

5 When we went offshore in developing  
6 countries, in both adult and pediatric populations, we  
7 found that the vaccine behaved very differently. This  
8 is a summary of dose response studies in Indonesian 5-  
9 to 9-year-olds. One sees that these children have  
10 serologic evidence of having had contact --  
11 considerable contact with cholera. These are quite  
12 elevated, vibriocidal baseline titers.

13 An 8-log dose in this population caused  
14 almost no seroconversion and barely elevated the  
15 geometric mean titer. By administering a log higher  
16 dose of organisms, we were able to reach credible  
17 seroconversion rates of 75 percent with a mean 9-fold  
18 or 8-fold rise in titer.

19 So the first point to be made is that when  
20 we go offshore in developing countries, in poor  
21 populations, we find a very different immunologic  
22 response.

23 In Peru at a time when there was  
24 considerable transmission taking place in 1992, we had  
25 an opportunity to compare the immune response to an 8-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 log versus a 9-log dose in both high socio-economic  
2 level and low socio-economic level populations. Three  
3 points to be made.

4 First, in the low socio-economic level  
5 population a 9-log dose was more immunogenic than an  
6 8-log dose, in both instances though, the geometric  
7 mean titers are much lower than what we had seen in  
8 North Americans.

9 In the high socio-economic population the  
10 difference between 8- and 9-log seroconversion is  
11 small. There was somewhat of a difference in  
12 geometric mean titer but again, even at 9-logs the  
13 tiers are much lower than what we had seen in North  
14 Americans.

15 Why is this? We have known for many years  
16 from studies with live virus vaccines that they can be  
17 much less immunogenic when they're given to  
18 disadvantaged populations in developing countries  
19 compared to the response expected in industrialized  
20 countries.

21 This was first shown by Jacob John in India  
22 with the oral Sabin vaccine where six doses of oral  
23 vaccine are required to reach similar seroconversion  
24 rates as can occur with two or three doses in first  
25 world infant populations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1                   This was then strikingly seen in the 1980s  
2 with the RIT bovine rotavirus vaccine, was seen with  
3 the 10<sup>4</sup> pfu dose of Rhesus quadrivalent reassortant  
4 vaccine, and to these live viruses we now add live  
5 oral cholera vaccine.

6                   These vaccines can be useful public health  
7 tools but something special has to be done. In the  
8 case of Sabin polio vaccine it's national immunization  
9 days. In the case of Rhesus it's increasing the dose  
10 by a log.

11                   We've carried out many studies in a number  
12 of countries and this slide summarizes what we have  
13 learned of the factors that influence the vibriocidal  
14 response. We found that increasing the dose by a log  
15 makes a big difference.

16                   We found that the baseline vibriocidal titer  
17 is important. Anybody who starts with a very high  
18 titer doesn't boost the vibriocidal further. The  
19 timing of collection of the specimen is important. It  
20 peaks at 10 to 14 days. If you collect an earlier  
21 specimen on day-7 or 8 or 9, one has a much lower  
22 titer than collecting here.

23                   Blood group is the single most important  
24 host factor that's a risk factor for cholera. Blood  
25 group O are the individuals at risk and interestingly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 enough as we'll show you in a moment, individuals who  
2 receive live cholera vaccine develop a significantly  
3 higher vibriocidal response than individuals of a non-  
4 O blood group.

5 This is believed to be due to attachment of  
6 the vibrio to blood group factors which are secreted  
7 onto the surface of the intestinal cells. The immune  
8 response is higher in high socio-economic populations  
9 compared to low, and one must neutralize gastric  
10 acidity to get a good vaccine take.

11 This is a summary of a large study carried  
12 out in Chilean 5- to 9-year-olds where we looked at  
13 the vibriocidal response in relation to blood group.  
14 Although the blood group O response seroconversion was  
15 somewhat higher than non-O, the difference was not  
16 significant.

17 But if one looks at the mean rise it's 23-  
18 fold in the blood group O, only 9-fold in the non-O.  
19 And this is about a 3-fold difference in geometric  
20 mean titer; highly significant. We believe that this  
21 has important implications in terms of protection in  
22 the field, as we'll see in a few moments.

23 I now want to switch very briefly to some  
24 safety data in Chilean pre-school children, 24- to 59-  
25 month-olds, and Chilean infants, because these are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 kids living in an area where there's very little  
2 cholera.

3 We believe these data then have a degree of  
4 applicability to the U.S. and when one gets down to  
5 individual hosts as young as three month's of age,  
6 this is obviously a very sensitive host to look for  
7 adverse reactions.

8 In the pre-school child study there is no  
9 adverse reaction that occurred more commonly in  
10 vaccinees versus placebo recipients. Similarly, in  
11 infants and toddlers, looking at kids who received --  
12 who ingested 70 percent or more of the vaccine --  
13 cocktail -- that is, they truly got a full dose --  
14 there is no difference. The vaccine was quite well-  
15 tolerated.

16 In this slide I want to show the immune  
17 response comparing infants and toddlers, three to 17  
18 month's of age who got a full dose of vaccine -- that  
19 is 70 mls or more of the 100 ml cocktail -- versus  
20 children who got the cocktail or who got less than a  
21 full dose.

22 And the important point is, there's a 63  
23 percent seroconversion rate in the fully-vaccinated,  
24 and in the intend-to-vaccinate there is no difference.  
25 In these much smaller children even ingesting a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 fractional dose seemed to give a good seroconversion  
2 rate.

3 The mean-fold rise was about 8-fold, but  
4 note how much lower these are than even the adults in  
5 developing countries.

6 Just mention in passing that this vaccine is  
7 minimally excreted and is minimally transmitted,  
8 perhaps one percent. For reasons of time I'm just  
9 going to gloss over these data. You have the data in  
10 your handout.

11 We'd now like to switch to Dr. Tacket who  
12 will tell us about the efficacy data from the  
13 challenge studies.

14 DR. TACKET: We have heard about safety and  
15 immunogenicity and now we'll turn to the efficacy  
16 measured in volunteer challenge studies among  
17 volunteers recruited from our Baltimore community.

18 There are nine such studies that have been  
19 conducted, that are listed here: six in volunteers  
20 who were vaccinated with CVD 103-HgR, and three among  
21 volunteers vaccinated with the parent, CVD 103. I'll  
22 ask you just to focus on these six challenges here.

23 The challenge strain involved both the  
24 Classical biotype or El Tor biotypes. In this column  
25 is shown the vaccine efficacy in each of these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 challenge studies. In challenges using the  
2 homologous, Classical Inaba parent the efficacy is  
3 very, very high in this model.

4 I'll point out another feature of the  
5 challenge studies is the interval from vaccination  
6 until challenge, which for most of the studies was  
7 about four to five weeks. In this study these 14  
8 vaccinees received vaccine four or six months before  
9 challenge. And actually, 11 of these volunteers  
10 received vaccine six months before challenge and there  
11 was still efficacy.

12 In this challenge volunteers were vaccinated  
13 eight days before challenge, and again, there was a  
14 high degree of efficacy very quickly after  
15 vaccination.

16 Similarly here among this challenge, some  
17 volunteers were vaccinated a month before challenge;  
18 some as recently as ten days before challenge, using  
19 an El Tor Ogawa challenge strain. The efficacy was  
20 about 50 percent.

21 Now, if you take all of those volunteers who  
22 underwent challenge after having received CVD 103 or  
23 103-Hgr -- and there are 88 controls and 101 vaccinees  
24 -- and resort those data, you can see that the  
25 protective efficacy against diarrhea that was severe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 -- defined as five liters -- or moderate -- defined as  
2 three liters -- is very high; 100 percent in our  
3 studies. Among volunteers who had milder purges  
4 there's still a significant efficacy.

5 This is a similar resorting of that data  
6 looking only at volunteers who received CVD 103-HgR  
7 against any challenge, so our denominators here are  
8 lower. But again, very strong efficacy against  
9 cholera gravis or even moderate degree of cholera.

10 And here's the most difficult challenge in  
11 a sense, and that is CVD 103-HgR vaccine protecting  
12 against El Tor challenge. So again, our denominators  
13 continue to shrink but nevertheless, even against El  
14 Tor we have good, protective efficacy against moderate  
15 or severe cholera.

16 Finally, we were interested in determining  
17 whether there was a correlation -- specifically a  
18 negative correlation -- between vibriocidal antibody  
19 titer and protection from experimental cholera  
20 challenge.

21 So what is shown in this slide is, for each  
22 of the challenge studies which I've just shown you  
23 previously, the correlation between the peak  
24 vibriocidal titer when the target strain of the  
25 vibriocidal assay is the same as the serotype of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 cholera challenge.

2 Now most of these studies are too small to  
3 be able to show a statistically significant negative  
4 correlation. However, if you look for example, at  
5 peak vibriocidal titer versus the stool volume, in all  
6 six cases in which the correlation is non-zero there's  
7 a negative sign. And the combined probability by the  
8 sign test is significant.

9 When you look at the peak vibriocidal titer  
10 versus attack rate for diarrhea, in five of the six  
11 cases there's a negative correlation which approaches  
12 significance.

13 Perhaps most interesting is in this one  
14 study in which the challenge was El Tor Ogawa. There  
15 was a clear, negative correlation between attack rate  
16 for diarrhea and peak vibriocidal titer, as well as  
17 stool volume and peak vibriocidal titer.

18 And it's interesting to point out that these  
19 Ogawa vibriocidal titers were engendered by our  
20 Classical Inaba vaccine.

21 DR. SIMANJUNTAK: I'm Cyrus Simanjuntak from  
22 the National Institute of Health Research of  
23 Development, Jakarta, Indonesia.

24 Based from the results of immunogenicity and  
25 side effect study, we conducted (unintelligible) so we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1       conduct a large scale, double-blind, placebo-  
2       controlled field trial to assess the efficacy of a  
3       single dose of live, oral cholera vaccine CVD 103  
4       mercury study in preventing cholera in North Jakarta.

5               The primary objective of this study is to  
6       determine the protective efficacy of a single dose of  
7       CVD 103 mercury resistant in preventing clinical  
8       cholera of a severity that caused an individual to  
9       seek medical care at a hospital or clinic irrespective  
10      of age, over the entire follow-up surveillance period  
11      as well as after each year during the surveillance  
12      period.

13              The second objective of this study is to  
14      determine -- one is determine the particular efficacy  
15      of a single dose of CVD 103 with mercury study in  
16      preventing clinical cholera irrespective of severity,  
17      and on young children aged two to five years of age at  
18      the time of vaccination of course, over the entire  
19      surveillance period as well as eight each year during  
20      the surveillance period.

21              Number two is to determine the protective  
22      efficacy of a single dose of CVD cholera 103 mercury  
23      resistant in preventing severe cholera. Cholera is  
24      characterized by marked dehydration. And on all study  
25      participants, irrespective age, over the entire

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 surveillance period as well as after each year during  
2 the surveillance period.

3 Number three is to compare the protective  
4 efficacy of a single dose of CVD 103 mercury resistant  
5 in preventing clinical cholera irrespective of  
6 severity, in study participants of O blood group  
7 versus study participant of other blood groups, over  
8 the entire surveillance period as well as after each  
9 year during the surveillance period.

10 Number four is to determine the protective  
11 efficacy of a single dose of CVD 103 mercury resistant  
12 in preventing typical cholera, irrespective of  
13 severity among young children aged two to five years  
14 of age at the time of vaccination who were eligible to  
15 participate in the vaccine study, over the entire  
16 surveillance period as well as after each year during  
17 the surveillance period.

18 This group we call it intent to vaccinate  
19 analysis. The analysis of this study will be  
20 presented by Dr. Wasserman. Thank you very much.

21 DR. WASSERMAN: Steven Wasserman, University  
22 of Maryland. The analysis of the primary objective  
23 was affected by incidence density comparison of  
24 cholera cases in vaccinees and placebo recipients.  
25 I'll give you the broad outlines of this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1           Basically for the overall surveillance  
2 periods, you can see on the top, the point estimate of  
3 protective efficacy was 13.5 percent with a lower,  
4 single-tailed, 95 percent confidence limit of -24.4  
5 percent.

6           As you can see as well, the point estimate  
7 of protective efficacy ranged from 2.3 percent to 19  
8 percent among the various sub-periods that were  
9 analyzed. In fact, among all of the primary and  
10 secondary objectives, none of the null hypotheses  
11 reached statistical significance.

12           The only glimmer of hope here however, came  
13 from the analysis of blood groups where we assumed  
14 that the vaccinee population had the same ABO profile  
15 as the entire city of Jakarta from blood bank data,  
16 and then we were able to obtain for the overwhelming  
17 majority of cholera cases, the ABO blood groups.

18           And we used the logistic regression analysis  
19 here looking for a significant interaction turn  
20 between blood group -- this is non-O -- and vaccine  
21 versus placebo on cholera case.

22           This is the analysis of those people who  
23 imbibed at least 70 percent of the vaccine or placebo  
24 preparation without vomiting thereafter. And you can  
25 see that the P value for the interaction turn is .12.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1                   However, based on the analysis from Chilean  
2                   infants and toddlers where we found that the intent to  
3                   vaccinate analysis, vis-a-vis vibriocidal response,  
4                   was very similar to that seen in the individuals who  
5                   drank at least 70 percent of the preparation, we did  
6                   an intent to vaccinate analysis here as well and we  
7                   find that the P value -- this included four  
8                   individuals who were under age-4 who didn't drink 70  
9                   percent of the preparation -- we find that the  
10                  protective efficacy hits the .06 level.

11                  If you look at the bottom group you'll  
12                  notice that there are similar numbers of cases in the  
13                  vaccinees and the placebos -- that is, in the non-Os.  
14                  But in one group O, blood group O, we see that there  
15                  are about 55 percent as many cases among the vaccinees  
16                  as among the placebo recipients.

17                  Which suggests then, that the vaccine is  
18                  protecting that group of individuals that are at  
19                  higher risk for cholera; that is, blood group O.

20                  DR. LEVINE:    In this field trial this  
21                  formulation of the vaccine did not work. I'd like to  
22                  put those results, which were obviously very  
23                  disappointing to us, in some sort of perspective.

24                  The first point to be made is one that is  
25                  quite general with respect to field trials and that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 is, the same vaccine tested in field trials at  
2 different times -- in the same country for example --  
3 may give quite different results. Many factors --  
4 host factors, transmission factors, etc. -- impinge  
5 upon the efficacy -- the point efficacy -- estimate of  
6 a vaccine.

7 With respect to another oral vaccine, the  
8 quadravalent Rhesus rotavirus, at  $10^4$  pfu gave very  
9 different estimates of efficacy in Latin America, or  
10 somewhat different estimates than in the U.S.A. And  
11 this is true even with parenteral vaccines.

12 The PRPD Hib conjugate was highly protective  
13 in Finnish infants and was not protective in Alaskan  
14 infants. Thus, depending upon the particular  
15 population the same inherent vaccine can be  
16 biologically active or not.

17 Here we list some of the factors that  
18 impinge upon whether or not a cholera vaccine will be  
19 more or less efficacious. First is the number of  
20 doses administered. In the field trial in Indonesia  
21 we went with the minimal number of doses, which is  
22 one.

23 The age of subjects: cholera vaccines work  
24 better in older individuals than in very young  
25 individuals. Blood group: with some vaccines, as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 we'll see in a moment, blood group O gives a less  
2 response and less protection with the live vaccine,  
3 where the vibriocidal response is greater in persons  
4 of blood group O.

5 You've just seen the suggestion that we  
6 actually had a degree of protection in blood group O  
7 individuals. Very important point is that with  
8 cholera vaccine the level of efficacy very much  
9 relates to when the natural challenge takes place in  
10 relation to vaccination.

11 If you vaccinate just before cholera season  
12 and luck is such -- epidemiologic luck is such that  
13 many cases occur shortly after vaccination, the  
14 vaccine looks particularly good in that period of time  
15 and the protective level tends to wane with increase  
16 in time.

17 Biotype is also very important. If there is  
18 Classic biotype, cholera vaccines seem to give better  
19 protection against Classical biotype than against El  
20 Tor biotype. And severity is important. Cholera  
21 vaccines work better against more severe disease than  
22 they do against milder disease.

23 In this slide I summarize the first year of  
24 surveillance for three field trials, including the  
25 Jakarta field trial, including two other field trials

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 carried out by very experienced field epidemiologists:  
2 this one by Dr. John Clemens, this one by Colonel  
3 David Taylor.

4 In this study three doses of an oral, B  
5 subunit whole cell vaccine were given. These trials  
6 were about the same size and it just shows how this  
7 collection of different factors can influence the  
8 total outcome.

9 Example. Here, three doses were given: 64  
10 percent protection overall in the first year was  
11 recorded. In this population there was Classical as  
12 well as El Tor. The level of protection against El  
13 Tor was much lower than the 64 percent overall. The  
14 level of protection against Classical was higher.

15 In this trial they had a very high incidence  
16 over the first year, and even more importantly they  
17 had many, many cases in the first six months after  
18 vaccination, allowing a very fair estimate of the  
19 protective efficacy in the first few months after  
20 vaccination.

21 Two doses of the B subunit whole cell  
22 vaccine, now with a recombinant B subunit, did not  
23 protect. A year later when they gave a booster  
24 raising the total number of doses to three, they  
25 reached 60 percent protection, and they had a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 moderately high incidence of cholera.

2 In Jakarta, we went for a home run. We went  
3 with a single dose. We did not have Classical  
4 biotype. We had a very, very low incidence; much  
5 lower than had been expected. And we had very few  
6 severe cases.

7 Every factor that impinges on protection of  
8 cholera was, so to speak, working against us. We  
9 swung for the bleachers and the vaccine with a single  
10 dose in that formulation didn't work in that venue.

11 In summary then, from this overall  
12 presentation, with respect to the three, possible,  
13 target populations to be protected by a cholera  
14 vaccine with this current formulation of CVD 103-HgR,  
15 we do not have a vaccine we can use for the protection  
16 of endemic populations, long-term in cholera endemic  
17 areas.

18 We do not know whether we have a vaccine  
19 that could be used in an explosive outbreak in a  
20 refugee camp situation. We have almost no cases in  
21 the first six months after vaccination. This happens  
22 with cholera epidemiology. There is a roll of the  
23 dice as to whether you have cholera, even in an area  
24 that's endemic.

25 We do not know how good or how poor the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 vaccine would react in this situation and we have to  
2 study this further. On the other hand, we have much  
3 data that we've presented showing that we have a truly  
4 safe vaccine that in North American individuals and in  
5 Europeans, is highly immunogenic, and is highly  
6 protective.

7 And a single dose of the vaccine protects  
8 against either biotype and either serotype of North  
9 American, healthy adult. And this is representative,  
10 we believe, of travelers. We think we have a useful  
11 vaccine that's a step forward over the current  
12 parenteral killed cholera vaccine for protection of  
13 travelers.

14 Thank you.

15 CHAIR FERRIERI: Thank you, Dr. Levine.  
16 We're going to adhere to the scheduled break time.  
17 We'll take a break now. Committee members, please jot  
18 down your questions. When we return we will move  
19 right into questions for the sponsors before the next  
20 FDA presentation.

21 So if you could come back, we'll start  
22 precisely at ten-after-11.

23 (Whereupon, the foregoing matter went  
24 off the record at 11:00 a.m. and went  
25 back on the record at 11:14 a.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIR FERRIERI: As I indicated before the  
2 break, we will now take some time for questions of the  
3 sponsor's presentation. Please come to your seats now  
4 or we won't finish with the issue today. It would be  
5 too bad if we had to have an abortive presentation and  
6 no decision-making today.

7 So this will require great cooperation on  
8 the part of us at the table, in keeping our questions  
9 as concise as possible. We have innumerable questions  
10 for the sponsors. It will be obligatory that the  
11 sponsors present their answers in the most targeted,  
12 brief but informative way. And so they need to all be  
13 prepared to, who will answer what.

14 So we will take some time now before Dr.  
15 Bash's presentation, realizing that we're running  
16 behind and this is a very comprehensive issue. So I  
17 will entertain questions from the committee members,  
18 and I will start with Dr. Fleming, and the rest of you  
19 can try to pull together your ideas and questions.  
20 Write them down so you can offer them up concisely.

21 Tom, if you could prioritize what you feel  
22 you would like to ask now before Dr. Bash's  
23 presentation. We will have further time for committee  
24 discussion.

25 DR. FLEMING: Let me just begin with one

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 question. And this is a question where I'm trying to  
2 get a sense of the clinical goal here. And we've been  
3 given a lot of very helpful figures about what the  
4 level of risk would be. And those figures from  
5 epidemiologists have gone from .3 per 100,000 amongst  
6 travelers, to maybe on the order of 3 per 100,000 --  
7 10-fold higher.

8 When we talk about this rate, is this the  
9 rate of detected cholera? What would be the rate of  
10 severe purging amongst travelers? Do we have an  
11 estimate of that? And in particular, the sponsor has  
12 tried to give us a targeted population: high risk  
13 countries, high seasons, travel in rural areas, host  
14 problems, etc.

15 Do we have any way of quantitating what the  
16 risk for such a targeted cohort would be of cholera  
17 cases that would lead to severe purging or worse?

18 CHAIR FERRIERI: Who would like to answer  
19 that? Who feels the most qualified to answer this  
20 question?

21 DR. MINTZ: I'm not sure I feel the most  
22 qualified but I can comment from the CDC perspective.  
23 Again, we only hear about cases in which *Vibrio*  
24 *cholerae* has been confirmed by a laboratory or is  
25 suspected.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1           Clearly, asymptomatic cases are not counted  
2           and it's my supposition that the milder cases of  
3           diarrhea in travelers probably don't result in visits  
4           to physicians or clinics, and even if they did, the  
5           physician or laboratory is less likely to think of  
6           Vibrio cholerae -- even in a traveler returning from  
7           an area where cholera is present. They're more likely  
8           to consider that traveler's diarrhea, perhaps not  
9           obtain a culture, and perhaps prescribe an antibiotic.

10           So the cases that we report and the  
11           estimates we have of the rate in travelers, are based  
12           presumably on the moderate or severely ill cases. And  
13           again, I don't have systematic data on all of the  
14           cases but the typical case in a traveler is someone  
15           who had diarrhea of moderate or severe nature that  
16           brought them to a physician's attention.

17           And often the physician or on occasion, the  
18           microbiologist, made the necessary extra step to  
19           consider a cholera. And that I think, is often  
20           triggered by the severity of the illness. So that's  
21           the best information I can present.

22           CHAIR FERRIERI: Does that answer your  
23           question Tom? Not really.

24           DR. FLEMING: Only partially. What it's  
25           telling me is, as I would expect, your statistics

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 which give 10-fold lower rates than the Japanese  
2 figures, are explained by the fact that your  
3 statistics probably represent the more serious cases.

4 But in your words, those are moderate to  
5 severe or worse cases. So that would lead me to  
6 conclude that .3 per 100,000 might be a realistic  
7 figure if we focus on cases that are severe purging or  
8 worse, amongst cholera.

9 DR. MINTZ: Well also, the estimate of .3  
10 per 100,000 is based on all air travelers -- to  
11 Europe, Denmark -- places where they're very unlikely  
12 to acquire cholera. Whereas, the Japanese study  
13 looked at a group of travelers returning, I believe,  
14 from Indonesia and Thailand -- two very high risk  
15 areas for cholera, particularly during the years that  
16 study was done.

17 Similarly, the U.S. Embassy study in Peru  
18 during the peak years of cholera in Peru found a much  
19 higher rate. And this would be expected.

20 DR. FLEMING: But those also included less  
21 than severe -- the Japanese figures -- because that  
22 was an active surveillance. And so even with an  
23 active surveillance including less than severe, to  
24 Indonesia and Thailand, the rates were only 3 to 13  
25 per 100,000.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           And so if I return to my, again to my  
2 question -- what is the frequency of severe purging  
3 even if you look at going to Indonesia -- I'm coming  
4 up with something that sounds to be on the order of  
5 one, to at most 10 per 100,000.

6           I don't know if anybody is viewing that to  
7 be inappropriate. In fact, I'm thinking that might  
8 even be high.

9           CHAIR FERRIERI: It may be on the high side.  
10 Dr. Levine, would you care to comment on this  
11 question?

12           DR. LEVINE: Yes. I think that that's a  
13 very difficult question to answer because if the  
14 denominator is air travelers, for example, you have to  
15 look at the hosts. If you look at the cruise ship  
16 outbreak in Asia, for example, which included many  
17 elderly individuals, there the cholera, the morbidity,  
18 was much greater than in some other venues. Host  
19 factors are very important.

20           One of the things that the prospective  
21 surveillance has shown is that if you do bacteriology  
22 -- proper bacteriology -- you come up with cholera  
23 cases. If there are cholera cases and there are  
24 enough of them, there'll be some severe dehydrations.

25           There are instances of travelers -- there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 was one a few months ago who got off a British Airways  
2 flight at Gatwick, prostrate and with severe  
3 dehydration; Vibrio cholerae O-1, El Tor Ogawa was  
4 cultured.

5 Most of those individuals in other  
6 situations will not have a culture, so they may  
7 clinically seem cholera but they don't go into the  
8 statistical quantitation because they didn't have a  
9 confirmation.

10 DR. SNIDER: Could I ask for a clarification  
11 around this --

12 CHAIR FERRIERI: Dr. Snider, go ahead.

13 DR. SNIDER: Thank you. With regard to the  
14 Peru study, Mike, two questions. One, the study was  
15 published in 1996 but when was it actually done? Was  
16 it during a period in which there was a lot of  
17 epidemic activity in Peru?

18 And secondly, it says the study of the U.S.  
19 Embassy workers in Peru and as many of us who have  
20 been in embassies know, a high proportion of the  
21 people who usually work in embassies are locals, not  
22 U.S. citizens.

23 DR. LEVINE: That's correct. The person who  
24 did the study is sitting here, so I'll make a brief  
25 answer but he may want to correct what I say.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 He divided up, for both non-U.S. citizens  
2 and U.S. citizens. The rate that I gave you was U.S.  
3 citizens who came from the U.S., were working for a  
4 year or two years in Peru.

5 And the years were '93, '94, '95. Is that  
6 -- no, '91, '92, '93.

7 CHAIR FERRIERI: Tom, did you want to pursue  
8 a couple other of your questions?

9 DR. FLEMING: I think I would rather  
10 prioritize while other people are speaking.

11 CHAIR FERRIERI: Okay; terrific. Dr.  
12 Greenberg.

13 DR. GREENBERG: On this issue, another way  
14 of looking at this though, for severe cholera one --  
15 they usually do come to attention -- people who arrive  
16 on airplanes dehydrated with sunken eyeballs  
17 frequently make the news.

18 And if I read your statistics correctly  
19 there were eight such people in the United States if  
20 you look at simply tourists and business traveling,  
21 excluding homeland travelers, in the last three years.  
22 There were a total of eight cases.

23 DR. MINTZ: Yes. I think that's correct.  
24 Now, many of the cases though, we didn't know the  
25 reason for travel. And so that doesn't necessarily

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 represent an unbiased sample of all cases.

2 CHAIR FERRIERI: Other questions? Yes, Dr.  
3 Holmes.

4 DR. HOLMES: Yes, I had a couple of  
5 questions. The protection in the volunteer studies  
6 against heterologous challenge with El Tor strains is  
7 substantially less than it is against the challenge of  
8 Classical strain.

9 The latest challenge with the heterologous  
10 strain that I saw in the data was 28 days after  
11 immunization. Are there any data about the  
12 persistence of immunity against a heterologous  
13 challenge later than 28 days?

14 CHAIR FERRIERI: Dr. Tacket? As each of you  
15 from your team gets up if you could just announce your  
16 name for the transcriber, please.

17 DR. TACKET: It's Carol Tacket. No, we've  
18 not done challenges -- heterologous challenges as  
19 you've described -- beyond 28 days.

20 DR. HOLMES: And the second is also about  
21 data that may not be available. From the Indonesian  
22 study and a variety of others, it looks as if partial  
23 unity against cholera substantially limits both  
24 multiplication of the vaccine strain and the immune  
25 response to the vaccine strain.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           The question now is, in volunteers are there  
2 any data available on re-immunization and whether the  
3 vaccine is immunogenic in a volunteer from a developed  
4 country like the United States who has previously been  
5 immunized?

6           DR. CRYZ: Stan Cryz. We did a study in  
7 healthy adults, Swiss, where they received a single  
8 dose of the vaccine and then were boosted between 18  
9 and 24 months later. And although their vibriocidal  
10 antibodies for the most part reached baseline at the  
11 time of boosting, there was a minimum rise following  
12 boost.

13           CHAIR FERRIERI: Do you remember the GMTs,  
14 Dr. Cryz?

15           DR. CRYZ: I don't think there was a  
16 significant rise in the geometric mean titer after  
17 boosting. If it was it may be a two- to a three-fold  
18 rise.

19           CHAIR FERRIERI: Dr. Snider and then Dr.  
20 Edwards.

21           DR. SNIDER: My question has just been  
22 answered.

23           CHAIR FERRIERI: Thank you. Dr. Edwards.

24           DR. EDWARDS: Could you please review the  
25 dose that was used in the Indonesian trial, one, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 then two, could you also comment on the bloody  
2 diarrhea that was seen in the child -- the one-and-  
3 one-half-year-old child that got the vaccine?  
4 Obviously less than two years of age that was reported  
5 in your dossier.

6 DR. LEVINE: The dose of vaccine used in the  
7 Indonesian field trial was approximately  $3 \times 10^9$   
8 colony forming units.

9 DR. CRYZ: Stan Cryz. That adverse reaction  
10 was spontaneously reported to our medical department  
11 as passive surveillance. And we have no additional  
12 information other than the doctor, even though the  
13 child was under the recommended vaccination age in the  
14 country where the vaccine was licensed, decided to go  
15 ahead and administer the vaccine.

16 And you know, shortly thereafter the child  
17 presented with what was described as bloody diarrhea.  
18 We've tried to get additional information. All we  
19 know is the child recovered, and other than that we  
20 have no additional information.

21 DR. EDWARDS: And no additional stool  
22 cultures were taken.

23 DR. CRYZ: To the best of my knowledge they  
24 didn't do stool cultures to try and resolve what the  
25 cause was.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 CHAIR FERRIERI: Dr. Pierce and then Dr.  
2 O'Brien.

3 DR. PIERCE: Mention was made of the low  
4 number of cases occurring in the first six months of  
5 the trial done, but these weren't described. I wonder  
6 if we could have those figures just so we know what  
7 they are, for the vaccine and placebo group?

8 CHAIR FERRIERI: If it takes you a moment or  
9 so to pull that out we could move ahead with Dr.  
10 O'Brien's question. Or are you prepared to show that  
11 now? Please. Dr. Levine.

12 DR. LEVINE: These are vaccinees; these are  
13 placebo recipients. Up to this point would be cases  
14 in the first six month's of age -- in the first six  
15 months after vaccination. And this is the number of  
16 -- this number is ten. So there were only a handful  
17 of cases. I believe it was six or seven, eight, as I  
18 recall. I have a handout of that slide as well.

19 CHAIR FERRIERI: Any other comments on the  
20 data shown? Questions? Dr. O'Brien.

21 DR. O'BRIEN: Regarding the O blood group  
22 issue and the small glimmer of hope that perhaps there  
23 was a reduced incidence in O blood group individuals  
24 in Jakarta, in the volunteers are there any data that  
25 says there is or is not a difference in efficacy among

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the O blood group positive versus non-O blood group  
2 positive individuals, A; and B, is there any  
3 difference in colonization by vaccine strain in the O  
4 blood group positive versus non-O blood group  
5 positive, or did you look?

6 DR. LEVINE: There is no difference in the  
7 level of protection in the North American volunteers  
8 in relation to blood group. There is no difference in  
9 excretion of vaccine in relation to blood group. And  
10 the immunological differences are seen in offshore  
11 studies.

12 DR. DAUM: Dr. Kohl and then Dr. Hall, and  
13 then Kim.

14 DR. KOHL: Dr. Kohl. In some of the early  
15 challenge studies, particularly the ones reported in  
16 Lancet in '88, not only were lyophilized vaccine used  
17 but I believe fresh, arterial vaccines were used. In  
18 the El Tor strain studies -- 903, 2, and 7 -- I'd like  
19 to know if all of the vaccine used would be equivalent  
20 to the commercial preparation of lyophilized vaccine?

21 DR. TACKET: Yes. All the challenges in  
22 which the vaccine was CVD 103-HgR were the lyophilized  
23 formulation.

24 CHAIR FERRIERI: Thank you, Dr. Tacket. Dr.  
25 Hall.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 DR. HALL: Dr. Hall. I'm curious more about  
2 the vibriocidal, the antibodies since this seems to be  
3 the best marker that we have. And I wondered if you  
4 could tell us a little more about the one thing, the  
5 kinetics? It seems that it -- how long it takes to  
6 rise, it doesn't seem that it lasts very long.

7 And secondly, the effect of prior antibodies  
8 on that response and that duration. I noticed that  
9 you had in your children's study that there were --  
10 with three to 17 months that the GMTs were in the 80s.  
11 But was what the pre-level of that?

12 And in contrast, you mentioned in the --  
13 that was being mentioned in the adults, that the  
14 vaccine was more immunogenic than in children. And I  
15 would suspect that they would have had higher pre-  
16 antibody levels.

17 And I guess the other question I just  
18 wondered is, how long is the vaccine shed? You said  
19 in 19 percent, one or more days. Is that in general  
20 one day, or how long afterward?

21 CHAIR FERRIERI: And as part of that answer,  
22 Dr. Levine, could you address and affirm that the pre-  
23 and post-samples were run simultaneously as pairs?  
24 And the assay itself -- I'm trying to recollect --  
25 this is a complement-dependent lysis, Classical assay?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. LEVINE: Correct, with guinea pig  
2 complement. I think there were seven questions.  
3 You'll need to help me as we work backwards.

4 CHAIR FERRIERI: Right.

5 DR. HALL: Sorry.

6 DR. LEVINE: It indeed -- the testing is  
7 done blind; that is, with coded specimens and always  
8 with pre- and post-vaccination specimens run at the  
9 same time. The geometric mean titer before  
10 vaccination ~~and~~ the Chilean three to 17-month-olds was  
11 10 or 11, and it went up to approximately 85 post-  
12 vaccination.

13 Now, the good news about that is, that's an  
14 8-fold rise with a single, oral cholera vaccine which,  
15 in the history of cholera vaccines, is quite -- is  
16 very good. The bad news, if you will, is that a  
17 geometric mean titer of 85 is a fraction of what one  
18 sees in North Americans.

19 With adults and in every venue that we've  
20 looked at, adult or child, if an individual has a  
21 baseline titer above 640 reciprocal titer -- 640 or  
22 above -- there's very small chance of a vibriocidal  
23 seroconversion.

24 To best look at the comparison or the  
25 geometric mean titer response by age, I think we need

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 to look at the Chilean data because Chile is a site  
2 that's had a few, small outbreaks of cholera but  
3 really there's very little -- there's very, very  
4 little *Vibrio cholerae* in the population, very few  
5 localized outbreaks.

6 In that population there was an 85 percent  
7 seroconversion rate when low socio-economic level  
8 adults were vaccinated and the geometric mean titer as  
9 I recall, was somewhere around 300. It's in your  
10 packet. It's from a study by Lagos, et al.

11 In 5- to 9-year-olds geometric mean titer,  
12 depending upon blood group -- the overall geometric  
13 mean titer was in the 200 range. It was 400 in blood  
14 group O and 180 in non-O. You must compare that then,  
15 with the geometric mean titer of 80 which was seen in  
16 the preschool children and in the infants and  
17 toddlers.

18 That is a low level compared to what one  
19 would see in Indonesian toddlers which had about 3-  
20 fold higher baseline geometric mean titer than the  
21 Chilean infants.

22 DR. HALL: Can you tell me a little about  
23 the kinetics of the antibody, too?

24 DR. LEVINE: Yes, I'm sorry. Vibriocidal  
25 antibody response in North Americans or in the Chilean

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 population -- that is, a non-endemic population --  
2 there is a very rapid rise of vibriocidal antibody.  
3 It's IgM class. It peaks between day-10 and 14.

4 The person who has studied the kinetics in  
5 relation to live vaccine is Steve Wasserman who made  
6 the important observation that in fact, the antibody  
7 level at day-10 to 14 is higher than at day-7.

8 Since it's an IgM antibody we assume that  
9 day-7 was as good as day-10. Post facto that turned  
10 out not to be true. So in some of the studies the  
11 geometric mean titer is a bit lower than we would have  
12 seen if we'd collected specimens at day-10 or 12 or  
13 14.

14 DR. HALL: So that you're really relying on  
15 an IgM response here?

16 DR. LEVINE: Yes, but it's just a proxy. I  
17 don't think -- we don't believe that the vibriocidal  
18 antibody is the mediator of protection. What this is  
19 viewed at is, evidence of the vaccine take. We have  
20 looked exhaustively, painstakingly for years, as have  
21 other groups working in cholera, looking for mucosal,  
22 immune response correlates.

23 The fact of the matter is we truly don't  
24 know what the relevant antigens are that everyone  
25 would agree are the protective antigens, and we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 certainly don't have local mucosal immune response  
2 measurements that correlate as well with protection,  
3 as does vibriocidal antibody.

4 But it's almost certainly not the  
5 vibriocidal antibody itself in North Americans --  
6 immunological naives. That just is a marker of a  
7 vaccine take. That comes fairly quickly back to  
8 baseline -- within a couple of months -- but the  
9 protection can be long-lived.

10 And that's best seen in re-challenge studies  
11 in volunteers where the re-challenge was carried out  
12 three years later, and their vibriocidals were down to  
13 baseline but they were solidly protective against re-  
14 challenge three years later. These were, you know,  
15 Marylanders.

16 DR. HALL: The other -- shedding of the  
17 virus?

18 DR. LEVINE: Shedding of the vaccine strain  
19 -- if you collect every stool from North American  
20 recipients -- every stool -- about 25 percent will  
21 excrete. And typically it's for one to two days.  
22 It's a max of seven days.

23 Offshore and offshore studies, the max as I  
24 recall, is about 16 percent. It related to age, how  
25 many stools are collected, etc.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. HALL: But no longer than seven days  
2 would be your --

3 DR. LEVINE: That's right.

4 DR. HALL: Thank you.

5 CHAIR FERRIERI: Dr. Kim, you're next.

6 DR. KIM: I have several questions I'd like  
7 to address one by one. Related to the bacteriocidal  
8 antibodies, are these antibodies cross-strained or  
9 biotype, or is it specific to a strain or a biotype or  
10 serotype?

11 DR. LEVINE: They are in relation to  
12 serotype, but there's considerable cross-reactivity.  
13 The antigens, the O antigens of Vibrio cholerae O-1  
14 share common antigens as well as specific antigens  
15 that are specific for the Inaba and the Ogawa.

16 So an Inaba live vaccine or an Inaba  
17 challenge will stimulate vibriocidal antibodies that  
18 will give a higher Inaba vibriocidal response but a  
19 moderately high -- in general about two-thirds the  
20 height Ogawa response.

21 And the same is true vice versa. An Ogawa  
22 vaccine strain, live vaccine, or an Ogawa challenge,  
23 will stimulate higher Ogawa titers than Inaba titers,  
24 but the Inaba titers are up to about half to two-  
25 thirds the level of the -- the heterologous is about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 half to two-thirds the magnitude of the homologous  
2 titer.

3 Cross biotype -- we don't recognize a  
4 biotype but we're sure there are antigens -- the  
5 biotype-specific antigens. The epidemiology tells us  
6 that.

7 DR. KIM: Thank you. The second question is  
8 that -- regarding safety data presented. Was the  
9 study presented -- the data presented on the safety in  
10 children from Chile, I understand it was in placebo  
11 control, but was data collected in a blinded fashion  
12 for the safety?

13 DR. LEVINE: Yes, they were. This is an  
14 NIH-funded, a CDER study. The study protocol was  
15 carried out under IND. It was a randomized, double-  
16 blind, placebo-controlled study of the following  
17 design.

18 It was a 2-dose regimen in which, at the  
19 time of the first dose half of the children were  
20 randomly allocated to receive vaccine, and the other  
21 half were randomly allocated to receive placebo. They  
22 were maintained under double-blind surveillance for 14  
23 days.

24 At 14 days a blood specimen was collected  
25 for vibriocidal antibodies and a stool specimen to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 look for copra-antibodies. And then all participants,  
2 all subjects received a dose of vaccine. This was  
3 carried out for reasons of bioethics to provide some  
4 possible benefit to the participating children.

5 The safety data that I showed you were from  
6 the 14 days of surveillance of the vaccine versus  
7 placebo where there was double-blindness.

8 DR. KIM: Thank you. One more question is  
9 that -- I know H. pylori was listed in the handout for  
10 possible effect of vaccine efficacy and immunogenicity  
11 but was deleted in your presentations. Was there any  
12 reason for that?

13 DR. LEVINE: There was a very good reason.  
14 We had an hour-and-thirty-minute presentation that we  
15 were told not too long ago, had to be cut down to 50  
16 minutes. And so a number of our slides were  
17 simplified and we plucked out as many slides as we  
18 could. And we apologize; we still ran over by about  
19 seven minutes.

20 CHAIR FERRIERI: We'll move on to Dr. Mintz.

21 DR. MINTZ: The anti-cholera toxin antibody  
22 certainly does not correlate well with protection, but  
23 it's a useful serologic marker for infection. Can you  
24 tell me how the anti-CT antibody response compares in  
25 vaccine recipients to those with natural infection?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. LEVINE: In the volunteer model the  
2 serum anti-CT response which is -- the easiest marker,  
3 the easiest measurement -- is with CVD 103-HgR, is  
4 approximately two-thirds to three-quarters the level  
5 that's seen with wild type challenge.

6 CHAIR FERRIERI: Anything else, Dr. Mintz?

7 DR. MINTZ: No.

8 DR. DAUM: Dr. Greenberg.

9 DR. GREENBERG: I just want to -- the  
10 numbers for El Tor challenge, did you exclude the ten  
11 patients -- I guess it was ten patients who were  
12 challenged at ten days -- the data for efficacy  
13 against El Tor is based as I see it, on 15 challenged  
14 patients. Is that correct? There's a total of 15  
15 volunteers vaccinated?

16 Maybe I counted wrong. No, I counted wrong;  
17 excuse me. Excuse me. So we have 17 plus nine,  
18 right? If you exclude them. So it's 26 -- that were  
19 vaccinated with the actual, commercial vaccine? Yes  
20 or -- I guess -- I'm just trying to get in my own mind  
21 how much data -- maybe I'm not adding right.

22 DR. CRYZ: No. All of the 103-HgR  
23 challenges were with the commercial formulation.

24 DR. GREENBERG: Okay.

25 CHAIR FERRIERI: Anything else then, Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Greenberg?

2 DR. GREENBERG: No, that's it.

3 CHAIR FERRIERI: Dr. Kohl is next.

4 DR. KOHL: In all the -- Kohl -- in all the  
5 placebo-controlled trials as far as I can tell, the  
6 placebo was an E. coli -- a large dose of killed E.  
7 coli. Are there any studies where you used other  
8 kinds of more inert controls, and/or are there studies  
9 where you've used this placebo compared to another  
10 inert control?

11 Because it's easy to say that the difference  
12 between the placebo and the vaccine is low, yet the  
13 placebo itself I think, may be causing considerable  
14 abdominal -- or some abdominal complaints and  
15 diarrhea, etc.

16 DR. LEVINE: That's a very fair point.  
17 There are almost no data other than the use of the E.  
18 Coli K12 control, and the reason the E. coli K12  
19 control is used is that's kind of forced as an issue  
20 since that was the control, the placebo control that  
21 was selected for evaluations of other, non-living,  
22 oral vaccines. And therefore, in order to have  
23 applicability of safety patterns or profiles, the same  
24 placebo was used.

25 CHAIR FERRIERI: Dr. Karzon. I'm sorry,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Steve, that didn't -- did you wish to pursue another  
2 angle there --

3 DR. KARZON: Well, I guess what I'd like to  
4 know is, how much symptomatology occurs from the  
5 placebo? Do we have that on the --

6 DR. LEVINE: I'm not -- we have some minimal  
7 data, but not too much data. What we have though,  
8 Steve, are -- the E. coli K12 at that dose was  
9 actually used in volunteer studies. In the early,  
10 early days, circa 1980, the early days of recombinant  
11 DNA technology when there was worry about the  
12 biosafety of certain cloning vector plasmids.

13 That E. coli K12 was used as the organism  
14 into which these various plasmids were put and were  
15 then fed to volunteers, along with just a control  
16 group getting that E. coli K12 on the same research  
17 isolation ward under intensive surveillance. And  
18 there were no adverse reactions observed even with the  
19 live strain.

20 That led to that being selected as the  
21 placebo for comparing the reactogenicity of the oral,  
22 killed vaccine. In other words, it was based on the  
23 observed safety of that strain being fed at 50 billion  
24 organisms. Those data are published. They go way  
25 back so I don't have those off the top of my head.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 But we have those data.

2 CHAIR FERRIERI: And the vehicle in which  
3 the E. coli K12 was suspended, was it a complex sugar,  
4 or what --

5 DR. LEVINE: Buffer.

6 CHAIR FERRIERI: Just buffer?

7 DR. LEVINE: Yes. It was just bicarbonate  
8 as opposed to this slightly different buffer.

9 CHAIR FERRIERI: Dr. Karzon.

10 DR. KARZON: I'd like to review the status  
11 of vibriocidal antibody. First, its own natural  
12 history after immunization or natural disease, and  
13 then how it may play a role in indicating infection.

14 It's always -- it appears how rapidly; what  
15 is the curve of appearance and disappearance? And is  
16 it always an IGM? Does it ever revert to G? Do you  
17 find IGA component in there? That's the first set of  
18 questions.

19 DR. LEVINE: In a non-immune it appears as  
20 an IGM antibody that rapidly falls with the kinetics  
21 being clearly measurable -- clearly elevated at seven  
22 days from the time of ingestion of organisms, peaking  
23 at 10 to 14 days, typically back to baseline at about  
24 four months -- perhaps six months. So close to  
25 baseline you can hardly tell the difference.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           In endemic areas like the Maclabazar field  
2 area of Bangladesh where there's repeated ingestion  
3 year after year -- perhaps multiple times within a  
4 year -- repeated ingestion of *Vibrio cholerae* O-1,  
5 what one sees is if you do a seroprevalent survey in  
6 the population, the vibriocidal antibody increases  
7 with age.

8           For every 2-fold rise in that population,  
9 that has been under surveillance for a couple of  
10 decades, with every 2-fold rise in geometric mean  
11 titer of vibriocidal antibody, there's a halving of  
12 the cholera incidence. In that population --

13           DR. KARZON: Is it always M?

14           DR. LEVINE: No. In that population  
15 consequent to the repeated -- presumably -- consequent  
16 to the repeated stimulation, the antibody reverts --  
17 a proportion of the antibody becomes IgG.

18           Virtually nothing -- very little is known  
19 about IgA, but in that endemic population a long-lived  
20 antibody is IgG and in several -- in three different  
21 studies where the design was an index case of cholera,  
22 then go into the household and bleed the household  
23 contacts who are at higher risk of developing cholera  
24 than households that don't have cholera, and you look  
25 then, for the attack rate of cholera in contacts in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the household, there's a clear, inverse correlation  
2 between the level -- the baseline level of vibriocidal  
3 antibody versus whether or not one gets cholera.

4 DR. KARZON: Have you measured the amount of  
5 the vibriocidal antibody during an attack of watery  
6 stool cholera? Does it get into the gut and is it  
7 acting in this gross way as a neutralizing antibody?

8 CHAIR FERRIERI: A brief answer will  
9 suffice.

10 DR. LEVINE: We don't know that for sure.  
11 There's an assumption that perhaps the way the  
12 parenteral killed cholera vaccines worked -- and they  
13 showed a moderate degree of short-term protection --  
14 was by leakage of antibody onto the mucosal surface.

15 CHAIR FERRIERI: Just a couple of more  
16 questions and then we'll have Dr. Bash's presentation.  
17 First, Dr. Clements-Mann and then Dr. Snider, and then  
18 Dr. Bash, The other questions will have to hold.

19 DR. CLEMENTS-MANN : Just two questions,  
20 related to -- since the children in the Indonesian  
21 trial were ages two to five -- which is also a time of  
22 high rates of other diarrheal disease -- is there any  
23 indication or any record of whether the children had  
24 diarrhea post-immunization?

25 And then secondly, whether the diarrheal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 illnesses that were identified and associated with  
2 cholera, might have also included a co-infection or  
3 other pathogens?

4 DR. LEVINE : There was a nested,  
5 reactogenicity which showed no incrimination of the  
6 vaccine for any of the adverse reactions that might be  
7 expected --

8 DR. CLEMENTS-MANN: I meant just, you know,  
9 any other types of diarrhea that might have occurred  
10 that might have interfered with the immunizations --  
11 during the post immunization period?

12 DR. LEVINE: Yes. I don't think we looked  
13 at vibriocidal response in relation to whether there  
14 was diarrhea in that nested study.

15 To answer your second question, we do not  
16 have extensive bacteriology and did not build that in  
17 for reasons of economy, into the field trial. But  
18 your question is very well taken. One of the factors  
19 that determines the level of efficacy is the  
20 specificity of diagnosis.

21 That includes, if you look for multiple  
22 pathogens and you find another recognized pathogen, do  
23 you include or not include that case? In some other  
24 studies where they had the ability to do more complete  
25 screens for pathogens, up to 20 percent of the cases

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 had co-pathogens. And that's where the severity of  
2 diarrhea actually adds a fair amount of specificity.

3 CHAIR FERRIERI: Dr. Snider.

4 DR. SNIDER : Is there any information on  
5 either natural disease or this vaccine with  
6 immunocompromised individuals -. particularly I'm  
7 thinking about HIV-infected individuals -- with regard  
8 to severity of disease or shedding of organisms?

9 DR. LEVINE: Yes . This is a very important  
10 question. With sponsorship of the World Health  
11 Organization, a randomized, double-blind, placebo-  
12 controlled trial, crossover trial, was carried out in  
13 HIV-positive and HIV-negative subjects in Mali, which  
14 is an area that has considerable cholera.

15 To make a long story short, there was no  
16 increased reactogenicity of the live strain. There  
17 was no increased excretion of vaccine strain in the  
18 HIV-positive subjects. The seroconversion rate was  
19 comparable.

20 The geometric mean titer in the HIV-  
21 positives was lower, and the lower geometric mean  
22 titer was due to those individuals who had CD4 counts  
23 below 500. They were flat, as with many other  
24 vaccines used in that population.

25 The publication of that is in the January

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODEISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 issue of Bulletin of WHO.

2 CHAIR FERRIERI: If there's any comment on  
3 this precise issue we can hear it now, otherwise we'll  
4 go to Dr. Bash.

5 Dr. Bash, you are here? Many of you will  
6 wonder why Nancy left abruptly. Her mother has been  
7 in the hospital for surgery and took a turn for the  
8 worse today. So she has left for the hospital. Many  
9 of you will want to stay in touch with her on that,  
10 I'm sure.

11 DR. BASH: I will be discussing in summary,  
12 some of the clinical studies regarding the use of  
13 **Mutacol Berna**, with particular emphasis on studies  
14 that relate to the questions that we have posed to the  
15 Advisory Committee.

16 As you've heard, **Mutacol Berna** is a live,  
17 oral, attenuated vaccine consisting of 2 to 10 X 10<sup>8</sup>  
18 dose of strain CVD 103-HgR. Indication requested in  
19 this product license application is as a single, oral  
20 dose in adults and children greater than the age of  
21 two, for the prevention of cholera in U.S. travelers  
22 at risk of exposure to **Vibrio cholerae**.

23 As an overview -- and I will try to shorten  
24 this in aspects that have been well discussed already  
25 -- I will summarize some of the safety data which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 pertains particularly to use of this vaccine in U.S.  
2 adults .

3 I will focus most of my discussion on the  
4 efficacy data on studies designed to evaluate  
5 efficacy, particularly in the U.S. population. I will  
6 briefly mention only in summary, the Indonesian  
7 efficacy trial.

8 Immunogenicity will be discussed from two  
9 aspects: one in terms of the potential for needing to  
10 bridge from U.S. efficacy data to the pediatric  
11 population; and also from the perspective that  
12 immunogenicity supports the view that data obtained  
13 supporting efficacy in U.S. volunteers may be a more  
14 applicable source of that data than efficacy data  
15 obtained in endemic regions.

16 And lastly I will discuss the pediatric  
17 safety and immunogenicity data because our questions  
18 regarding this data I think, are better understood  
19 after reviewing the adult data.

20 This chart you have already seen and I will  
21 discuss it only briefly. This is the pivotal safety  
22 study that was performed in Baltimore, Maryland, as a  
23 randomized, blinded, controlled study. We've already  
24 discussed that the controls included 5 X 10<sup>8</sup> heat  
25 killed E. coli in the same buffer as that used in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 administration of the vaccine.

2           These do show relatively high rates of  
3 headache and gastrointestinal symptoms. Volunteers  
4 rated the severity of their adverse events as mild,  
5 moderate, and severe, and the rate of severe  
6 complaints was less than two to five percent in all  
7 cases.

8           As you can see, the majority of complaints  
9 are gastrointestinal in nature. And there were no  
10 statistically significant differences except that seen  
11 with nausea.

12           Diarrhea was defined as greater than four  
13 loose stools in a 24-hour period. And as you can see  
14 the rates meeting this definition were quite low. In  
15 addition, milder forms of diarrhea were evaluated to  
16 include the complaint of one or more loose stools in  
17 which case 19 percent of those receiving the  $5 \times 10^9$   
18 dose and 17 percent of those receiving  $5 \times 10^7$  dose  
19 had a complaint of a single or more loose stools.

20           These were statistically significantly  
21 different from the control arm but it emphasizes the  
22 mild nature of the symptoms experienced.

23           There are additional, blinded, controlled  
24 studies conducted in the U.S. and in Europe. There's  
25 an error here -- this is not 188; as you heard this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 morning this is 94. A Swiss study involved 25  
2 patients who received vaccine and an equivalent number  
3 with control.

4 In an Austrian study one arm included 65  
5 patients who received CVD 103-HgR in combination with  
6 Ty21a. In comparison, there were other arms to this  
7 study so the placebo arm here is significantly larger.

8 Essentially, there were no statistically  
9 significant differences in gastrointestinal or  
10 systemic adverse events. Overall, the rate of  
11 diarrhea ranged across these studies between eight and  
12 30 percent.

13 A number of open, U.S. immunogenicity  
14 studies have been performed and adverse events data  
15 was collected in all of these. The initial studies  
16 were performed as inpatients using the definition of  
17 diarrhea of a single loose stool greater than 300 mls,  
18 or two loose stools greater than 200 mls in 24 hours.

19 Using this definition, 3 of 47 individuals,  
20 or 6.4 percent, experienced diarrhea. The subsequent  
21 immunogenicity studies were performed as outpatients  
22 using a definition of four or more loose stools. And  
23 using this definition, one percent or 2 out of 205  
24 individuals experienced diarrhea.

25 In a subset of these outpatient studies the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 complaint of any diarrhea of one **or** more loose stools  
2 was obtained. And meeting that criteria were 34  
3 percent, or 22 out of 65 individuals.

4 Across all U.S. and European open studies  
5 including a large European, open safety study,  
6 diarrhea was recorded in 15 percent of 2,254  
7 individuals .

8 The safety data from studies conducted in  
9 endemic regions I will not discuss other than to  
10 mention that there have been no serious, adverse  
11 events reported in any of that data.

12 In addition, this vaccine is licensed in  
13 several European countries and in Canada, and from  
14 1994 through 1996, 40,000 doses have been distributed.  
15 We do not have a denominator specifically for this as  
16 it is unknown how many of these doses have actually  
17 been administered, but these constitute the only two  
18 reports to the company during this period of time.

19 This was already discussed and  
20 unfortunately, as was mentioned, there was no etiology  
21 reported for the young child with bloody diarrhea.

22 The second report is of a 50-year-old woman  
23 who , on her second dose of vaccine, developed s  
24 systemic hypersensitivity reaction. She also was  
25 treated and recovered fully.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1           So in summary, the use of Mutacol Berna in  
2 U.S. adult volunteers appears to be safe, resulting  
3 primarily in gastrointestinal symptoms which are mild  
4 and self-resolving.

5           The clinical data supporting efficacy, you  
6 have heard about the Indonesian efficacy trial which  
7 was designed to evaluate efficacy in individuals in  
8 the endemic region. It utilized  $5 \times 10^9$  cfu dose of  
9 CVD 103-HgR, enrolled 67,508 participants ages 2 to 41  
10 years of age.

11           This has only recently become available to  
12 us and has been provided to us as summary data, so I  
13 will not discuss it further unless there are specific  
14 questions. I would like to focus my discussion on  
15 human volunteer challenge studies, and specifically 75  
16 vaccine recipients, and I have limited my comments to  
17 only those studies utilizing the CVD 103-HgR strain,  
18 and not the earlier CVD 103 strain challenge studies.

19           Seventy-five vaccine recipients and 63  
20 unvaccinated controls have been challenged between the  
21 years of 1987 and 1993 in six open, non-randomized,  
22 non-blinded studies.

23           These studies were conducted as inpatient,  
24 quarantined studies. Diarrhea was the primary outcome  
25 of interest and was defined as a single, loose stool

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 greater than 300 mls, or two loose stools 200 mls in  
2 24 hours. All stools were graded by study nurses and  
3 recorded, and those of grade 3 being thick liquid, 4  
4 opaque watery, or 5 rice water, contributed to the  
5 definition of diarrhea.

6 Tetracycline -- a course of tetracycline was  
7 administered to all the participants including those  
8 who were asymptomatic, beginning on day-4 after  
9 challenge, or 24 hours after meeting the definition of  
10 diarrhea, or earlier as clinically indicated, based on  
11 the severity of diarrhea.

12 I have separated the challenge studies by  
13 challenge strain. In this table the three studies in  
14 which a Classical Inaba strain were used are shown.  
15 All three of these studies used the strain 569B which  
16 is the parent strain for the CVD 103 vaccine.

17 In this first study conducted in March of  
18 1990, vaccine recipients who had been given a single  
19 dose of  $5 \times 10^8$  were challenged 28 days after  
20 vaccination. The vaccine dose was  $1.5 \times 10^6$  cfu and  
21 the diarrheal attack rate in the control arm was 38  
22 percent.

23 The mean number of loose stools indicated  
24 here in the mean stool volume indicated here shows  
25 that this was a fairly mild development of diarrhea in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 comparison to studies that you'll see in a minute.

2 The second challenge was conducted in  
3 October of 1991 and included individuals who had  
4 received a single dose of  $5 \times 10^8$  six months prior to  
5 challenge, and a small number, three individuals, who  
6 had received a  $5 \times 10^9$  dose four months prior to  
7 challenge.

8 In this study a challenge dose of  $4.1 \times 10^6$   
9 was used, and consequently a higher attack rate in the  
10 control arm was seen at 67 percent. Again, based on  
11 mean number of loose stools and means to volume, this  
12 was a fairly mild challenge.

13 Additionally, for the most part these  
14 control recipients were not treated with tetracycline  
15 protocol at 24 hours after meeting the criteria but  
16 were observed for the four days of observation,  
17 further indicating the mild degree of diarrhea  
18 developed in these challenge studies.

19 In the final study individuals were  
20 challenged after receiving a single dose of  $5 \times 10^8$  of  
21 vaccine eight days prior to challenge. The challenge  
22 dose was higher at  $2.6 \times 10^7$  cfu. The development of  
23 diarrhea in the control arm was 73 percent. A  
24 slightly higher degree of diarrhea was experienced in  
25 these control volunteers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Across all of these studies the vaccine  
2 participants -- the vaccinated participants were  
3 protected from the development of diarrhea. However,  
4 it should be noted that there was a dose escalation  
5 across these studies, and in the study that examined  
6 challenge farthest away from vaccination there was a  
7 small number of individuals included who had received  
8 a higher dose of vaccine, a slightly shorter period of  
9 time prior to challenge.

10 This chart summarizes the three challenge  
11 studies undertaken with El Tor challenge strains.  
12 Each of these challenge studies used a different El  
13 Tor strain and the challenge dose was fairly  
14 consistent between one and  $1.7 \times 10^6$  for each of these  
15 studies.

16 In the first study individuals were  
17 challenged. One was after receiving a single dose of  
18  $5 \times 10^8$ . Diarrhea developed in seven out of eight, or  
19 88 percent of the control arm and 33 percent of the  
20 vaccine recipients.

21 In looking at the mean number of loose  
22 stools, including the range, and the mean stool volume  
23 near three liters, ranging from .9 to over six liters,  
24 you can see that this was a more aggressive challenge  
25 and that there's evidence for amelioration of disease

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 in the vaccine recipients, even in those who did meet  
2 the criteria for diarrhea.

3 The second study conducted in September of  
4 1989 challenged with an El Tor Ogawa strain one month  
5 following two doses of  $5 \times 10^8$  vaccine. In this  
6 challenge, 100 percent of controls developed diarrhea,  
7 36 percent of vaccine recipients developed diarrhea,  
8 and once again there was a substantial degree of  
9 purging seen in the control volunteers, with a fairly  
10 high number of loose stools and a fairly high mean  
11 stool volume in comparison with the vaccine  
12 recipients.

13 In the final study in December of 1993,  
14 individuals were challenged to either ten days or one  
15 month following a single  $5 \times 10^8$  dose of vaccine.  
16 This strain, El Tor Ogawa, is the clinical isolate  
17 strain and diarrhea resulted in 88 percent of the  
18 control participants and 40 and 44 percent of the  
19 vaccine recipients.

20 Again, a fairly significant stool volume and  
21 mean number of stools is seen in the control arm, with  
22 evidence of amelioration of disease in the vaccine  
23 recipients who met the criteria for diarrhea.

24 In all, four patients in these studies  
25 required IV fluids and early antibiotic treatment for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 heavy purging. And all four of these patients were in  
2 the control arms of these studies.

3           However, it should be noted that one of  
4 these studies challenged individuals who received two  
5 doses of the intended vaccine and that the longest  
6 duration between vaccination and challenge for the El  
7 Tor studies is one month.

8           I should point out, we included the P values  
9 in those charts as reported by the sponsors. However,  
10 there are trial design issues which raise questions  
11 regarding the validity of that statistical analysis.

12           In these studies there was no randomization  
13 and there was no blinding of patients, study  
14 personnel, or laboratory personnel providing the  
15 immunogens to these studies.

16           Specifically, vaccinated subjects were  
17 recruited from seven of 15 open, immunogenicity  
18 studies, with recruitment rates from the individual  
19 immunogenicity studies ranging between 33 and 76  
20 percent. Overall, 150 patients were immunized in  
21 these seven studies that led to challenge studies, and  
22 50 percent, or 75 of those individuals, went on to  
23 challenge.

24           Reasons for not being included in the  
25 challenge studies are varied and unfortunately in a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 number of them these reasons were unknown or not  
2 recorded.

3 The inclusion criteria for the challenge  
4 portion of the studies were different from that for  
5 the immunogenicity portion of the study, so a number  
6 of individuals either developed or had medical reasons  
7 which prevented them from participating in challenge.

8 A psychological evaluation was required  
9 because of the inpatient and quarantined nature of  
10 these studies, and a number of individuals failed on  
11 this account. Some individuals received poor rating  
12 during the first study and were not included in the  
13 inpatient challenge study.

14 One individual was recorded as being  
15 violent; one had been incarcerated; four withdrew; and  
16 a number were not interested in participating in a  
17 challenge study or didn't show up for other reasons.

18 The control arms were recruited separately  
19 from those participants who were in immunogenicity in  
20 the challenge studies.

21 The other difficulty in combining this data  
22 to get a sense for the overall efficacy of this  
23 vaccine I've already mentioned, including the fact  
24 that the immunization dose, although in most instances  
25 was a single dose of  $5 \times 10^8$ , in one instance included

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 5 X 10<sup>9</sup> and in another study included two doses of 5  
2 X 10<sup>8</sup>.

3 To whatever degree that we could, in looking  
4 at the vibriocidal titers of the subpopulation from  
5 the immunogenicity studies that went on to be  
6 challenged, there was no difference in their  
7 immunologic parameters in terms of peak vibriocidal,  
8 post-immunization titers between those who were  
9 challenged and the entire group that was immunized.

10 And it should be noted that the primary  
11 outcome in these challenge studies being volume of  
12 liquid stool was a fairly objective criteria.

13 However, these two issues cannot address the  
14 potential for having significant differences between  
15 individuals willing to participate in a challenge  
16 study knowing that they are unprotected, and  
17 individuals willing to participate in a challenge  
18 study who have been vaccinated and who consider  
19 themselves likely to be protected.

20 Immunogenicity study data I mentioned I  
21 would discuss both in terms of the potential necessity  
22 for bridging from the U.S. efficacy data to the  
23 pediatric populations.

24 And also as it's the immunogenicity data  
25 that provides a rationale for why efficacy data

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 obtained in the U.S. volunteers may be more applicable  
2 to protection in U.S. travelers than that obtained  
3 through efficacy trials in endemic regions.

4 As has already been discussed, the actual  
5 immunologic mechanism of protection is not known.  
6 These vibriocidal assays are really considered only  
7 potential markers of protection. And the sponsors  
8 have presented data regarding the inverse relationship  
9 of peak serovar. It's not specific, actually.

10 It's either specific or across serotype,  
11 vibriocidal titers, being inversely related to stool  
12 volume and diarrhea in the challenge models. And they  
13 have presented data regarding baseline titers related  
14 to protection in endemic regions.

15 This is the Spearman's correlation analysis  
16 of the six challenge studies. And in fact, these  
17 correlation coefficients are negative in all  
18 instances, although only a few of these earlier  
19 studies reached statistical significance.

20 Interestingly, it does look in these first  
21 two studies that it is across serotype which shows a  
22 greater inverse correlation here with an El Tor Inaba  
23 challenge, the Ogawa vibriocidal titer. And here with  
24 an El Tor Ogawa challenge the Inaba vibriocidal titer,  
25 which was more statistically significantly inversely

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 correlated. But clearly there's not a well-defined  
2 correlate of protection for this disease.

3 In this chart which I apologize -- it may be  
4 very difficult for people to see -- I tried to  
5 summarize the studies for which pre- and post-  
6 vibriocidal geometric mean titer data is available and  
7 grouped this according to where the studies were  
8 performed.

9 The yellow bars indicate post-immunization  
10 vibriocidal titers. What is virtually invisible are  
11 the pre-titers which are in front here. And I won't  
12 discuss those; you really can't see them. There are  
13 some differences in some instances in the pre-  
14 immunization titers, but this is not consistent across  
15 the board and in Peru and Chile -- specifically in  
16 Chile where there is little, or has been little  
17 cholera, the pre-vibriocidal titers were not  
18 significantly elevated in comparison with the non-  
19 endemic data.

20 As you can see, the majority of these  
21 immunogenicity studies resulted in very high, post-  
22 immunization, vibriocidal, geometric mean titers. In  
23 the European studies the same was seen that this in  
24 fact -- this value here is the study that was referred  
25 to in the question discussion prior to my talk in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 individuals who were boosted 15 to 24 months after  
2 having received an initial dose.

3 And as you can see, they really had a very  
4 low post-immunization, vibriocidal titer even though  
5 their pre-boost tiers had returned to baseline.

6 In Thailand, several studies -- two studies  
7 were conducted comparing high socio-economic status  
8 and low socio-economic status individuals, and as was  
9 indicated earlier, there's a dramatic difference in  
10 the response to vaccine in these two populations.

11 The remaining studies with orange bars,  
12 indicate studies conducted using the  $5 \times 10^9$  dose --  
13 the one log higher dose of vaccine. And whether in  
14 Indonesia or Peru, where ongoing cholera was occurring  
15 at the time of these studies, or in Chile where there  
16 was very little cholera ongoing, the post-  
17 immunization, vibriocidal titers even at the higher  
18 dose, are significantly or substantially less than  
19 that seen.

20 Now, several of these, I'm sorry, this may  
21 be hard to understand, but C refers to children five  
22 to nine years of age, and P refers to pre-schoolers,  
23 two to four years of age. It is unclear to what  
24 degree the age difference between responses in adults  
25 versus responses in children contribute to this, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 even in the adult populations the post-immunization  
2 titers in these populations are significantly lower.

3 This data on the end that's a little bit cut  
4 off there is the nested immunogenicity study from the  
5 Indonesian efficacy trial. The first bar being for  
6 all participants and the second bar being for those  
7 who were aged two to five years of age.

8 Here is the next immunogenicity study from  
9 the Indonesian efficacy trial. And as you can see the  
10 pre-immunization, vibriocidal titers were fairly low  
11 in the youngest age group and were as expected, higher  
12 in the older age groups and in all participants  
13 combined.

14 The seroconversion rate was really not lower  
15 than expected, however the post-immunization,  
16 vibriocidal peak titer is really quite low compared to  
17 those seen in non-endemic, or developed countries.

18 CHAIR FERRIERI: Could you leave this on for  
19 one more second, Margaret? Thank you.

20 DR. BASH: The issues regarding the  
21 pediatric data that we would like to focus on and to  
22 point out, is that there has been no administration of  
23 this vaccine to U.S. children. The Chilean population  
24 has been proposed as representative, or somewhat  
25 representative of the U.S. population for the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 indication in U.S. travelers two years of age or  
2 older.

3           However, all studies conducted in Chile have  
4 utilized  $5 \times 10^9$  dose and as was seen in the chart  
5 earlier, the immune responses appear to be similar to  
6 those attained in endemic regions. I think this  
7 raises issues regarding the applicability of both  
8 estimates of protection as well as safety data in  
9 going from a Chilean population to a U.S. pediatric  
10 population.

11           Safety data in children have been obtained  
12 in blinded, controlled studies conducted in Chile,  
13 Peru, and Indonesia. Overall, 279 2- to 4-year-olds  
14 and 466 5- to 9-year-olds have received a  $5 \times 10^9$  or  
15 greater dose, and the majority of these children did  
16 receive the higher,  $5 \times 10^9$  dose.

17           In summary, diarrhea occurred in one to 13  
18 percent across these studies; vomiting in one to 14  
19 percent; and abdominal pain in 11 to 50 percent.

20           Fever was generally very low except in the  
21 single, subgroup of an Indonesian study, and other  
22 than in this outlying value there were no  
23 statistically significant differences between the  
24 placebo arms or the control arms and the vaccine arms.

25           The only pediatric data available in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 developed countries comes from an Austrian open safety  
2 study in which a very small number of children, 14  
3 patients aged six months to seven years of age, and 15  
4 patients aged seven to 12 years of age, were enrolled.

5 In this limited number of patients abdominal  
6 pain, diarrhea, and rash occurred each at seven  
7 percent of the younger group, and diarrhea at 20  
8 percent, and nausea and rash at seven percent of the  
9 older group.

10 The analysis of this data by the sponsors  
11 indicated there was no difference in either the type  
12 or severity of adverse events experienced by children  
13 versus the large number of adults enrolled in the  
14 study.

15 In terms of the pediatric immunogenicity  
16 data, I'll focus here on the Chilean data. This is at  
17  $5 \times 10^9$  dose of vaccine divided in ages five to nine  
18 years of age and ages two to four years of age. The  
19 pre-immunization, vibriocidal titers are fairly low,  
20 and lower than that seen in Peru and Indonesia.

21 The peak post-immunization, GMT titers  
22 remain, as was mentioned earlier, fairly low: the  
23 seroconversion rates of 74 percent in the 5- to 9-  
24 year-olds, and 51 percent in the 2- to 4-year-olds.

25 Interestingly, I would like to point out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 that the anti-cholera toxin titers are fairly high as  
2 baseline in this population, indicating that cross  
3 reactive -- as was mentioned, there's not cholera  
4 endemic in this region but cross-reacting E. coli  
5 toxins may result in this.

6 And what effect this has is unclear; however  
7 seroconversion rates across all the endemic and the  
8 Chilean populations are fairly low compared with  
9 seroconversion rates to anti-cholera toxin in the U.S.  
10 adults in the immunogenicity studies.

11 So in summary, I have been I hope, fairly  
12 brief. Safety data would indicate that in U.S. adults  
13 administration of Mutacol Berna is safe and results in  
14 the development of gastrointestinal systems which are  
15 generally mild and self-resolving.

16 I think the issue of the control arm does  
17 complicate deciding what proportion of those adverse  
18 events can be attributed to the vaccine and the fact  
19 that the control arm includes the buffer that is also  
20 a part of the vaccine adds into that difficulty.

21 Our primary focus has been on the efficacy  
22 data as it refers to U.S. travelers. I hope I've  
23 provided some data that would support the rationale  
24 for examining efficacy in U.S. volunteers. However,  
25 I have also pointed out some issues with regard to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 efficacy data that has been presented in these  
2 challenge studies with regards to study design.

3 The pediatric data concerns may make  
4 selection of the population and effect of the dose as  
5 compared to that which would be indicated in the U.S.  
6 license. I'll try and answer questions.

7 CHAIR FERRIERI: Thank you very much,  
8 Margaret. That was very helpful in pulling together  
9 a lot of detail that we needed to see presented like  
10 that.

11 We'll take about 15 minutes for questions  
12 from the panel and then we will adjourn for lunch.  
13 And so there are a few people who have been waiting  
14 patiently and top of that list is Bob Daum and then  
15 Greg Poland.

16 DR. DAUM: Thank you, Pat. It's not bad to  
17 be at the top of any list, I guess. My questions are  
18 more directed toward the sponsors than the agreeably,  
19 informative presentation we just heard. And although  
20 anybody could comment.

21 I'm struggling with the fact that the  
22 baseline titers were increased in the Suharyono paper  
23 in the Lancet, and I thought Dr. Levine, you said that  
24 frequently in developing countries you see this higher  
25 baseline titers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           So I guess my question is, is to comment on  
2           that with regard to several things. Is that because  
3           of ongoing exposure, and if it is, why aren't we  
4           getting any kind of booster phenomenon? It also seems  
5           like it's a dampening phenomenon when these kids are  
6           vaccinated.

7           And I guess in a bigger framework, I don't  
8           understand the overall biologic plausibility question  
9           with respect to why these vaccines would appear to  
10          work in certain totally naive populations but not work  
11          apparently at all, at least sometimes, in endemic  
12          populations.

13          So some comment on the fact that the  
14          elevated baselines are there -- baseline titers -- the  
15          fact that there's no boosting, and the biologic  
16          plausibility question of the different populations.

17          DR. LEVINE: I'm going to have trouble  
18          again, with the six questions.

19          DR. DAUM: I'm sorry, I --

20          DR. LEVINE: Well, run them by me again, one  
21          at a time and let me answer.

22          DR. DAUM: Sure. Let's first comment on the  
23          fact that the titers were elevated in developing  
24          country populations -- at least in some of the data I  
25          saw flash by this morning -- compared to a naive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 population. And if that's the case, how does that  
2 observation feed into the failure of the vaccine in  
3 the population with an elevated initial titer, and why  
4 don't we see any kind of boosting phenomenon? Almost  
5 appears to be a dampening --

6 DR. LEVINE: Ah, one question at a time.  
7 I'm sorry.

8 DR. DAUM: It's the same question.

9 DR. LEVINE: I'm sorry, ask it again then.  
10 It sounded like it was -- I'm sorry.

11 CHAIR FERRIERI: It's the same question.

12 DR. DAUM: I'll be happy to ask it again.

13 CHAIR FERRIERI: Go ahead, Bob.

14 DR. LEVINE: Please. Is that okay?

15 DR. DAUM: The baseline titers are elevated,  
16 are they not --

17 DR. LEVINE: Yes.

18 DR. DAUM: -- in developing country  
19 populations?

20 DR. LEVINE: That's correct. Where there's  
21 cholera, yes.

22 DR. DAUM: And my question is -- I guess  
23 I'll ask one at a time -- why is that?

24 DR. LEVINE: That's from contact with *Vibrio*  
25 *cholerae* in that, in the Suharyono study and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 Simanjuntak study where bacteriology was done in  
2 conjunction with the safety immunogenicity studies,  
3 wild type *Vibrio cholerae* were grown from  
4 approximately one percent of the placebo contracts or  
5 the household contacts.

6 The geometric mean titer, the baseline  
7 titer, indeed increases with age, and it was about --  
8 it was almost 30 -- 28 or 29 in the 2- to 4-year-olds  
9 in Indonesia as you point out, elevated, compared to  
10 U.S. adults or Chilean infants, and then went up to  
11 about 50 in the 5- to 9-year-olds.

12 Now, that geometric mean titer of 50  
13 includes some children who have very high titers and  
14 some children who have low titers. The children who  
15 have very high titers of say, one to 640 or above,  
16 they will not seroconvert given vaccine. That's why  
17 the seroconversion rate is not 97 percent like it is  
18 in North Americans.

19 That proportion of kids with really, really  
20 high titers, you can't boost them further. And we  
21 presume that they are already immune. And then there  
22 are some kids with low titers of 40 or 80 or 20, and  
23 those kids, the 10<sup>9</sup> dose seems to seek them out and  
24 seroconvert them.

25 Although it seroconverts them the geometric

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 mean titer, the post-vaccination titer, is only a  
2 fraction of what one sees in North Americans. And one  
3 of your questions was, why is that? This is the  
4 \$64,000 question.

5 We believe -- we have some data to suggest  
6 that proximal, small bowel overgrowth with coliforms  
7 and anaerobic organisms interfere with the vaccine  
8 strain and interfere with vaccine take. We know that  
9 *Vibrio cholerae*, in particular Classical biotype  
10 strains, don't do well in the environment of the  
11 normal, large bowel because of the competitive effect  
12 of normal flora.

13 And when those kind of normal flora are way  
14 up in the proximal, small bowel that means that the  
15 dose of vaccine organisms that's given is interfered  
16 with.

17 In this poor population living in very  
18 disadvantaged conditions such as in the areas of North  
19 Jakarta and parts of South America, there exists an  
20 entity called environmental enteropathy. When those  
21 kids are biopsied -- healthy kids -- one sees a very  
22 different morphology of the small intestine than one  
23 sees in North American children or adults.

24 And we think that several of these together  
25 account for the diminished immune response. If we can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 get a better vibriocidal response in those kinds of  
2 populations we believe that we can have more  
3 consistent protection.

4 The hint for that is the suggestion of  
5 protection in the blood group O individuals, which is  
6 a group that has a 3-fold higher vibriocidal response  
7 compared to non-O.

8 DR. DAUM: I'm going to cull from that that  
9 you did hear more than my first question. But all  
10 kidding aside, the titers are in fact, higher in this  
11 population but there's no booster phenomenon with the  
12 vaccine?

13 DR. LEVINE: No, there is.

14 DR. DAUM: In terms of the geometric mean  
15 that the kids end up with.

16 DR. LEVINE: Yes, there is a booster effect  
17 with 9 logs. Yes. If you look -- you've got the  
18 data. If you look at any of those studies -- for  
19 example, the 2- to 4-year-olds, the Simanjuntak study.  
20 That's a mean 8- or 10-fold -- you'll have to look it  
21 up -- I think it was a mean 8-fold rise. It may have  
22 been closer to 10-fold.

23 Mean fold, geometric fold rise with the 9  
24 log dose. In the 5- to 9-year-old Indonesians it's a  
25 mean -- the baseline was 50, the filtered vaccine,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 post-vaccination geometric mean was 450. So that's a  
2 mean 9-fold rise.

3 To have a mean 9-fold rise in the history of  
4 oral cholera vaccines with a single dose, is a clear-  
5 cut step in the direction of better immunogenicity.  
6 That's unusual compared to what was seen in the past.  
7 But it's only a fraction of the fold rise that we see  
8 in a North American or European population.

9 DR. DAUM: Yes, I guess it's a question of  
10 semantics in terms of what we're defining as a  
11 booster, and you're absolutely right. I was thinking  
12 of comparing it to what we would see in a developed  
13 country who were naive, and who get much higher  
14 titers.

15 And so I was comparing that to this and that  
16 doesn't look like a booster response there, given the  
17 fact that you're saying the higher levels meant  
18 exposure -- endemic exposure to cholera. I'd expect  
19 a bigger boost in the developing country -- people to  
20 end up higher. Do you follow me? And that didn't  
21 happen.

22 So I'm just asking why, and I guess the real  
23 question is the one you said is maybe a \$64,000  
24 question and it has no answer. And that is, the  
25 biologic plausibility question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. LEVINE: The vaccine at 9 logs clearly  
2 leads to seroconversion in circa 75 to 85 percent of  
3 individuals in a non-endemic area, and a mean  
4 approximately 8- to 10-fold increase in geometric mean  
5 titer. But that geometric mean titer positively,  
6 absolutely is much less. It's a fraction of the post-  
7 vaccination titer seen in naives.

8 We think that's a function of the hosts  
9 because when you vaccinate in poor population in Chile  
10 where there's not much cholera but they're still poor  
11 and where we know from breath hydrogen surveys in kids  
12 that there's evidence of proximal, small bowel  
13 overgrowth and we have shown an inverse correlation  
14 between the presence of that proximal, small bowel  
15 overgrowth and vaccine take, we think it's a question  
16 of poverty and how the host is inherently different in  
17 responding to any oral vaccine -- including Sabin  
18 polio vaccine, rotavirus vaccine.

19 It's a more generic problem and an important  
20 one that we have to address if we want oral, mucosal  
21 vaccines to have a place as public health tools in the  
22 developing world.

23 CHAIR FERRIERI: Thank you, Mike. I don't  
24 think we can resolve this any better to your liking,  
25 Bob, so we will abandon that at the moment. Does

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 anyone who raised a hand have something to elucidate  
2 on this very precise point that you've heard the  
3 exchange on? Randy, was it pertinent to this, please?

4 DR. HOLMES: Well, possibly.

5 CHAIR FERRIERI: Please go ahead.

6 DR. HOLMES: The presentation described a  
7 difference between the CVD 103-HgR and the HgR2  
8 variant, and I wonder if somebody could more precisely  
9 describe the data that characterized the difference in  
10 that phenotype.

11 CHAIR FERRIERI: Dr. Kaper.

12 DR. KAPER: Yes, Jim Kaper. The 103-HgR2  
13 was constructed several years after HgR and using  
14 better techniques in terms of mutagenesis, of suicide  
15 vectors and things like that, reflecting advancements  
16 in recombinant DNA technology. So CVD 103-HgR was  
17 passed multiple times through a laboratory in order to  
18 get the recombinations and select those mutants.

19 HgR2 was passed far fewer times and the --  
20 but apparently there was a spontaneous mutation that  
21 arose in CVD 103-HgR that leads to less colonization  
22 in terms of say, a mouse, suckling mouse model. It  
23 may be a log difference lower colonization of 103-HgR  
24 versus 103. Exactly what that mutation is I have no  
25 idea.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIR FERRIERI: Thanks, Dr. Kaper. Dr.  
2 Poland and then we're going to break.

3 DR. GREENBERG: I was just going to follow  
4 up on some minor question that Dr. Snider started  
5 with, and that is in regards to immunocompromised  
6 people -- not necessarily HIV but other  
7 immunocompromised.

8 And the second part is, do we have any data  
9 on use of the vaccine in people where the integrity of  
10 the bowel mucosa might be altered, such as  
11 inflammatory bowel disease?

12 CHAIR FERRIERI: State your name.

13 DR. TACKET: It's Carol Tacket. No, we  
14 don't have safety data in those populations other than  
15 the HIV-infected patients that you heard about.

16 CHAIR FERRIERI: Anything else, Greg?  
17 Otherwise, we can have another question from Dr. Kohl.  
18 Steve, you had your hand up as well.

19 DR. KOHL: Yes, it's my continual quest for  
20 immunological correlates of protection. We are told  
21 that there is epidemiological data and some  
22 experimental data that vibriocidal titers correlate  
23 with protection. Is there a level of a vibriocidal  
24 titer that correlates with protection so that we can  
25 extrapolate something to pediatric studies?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 DR. LEVINE: Not really, Steve. The closest  
2 that one can come are the studies of Henry Mosley and  
3 Roger Glass in Maclabazar, where they went into the  
4 households of indexed cases of cholera, bled the  
5 contacts, and then looked at the attack rate that  
6 ensued with follow-up in the contacts compared to  
7 their baseline vibriocidal level.

8 And there was a clear, inversed correlation.  
9 The higher the baseline level the lower attack rate.  
10 And it looked like, with the vibriocidal assay used in  
11 that laboratory, in that population, that there was a  
12 correlation as I remember, either with one to 80 or  
13 one to 160.

14 But this is IgG antibody in a primed  
15 population, in a very special situation. There are up  
16 to 2- or even 4-fold differences between laboratories  
17 in the vibriocidal titer that is measured on the same  
18 specimen. There have been comparative studies that  
19 show consistency, but the absolute value can vary 2-  
20 or 4-fold -- for example, between the CDC and the CVD.

21 So in some instances there are cutoffs that  
22 correlate, but you can't extrapolate from that one  
23 instance, to answer the broad question as far as --

24 DR. KOHL: That's what I was worried about.  
25 And just a follow-up on that. We were shown data from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 aEuropean study where some individuals were given the  
2 vaccine and then re-challenged. And on the re -  
3 challenge they did not appear to make vibriocidal  
4 antibodies .

5 Are those individuals protected? Do we  
6 think they're protected?

7 DR. LEVINE: The assumption is that they are  
8 protected. This is a measure of the gut immunity for  
9 which the vibriocidal antibody take at the primary  
10 immunization was the measure of take. And the  
11 vibriocidal antibody comes down and the protection in  
12 an industrialized country population is long-lived  
13 long after the vibriocidal antibody returns near  
14 baseline.

15 DR. KOHL : So what I'm hearing is that we  
16 can't tell by vibriocidal antibody what's going to  
17 happen regarding detection. And that I think, is  
18 going to have real implications as we get to pediatric  
19 cases -- pediatric population.

20 CHAIR FERRIERI: Dr. Pierce, you wanted to  
21 add to the --

22 DR. PIERCE : I just wanted to suggest  
23 another interpretation -- not necessarily exclusive  
24 interpretation -- another interpretation of this  
25 complex picture.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           And that is that with CVD 103-HgR which is  
2           a compromised strain with regard to its ability to  
3           colonize the bowel -- its intent to compromise -- it  
4           seems entirely possible that a degree of persisting,  
5           local immunity -- not necessarily the request in  
6           vibriocidal antibody which is not (unintelligible) in  
7           the community, this is sufficient to exclude that  
8           organism at the time it passes through the gut without  
9           inducing an immune response.

10           And that may well be what is seen in  
11           children. In Indonesia for example, they have the  
12           highest vibriocidal titers, or even the lower ones.  
13           And it could well apply also to Austrians 24 months  
14           later.

15           That ability to exclude that strain may not  
16           reflect immunity to cholera because cholera -- wild  
17           type cholera -- is a much more highly adhering  
18           organism, much more capable of inducing disease. And  
19           so it's possible, maybe unfortunately it's possible,  
20           that a line can be drawn between what these strains --  
21           the wild type strains can do and what this strain can  
22           do.

23           As I say, this is not necessarily an  
24           exclusive explanation, but I think it's one that needs  
25           to be considered, and it is also consistent with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 observations.

2 CHAIR FERRIERI: Dr. Carpenter, would you  
3 like to add to this? You look interested as if you  
4 wanted to engage on this issue.

5 DR. CARPENTER: Well, not this issue  
6 specifically. The only concern I have in hearing this  
7 -- these are obviously, wonderful studies that have  
8 been done over a period of years, and science has  
9 moved quite rapidly.

10 My concern though, is about the risk/benefit  
11 of using the vaccine for travelers. In order to  
12 prevent one case of cholera, somewhere between I  
13 guess, 50 and 100,000 doses of the vaccine will be  
14 given. Based on what was seen that's going to cause  
15 maybe 10,000/15,000 cases of what's called mild  
16 diarrhea.

17 Mild diarrhea, like every other thing we see  
18 in medicine, has a bell-shaped distribution. Some of  
19 those diarrheas are going to be more severe and some  
20 occur in elderly persons, and we don't know how many.

21 But the question is whether a benefit in  
22 preventing one case of cholera is exceeded by the  
23 risks to several -- maybe several hundred persons who  
24 will get more severe than mild diarrhea as a result of  
25 the vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 That's the only concern I've had so far.

2 CHAIR FERRIERI: Thank you. We'll return to  
3 that issue in a serious way after lunch, and I  
4 appreciate your raising it now. A brief comment from  
5 Mary Lou Clements-Mann and then from Alison O'Brien.  
6 Then we absolutely will break for lunch.

7 DR. CLEMENTS-MANN: I know this is all very  
8 confusing but I just thought maybe I could give it  
9 another analogy to clear this up.

10 CHAIR FERRIERI: Yes.

11 DR. CLEMENTS-MANN: We have an infecting  
12 immunization which requires infection. We don't have  
13 a good way to measure all the immunologic parameters  
14 that would occur in the course of that infection  
15 because there's going to be replication, colonization  
16 of the strain, there's going to be secretory immunity  
17 generated, probably some cell muted immunity -- who  
18 knows -- and also there's going to be antibody  
19 production to a variety of different epitopes.

20 So what's being done to determine whether  
21 there was any infection whatsoever, is vibriocidal  
22 antibody measurement. Which in people that have no  
23 smidgen of background immunity -- are totally virgins  
24 like U.S. travelers happen to be in this case -- is  
25 that you can induce a good infection, reliably, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 you can detect that by this vibriocidal antibody  
2 measurement.

3           However, when you get out in the field this  
4 is less useful I think, in determining even  
5 seroconversion, because people already have had an  
6 immunizing infection with wild type cholera of some  
7 sort -- maybe not that particular strain. And so they  
8 have some ability to mount already interference with  
9 the immunization that you're giving them.

10           So that titer, again, has no meaning for  
11 protection and therefore it can only be used, yes or  
12 no, present or absent. It might help though, to  
13 stratify those kids in developing countries by whether  
14 they had any antibody or not, and then look at the  
15 height of the vibriocidal antibody.

16           But I think that this is where we're all  
17 getting very confused, and it just is one of the  
18 problems we face with live, attenuated vaccination.  
19 And kids who already have been -- had prior infection  
20 may be the ones that don't need the vaccine and the  
21 others do.

22           And it would be nice at some point to see  
23 that stratification, see how many kids you effectively  
24 did immunize even with that crude marker.

25           CHAIR FERRIERI: Thanks, Mary Lou. Alison

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 -- Dr. O'Brien.

2 DR. O'BRIEN: Yes. This is a question for  
3 Dr. Levine. You mentioned that there were no other  
4 really reliable markers that correlated with immunity.  
5 Did you look at antibody to TCP -- to the toxin  
6 coregulated pelis -- that's supposedly a major, if not  
7 the major adhesion for at least the Classical strains?

8 DR. LEVINE: Yes, that's a very good  
9 question. Shortly after the discovery of toxin  
10 coregulated pelis -- TCP -- we looked at that in the  
11 volunteer model in conjunction with the discoverers,  
12 including John Meklanos and his associates, Ron Taylor  
13 and others.

14 And what was found was that the TCP pelis,  
15 the major colonization factor of both Classical and El  
16 Tor Vibrio cholerae is required -- is necessary to  
17 stimulate a vibriocidal antibody response.

18 But curiously, the pelis itself is not  
19 immunogenic in humans. Humans don't seem to mount an  
20 immune response against this pelis. So in that sense  
21 anti-pelis immunity as we looked at it, did not appear  
22 to correlate at all with protection in the volunteer  
23 model.

24 CHAIR FERRIERI: Thank you. What I think we  
25 should do is, we'll break for lunch, return at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 quarter-of-two, and Dr. Stibitz, the committee would  
2 like to continue for up to maximum 30 minutes of  
3 further questions prior to your presenting the  
4 questions.

5 And then we will discuss again and try to  
6 wrap things up. So if FDA and sponsors would please  
7 be available for us to pursue the committee questions  
8 and answers right after lunch.

9 So again, 1:45. Thank you.

10 DR. FREAS: Dr. Ferrieri, I would just like  
11 to announce that Nancy Cherry was called away from the  
12 meeting earlier this morning. I will be acting in her  
13 place this afternoon. So if anybody in the audience  
14 needs assistance getting set up for the afternoon  
15 session, please come and see me during lunch. Thank  
16 you.

17 CHAIR FERRIERI: Thank you. This is Bill  
18 Freas. I apologize for not introducing you, Bill.

19 (Whereupon, a brief luncheon recess was  
20 taken at 12:54 p.m.)

21

22

23

24

25

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

1:53 p.m.

1  
2  
3 CHAIR FERRIERI: Good afternoon, everyone.  
4 Could we please take our seats? Committee members.  
5 I hope everyone had a nice lunch and you feel  
6 energetic and everyone feels very smart.

7 My contribution to the bridging data today  
8 is as follows. This is my fortune over lunch. I  
9 thought it was very applicable to today's doings.  
10 Enjoy life. It is better to be happy than wise.

11 Well, we know that's not true for the VRBPAC  
12 Committee and what we have to do here. It is  
13 definitely better to be wise today. So we're still  
14 into the heart of the data and people who still have  
15 some critical issues to examine.

16 And I've asked Tom Fleming to start out  
17 because he's been assiduously crunching numbers a good  
18 part of the day, and we would like to hear about the  
19 issues, questions you have, Tom, as they are relevant  
20 to the questions being addressed to us.

21 DR. FLEMING: Thanks. Actually, what I'd  
22 like to try to do is follow up on the issue raised by  
23 Chuck Carpenter just before we broke which is,  
24 thinking through the main safety data and the main  
25 efficacy data that we have in the context of what it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 is that we're trying to achieve.

2 And at least to the best of my ability, it's  
3 difficult to summarize the essence of the risk that we  
4 are trying to address here. And we've been given  
5 several relevant sources of information. Those  
6 sources of information from the Embassy workers in  
7 Peru and the Japanese traveling to Indonesia and to  
8 Thailand would suggest rates there.

9 It's not clear what severity, but rates  
10 there of maybe 15 per 100,000. And then when we look  
11 at Dr. Mintz's reviews of purported cases they are 50  
12 times lower than that, which isn't surprising because  
13 presumably those reported cases tend to be more  
14 serious. They're in the rate of .3 per 100,000.

15 With that as a background, just thinking  
16 through the safety and efficacy results, what if we  
17 vaccinated 100,000 people? What would be the risk --  
18 and we address that in the safety studies -- and what  
19 would be the intended or expected benefit as we can  
20 glean from the efficacy studies?

21 Well, as Chuck was pointing out, six percent  
22 rate -- by the way, the safety studies that addressed  
23 this when we're really focusing specifically on this  
24 vaccine in U.S. workers -- is the 13010 and the 4200  
25 randomized trials, and then the open labeled

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 experience involving 245 workers and the specific  
2 subset of 47 inpatients.

3 I put all of those data together and there  
4 seems to be a pretty consistent picture of about a  
5 six-and-a-half percent rate of diarrhea. A six-and-a-  
6 half percent rate of diarrhea according to the  
7 definition of one loose stool greater than 300 ml, or  
8 two loose stools of at least 200 ml.

9 Which is an important point because that's  
10 the same definition that's being used in the challenge  
11 study. What we see if we were to vaccinate 100,000  
12 with this six percent rate induced by the vaccine,  
13 you're going to have 6,000 cases, vaccine-induced.

14 Now, the vaccine, according to the efficacy  
15 studies -- and I'll step back -- the challenge studies  
16 do have some important issues for us to address.  
17 They've already been identified in the FDA review.  
18 The controls were non-randomly selected.

19 The challenged individuals are approximately  
20 one-half of those that had been vaccinated and had  
21 been selected in ways that were not entirely clear,  
22 and yet partially clear. And it's concerning because  
23 people were left out for reasons of having poor  
24 nursing ratings, failed medical evaluations, failed  
25 psychiatric evaluations, etc.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           So one is left with the sense that you  
2 weren't selecting randomly from the vaccinated when  
3 you were looking at the challenge, and in turn the  
4 controls were selected separately as well. In  
5 addition, the assessments were unblinded.

6           But putting aside those non-trivial,  
7 scientific concerns with the challenge study efficacy  
8 evaluation, the primary endpoint was assessed on what  
9 percent cases could be prevented when you were using  
10 the same definition that we had in the safety  
11 experience, which was the one loose stool, 300 ml and  
12 you had about a 60 percent efficacy.

13           Well, the issue is you would have had to  
14 have had at least 10,000 cases with 60 percent  
15 efficacy in order to offset the 6,000 cases that would  
16 be induced according to the safety experience. And  
17 yet by the calculations that we're getting it would  
18 appear that we wouldn't have remotely close to 10,000  
19 cases of cholera inducing this level of diarrhea in  
20 100,000 workers that are traveling.

21           So if you're looking simply in the challenge  
22 studies at the way the primary endpoint was defined,  
23 you're not getting benefit that is more than  
24 offsetting what the risk is associated with  
25 vaccinating that number of individuals.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           So we have to turn to more serious  
2 challenge, or specifically, what is the frequency of  
3 severe diarrhea that would be seen in the challenge  
4 studies? And there were in fact five -- there were in  
5 fact four individuals who had serious purging at the  
6 level of five liters -- specifically 6, 6.6, 7.1 and  
7 11.9.

8           So there is some clue there, although it's  
9 based only on four cases that were seen in the  
10 controls. One has to though, also view that if you're  
11 going to vaccinate 100,000 individuals, what is the  
12 level of risk that is serious risk for rare events --  
13 which is difficult to glean.

14           We do have the 39,000 doses that were  
15 delivered and we did have two serious, adverse  
16 experiences reported, which would translate to a rate  
17 of five per 100,000 -- which appears to well exceed  
18 the rate of most serious events that are associated  
19 with cholera infections, at least as reported by Dr.  
20 Mintz.

21           So in summary, as we look at safety and  
22 efficacy data , if we're putting into context the  
23 apparent, extremely low rate of serious consequences  
24 associated with cholera infection in U.S. travelers,  
25 the first point is as Dr. Carpenter had pointed out,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 safety concerns at the level of loose stools, 300 ml,  
2 can't be completely ignored.

3 And certainly, efficacy from challenge  
4 studies have to be assessed at levels beyond that  
5 because we're inducing such a high risk at that level.

6 One would -- I would argue that these  
7 challenge studies should be done in ways that not only  
8 are randomized in a blinded fashion but would have to  
9 give us a way to be confident that you're preventing  
10 much more serious infections and much more serious  
11 clinical consequences than the way the primary  
12 endpoint had been defined in those studies.

13 CHAIR FERRIERI: Response from the sponsors  
14 on that?

15 DR. TACKET: Carol Tacket. I need to  
16 clarify, if I might, the definition of diarrhea in the  
17 outpatient vaccination studies. The 13,000, the  
18 42,000 is not 300 mls or two stools totaling 200. The  
19 definition is four loose stools in 24 hours is the  
20 prospective definition in the protocol, although as  
21 we've seen we've analyzed fewer numbers of stools.

22 So in fact, we don't know the volume of  
23 stool in the outpatient, so your analysis is not  
24 exactly correct in that the definition was the four  
25 loose stools in 24 hours and not 300 mls or 200 mls in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 two loose stools.

2 DR. FLEMING: To be precise I agree with  
3 you, although the U.S. inpatients was exactly that  
4 definition and all of these results were quite  
5 consistent at about six percent access.

6 DR. TACKET: The other point that I think  
7 might be made is that we certainly agree that ideally  
8 the challenge studies should have been or could have  
9 been, randomized, double-blind studies in which a  
10 cohort was initially recruited. Half of them received  
11 vaccine and half received placebo and then everybody  
12 was challenged.

13 The reality of doing such studies is far  
14 from that idea, and the way that the studies were done  
15 was sequential recruiting and as a result, a non-  
16 blinded study is really the most practical way --  
17 almost the only practical way that those studies could  
18 be done.

19 So we certainly agree with your point that  
20 ideally they should have been double-blind and  
21 unfortunately they couldn't be for practical reasons.

22 And also, the exclusion criteria that are  
23 defined prospectively include the eligibility criteria  
24 for which we ended up excluding people for challenge  
25 studies. For example, failure to pass the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 psychological exam, inability to show up and show some  
2 consistency in follow-up visits.

3 So that really, the protocol -- a lot of  
4 those exclusions were protocol-driven as well.

5 CHAIR FERRIERI: Dr. Kohl.

6 DR. KOHL: Clarify for me. Are just telling  
7 us that's it's impossible to do a double-blind,  
8 placebo-controlled study in this situation?

9 DR. TACKET: No, I don't think it's  
10 impossible, and I think ideally that would be the way  
11 one would do that. That would mean recruiting  
12 volunteers, say three months or so before challenge  
13 because of the time it takes to recruit, the  
14 vaccination, another month for the vaccine to perform  
15 its immunologic events, and then the challenge, yet  
16 another month.

17 So it's not impossible, but the practicality  
18 of conducting these studies makes it much more  
19 logistically feasible to do them sequentially.

20 The point that we have focused on and I hope  
21 is not missed, is that the endpoint that we use, the  
22 readout of a challenge study, is a very objective one.  
23 It's not cramps, it's not nausea, it's not anorexia.  
24 It's diarrheal stool volume.

25 And I think you could argue that there might

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 be some psychological effect of knowing that you're a  
2 vaccinee that would affect your stool volume. On the  
3 other hand, cholera as you see and have seen, results  
4 in stool volumes of three liters, four liters, five  
5 liters. And I think that's outside the range of  
6 psychological effect of being protected from that  
7 level of diarrhea.

8 So we think that the fact that we use an  
9 objective endpoint -- this is stool volume -- somewhat  
10 balances; doesn't completely balance that flaw in  
11 study design, but somewhat balances the fact that  
12 we're not double-blind study.

13 And every single stool that a volunteer  
14 passes on our ward is collected, is examined, is  
15 graded, and if it's loose it's weighed. So there's  
16 not a lot of possibility for there being bias  
17 introduced at that point.

18 CHAIR FERRIERI: Dr. Clements-Mann.

19 DR. CLEMENTS-MANN: Yes, I'd just like to  
20 point out that while it would be ideal to do these  
21 kinds of studies you have to keep in mind that this is  
22 a disease for which American college students would  
23 see very little benefit to be involved in such a  
24 study.

25 And it does require a long-term commitment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 on their part, and the ability of them to come in to  
2 a unit, a quarantine, isolation unit, for a period of  
3 at least seven days. And that they would undergo in  
4 that period repeated need to submit their stools and  
5 so forth.

6 So these are extraordinarily difficult  
7 studies to do and require a tremendous amount of  
8 cooperation. I think that's one reason the screening  
9 is so intense -- to eliminate people who could not  
10 comply with that degree of adherence once they're on  
11 the unit and being challenged with a life-threatening  
12 organism.

13 DR. FLEMING: Just to follow-up on both  
14 points. Mary Lou I would strongly support/understand  
15 the difficulty. In fact, I have real concerns about  
16 the appropriateness or ethics of doing a challenge  
17 study that I would have thought would have provided  
18 more meaningful interpretation.

19 Specifically, to my way of understanding  
20 here, the real goal isn't to prevent diarrhea at the  
21 level of one loose stool of 300 ml since we will  
22 induce a very high level of that side effect, but  
23 rather to reduce the risk of very serious diarrhea at  
24 the level of 15 to 20 liter purging that would carry  
25 serious morbidity and mortality risks, or at least --

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 at least, well above five ml -- five liter purging.

2 And I concur with the concerns about  
3 exposing volunteers to a challenge that could induce  
4 that level of risk. But to the sponsor, what is the  
5 goal here of this challenge study? Is it adequate to  
6 simply show that a vaccine is capable of reducing the  
7 risk of one loose stool at 300 ml? Is that really the  
8 goal?

9 Or rather would you agree that the goal is  
10 to be able to reduce the risk of much more serious  
11 purging? And if so, should the challenge study be  
12 designed in a way to show that, and is it ethical to  
13 design it in a way to show that? Thoughts about that?

14 CHAIR FERRIERI: Dr. Levine, would you  
15 respond to that, please?

16 DR. LEVINE: I'm sorry?

17 CHAIR FERRIERI: I said, would you please  
18 respond to that? It's one question.

19 DR. LEVINE: Thank you. I think there's  
20 some confusion about total purge. One wouldn't speak  
21 of a 15 liter purge as being clinically significant in  
22 an abstract sense, in that you can't get to a 15 liter  
23 purge without being repeatedly treated.

24 Three liter purge is more than the entire  
25 plasma volume of a human being -- an adult. Five

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 liter purge is equal to a total stool volume. Three  
2 liter purge, what we call moderate cholera, is a  
3 clinically, very relevant purge, even for a healthy  
4 adult. Five liter purge is very significant.

5 Amongst the volunteer studies there have  
6 been larger total diarrheal stool volumes, but that's  
7 only of course, with continual replacement. I think  
8 that three liters as a cutoff of moderate cholera is  
9 very reasonable. And five liters, that's a lot of  
10 diarrhea.

11 CHAIR FERRIERI: Other questions from the  
12 panel? Dr. Greenberg.

13 DR. GREENBERG: I'm still concerned about  
14 cholera as a cause of diarrhea in the traveler. And  
15 there's been lots of studies of traveler's diarrhea  
16 going the other way and looking for diarrhea in  
17 travelers.

18 I haven't reviewed that literature in a long  
19 time but it's my impression that cholera is virtually  
20 never found when you look at it that way. And that  
21 goes along with this eight cases.

22 Could you just again, describe -- you gave  
23 us some data, but the workers in Peru weren't really  
24 travelers. They were people who were going to Peru to  
25 work for, I assume, several years -- the target

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 population for this vaccine I assume.

2 How many cases do you really think there  
3 will be, that are preventable, of this type of  
4 illness?

5 DR. LEVINE: Good question, Terry. First of  
6 all, in terms of the old literature of traveler's  
7 diarrhea which was in its heyday if you will, in the  
8 '70s and early '80s, very few of those studies --  
9 which were largely focused towards detecting  
10 enterotoxigenic E. coli and shigella -- very, very few  
11 of those studies incorporated bacteriologic media to  
12 look for cases of cholera that would be just severe  
13 traveler's diarrhea. That's the first point.

14 One of the breakthrough aspects of Dave  
15 Taylor's study is, I think, is elegance and simplicity  
16 of simply setting up a proper bacteriology to grow  
17 *Vibrio cholerae* O-1 in a setting where people are  
18 treating different gradations of traveler's diarrhea.

19 I think that a population of U.S. Embassy  
20 workers, citizens assigned to go to work in the U.S.  
21 Embassy in Lima or to work in a Consulate, that is a  
22 population that I think should have the opportunity if  
23 they like, to receive a cholera vaccine. That is a  
24 population at risk.

25 We need more studies. But I think the point

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 we made is, there have only been a few studies that  
2 have prospective surveillance with good bacteriology.  
3 And if you do that in populations going to known,  
4 cholera areas, then you find cholera in association  
5 with traveler's diarrhea and it tends to be the severe  
6 end of the spectrum of traveler's diarrhea.

7 DR. FLEMING: Just to follow, isn't your  
8 answer then to Harry's question on the order of 15 per  
9 100,000? Because as you had pointed out as well, when  
10 you translate Taylor's results into 10-day exposures,  
11 those results come out very consistently with the  
12 Japanese studies, etc., and they're all in the  
13 neighborhood of 15 per 100,000.

14 DR. LEVINE: I think that's correct, and I  
15 think that the risk of cholera very much relates as  
16 much to the host and how the host behaves as just a  
17 quantitative number. If you develop -- cholera has a  
18 spectrum of illness. There are many cases of milder,  
19 non-dehydrating diarrhea for each case of dehydrating  
20 diarrhea and then there's the end of the spectrum  
21 which is truly dehydrating, life-endangering diarrhea.

22 If there are enough cholera infections there  
23 will be tip of an iceberg. The consequence of that  
24 tip of an iceberg depends on who you are and where you  
25 are.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           If you're in a rural area and there's no  
2 access to bacteriology, and you may or may not have  
3 access to appropriate health care, the consequences  
4 can be disastrous. And even if you have severe  
5 dehydration, you're not a case of cholera unless you  
6 have bacteriology confirming you as a case of cholera.

7           DR. FLEMING: But those cases then, are much  
8 less than 15 per 100,000? Maybe closer to Dr. Mintz's  
9 level?

10          CHAIR FERRIERI: Dr. Mintz, do you have any  
11 disagreement with that?

12          DR. MINTZ: No. I'd just like to comment  
13 that I think, if I understood the sponsors correctly,  
14 this vaccine is not intended for routine use in all  
15 travelers. Rather, it's meant as a targeted measure  
16 for groups at particularly high risk; either because  
17 of the place they're traveling to and the prevalence  
18 of cholera in that area, or because of underlying host  
19 factors which would make them particularly susceptible  
20 to a cholera illness, or because of the nature of the  
21 exposures they're likely to have during their travel  
22 -- distance or time from medical care and the  
23 possibility of eating safe food and drinking safe  
24 water.

25          So I think that really lies at the heart of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 the discussion and the utility of this vaccine.

2 CHAIR FERRIERI: But in their last slide  
3 which they showed, there was -- a target to travelers  
4 was the only one where they indicated they viewed it  
5 as useful.

6 DR. MINTZ: I think that would be -- a  
7 subset of travelers would be more correct, perhaps.

8 CHAIR FERRIERI: Well, perhaps the sponsors  
9 would like to clarify this.

10 DR. MINTZ: Okay.

11 DR. CRYZ: We're in absolute agreement. To  
12 suggest broad usage of a cholera vaccine in American  
13 travelers even to areas where cholera is endemic  
14 doesn't make sense, viewed on a cost benefit basis or  
15 otherwise -- just on simple cost.

16 However, there is one other thing that, you  
17 know, we have to consider. If you look -- you know,  
18 Dr. Levine has said, if you travel to a developing  
19 country, you get cholera, you're going to have trouble  
20 getting good medical care. If you develop cholera,  
21 you come back to the United States, you're also going  
22 to have trouble getting good medical care.

23 And the reason I say that is if you look at  
24 the follow-up of the 50 or so patients who landed on  
25 this airplane in Los Angeles and the treatment they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 received, it's a telling story.

2 Before the epidemic was identified, 17 or 18  
3 of these patients presented at a variety of hospitals.  
4 In four instances -- well, let's put it this way. All  
5 18 did not receive proper rehydration therapy. In  
6 four cases they were sent home. One was told to drink  
7 Gatorade; I can't remember what the other ones were  
8 given.

9 Within two days three of those patients were  
10 back with renal failure. That's a serious  
11 consequence. And I think we have to view that -- if  
12 somebody comes back from traveling -- now most of  
13 these 18 patients told their attending physicians, I  
14 had traveled out of the United States. I was in Peru,  
15 Argentina, wherever. And that still wasn't enough of  
16 a trigger to really go and look for cholera. And I  
17 think that's another consideration for this vaccine.

18 CHAIR FERRIERI: Thank you, Dr. Cryz. Dr.  
19 Hall and then Dr. Karzon.

20 DR. HALL: I just wondered, if you are  
21 thinking of this as a target population for those who  
22 say, work in Peru or somewhere else, how often would  
23 you expect they would have to have the vaccine since  
24 we have a correlate of perhaps infection but nothing  
25 of the duration of protection?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           And I guess the second part of that is, what  
2 other means of protection are there for people who are  
3 going to be there for longer than a short period? In  
4 particular -- I probably should know this -- but in  
5 terms of prophylaxis or use with antibiotics.

6           DR. LEVINE: Let me answer several of the  
7 questions in one set of staccato answers. First of  
8 all, we tried to present what we view as the type of  
9 traveler who would be receptive, amenable to receiving  
10 this vaccine. It's not all travelers.

11           It would be travelers going to areas of  
12 known cholera endemicity or recent epidemic report.  
13 It would be travelers who, because of host factors,  
14 may not even be able to sustain moderate or even mild  
15 diarrhea without increased morbidity because they have  
16 cardiac problems, because they have gastrointestinal  
17 problems, because they have chronic renal disease.

18           It's travelers who may be so many hours away  
19 from health care that can allow them to receive  
20 appropriate, aggressive, rehydration so that they  
21 could potentially be in real danger if they developed  
22 the severe end of the clinical spectrum.

23           In terms of travelers going for long-term,  
24 the data that we have to this point is protection up  
25 to six months. Now, for most travelers with the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 reports of the information that we get from most  
2 sources, the overwhelming majority of travelers who go  
3 to cholera endemic or epidemic areas, go for short  
4 periods of time.

5 There's a subset that go for longer periods.  
6 They would have to receive -- based on a current  
7 knowledge of the upper limit of duration of protection  
8 -- they would have to receive a booster. But for most  
9 travelers that would go to such areas for work or for  
10 business -- whatever the reasons -- for shorter  
11 periods, the vaccine suffice for them.

12 CHAIR FERRIERI: Thank you. Dr. Karzon.

13 DR. KARZON: Tom has done a service to bring  
14 to the fore what the risk is. The calculation of the  
15 risk, though, is based upon cases as if all travelers  
16 had equal opportunity to be infected, and that would  
17 be the basis for these numbers.

18 Now hopefully, that wouldn't be the case;  
19 that this reagent would be a very special reagent for  
20 a special circumstances where the traveler, in fact,  
21 is going to be at high risk. And then these numbers  
22 of 6.5 or 15 per 100,000 would have less cogency.

23 If you get in the right place and do the  
24 right things I can make it closer to, you know, to 100  
25 percent takes, so to speak.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 But if you stay away, as I understand it,  
2 biology of the transfer -- which I learned at the last  
3 break thanks to the CDC experience -- that if you  
4 don't drink contaminated water, if you don't eat sea-  
5 derived, salt-water derived fish and other creatures  
6 of any sort, that chances of getting it by fecal oral  
7 contamination are very low by other means.

8 That in nature this is not a normal way to  
9 traverse -- which surprises me but apparently it's so.  
10 But insofar as it may be true, then I would give it to  
11 travelers if I were in a travel center, who truly were  
12 going to be at high risk and there was no way to avoid  
13 the risk. And then I would consider it seriously.

14 CHAIR FERRIERI: Thank you. Dr. Kohl --

15 DR. KARZON: And I don't know what the risk  
16 ratio would be if you do that. That is, there has to  
17 be cholera in the area and has to be rampant enough so  
18 that you have to conduct your life absolutely  
19 meticulously to avoid it.

20 DR. KOHL: Well, I'd like us to back up a  
21 little bit. It seems like we're talking about this  
22 vaccine being effective and who should we use it on.

23 As far as I can gather looking at the table  
24 that's been provided for us by the FDA, using patients  
25 who got an El Tor challenge -- which is apparently the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 major critter floating around these days -- and  
2 looking at patients who got the one dose of organisms,  
3 which is what is suggested, and looking at the  
4 patients who had one month time of immunization to  
5 challenge, we're talking about a grand total of 15  
6 patients.

7 CHAIR FERRIERI: Thank you for bringing this  
8 to a point that I wanted to come to, Steve. We're  
9 going to have to examine the questions now, but  
10 officially what we've just completed is the extension  
11 of the discussion before lunch. And we have another  
12 official obligation before Dr. Stibitz presents the  
13 questions, and I'll turn the meeting over to Dr.  
14 Freas.

15 DR. FREAS: In response to the *Federal*  
16 *Register* Notice published for this meeting there were  
17 no volunteers for participation in the open public  
18 session for this afternoon's discussion of cholera.

19 Is there anyone in the audience here now,  
20 that would like to make a presentation regarding this  
21 topic? If not, I turn the microphone back over to  
22 you.

23 CHAIR FERRIERI: Thank you, Bill. And we'll  
24 move to Dr. Stibitz now and we will still have a  
25 chance to do a little more committee discussion then,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 before we vote on the issues. I'm grateful, Steve,  
2 for your bringing us back onto target.

3 DR. STIBITZ: The first question before the  
4 VRBPAC today is: In light of the recent results from  
5 the Indonesian field trial, does the panel consider  
6 that volunteer challenge studies with *Vibrio cholerae*  
7 can suffice to demonstrate the efficacy of CVD 103-HgR  
8 in the prevention of cholera in U.S. travelers to  
9 cholera-affected areas?

10 So the purpose of this question is to  
11 reassess the support or the feelings of the panel  
12 regarding this question which was asked five years  
13 ago. And specifically in light of the new  
14 developments relating to the field trial in Indonesia.

15 The second question, also relating to  
16 efficacy: If the panel considers that challenge  
17 studies can be adequate for demonstration of efficacy  
18 in travelers, are the data from the challenge studies  
19 presented for CVD 103-HgR adequate in this regard?

20 The first subpart: Were the challenge  
21 studies designed and executed adequately; are the data  
22 regarding heterologous biotype challenge -- in other  
23 words, with El Tor strains -- adequate in light of the  
24 prevalence of El Tor strains in endemic areas; c) are  
25 the data sufficient to demonstrate protection from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 challenge for a period of time following vaccination  
2 that is sufficient for travelers; and d) if the panel  
3 feels that the data regarding efficacy are not  
4 sufficient to support licensure, what additional  
5 studies would be needed to address these issues?

6 The third question: Can immunogenicity  
7 studies be used to provide bridging data to the adult  
8 volunteer population in order to support  
9 administration of this vaccine to children?

10 And four: please comment on the adequacy of  
11 the data supporting safety in the target population,  
12 both in adults and in children.

13 And in children I think as Dr. Bash pointed  
14 out, one of our real big concerns is the fact that  
15 this is not a test of U.S. children. And then we have  
16 questions regarding the applicability of the Chilean  
17 data to address children.

18 So I guess I will leave this up for your  
19 discussion.

20 CHAIR FERRIERI: Thank you very much, Scott.  
21 It is my understanding then, that you would like us to  
22 have a formal vote on question one and all the  
23 components of question two?

24 DR. STIBITZ: Yes.

25 CHAIR FERRIERI: Okay. So our discussion

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 now has to be very targeted and we'll start with  
2 discussion of question one which is on the screen.  
3 Dr. Clements-Mann, I'm sorry, I had to bypass you at  
4 the end of our discussion session. Would you like to  
5 lead off?

6 DR. CLEMENTS-MANN: I'd just like to say one  
7 thing. Is that right now, if -- as a physician  
8 advising a traveler that one would consider at high  
9 risk for going to an endemic area, we could definitely  
10 recommend a vaccine that is licensed, which is the  
11 inactivated vaccine.

12 So if one looks at that safety profile --  
13 efficacy profile -- you know, most of us I think,  
14 would be reluctant to advise people to actually get it  
15 because of the very high reactogenicity of the  
16 vaccine, the need for two doses, and the extremely  
17 short duration of minimal protection.

18 So that's what we're stuck with right now  
19 and these people could go to Europe or whatever, and  
20 get the vaccine that they might need. But if we look  
21 at that safety profile and this one, you know, I think  
22 that the efficacy is another question, but this is our  
23 alternative right now.

24 CHAIR FERRIERI: Thanks for pointing out the  
25 background. Dr. Huang.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 DR. HUANG: Well, we only have -- we don't  
2 only have these studies to make our decision on since  
3 it is licensed in Europe. What is the experience with  
4 it, even though it's not a careful study?

5 CHAIR FERRIERI: Dr. Cryz.

6 DR. CRYZ: The vaccine is licensed in  
7 Canada, several European countries, several South  
8 American countries, and several Southeast Asian  
9 countries. Unfortunately we've gone around the circle  
10 trying to glean efficacy data from vaccinated and non-  
11 vaccinated travelers -- for typhoid vaccine, and we've  
12 tried to do it for cholera.

13 And I can't give you any indication that the  
14 vaccine is efficacious in travelers based upon data  
15 we've seen. What I can tell you is that in the  
16 countries where it's licensed we haven't seen any  
17 travelers coming back with cholera. If that means  
18 anything given the numbers I can't say for sure.

19 The safety profile I think, is very good.  
20 I mean, there were two serious, adverse reactions as  
21 was pointed out. One was in an infant death --  
22 according to the packet circular shouldn't have been  
23 immunized and there was no follow-up to show that the  
24 bloody diarrhea was actually associated with the  
25 vaccine strain.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 I think that the adverse reaction report  
2 profile for this product, in light of other products  
3 that we have, is very commendable. That's our  
4 experience in Europe and in Canada.

5 CHAIR FERRIERI: Is it licensed in England?

6 DR. CRYZ: No.

7 CHAIR FERRIERI: Because England continues  
8 to see cholera cases every week. They have reports in  
9 their equivalent of the CDC.

10 DR. CRYZ: Yes. Being an American living in  
11 Switzerland, the Swiss are probably the most  
12 adventurous travelers I've ever come across. It would  
13 be nothing -- a 50- or 60-year-old Swiss would think  
14 nothing of going backpacking in the Himalayas for  
15 three weeks.

16 CHAIR FERRIERI: Dr. Hall.

17 DR. HALL: May I just ask -- in the other  
18 countries I assume then it's licensed for children two  
19 and above. And do you have any idea of the number of  
20 doses that go to young children versus older people --

21 DR. CRYZ: The best -- I would estimate that  
22 based on the usage in Switzerland, Austria, and some  
23 data from Canada, it's probably no more than two  
24 percent of the overall.

25 Now, there is a subgroup, especially

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 missionaries, that are going into an area where  
2 they're going to bring their whole family; relief  
3 workers. But for the general travel population, no  
4 more than two percent.

5 CHAIR FERRIERI: Thank you. We have with us  
6 today some special consultants, and I guess I'd be  
7 very pleased if one of you might lead off the  
8 discussion -- either Dr. O'Brien, Holmes, Pierce, or  
9 Carpenter -- on question one. Do I have a volunteer?

10 I see Dr. Carpenter pushing Dr. Pierce  
11 forward. Dr. Pierce, would you mind?

12 DR. PIERCE: I of course, was not here in  
13 1993 when discussion was considered before, so I don't  
14 know what points were raised in support or otherwise,  
15 of this.

16 But I think the answer to that -- I mean, I  
17 would support the answer to that question being yes;  
18 that the volunteers in principle are sufficient  
19 insomuch as they are the only model we have and which  
20 -- insomuch that there's now added evidence to support  
21 what may have been evident before and that is that  
22 there are differences in susceptibility between people  
23 who are naive and people who live in endemic areas.

24 And the volunteers are the only individuals  
25 who resemble naive Americans traveling abroad. And I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 think it's disconcerting that the trial in Indonesia  
2 was ineffective and that we have lost perhaps the  
3 reassurance of seeing the vaccine be effective under  
4 a variety of circumstances.

5 But that is a narrowness that does not  
6 affect this question, I think, and I think --

7 CHAIR FERRIERI: So you are saying that the  
8 volunteer, challenge studies suffice to demonstrate  
9 the efficacy of this vaccine?

10 DR. PIERCE: For travelers -- for U.S.  
11 travelers. Yes.

12 DR. GREENBERG: May I --

13 CHAIR FERRIERI: Yes, you bet. Dr.  
14 Greenberg.

15 DR. GREENBERG: Does that question mean that  
16 theoretically a volunteer study will suffice, or the  
17 volunteer studies done to-date -- no, it's a  
18 theoretical answer?

19 DR. STIBITZ: Correct.

20 DR. PIERCE: Yes, theoretical.

21 CHAIR FERRIERI: Yes, Dr. Holmes.

22 DR. HOLMES: I agree with the conclusion  
23 that theoretically a volunteer study can provide  
24 evidence or protection. I think the caveats are  
25 fairly clear and one of them is that a challenge study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 has usually a single, defined dose of challenge  
2 organisms of one level of virulence.

3 And in the natural setting there may be  
4 variations in virulence among strains and there are  
5 clearly a range of doses that you would be exposed to,  
6 so that there may not be an absolute level of  
7 protection defined. But I don't have any problem with  
8 the concept.

9 CHAIR FERRIERI: We will be able to address  
10 those concerns in question two then, and so I would  
11 like to further the discussion on this question.  
12 Dixie.

13 DR. SNIDER: Well, with regard to question  
14 one, based on the data that Eric showed us, I would  
15 have some concern in saying yes because I think that  
16 most people are interpreting the U.S. travelers as  
17 lifelong residents of the United States, but as the  
18 data show, I think all of us who keep our eyes open  
19 know, that a large proportion of U.S. citizens who  
20 travel overseas are people whose home country is  
21 overseas.

22 And I'm not willing to extrapolate to that  
23 particular population because they may have had  
24 earlier exposure in earlier years. And so the  
25 question is a lot more complex than it appears on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 surface, to me.

2 CHAIR FERRIERI: I agree. These are not  
3 necessarily cholera-naive individuals. Further  
4 discussion of these concerns? Dr. Fleming.

5 DR. FLEMING: In general terms I'm led to  
6 endorse the concept that challenge studies may be the  
7 only practical approach to getting a controlled  
8 assessment.

9 Having said that I have two serious  
10 reservations. One of them is, in a challenge study  
11 can you get adequately a duration of protection? One  
12 of the statistics that Dr. Mintz showed us was that 75  
13 percent of these cases that showed up as U.S. reported  
14 cases were homeland visits.

15 And I'm led to wonder whether or not  
16 protection for a shorter time would be adequate. So  
17 one serious concern with the challenge studies is  
18 duration of protection.

19 The other serious concern is, I continue to  
20 think one has to put this in the context of the level  
21 of risk. And even following David's comments about  
22 maybe we can be selective, we have to be at least 100-  
23 to 1000-fold selective really, to be getting this  
24 level of risk up to the level of one percent.

25 And as a result, it seems to me that the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 essence of what we need to learn here is not whether  
2 we can protect against a level of diarrhea that would  
3 be at least one stool, 300 ml -- i.e., it seems to me  
4 that a challenge study would have to give us evidence  
5 that we are reducing serious risk, at least at the  
6 level of five liters.

7 And it's conceivable you could do such a  
8 study but it's also conceivable that there would be  
9 ethical reservations or concerns about challenging at  
10 that level. So it's -- we would have to, from a  
11 challenge study, be able to have evidence that would  
12 make us confident that we were preventing serious  
13 purging.

14 CHAIR FERRIERI: Further comments? Yes, Dr.  
15 O'Brien.

16 DR. O'BRIEN: I'd just like to say, I think  
17 I would answer this question yes because we don't have  
18 many choices. You put together, in terms of how to  
19 evaluate an effective vaccine in -- let's start with  
20 U.S. travelers are not homeland visitors. We don't  
21 have many alternatives and this seems a reasonable way  
22 to evaluate, given the problems with duration of  
23 immunity and all.

24 I think it's a model that has been effective  
25 at least in telling us a considerable amount about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 immunity perhaps, among people like us in this room.

2 As to the homeland visitor question I think  
3 it's even more complicated than you stated because  
4 those people are not being boosted while they're in  
5 the U.S. So they're not quite like they're just at  
6 homeland. In fact, maybe that's why they're getting  
7 infected, because they're not receiving boosters while  
8 they're here.

9 So they be more akin to the U.S. college  
10 student than they are to the folks that are back home.  
11 So it's not quite -- it's muddied even in that sense.

12 CHAIR FERRIERI: Dr. Carpenter, would you  
13 care to jump in on this issue?

14 DR. CARPENTER: I don't think I'd have  
15 anything to add. I'd agree with what Dr. Pierce and  
16 Dr. O'Brien said, and Dr. Holmes. I don't think I  
17 have any additional to add. In principle I approve of  
18 the volunteer studies as providing a basis for the  
19 vaccine .

20 CHAIR FERRIERI: When we eventually vote,  
21 which is very soon, I would rather have had discussion  
22 from those who may violently dissent what has been  
23 said now. And so are there any of you at the table  
24 who would like to voice opposite opinions? And again,  
25 I would encourage you to do that now. Yes, Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 Finkelstein.

2 DR. FINKELSTEIN: One concern I have is,  
3 it's hard for me to separate question one from two  
4 because the way the volunteer studies were carried out  
5 in this case had a lot of potential biases in terms of  
6 the selection and also the non-randomization. And  
7 it's not clear that one could get away from this.

8 And I think that you have to take that into  
9 account in your answer to whether these are feasible  
10 for the decision.

11 CHAIR FERRIERI: Anyone else? Dr. Kim.

12 DR. KIM: I would say yes for question one,  
13 but for question two with some limitations. Certainly  
14 I think, at least in my reading, data does not support  
15 yes for question number two.

16 CHAIR FERRIERI: What I've always found  
17 confusing since I received the packet though is, this  
18 question may be theoretical but it's phrased, "In  
19 light of the recent results from the Indonesian field  
20 trial".

21 And in view of the negative efficacy trial  
22 I still have difficulty in addressing this question.  
23 Dr. Daum.

24 DR. DAUM: I think one of the problems of  
25 the question is that it's so generic that we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 sitting here struggling with the things that we're  
2 worried about from the data that are presented. And  
3 imagining the trial that we would design were we  
4 presented with an answer of yes.

5 Who would be the subjects, for instance.  
6 How would duration of immunity be assessed? What  
7 would be the challenge dose? What would be the  
8 relevant endpoint? And so I think -- I mean, I find  
9 myself doing it also -- is struggling with designing  
10 the optimal challenge study -- for someone else, I  
11 hope, to do -- and also hearing Dr. Clements-Mann's  
12 comments about how not simple these trials are to do  
13 and so you don't want to make it too complicated.

14 But in terms of the generic answer to the  
15 question, I think yes, we probably could design a  
16 trial that would be relevant and have good endpoints  
17 in volunteers.

18 The first part of the question troubled me  
19 also and I don't think that there's any -- I've been  
20 persuaded by the discussion this morning that the  
21 Indonesian field trial results don't necessarily  
22 impinge on this question at all.

23 And so I think that there can be a study in  
24 volunteers to demonstrate the efficacy of this  
25 vaccine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 CHAIR FERRIERI: Dr. Karzon and then we're  
2 going to vote on this question.

3 DR. KARZON: I had trouble with the design  
4 of the question. If it said "could" then I would buy  
5 it, because it's a theoretical question. In other  
6 words, it's asking in so many words, could one design  
7 a trial with challenge in the United States which  
8 would answer the question? And by definition, I would  
9 say yes, that is possible.

10 CHAIR FERRIERI: Would you accept Dr.  
11 Stibitz then, that we have a slight rephrasing of  
12 that?

13 DR. STIBITZ: Yes. Well, perhaps I could  
14 clarify the reasons for the --

15 DR. KARZON: What you mean as author of it.

16 DR. STIBITZ: Yes. From five years ago the  
17 purely theoretical question in the absence of a field  
18 trial was discussed. At that time, from my reading of  
19 the transcript, it's apparent that a number of people  
20 voted -- or felt that -- challenge studies should be  
21 sufficient because it would be -- the field trials  
22 were just getting underway.

23 So it would be four or five years until  
24 those data were available. So there was certainly the  
25 sentiment that we did not want to wait for the field

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 trial.

2 There was also the feeling that the  
3 challenge studies were a more stringent test of the  
4 vaccine; that if the challenge studies worked,  
5 certainly the field trial would work.

6 And so the purpose of including that first  
7 phrase is to perhaps try and revisit the question five  
8 years later now that we have that data. And I agree  
9 that it's somewhat problematic and theoretical, and  
10 perhaps we should -- I think I hear that we're saying  
11 yes, and we should perhaps move on to the second  
12 question.

13 CHAIR FERRIERI: We'll vote on this question  
14 then, starting with Dr. Poland. A slight rewording:  
15 could it suffice -- could these challenge studies  
16 suffice to demonstrate efficacy in the population  
17 indicated there?

18 DR. POLAND: Yes.

19 CHAIR FERRIERI: Dr. Edwards.

20 DR. EDWARDS: Yes.

21 CHAIR FERRIERI: Dr. Huang.

22 DR. HUANG: Yes.

23 CHAIR FERRIERI: Dr. Snider.

24 DR. SNIDER: Yes, with serious reservations.

25 CHAIR FERRIERI: Yes. I would like anyone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 who has any waivers, reservations, to indicate as  
2 such. This will be very helpful perhaps, for FDA.  
3 Dr. Hall.

4 DR. HALL: Yes.

5 CHAIR FERRIERI: Dr. Greenberg.

6 DR. GREENBERG: Yes, but I am more concerned  
7 that for reasons that I cannot understand, a volunteer  
8 study does not accurately measure what happens in real  
9 life, and that maybe the message from the field was  
10 the right message. And that there's something I'm not  
11 understanding and so it's a very -- theoretically yes,  
12 but I'm even more anxious than Dr. Snider.

13 CHAIR FERRIERI: Dr. Clements-Mann.

14 DR. CLEMENTS-MANN: Because I've had that  
15 other vaccine, I would say yes.

16 CHAIR FERRIERI: Dr. Finkelstein.

17 DR. FINKELSTEIN: It's going to depend on  
18 how you're defining volunteer challenge study. If you  
19 encompass randomized and blinded and so forth, I could  
20 say yes to just the challenge aspect of it.

21 CHAIR FERRIERI: We will deal with that,  
22 then. Dr. Daum.

23 DR. DAUM: I'm going to say yes, with the  
24 caveat that it be very carefully designed with many of  
25 the thoughts and comments that we've heard, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 concerns about who the volunteers should be and how  
2 the challenge should be done and what the endpoints  
3 should be.

4 And also with the caveat that it not be  
5 extrapolated at all with the present knowledge base,  
6 to performance in the field. This be a very limited  
7 kind of -- that the results be interpreted in a very  
8 limited kind of way.

9 CHAIR FERRIERI: Thank you. Mrs. Cole.

10 MS. COLE: My answer is yes.

11 CHAIR FERRIERI: Dr. Kim.

12 DR. KIM: Yes.

13 CHAIR FERRIERI: Dr. Karzon.

14 DR. KARZON: Yes.

15 CHAIR FERRIERI: Dr. Kohl.

16 DR. KOHL: I'm going to answer yes but with  
17 the caveat that the studies obviously will reflect  
18 only upon those who are immunized. And since that's  
19 often a very highly selective group, that it can in no  
20 way be generalized to older individuals, to sicker  
21 individuals, to younger individuals, etc.

22 CHAIR FERRIERI: Dr. Fleming.

23 DR. FLEMING: I would say only if such  
24 studies were conducted according to proper scientific  
25 principles of high quality, randomization, etc. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 only if they would provide information that would  
2 allow us to determine whether we could prevent serious  
3 purging.

4 And finally, to be consistent with what we  
5 said five years ago on this committee, because such  
6 studies would not easily allow us to assess duration  
7 of immunity only if there would be additional  
8 information sought from studies such as field studies  
9 providing data from endemic regions.

10 CHAIR FERRIERI: Fine. Dr. Eickhoff.

11 DR. EICKHOFF: Yes.

12 CHAIR FERRIERI: Dr. Breiman.

13 DR. BREIMAN: Yes, realizing that this may  
14 end up being a true, orphan vaccine. And I think that  
15 the -- it's very limited use would indicate that this  
16 is probably the only way you could actually evaluate  
17 it with the -- under the conditions I think that Tom  
18 just described.

19 CHAIR FERRIERI: Thank you. Dr. O'Brien.

20 DR. O'BRIEN: Yes.

21 CHAIR FERRIERI: Dr. Holmes.

22 DR. HOLMES: Yes.

23 CHAIR FERRIERI: Dr. Pierce.

24 DR. PIERCE: Yes. I do have one  
25 reservation, though. I think the one thing that these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 studies have shown is that it may not be possible to  
2 sustain protection in American -- naive Americans by  
3 boosting. So that this might turn out to be a one-  
4 time -- whatever you achieve will be achieved with the  
5 one dose.

6 CHAIR FERRIERI: Dr. Carpenter.

7 DR. CARPENTER: Yes.

8 CHAIR FERRIERI: This is the way we do it  
9 here at the committee. It may seem bizarre, but this  
10 gets the job done. Thank you all. We now can design  
11 the perfect study perhaps.

12 Question two that Dr. Stibitz has on the  
13 screen, if we consider the challenge studies can be  
14 adequate for demonstration of efficacy in travelers,  
15 are the data from the challenge studies presented for  
16 CVD 103-HgR adequate in this regard?

17 And each of these we will deal with  
18 separately: Were the design studies designed and  
19 executed adequately? And there was a sentiment around  
20 the table as we voted on question one that they were  
21 not. And so do you want a formal vote on that, Scott?  
22 And would you like us to say now what we would like to  
23 do in designing it?

24 DR. STIBITZ: You mean, just skip to part B?

25 CHAIR FERRIERI: I think we might have to.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 DR. STIBITZ: We can certainly combine a)  
2 and d) for purposes of discussion.

3 CHAIR FERRIERI: I think we could do that.  
4 Although question B is very relevant as well. Did you  
5 say a) and b)?

6 DR. STIBITZ: I said a) and d).

7 CHAIR FERRIERI: So a) and d).

8 DR. STIBITZ: Meaning, if they are not  
9 adequate how would you design studies which would be?

10 CHAIR FERRIERI: Question b) is, are the  
11 data regarding the heterologous biotype challenge  
12 adequate? Dr. Huang and then Dr. Snider.

13 DR. HUANG: I would suggest that we do each  
14 of them separately.

15 CHAIR FERRIERI: Fine.

16 DR. HUANG: For clarification I would ask  
17 whether we're voting on the word "adequately" or  
18 "perfectly".

19 CHAIR FERRIERI: Please, Dr. Bash.

20 DR. BASH: I think these studies were  
21 designed and conducted in a fashion given the status  
22 of challenge studies at the time, that they were very  
23 well done studies. There was tremendous -- in going  
24 back to the original IND there was a tremendous  
25 emphasis placed on the safety of the participants.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 I was not involved and the sponsors  
2 certainly were so they may have something to add, but  
3 my perspective is that these studies were very well  
4 designed from a safety standpoint and for looking at  
5 trying to understand the immunogenicity and  
6 protection.

7 What we find ourselves in now is having to  
8 use these as the sole basis for efficacy, and I think  
9 that's really what the question -- a refinement of  
10 this question is. Are they adequate as a sole source  
11 of efficacy data and do we need to do better as  
12 efficacy data than what was done.

13 CHAIR FERRIERI: That helps us, Margaret.  
14 Dr. Huang, I was being slightly facetious in saying  
15 perfectly. I think we can interpret adequately to any  
16 degree of scientific adequacy we wish. And so we  
17 should deal with this and vote on a), then. We'll  
18 start again, with Dr. Poland.

19 DR. POLAND: No.

20 CHAIR FERRIERI: Two a). Dr. Edwards.

21 DR. EDWARDS: I think some additional  
22 ramification should be added to address questions that  
23 are not addressed currently. So I think I would have  
24 to vote no as well.

25 CHAIR FERRIERI: As you go along you can

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 make your suggestions as well.

2 DR. EDWARDS: Well, I think issues regarding  
3 duration of protection would be helpful. I think  
4 issues regarding booster doses -- do two doses induce  
5 greater immunity than one -- would be two of the  
6 primaries that I think should be addressed.

7 CHAIR FERRIERI: Dr. Huang, yes or no? Or  
8 --

9 DR. HUANG: Yes.

10 CHAIR FERRIERI: There's a third choice and  
11 that's to abstain.

12 DR. HUANG: Yes, with the caveat about  
13 wanting to know more about the duration.

14 CHAIR FERRIERI: Okay, that's a persistent  
15 theme. Dr. Snider.

16 DR. SNIDER: I think as was mentioned, at  
17 the time that they were done they were designed and  
18 executed according to the standards. So I have  
19 trouble with the question. And the adequacy part  
20 really goes back to the issue of number one, whether  
21 this is adequate to lead to a decision to license the  
22 vaccine. And there's where I have a hangup.

23 So depending upon how it's being asked I'd  
24 vote yes or no, I think. Based on what I'd like to  
25 see I'd have to answer no and get some of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 additional data that has either been explicitly stated  
2 by some of the panel members or -- well, I think it's  
3 been -- they've brought up some of the concerns about  
4 the design.

5 One additional thing I obviously would add  
6 based on an earlier comment would be if we are  
7 including people that are going back to home country,  
8 to include some of those people in the study. But  
9 that's just one element in a number of elements that  
10 one would add if one were designing and carrying out  
11 these studies today as opposed to the time when they  
12 were originally designed and carried out.

13 CHAIR FERRIERI: Dr. Hall.

14 DR. HALL: I think that in general I'll vote  
15 yes, and particularly in light of what Margaret has  
16 just said -- that they were -- and what Dixie just  
17 also said -- that challenge studies at that time for  
18 what they were designed, were probably adequate.

19 Whether or not we have all the information  
20 or will ever have all the information from a challenge  
21 study that we feel is necessary or ideal for  
22 licensure, I think is questionable. At this point I  
23 will say yes.

24 CHAIR FERRIERI: Dr. Greenberg.

25 DR. HALL: With the caveat I made that we'd

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 like more information on age, including younger  
2 children.

3 DR. GREENBERG: I am assuming -- these  
4 studies were absolutely done with great care when they  
5 were done. I am assuming that the question adequacy  
6 implies to adequacy for license and not adequacy at  
7 the time they were done.

8 DR. STIBITZ: That's correct.

9 DR. BASH: Yes.

10 DR. GREENBERG: And so my answer is no to  
11 that question and I would say the one thing I would  
12 add is that I guess, had trouble saying something  
13 should be licensed based on 15 people. And so numbers  
14 of people studied as well as the diversity of people  
15 studied would be another thing that I would add to the  
16 challenge study.

17 CHAIR FERRIERI: Dr. Clements-Mann.

18 DR. CLEMENTS-MANN: Well, I think that I  
19 would vote yes. I realize that ideally -- and we  
20 would like to have randomized, double-blind studies  
21 -- but these are free living volunteers, they are  
22 closed studies. And so we don't really have -- if I  
23 were to design it, it would be very difficult to get  
24 all the people in the study that would then reflect  
25 the general viability of the results, or the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 representation of, so you might want to generalize  
2 these results, too.

3 And I guess the question about children, I  
4 can't see how in the world we would ever do challenge  
5 studies in children. So I think that other than just  
6 having larger numbers to provide reassurance, I think  
7 we're going to have to strike a balance between what's  
8 feasible in a volunteer model in a real world  
9 situation, and keep the data as objective as possible  
10 for the readout.

11 CHAIR FERRIERI: Dr. Finkelstein.

12 DR. FINKELSTEIN: I have to answer no,  
13 because there's a couple of aspects. One is just the  
14 scientific method itself; that really one has to be  
15 cautious about potential biases of this study. And I  
16 realize it's difficult to do the appropriate study but  
17 we're being asked to conclude as to whether this is  
18 evidence enough of the efficacy of the vaccine.

19 The second aspect of it is the population;  
20 whether it could really be generalized to the target  
21 population for the vaccine is really in question to  
22 me.

23 And then the last aspect is the endpoint  
24 that was used for the study. And this really sort of  
25 is a bridge between two and I think four. I never

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 really, from the discussion today, got a handle on the  
2 real case rates that they would expect in the target  
3 population for this vaccine -- not in just all  
4 travelers or some of the other things we have.

5 And you have to know the attack rate to know  
6 whether the safety versus efficacy profile is good,  
7 especially with an endpoint like the less severe  
8 diarrhea.

9 So again, I realize these are all difficult  
10 aspects of it but those are the aspects that made it  
11 less than convincing to me. So the answer is no.

12 CHAIR FERRIERI: Dr. Daum.

13 DR. DAUM: I think, no.

14 CHAIR FERRIERI: Dr. Kim -- Mrs. Cole,  
15 sorry.

16 MS. COLE: My vote is also no. I don't  
17 think there were enough people involved in the study.

18 CHAIR FERRIERI: Dr. Kim.

19 DR. KIM: No. I think I have again, stated  
20 earlier that particularly challenge studies appear to  
21 have some limited data regarding that a study was  
22 conducted in a fashion that will be blinded and also  
23 provide a scientifically useful information as  
24 indicated by others.

25 CHAIR FERRIERI: Dr. Karzon.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. KARZON: I vote no. What I would do is  
2 to increase the numbers in the study -- that's need in  
3 any case -- and take this opportunity to have tighter  
4 control, blinded in the usual fashion. And certainly  
5 I would like to see the placebo control looked at very  
6 hard.

7 I'm suspicious that that placebo control has  
8 its own inherent toxicity in it -- E. coli. But if  
9 you look at the numbers I feel very sorry for a group  
10 of people who had these volumes at 30 days. It's not  
11 a normal panoply for (inaudible) dose.

12 And I'm not sure I understand the need for  
13 E. coli there. Or if you want to have two controls,  
14 have a two-blinded -- you need a blinded control and  
15 my suggestion would be to use a packet which resembles  
16 the design of the packet to choose for the study,  
17 whatever.

18 The handling should be blinded and have a  
19 blind control or something, which is absolutely benign  
20 and should not cause headache in 40 percent of the  
21 people, etc, and some nausea. Diarrhea, four booster  
22 in 24 hours should not appear in any one person. In  
23 other studies I just wouldn't suspect it.

24 So I'm suspicious of this group and I think  
25 it gives a false sense of safety in terms of the fact

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 that your P value is equivalent to the others when I  
2 don't think -- it's possible it may not be the true  
3 value.

4 CHAIR FERRIERI: Dr. Kohl.

5 DR. KOHL: No, for all the reasons  
6 enumerated.

7 CHAIR FERRIERI: Dr. Fleming.

8 DR. FLEMING: No. No for a number of  
9 reasons. The integrity of the inference here is  
10 certainty at some risk with the selectivity that was  
11 used in those that were challenged with the  
12 selectivity, or the non-randomized selection of the  
13 controls with the lack of blinding.

14 But my concerns are more serious than that;  
15 the concerns about the small numbers that we have for  
16 this inference, with these numbers. Even if we're  
17 using all 36 here we're estimating 60 percent  
18 protection against levels that are at least one stool,  
19 300 mls.

20 It's difficult for me to know how we go from  
21 that to confidence that we're preventing serious  
22 purging. So the answer for all of those reasons, is  
23 no.

24 CHAIR FERRIERI: Thank you. Tom. Dr.  
25 Eickhoff.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. EICKHOFF: Well, I vote yes, based in  
2 very large part upon consideration of the practical  
3 realities of doing challenge studies. It's a  
4 provisional yes, however. I would certainly like to  
5 see somewhat more diversity in patients studied, more  
6 attention paid to the direct duration of protection  
7 and to the protection, if any, afforded by booster  
8 doses and whatever interval seems appropriate.

9 I agree with, I think, Dr. Clements-Mann.  
10 It's going to be very, very difficult, if not  
11 impossible, ever to do challenge -- direct challenge  
12 studies in children. So we will have to come up with  
13 some other mechanism to derive that.

14 CHAIR FERRIERI: Right. We can get to that  
15 point soon enough. Dr. Breiman.

16 DR. BREIMAN: Recognizing those practical  
17 issues that Dr. Eickhoff just mentioned, given the  
18 question though, I would have to vote no.

19 CHAIR FERRIERI: Dr. O'Brien.

20 DR. O'BRIEN: Well, I think the bottom line  
21 for me is yes, and it's yes because of practical  
22 issues of trying to ask this question, it's yes  
23 because of what we have right now as a vaccine.

24 I would, like everybody else, like to see  
25 more information on duration of immunity and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.  
WASHINGTON, D.C. 20005

1 necessity or the consequences of a booster challenge.

2 CHAIR FERRIERI: Dr. Holmes.

3 DR. HOLMES: I think the experiments were  
4 very carefully performed and have yielded a lot of  
5 very useful data. I think there are serious,  
6 practical problems with making this into a perfect  
7 study. Nonetheless, I would have to vote no in terms  
8 of the adequacy of the database for supporting  
9 licensure at this time.

10 I see the critical issues as being the  
11 duration of immunity against the El Tor challenge, and  
12 defining the nature of the response to a booster, not  
13 only in the people who are immunized initially with  
14 the current vaccine strain, but also in volunteers who  
15 have recovered from wild type cholera among naive --  
16 immunologically naive Americans (inaudible).

17 We have to know whether a booster will ever  
18 have an effect and under what conditions it can be  
19 useful.

20 CHAIR FERRIERI: Dr. Pierce.

21 DR. PIERCE: As I listen it seems to me that  
22 to a considerable extent, we're saying yes and no to  
23 two different questions, in that a lot of the no's are  
24 really responding to b) and c) which are not  
25 subquestions of a).

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           If a) is a distinct question from b) and c)  
2 I would say yes. But I recognize that the issues in  
3 b) and c) have different comments as they come along.

4           DR. CARPENTER: My comments are very much  
5 the same as Dr. Pierce. I think that the challenge  
6 studies were designed and executed adequately within  
7 the framework of what they were designed to do, and my  
8 comments are exactly the same as those of Dr.  
9 Clements-Mann on that regard.

10           I think b) is a separate question. I don't  
11 think we've had adequate demonstration of protection  
12 against El Tor challenge but that will come up  
13 subsequently.

14           CHAIR FERRIERI: Your vote then, Dr. Pierce,  
15 is yes as well?

16           DR. CARPENTER: Yes.

17           CHAIR FERRIERI: And for the record, my vote  
18 is no for all of the reasons stated by those who voted  
19 no. And it's with some regret the vast majority of  
20 panel voted no, however.

21           We'll move on to question b) then. Are the  
22 data regarding heterologous biotype challenge (with El  
23 tor strains) adequate in light of the prevalence of El  
24 Tor strains in endemic areas?

25           Any clarification needed by anyone on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 question? Otherwise, we'll move into discussion of  
2 it. Would anyone like to volunteer to lead off any  
3 discussion before we would vote on this issue? Yes,  
4 Dr. Pierce.

5 DR. PIERCE: Just a question. I mean, maybe  
6 just so we know, we can agree what we're talking about  
7 as to how many individuals are in fact -- we are  
8 considering to qualify as the El Tor challenge?  
9 Because different numbers have been used -- anywhere  
10 from 15 vaccinees, I believe, to 36 -- which seems to  
11 depend -- oh no, sorry, 15 to 25.

12 Maybe there's not a big difference between  
13 those numbers but the 25 includes individuals who were  
14 challenged either at ten days or one month, whereas  
15 the 15 individuals were challenged at only one month.

16 Going up to 36 would require a different  
17 immunization regimen -- that is, two doses -- and I  
18 presume we would not include that in the comparison.

19 So my question is, are we talking about 15  
20 or are we talking about 25?

21 CHAIR FERRIERI: CBER, would you like to  
22 respond? Dr. Bash.

23 DR. BASH: I feel comfortable for the  
24 discussion of this question including all of the  
25 individuals challenged with an El Tor strain, which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 would be the 25. I would not include those who had  
2 been vaccinated with CVD 103, and so I wouldn't go to  
3 that extent.

4 DR. PIERCE: And not the 2-dose regimen?

5 DR. BASH: Well, the 2-dose regimen was a  
6 part of the -- this includes that.

7 DR. PIERCE: I thought the 25 would be  
8 studies 9003 and 19002.

9 DR. BASH: Correct.

10 DR. PIERCE: Okay.

11 DR. EDWARDS: What about 9007? That's also  
12 on the chart.

13 DR. PIERCE: That's a 2-dose regimen.

14 DR. BASH: I think it would be interesting  
15 to receive people's comments regarding that. Sorry.

16 CHAIR FERRIERI: Any comments on this? Are  
17 the data adequate on the heterologous biotype  
18 challenge?

19 DR. GREENBERG: I have one comment.

20 CHAIR FERRIERI: Great.

21 DR. GREENBERG: Coming from a virologist,  
22 challenge within shortly after an initial, live  
23 infection is really not a good experimental approach  
24 because there are all sort of acute phase reactants  
25 that are stimulated by the initial infection that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 could in a non-immunologic way, alter your challenge.

2 And so I personally, I assume that could  
3 also happen with cholera; that this could be -- the  
4 effect could be non-immune mediated rather than immune  
5 mediated. So just personally, I don't like the idea  
6 of a challenge ten days after the immunization of an  
7 experimental approach, and I sort of discounted that.

8 Now, people can pay their money and take  
9 their choice, but I don't think -- when I do a mass  
10 experiment that I really want it to work, that's how  
11 I do the experiment.

12 CHAIR FERRIERI: Those of us who are rat and  
13 mouse doctors, we completely agree. Yes, Dr. Pierce.

14 DR. PIERCE: My comment about what is needed  
15 is, I believe we need in a general way -- perhaps the  
16 details to be worked out -- more information on what  
17 I would call the time course of protection.

18 And I think there's been -- you know, the  
19 10-day challenge was probably an attempt to begin to  
20 get an early point in that time course; the one month  
21 data obviously, are another point. And we've had a  
22 lot of discussion about duration of protection.

23 And I would add to that the need to be able  
24 to show that you can not only boost -- that you can  
25 boost protection, if you show that protection

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 disappears in an unfortunately early time, like three  
2 or four months when you'd really like to have it last  
3 a year -- then I think you have to be able to show  
4 whether you can boost it.

5 DR. GREENBERG: I agree.

6 DR. PIERCE: And so it's those that -- now,  
7 exactly how one works out a schedule on how many  
8 different points you have on that I think requires a  
9 lot of thought. But it's basically a time course of  
10 protection that's not defined here in sufficient --  
11 especially because protection is partial. If  
12 protection was higher level that might be a little  
13 less important, but it's because it's partial you  
14 don't know what it's doing at different point than  
15 what you have here.

16 CHAIR FERRIERI: Again, question 2b), Dr.  
17 Hall.

18 DR. HALL: I just have one. The ten days  
19 that was not at the time that the IgM antibody was  
20 peaking at that particular point. So there is some  
21 real rationale for using that in terms of at least  
22 infection.

23 CHAIR FERRIERI: I thought that was  
24 interesting also, Caroline. There was 40 percent --  
25 well, 40 percent attack rate out of the ten. Someone

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 else have a hand up here?

2 DR. SNIDER: I had a question about the  
3 challenge and how -- is that just -- how does that  
4 determine -- how does the challenge dose determine  
5 what relationship does that have to natural infection,  
6 if known?

7 CHAIR FERRIERI: Dr. Levine, do you want to  
8 comment, or anyone on the agency side want to? Why  
9 don't you start?

10 DR. LEVINE: The dose of  $10^6$  with buffer is  
11 undoubtedly much, much higher, perhaps three logs  
12 higher than would be a natural challenge dose, and of  
13 course a natural infection. We have carried out dose  
14 response curves or dose response studies with several  
15 of the challenge organisms, with an El Tor Inaba  
16 strain N16961.

17 We went all the way down to four and three  
18 logs. And what's interesting is that the attack rate  
19 remained high at four logs and at three logs given  
20 with buffer, but what went progressively down was the  
21 total diarrheal stool volume.

22 We also have administered the  $10^6$  dose of  
23 organisms with a quasi Bangladesh meal rather than  
24 with buffer, and the attack rate and the severity of  
25 illness was identical, as was seen giving the dose

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 with buffer.

2 We also administered  $10^6$  in 300 ml of water  
3 to fasting volunteers without any buffer, and there  
4 was no infection and there was no diarrhea.

5 CHAIR FERRIERI: Thank you.

6 DR. SNIDER: Maybe I would like to clarify  
7 something anyway. I guess one of the things that I'm  
8 having trouble with is that -- I mean, I would really  
9 like to have this vaccine available compared to the  
10 alternative, but I know that I have to tell people  
11 something when I propose to administer a vaccine to  
12 them.

13 And the thing that's bothering me is the  
14 database leave a lot of questions that are unknown and  
15 it makes me really uncomfortable in thinking how in a  
16 clinical setting, or in developing a public health  
17 recommendation, I could make any sort of definitive  
18 statements, either to individuals or to populations  
19 about what they could expect.

20 CHAIR FERRIERI: Well, I think you've summed  
21 it up. That's exactly what we've been talking about  
22 all day and what has taken us all day and why we are  
23 so behind is the inadequacy of the data.

24 And so for those of you who have just joined  
25 us for the next session, slight apologies. We might

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 catch up. We're a little behind. We will get to the  
2 last issue of the day.

3 Does CBER have a response to what Dr. Snider  
4 just said?

5 DR. BASH: In terms of the challenge  
6 studies? It's my understanding that the goal was to  
7 design the challenge in such a way that between 70 and  
8 80 or 90 percent of your control arm developed  
9 diarrhea as defined by the study outcome definition.

10 And that I think in a challenge study you  
11 need to have an adequate challenge that would result  
12 in a range of disease in that level, but not such a  
13 heavy challenge that you overwhelm whatever degree of  
14 protection might be seen.

15 The El Tor challenge studies for the most  
16 part fit that criteria. The Classical studies for the  
17 most part, did not.

18 CHAIR FERRIERI: Do you think we're ready to  
19 vote on part b) then? Fine. We'll start on this side  
20 of the room, then. Dr. Carpenter. This is part b).  
21 Yes or no.

22 DR. CARPENTER: No.

23 CHAIR FERRIERI: No, okay. Dr. Pierce.

24 DR. PIERCE: No.

25 CHAIR FERRIERI: Dr. -- I'm sorry, I have to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 start at the bottom here. Dr. Holmes.

2 DR. HOLMES: No.

3 CHAIR FERRIERI: Dr. O'Brien.

4 DR. O'BRIEN: No.

5 CHAIR FERRIERI: Dr. Breiman had the lead.  
6 Dr. Eickhoff.

7 DR. EICKHOFF: No.

8 CHAIR FERRIERI: Dr. Fleming.

9 DR. FLEMING: No, for reasons indicated in  
10 the answer to a), and to also add that none of these  
11 studies except the 2-dose even hit a traditional level  
12 of statistical significance.

13 CHAIR FERRIERI: Thank you, Tom. Dr. Kohl.

14 DR. KOHL: No.

15 CHAIR FERRIERI: Dr. Karzon.

16 DR. KARZON: No.

17 CHAIR FERRIERI: Dr. Kim.

18 DR. KIM: No.

19 CHAIR FERRIERI: Mrs. Cole.

20 MS. COLE: No.

21 CHAIR FERRIERI: Dr. Daum.

22 DR. DAUM: No.

23 CHAIR FERRIERI: Dr. Finkelstein.

24 DR. FINKELSTEIN: No.

25 CHAIR FERRIERI: Dr. Clements-Mann.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. CLEMENTS-MANN: No.

2 CHAIR FERRIERI: Dr. Greenberg.

3 DR. GREENBERG: No.

4 CHAIR FERRIERI: Dr. Hall.

5 DR. HALL: No.

6 CHAIR FERRIERI: Dr. Snider.

7 DR. SNIDER: No.

8 CHAIR FERRIERI: Dr. Huang.

9 DR. HUANG: No.

10 CHAIR FERRIERI: Dr. Edwards.

11 DR. EDWARDS: No.

12 CHAIR FERRIERI: Dr. Poland.

13 DR. POLAND: The sample size is inadequate  
14 so I vote no.

15 CHAIR FERRIERI: And for the record, my vote  
16 is no for some of the reasons cited.

17 We'll move to part 2c) then. The question  
18 -- thank you, Scott -- Are the data sufficient to  
19 demonstrate protection from challenge for a period of  
20 time following vaccination that is sufficient for  
21 travelers?

22 Again, the wording is a little bit puzzling,  
23 perhaps. Scott, do you have any further clarification  
24 of this?

25 DR. STIBITZ: Unfortunately I'm not able to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 add a lot. I think this is a question which is  
2 difficult for us to define as well, and I think some  
3 of the problems in addressing this question have  
4 become apparent today in terms of perhaps the lack of  
5 data about travelers and their habits.

6 What is a typical stay? So I'm afraid I'm  
7 not able to shed a great deal of light, but we'd be  
8 interested in the input of the panel.

9 CHAIR FERRIERI: Any comments here?

10 DR. STIBITZ: I believe Dr. Hardegree was --

11 CHAIR FERRIERI: Dr. Hardegree, did you want  
12 to say something?

13 DR. HARDEGREE: Well, the only thing is  
14 whether or not the discussion that you had about  
15 duration at this time and saying the additional data  
16 on duration is something you would want to see.  
17 Whether it makes this question moot.

18 CHAIR FERRIERI: It does make the question  
19 moot and I was hoping that someone on the panel would  
20 say that. So I think that our previous discussion  
21 covers it and that all the nods at the table are  
22 affirmative. And so we can move on.

23 If the panel feels -- and we have covered  
24 this to some extent but I think we should firm it up.  
25 If the panel feels that data regarding efficacy are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 not sufficient to support licensure, what additional  
2 studies would be needed to address these issues?

3 What is the desire of the panel? Dr.  
4 Edwards.

5 DR. EDWARDS: Well, I know that number 3 is  
6 going to address pediatric issues, but I don't think  
7 we're going to vote on 3. So I do want to make it  
8 clear that that -- although I think the studies done  
9 in Chile are excellent and certainly bridging data,  
10 looking at reactogenicity of pertussis vaccines that  
11 have been done by this superb investigator in Chile,  
12 are very similar to those that we obtained in the  
13 United States.

14 I think that the antibody levels in children  
15 in Chile are higher than what I would expect in  
16 children in the United States, and also that the  
17 situation where we have one child who had a very  
18 severe, bloody diarrhea that was clearly not  
19 adequately worked up but do make me have concern about  
20 the pediatric population.

21 So I want to make sure that we're not --  
22 without further data we're not going to give this to  
23 young children.

24 CHAIR FERRIERI: Again, I don't know what we  
25 can vote on this cluster. We could suggest additional

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 studies if that's all right with you, Margaret and  
2 Scott.

3 Yes, Dr. Clements-Mann.

4 DR. CLEMENTS-MANN: I just wondered if we  
5 could take advantage of the natural opportunity in  
6 other countries where this vaccine is being  
7 administered to children, if that data could be  
8 obtained. I think it may be very difficult to do  
9 these Phase I studies here in children where there's  
10 absolutely no risk of cholera to the U.S. population.

11 But if travelers are receiving this vaccine  
12 and at the indicated ages allowed by other regulatory  
13 agencies, if maybe there could be some study of safety  
14 -- at least in that vaccine.

15 I'd just like to point out that cholera --  
16 I'm not aware of any cholera that causes bloody  
17 diarrhea. And this is not an invasive organism. So  
18 I'm -- just knowing the pathogenesis of cholera a  
19 little bit, I suspect that that was some other  
20 occurrent problem.

21 CHAIR FERRIERI: Other points from the  
22 panel? Other suggestions? We've talked about the  
23 diversity, we've talked about numbers, we've talked  
24 about the challenge dose, the strains, duration of  
25 protection being critical, endpoints, the issue of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 booster doses, and so on. Dr. Pierce.

2 DR. PIERCE: From some of the comments made  
3 in the previous round it seems that there may be  
4 concerns about combining data from cohorts studied at  
5 different times. In other times, comments were made  
6 that only one study reached statistical significance  
7 but there was another identical study done.

8 I think it should be clear whether or not  
9 studies of identical design can be combined in order  
10 to empower them appropriately. Because again, the  
11 practical matter is that you cannot, in the volunteer  
12 situation as far as I know, study 60 volunteers at one  
13 time or whatever the number might be.

14 So if we are requiring more numbers at one  
15 point in time or several points in time, it just would  
16 be helpful to clarify that point, I think.

17 CHAIR FERRIERI: Who would like to clarify  
18 that? The agency, do you have any response to that?

19 DR. BASH: I think if the studies are  
20 designed in such a way that would allow comparison  
21 similar to studies where you have several multi-center  
22 studies.

23 There isn't a problem combining the data;  
24 there's a problem with combining data with vaccination  
25 schedules and immunization time between challenge that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 really limited our ability to be able to put this data  
2 together.

3 I think that undoubtedly, given the  
4 inpatient status of these studies they would have to  
5 be done, but I think as long as that was planned ahead  
6 of time there wouldn't be a problem with combining  
7 data.

8 CHAIR FERRIERI: Any other -- Dr. Eickhoff.

9 DR. EICKHOFF: I would like to sound just a  
10 note of caution of this issue of challenge dose. I  
11 recognize Dr. Fleming's desire to really push the  
12 envelope and be able to show that we're preventing --  
13 or the vaccine preventing severe purging in the  
14 placebo recipients in the control arm.

15 But this is severe disease and I think we're  
16 beginning to push the envelope of what a human  
17 research committee is likely to approve.

18 CHAIR FERRIERI: Absolutely. Dr. Poland.

19 DR. POLAND: The only other thing, Pat, that  
20 I might add to the list that you wrote is to be sure  
21 that we do include the elderly since they are a major  
22 fraction of travelers.

23 And the second is, it's apparent that blood  
24 group may play an important role here and I think --  
25 I would want to know something -- or just at least for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 different -- for group O versus non-group O blood when  
2 I saw results.

3 CHAIR FERRIERI: Very good. Well, the  
4 numbers convinced me. I think that was an excellent  
5 suggestion. Shall we move on to question 3? Dr.  
6 Hall.

7 DR. HALL: Can I just ask -- my comment  
8 earlier had been actually, made similar to what Mary  
9 Lou had made, in the use of data from other countries.  
10 But I wondered if, particularly in Canada, have there  
11 been any post-licensure studies or other data that  
12 someone knows about that could somehow -- at least the  
13 demographics of those who received the vaccine. Is  
14 that available? And I think those studies would be  
15 available -- or the information available in Canada.

16 CHAIR FERRIERI: Thank you, Caroline. The  
17 agency can pursue this perhaps. Dr. Karzon, you had  
18 your hand up, and Dr. Fleming.

19 DR. KARZON: There is one thing that we  
20 really ought to know and that is, the duration of  
21 protection. And secondary to that I suppose, is to  
22 extend the need for a repeat dose and the consequences  
23 of that.

24 I find that a very difficult experiment to  
25 design in inpatient service. But somehow we need a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 line on that item. I'd like the suggestion of  
2 following up work that's been done in other countries  
3 as one lead, at least to get some serum possibility as  
4 a guideline to that. We do need to know that.

5 CHAIR FERRIERI: I think everyone agrees on  
6 that. Dr. Holmes.

7 DR. HOLMES: Yes, at the time these studies  
8 were begun the relative colonization defect in CVD  
9 103-HgR was not known. And now that that data has  
10 emerged I think it would be appropriate reasonably  
11 early in the continuing studies, to look at the  
12 protective efficacy of the HgR to variant, if it's not  
13 too reactogenic, to see whether it will induce  
14 immunity more comparable to recovery from wild type El  
15 Tor infection. If so, I think it would change the  
16 direction of ongoing studies.

17 CHAIR FERRIERI: Tom, did you have another  
18 point?

19 DR. FLEMING: I think it's important from my  
20 perspective, to clarify that I endorse Ted's concerns  
21 in pushing the envelope. I would be very concerned  
22 about designing a trial if in fact, we were exposing  
23 volunteers to a level of risk that would be  
24 unacceptable.

25 In some discussions that I've had I've had

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 it articulated that it could well be, in the views of  
2 a number of people acceptable to provide a challenge  
3 that would yield risks at the level of five liter  
4 purging. If in fact though, that's not acceptable  
5 ethically, I understand.

6 But if in fact, it is not acceptable it  
7 doesn't alleviate my concerns about whether low  
8 challenge studies are really going to be meaningfully  
9 reliable.

10 And real quickly on the issue of meta-  
11 analysis, meta-analysis certainly is an informative  
12 tool; it's a descriptive tool. One has to be very  
13 cautious for reasons as pointed out; that you're not  
14 pooling apples and oranges, and also for -- your  
15 interpretation of strength of evidence has to be on a  
16 different scale because you're doing something  
17 somewhat retrospectively and you generally look for  
18 much more striking level of significance if you're  
19 going to base your inference on a meta-analysis.

20 CHAIR FERRIERI: The last two questions will  
21 have to be dealt with very briefly. Number 3 is very  
22 important: Can the immunogenicity studies be used to  
23 provide bridging data to the adult volunteer  
24 population to support administration to children?

25 Dr. Daum.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 DR. DAUM: Pat, could I make one last  
2 comment about the previous question?

3 CHAIR FERRIERI: All right.

4 DR. DAUM: I think the multiple dose regimen  
5 was also intriguing and I don't think we sort of said  
6 that that might be something really worth exploring if  
7 additional studies were going to be designed as well.

8 And to echo Dr. Hall's comment, I think the  
9 idea of pursuing people to whom it's been administered  
10 in other countries, and maybe even surveying them for  
11 diarrheal illness or trying to gather information  
12 about what happened to them after they received it,  
13 might be really valuable information.

14 CHAIR FERRIERI: We'll continue. There are  
15 some people who plan to leave early which will  
16 certainly be a detriment to our whole discussion here.  
17 We will miss you. If you have to leave you can leave  
18 any time you wish. Dr. Pierce.

19 DR. PIERCE: Well, on question 3, I mean, I  
20 would just comment that I don't see right now unless  
21 I'm missing the boat entirely, how we have any handle  
22 on the efficacy of this vaccine for children since  
23 they do not seem to respond immunologically in the  
24 same way that adults do, since we do not know  
25 precisely what an immuno-response in an adult means,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1 and since we cannot challenge them.

2 I don't see a way into an answer. The only  
3 way I can see of eventually getting information on how  
4 cholera vaccine would be efficacious in children would  
5 be to have a vaccine that's efficacious in the field.  
6 And then under a variety of field study conditions  
7 perhaps back into information where immunization of  
8 children is possible and where gathering the perfect  
9 data is possible.

10 But I don't see how we can get a handle on  
11 this unless somebody else has a clearer idea than I  
12 do.

13 CHAIR FERRIERI: Thank you. Dr. Kohl.

14 DR. KOHL: I strongly concur with that as a  
15 pediatrician.

16 CHAIR FERRIERI: Yes. I agree. Dr. Daum.

17 DR. DAUM: Just yes.

18 CHAIR FERRIERI: You agree completely. Dr.  
19 Edwards. All of those of us who have a foot in  
20 pediatrics. Similarly, question 4: Comment on  
21 adequacy of data supporting safety in the target  
22 population in adults age 18 and higher.

23 I think we've certainly discussed this  
24 sufficiently. And then we've also indicated what the  
25 gaps are in our knowledge for children.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005

1           Are there any concluding comments that  
2 anyone would like to make? Thank you all who have  
3 contributed so greatly but have to leave before the  
4 last session. Dr. Greenberg, thank you. Did you wish  
5 to say something?

6           DR. GREENBERG: Actually, in a parting  
7 comment, vis-a-vis safety. Can the sponsors say  
8 anything about the genetic stability of the unknown  
9 mutation? I would assume that if that mutation was  
10 not genetically stable the parent cholera is somewhat  
11 more reactogenic. Is that correct? And how do you  
12 assess that stability?

13          DR. KAPER: Jim Kaper responding. The  
14 genetic characterization of colonization is, we don't  
15 know how stable it is. We couldn't determine that  
16 except for in large scale trials, perhaps. But  
17 certainly I would emphasize the mutation -- the  
18 attenuating mutation and deletion of cholera toxin is  
19 absolutely stable as 500 base pair deletion.

20          CHAIR FERRIERI: We can take a 5-minute  
21 break. There may be other members of the panel who  
22 haven't yet made an appearance who can sit at the  
23 table. Dr. Evans and Ms. Rovner, we'll make room for  
24 you at the table. This is an open session that we're  
25 moving to, dedicated to the box warning or packet

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVENUE, N.W.

WASHINGTON, D.C. 20005



1 insert for oral polio vaccine. I want to thank those  
2 of you who have so patiently waited for us to start.

3 (Whereupon, the meeting of the Advisory  
4 Committee was concluded at 3:31 p.m.)

5

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

CERTIFICATE OF TRANSCRIBER

MATTER: DEPARTMENT OF HEALTH AND HUMAN SERVICES--  
FOOD AND DRUG ADMINISTRATION CENTER FOR  
BIOLOGICS EVALUATIONS AND RESEARCH:  
VACCINES AND RELATED BIOLOGICAL PRODUCTS  
ADVISORY COMMITTEE MEETING

DATE: May 27, 1998

I hereby certify that the attached transcription of pages 1 to 213 inclusive are to the best of my belief and ability a true, accurate, and complete record of the proceedings as recorded on tape provided to us by the agency.

  
\_\_\_\_\_