

UNITED STATES OF AMERICA

+ + + + +

DEPARTMENT OF HEALTH AND HUMAN SERVICES

PUBLIC HEALTH SERVICE

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION & RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS  
ADVISORY COMMITTEE MEETING

+ + + + +

THURSDAY  
NOVEMBER 19, 1998

7  
11  
4  
5  
3  
1  
19  
97

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

The meeting took place in the Versailles Rooms I and II, Holiday Inn, Bethesda, Maryland, at 8:00 a.m., Patricia L. Ferrieri, M.D., Chair, presiding.

PRESENT:

- |                                 |                     |
|---------------------------------|---------------------|
| PATRICIA L. FERRIERI, M.D.      | Chair               |
| NANCY CHERRY                    | Executive Secretary |
| ADAORA A. ADIMORA, M.D.         | Member              |
| REBECCA E. COLE                 | Member              |
| ROBERT S. DAUM, M.D.            | Member              |
| KATHRYN M. EDWARDS, M.D.        | Member              |
| DIANNE M. FINKELSTEIN, Ph.D.    | Member              |
| HARRY B. GREENBERG, M.D.        | Member              |
| CAROLINE B. HALL, M.D.          | Member              |
| ALICE S. HUANG, Ph.D.           | Member              |
| KWANG SIK KIM, M.D.             | Member              |
| STEVE KOHL, M.D.                | Member              |
| GREGORY A. POLAND, M.D.         | Member              |
| DIXIE E. SNIDER, Jr., M.D., MPH | Member              |

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

OPEN

INVITED PARTICIPANTS:

DON BLAIR, Ph.D.  
ROBERT BREIMAN, M.D.  
THEODORE EICKHOFF, M.D.  
THOMAS FOLKS, M.D.  
STEPHEN HUGHES, Ph.D.  
MARGARET JOHNSTON, Ph.D.  
NEAL NATHANSON, M.D.  
MICHAEL OXMAN, M.D.  
GEOFFREY SCHILD, Ph.D.  
WILLIAM WOLD, Ph.D.  
SIDNEY WOLFE, M.D.

SPEAKERS:

DR. LESLIE BALL  
DR. NORMAL BAYLOR  
DR. KATHLEEN CLOUSE  
DR. KAREN ELKINS  
DR. LYDIA FALK  
DR. CARL FRASCH  
DR. ANTONIA GEBER  
DR. ARIFA KHAN  
DR. PHILIP KRAUSE  
DR. ROLAND LEVENDOWSKI  
DR. ANDY LEWIS  
DR. DOUGLAS PRATT  
DR. BECKY SHEETS

ALSO PRESENT:

CAROLYN HARDEGREE, M.D.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## A G E N D A

	<u>Page</u>
Call to order, Announcements and . . . . . Administrative Matters, Introductions	4
Tribute to Dr. Mary Lou Clements-Mann . . . . .	9
<b>Open Public Hearing</b>	
Update on Approved Products . . . . . <b>Dr. Norman Baylor</b>	12
Update on reverse transcriptase . . . . . activity in chicken cell derived vaccines <b>Dr. Arifa Khan</b>	13
The evolving use of animal cells as . . . . . vaccine substrates <b>Dr. Andy Lewis</b>	29
Evolving cell substrate issues as they . . . . . pertain to investigational vaccines <b>Dr. Becky Sheets</b>	63
Experience with therapeutics derived . . . . . from mammalian cells <b>Dr. Kathleen Clouse</b>	92
Studies on safety of cell lines . . . . . <b>Dr. Philip Krause</b>	112
Items for the Committee to discuss . . . . . <b>Dr. Andy Lewis</b>	141

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

## P-R-O-C-E-E-D-I-N-G-S

(8:10 a.m.)

CHAIRPERSON FERRIERI: I'd like to call the meeting to order.

If you could all take your seats. Those of you who are sitting up here at the table, if you could find your seats, please. And this is an open session, and so we don't have to validate anyone at this time.

I'd like to start by introducing myself, Patricia Ferrieri, from the University of Minnesota Medical School, Departments of Lab Medicine and Pathology and Pediatrics. And I'm the Chair of the Committee, and I'd like to have introductions of everyone at the table.

If you could state your names and your institution, we'll start at my far right and work around.

Dr. Greenberg.

DR. GREENBERG: Harry Greenberg, Stanford University in the Palo Alto VA Hospital.

DR. EDWARDS: Kathy Edwards, Vanderbilt University, Nashville, Tennessee.

DR. SNIDER: Dixie Snider, Centers for Disease Control and Prevention.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. POLAND: Greg Poland, Mayo Clinic,  
2 Rochester.

3 DR. KIM: Kwang Sik Kim, Children's  
4 Hospital, Los Angeles.

5 DR. HALL: Caroline Hall, University of  
6 Rochester, New York.

7 DR. KOHL: Steve Kohl, University of  
8 California, San Francisco.

9 MS. COLE: Rebecca Cole, Consumer  
10 Representative from Chapel Hill, North Carolina.

11 DR. DAUM: I'm Robert Daum from the  
12 University of Chicago.

13 MS. CHERRY: Nancy Cherry, FDA.

14 DR. BREIMAN: Rob Breiman, National  
15 Vaccine Program Office.

16 DR. NATHANSON: I'm Neal Nathanson from  
17 the Office of AIDS Research.

18 DR. EICKHOFF: Ted Eickhoff, University of  
19 Colorado.

20 DR. OXMAN: Mike Oxman, the University of  
21 California, San Diego, and the VA Medical Center in  
22 San Diego.

23 DR. FOLKS: Tom Folks, Center For Disease  
24 Control and Prevention.

25 DR. WOLFE: Sid Wolfe, Public Citizens

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Health Research Group.

2 DR. WOLD: Bill Wold, St. Louis  
3 University.

4 DR. BLAIR: Don Blair, NCI, Frederick.

5 DR. JOHNSTON: Peggy Johnston, NIAID.

6 DR. HUGHES: Steve Hughes, ABL BRP at the  
7 Frederick Cancer Research and Development Center.

8 CHAIRPERSON FERRIERI: Thank you very  
9 much.

10 Some of the members are missing, and we'll  
11 introduce them as they join us.

12 I'd like now to turn the meeting over to  
13 Ms. Cherry for various administrative matters.

14 MS. CHERRY: Well, I, of course, have the  
15 conflict of interest statement, or the meeting  
16 statement, to read into the record.

17 This announcement is read into the record  
18 at this meeting of the Vaccines and Related Biological  
19 Products Advisory Committee on November 19 and 20,  
20 1998. For this meeting, FDA has invited a number of  
21 consultants and guests to participate in the meeting.

22 The Agency has determined that the  
23 services of the guests are essential to the committee  
24 discussions. No temporary voting privileges have been  
25 extended at this meeting.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dr. Broome, although listed on your roster  
2 of participants, was unable to be with us today.

3 Screenings were conducted to prevent any  
4 appearance, real or apparent, of conflict of interest  
5 in the meeting discussions here at this meeting.

6 Based on the agenda made available and on  
7 relevant data reported by participating members and  
8 consultants, it has been determined that all financial  
9 interests and firms regulated by CBER that could be  
10 affected by the Committee's discussions have been  
11 considered.

12 In accordance with 18 USC 208, some  
13 members and consultants required and have been granted  
14 general matters waivers. These waivers permit Drs.  
15 Edwards, Greenberg, Hall, Huang, Hughes, Kilbourne,  
16 Kohl, Murphy, Poland and Wright to participate fully  
17 in all Committee discussions.

18 Dr. Daum has been granted a waiver  
19 permitting him to participate fully in the Committee  
20 discussions on cell substrate issues.

21 The following reported interests are being  
22 made public to allow meeting participants to evaluate  
23 objectively any presentation and/or comments made by  
24 guests seated at our table:

25 For the session on cell substrate issues,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. Donald Blair is employed by NCI; Dr. William Wold  
2 reported grants with NCI and collaboration with  
3 Immunex; and Dr. Michael Oxman reported collaboration  
4 with Merck and the VA Cooperative Studies Program,  
5 research grants from Merck Consulting supported by  
6 Merck and SmithKline Beecham, and possible future  
7 collaboration with Dr. Ann Arvin.

8 For the session on live influenza virus  
9 vaccines, Dr. Robert Chanock is employed by NIAID in  
10 the Laboratory of Infectious Diseases.

11 Copies of all waivers and appearance  
12 documents addressed in this announcement are available  
13 by written request under the Freedom of Information  
14 Act.

15 In the event that the discussions involve  
16 specific products or pharms not on the agenda for  
17 which FDA's participants have a financial interest,  
18 the participants are aware of the need to exclude  
19 themselves from such involvement, and their exclusion  
20 will be noted for the record.

21 With respect to all other meeting  
22 participants, we ask, in the interest of fairness,  
23 that you address any current or previous financial  
24 involvement with any firm whose products you wish to  
25 comment on.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 That's it.

2 CHAIRPERSON FERRIERI: Thank you, Nancy.

3 I would like to pay tribute to Dr. Mary  
4 Lou Clements-Mann, who was a member of our Committee.  
5 And this is on behalf of the other Committee members,  
6 as well as FDA.

7 Mary Lou and her husband, Jonathan Mann,  
8 died on September 2nd on Swiss Air flight 111 when it  
9 crashed off the coast of Nova Scotia. She was on her  
10 way to an AIDS meeting at WHO in Switzerland. Mary  
11 Lou made many contributions to our Committee.

12 We enjoyed particularly her incisive  
13 judgement, her analyses, her thoughtfulness, and the  
14 twinkle in her eye when she spoke.

15 She was Professor at Johns Hopkins  
16 University in the Department of International Health  
17 in the School of Hygiene and Public Health and was  
18 known for her vast efforts in the field of vaccines,  
19 had contributed greatly to studies of diarrhea and  
20 respiratory pathogens, and was, in more recent years,  
21 actively involved in AIDS vaccine development and  
22 implementation.

23 I would like to dedicate a poem to her by  
24 the late Polish poet Wislawa Szymborska, the 1996  
25 Nobel Laureate for Literature. And this poem is from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a volume *View With a Grain of Sand*. It's titled  
2 "Nothing's a Gift."

3 "Nothing's a gift, it's all on loan.

4 I'm drowning in debts up to my ears.

5 I'll have to pay for myself

6 with myself,

7 give up my life for my life.

8 Here's how it's arranged:

9 The heart can be repossessed,

10 the liver, too,

11 and each single finger and toe.

12 Too late to tear up the terms,

13 my debts will be repaid,

14 and I'll be fleeced,

15 Or, more precisely, flayed.

16 I move about the planet

17 In a crush of other debtors.

18 Some are saddled with the burden

19 of paying off their wings.

20 Others must, willy-nilly,

21 account for every leaf.

22 Every tissue in us lies

23 on the debit side,

24 Not a tentacle or tendril

25 is for keeps.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 The inventory, infinitely detailed,  
2 implies we'll be left  
3 not just empty-handed  
4 but handless too.  
5 I can't remember  
6 where, when, and why  
7 I let someone open  
8 this account in my name.  
9 We call the protest against this  
10 the soul.  
11 And it's the only item  
12 not included on the list."  
13 I'd like everyone to stand for a moment of  
14 silent prayer or kind thoughts for the memory of Mary  
15 Lou and Jonathan.  
16 (Whereupon, a moment of silence was  
17 observed.)  
18 CHAIRPERSON FERRIERI: Thank you. Please  
19 be seated.  
20 We'll move now to the open public hearing.  
21 Ms. Cherry will take over for this.  
22 MS. CHERRY: At this time, we have  
23 opportunity for anyone who wishes to make a statement.  
24 There is a microphone in the center here.  
25 No one had contacted me in advance, and I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 see no one coming forward, so I will declare the open  
2 public hearing closed at this time.

3 CHAIRPERSON FERRIERI: Thank you, Nancy.

4 We'll move then to Session I, an open  
5 session on approved products, and we'll have an update  
6 from Dr. Norman Baylor from FDA.

7 Dr. Baylor, will you step forward?

8 DR. BAYLOR: Good morning.

9 I wanted to briefly go over a couple of  
10 approvals that we had since our last meeting. The  
11 first one is an acellular pertussis vaccine absorbed,  
12 and the trade name for this product is Certiva. It  
13 was approved on July 29th of this year.

14 And it's a vaccine for the first four  
15 doses in the series for DTaP. It's for the  
16 immunization of infants and children six weeks of age  
17 to seven years of age, prior to the seventh birthday.

18 This vaccine is manufactured by North  
19 American Vaccine, Inc. in Beltsville, Maryland. And  
20 the D&T components of this vaccine are manufactured by  
21 Statens Serum Institute in Copenhagen, Denmark.

22 The other product license application that  
23 we approved since the last meeting is for the  
24 rotavirus vaccine. This is a live, oral, tetravalent  
25 vaccine. The trade name of this vaccine is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 RotaShield, and it was approved at the end of August  
2 of this year.

3 And it's for the immunization of infants  
4 two, four and six months of age. The first dose can  
5 be given as early as six weeks of age, but the  
6 initiation after the six months of age is currently  
7 not recommended in the package insert.

8 This vaccine is manufactured by Wyeth  
9 Lederle Laboratories, Inc. of Marietta, Pennsylvania.

10 We also had -- I don't have a slide for  
11 it, but we had one major approval of a supplement for  
12 the reissuance of a license for BCG vaccine  
13 manufactured by Connaught Laboratories, Ltd. in  
14 Toronto, Canada.

15 And that's all I have for you.

16 CHAIRPERSON FERRIERI: Thank you, Dr.  
17 Baylor.

18 We'll now move into our longer session for  
19 the morning -- it's also open -- on cell lines for  
20 viral vaccines. And we'll begin with the update on  
21 reverse transcriptase activity in chicken cell derived  
22 vaccines presented by Dr. Arifa Khan from FDA.

23 DR. KHAN: Good morning.

24 Today I would like to present an update on  
25 the reverse transcriptase activity that's present in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 chicken cell derived vaccines.

2 I would like to present some of the  
3 studies related to this topic that were presented to  
4 the WHO earlier this year in April, as well as some  
5 additional studies done in my lab related to -- that  
6 addresses some theoretical concerns that remained with  
7 regards to the presence of the RT activity in the  
8 vaccines.

9 In the first slide I would just like to  
10 give a brief background related to the chicken RT  
11 activity and with regard -- and I've also indicated  
12 publications up to date with regards to what has been  
13 done on this issue.

14 Initially Böni, et al., in 1996, published  
15 that low level reverse transcriptase activity was  
16 detected in all chicken cell derived vaccines using a  
17 highly sensitive PCR-based reverse transcriptase assay  
18 called PERT, which can detect one to ten virions.

19 This initial finding was reported to the  
20 WHO, and then additional studies were done by several  
21 laboratories in Europe, as well as the U.S., including  
22 the NIBSC, the CDC, as well as labs in the FDA to  
23 confirm this initial finding, as well as to expand on  
24 this and to evaluate the use of the PERT assay and  
25 other PBRT-related assays for analysis of vaccines.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And these studies have been done and  
2 published by Robertson, et al. in 1997 and by Dr.  
3 Peden's lab by Maudru, et al. in 1998.

4           And after confirmation that there was a  
5 reverse transcriptase activity present in all chicken  
6 cell derived vaccines, the important question, of  
7 course, was whether this RT activity was associated  
8 with a retroviral particle; and, more importantly,  
9 whether this retrovirus particle could infect and  
10 replicate in human cells, and therefore be of public  
11 health concern.

12           Studies done by other groups are  
13 summarized here. The RT activity was found to be  
14 associated with retroviral particles of two distinct  
15 avian endogenous retroviral families designated as EAV  
16 and ALV.

17           In addition, studies done in Dr.  
18 Robertson's lab at the NIBSC and in my lab, in the  
19 FDA, demonstrated that the RT activity originated from  
20 the chicken cell substrate and that no replication  
21 competent retrovirus was found using a variety of  
22 different human cell lines including human PBMCs.

23           And interestingly, I've indicated in the  
24 last bullet that, about 20 years ago, similar RT  
25 activity was reported using the traditional assays

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 available at that time which was produced from CF  
2 cultures and that was particle associated and  
3 replication defective for chicken cells that were  
4 tested at that time.

5 These studies, as well as other  
6 information, were reviewed by the WHO early this year,  
7 and they, as well as the FDA, continued use --  
8 recommended continued use of the vaccines made in  
9 chicken cells, and this report is published in July of  
10 '98.

11 Okay, because there were retroviral  
12 particles associated with the RT activity, there was  
13 still a theoretical possibility that the virus could  
14 enter the cell and infect the cell and the sequences  
15 integrate into the human DNA.

16 The concern was because, for retroviruses,  
17 there are examples in which retroviral-induced  
18 oncogenesis can occur by insertional mutagenesis. And  
19 I've listed some examples here in which case some  
20 retroviruses can activate protooncogenes and, in more  
21 rare cases, inactivation of tumor suppressor gene can  
22 occur.

23 So to address this theoretical concern, my  
24 lab initiated studies, in collaboration with Dr.  
25 Shahabidene in my lab, to look at the infection and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 integration of EAV-related sequences using human  
2 PBMCs.

3 The next two slides indicates the strategy  
4 that we used. There were two sources of material that  
5 we analyzed.

6 We analyzed the chicken embryo fibroblast  
7 supernatant, which we found to contain high levels of  
8 the RT activity; as well as we used a control  
9 production lot of the measles vaccine that was  
10 equivalent to the vaccine that we indicate as MVE, or  
11 measles vaccine equivalent.

12 In terms of the CF supernatant, what we're  
13 looking at are the native retroviral particles that  
14 will be produced from the cell substrate, as well as  
15 contaminating DNA that's produced from the primary  
16 culture due to cell lyses.

17 And this cellular DNA also contains  
18 endogenous retroviral sequences, which are a normal  
19 component of the host DNA.

20 In terms of the MVE, we would predict that  
21 there would be native retroviral particles in there,  
22 as well as endogenous retroviral DNA from the cellular  
23 source. In addition, there is a possibility that  
24 there could also be potential pseudotypes present due  
25 to formation of pseudotypes with the measles vaccine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 virus and the retroviral sequences.

2 Okay, we used two approaches to analyze  
3 the integration question. In one case, we treated the  
4 CF supernatant and the MVE samples with DNase to  
5 remove any of the endogenous retroviral DNA sequences.  
6 And then the treated inocula was -- then PBMCs were  
7 exposed to the treated inocula for 24 hours, and  
8 cellular -- and total cell DNA was analyzed by direct  
9 PCR for EAV sequences.

10 In another strategy, which would be more  
11 specific for analysis of integration, was ALU-PCR.  
12 And in this case, we used the untreated inocula CF  
13 supernatant or the MVE and exposed PBMCs for 24 hours,  
14 and then DNA was prepared and analyzed by ALU-PCR.

15 I'm going to go more into the details of  
16 the ALU-PCR when I describe the results.

17 In the next two slides I'm going to  
18 describe our results with the first strategy of direct  
19 PCR analysis of the DNase treated samples.

20 Okay, in this slide, which is seeing our  
21 analysis of uninfected cells -- do I have a pointer?  
22 Okay, in the first lane of each three panels are the  
23 uninfected PBMC control DNA with each set of primers.  
24 The primers that we used was EAV, and we also used  
25 CRE, which is a highly repetitive chicken element, as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a control for the DNase treatment.

2 And we used amphotropic MuLV to  
3 demonstrate that a known retrovirus that can infect  
4 PBMCs was not affected with the DNase treatment.

5 In this panel, as you can see, without the  
6 DNase treatment, the EAV primers can detect -- produce  
7 a very intense activity, a very intense PCR band.  
8 However, after DNase treatment, we don't detect a  
9 band, indicating that this activity -- that this  
10 amplification was due to the presence of the DNA and  
11 not due to the presence of infections retrovirus.

12 In this case, using the CRE primers, we  
13 can also demonstrate that the contaminating cellular  
14 chicken sequences are removed with the DNase treatment  
15 and these bands are also seen in the PBMC background  
16 of the inoculated DNA.

17 And, as you can see in the amphi, there's  
18 no effect of infection with a known retrovirus with  
19 and without DNase treatment.

20 The next slide shows similar analysis  
21 using the MVE sample. And, as you can see again in  
22 the -- there is high amount of EAV detectable  
23 sequences present in the untreated sample. Whereas,  
24 upon DNase treatment of the MVE prior to inoculation  
25 of the PBMCs, then this activity is removed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And the results of the CRE indicate that  
2           the DNase treatment worked and that you also remove  
3           this contaminating DNA.

4           Because the sample also had measles  
5           vaccine present in it, we also analyzed the RNA from  
6           the same experiment to demonstrate that there was no  
7           affect of DNase treatment on the ability of the  
8           measles vaccine virus to infect the cells.

9           You can see that here with and without  
10          DNase treatment. And also the amphi control shows no  
11          effect of the DNase treatment.

12          So these results indicate that the CF  
13          supernatant and the MVE do not contain sequences that  
14          can be detected after DNase treatment upon inoculation  
15          of PBMCs.

16          Then, to further confirm these results, we  
17          also utilized the ALU-PCR strategy. And first I just  
18          want to go over the strategy briefly. And again, this  
19          is a very complicated strategy, and I've indicated one  
20          of the references that we've used here.

21          In addition, there's another reference by  
22          Minami, et al. in Genomics in 1995. And basically,  
23          the conditions that we've used are described in those  
24          two papers, and we'd be happy to discuss further if  
25          anyone wishes to.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 But the reason we used ALU-PCR is because  
2 there are about one million copies per haploid genome  
3 of ALU elements in the human DNA. The ALU elements  
4 are about 300 bases, and their average occurrence in  
5 the DNA is about every three to six KBs.

6 So therefore, if an exogenous sequence  
7 integrated into the human genome, there would be a  
8 chance that there would be -- that they would  
9 integrate upstream or in the vicinity of an ALU  
10 element.

11 Therefore, this strategy was utilized to  
12 analyze the integration of EAV sequences in the  
13 adjacent -- in regions that have ALU elements.

14 As you can see, to do a complete analysis  
15 for one retroviral element, you need to use a  
16 combination of four sets of primers indicated as A, B,  
17 C, D; and the reason being because, for the  
18 retrovirus, you need to analyze integration for both  
19 of the LTRs.

20 This is the five prime and the three prime  
21 LTR, and you need to find out whether this LTR is  
22 integrated upstream or downstream of an ALU repeat  
23 element, as well as you want to be able to detect the  
24 integration in either orientation of the ALU element.

25 So therefore, a combination of using these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 four primer sets will analyze for integration of a  
2 retroviral element located upstream or downstream of  
3 an ALU element in either orientation of the ALU  
4 element.

5 Now, for simplicity -- for the purpose of  
6 simplicity, I'm only going to present limited analysis  
7 of our ALU-PCR, but we have done the analysis using  
8 all the four sets of primers for each of the samples  
9 that I'm going to show you.

10 And also, I should mention that the  
11 strategy was optimized based upon the published papers  
12 so that the conditions were -- so that the sensitivity  
13 was increased and there was -- the conditions were  
14 such that there was diminished amplification of ALU-  
15 ALU detection, which can also occur.

16 And also we used conditions of long PCR so  
17 that we could include any insertions that occurred up  
18 to six KBs or more from the ALU element.

19 Okay, these are the results using the  
20 control amphotropic MuLV retrovirus. Up here is a  
21 diagram showing that two sets of primers were used  
22 that would analyze integration of the three prime LTR  
23 or the five prime LTR with respect to the ALU.

24 And the results indicate here that, in  
25 PBMCs infected with the MuLV shown in lane two, we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 could see unique bands that were indicative of  
2 integration, as compared to the uninfected PBMC  
3 control in lane one.

4 Now, one would expect to see more than one  
5 band because the integration occurs randomly and the  
6 distance with respect to the ALU would be different.

7 And to confirm the origin of these bands,  
8 we did sequence this band here and we found, by  
9 sequencing, that there was the amphotropic LTR with  
10 adjacent spiking sequences and then the ALU element.

11 Then we applied this strategy to analysis  
12 of EAV sequences in the measles vaccine equivalent  
13 sample, and I'm only going to show you the results  
14 from one of the four sets. But using all the four  
15 sets, we have no evidence for integration as compared  
16 to the control PBMCs.

17 This is an ethidium bromide stained gel  
18 showing that, because of the nature of the primers,  
19 you can get a smear. However, we see no -- we saw no  
20 bands that distinguished the inoculated sample from  
21 the uninoculated sample.

22 To further confirm that there were no low  
23 level integrants present in this fuzziness, we  
24 hybridized with a specific probe and, again, there was  
25 no detectable sequences that were specific to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 probe. And this is a positive control for the probe.

2 And so these results indicate that --  
3 along with the other results that we have, that there  
4 is no evidence for integration using the ALU-PCR  
5 technique. These are just summarized in the next  
6 slide.

7 So basically, I should also mention that,  
8 in terms of the sensitivity of the two assays that  
9 I've shown, in terms of the direct PCR assay, our  
10 sensitivity currently -- we can detect ten copies of  
11 EAV sequences.

12 In terms of the ALU-PCR, we can detect 100  
13 copies. But we are currently evaluating the limits of  
14 detection for each of these assays using relevant  
15 standards. We have created a standard for the ALU-PCR  
16 that contains retroviral LTR and ALU elements that we  
17 have a relevant control for that PCR.

18 So, in conclusion, the data thus far  
19 indicates that there is no evidence of integration of  
20 EAV sequences in human DNA using the CF supernatant or  
21 the MVE, thus indicating the inability of native EAV  
22 particles to infect human cells.

23 And our ongoing studies are directed  
24 towards doing similar analysis of ALV-related  
25 sequences that are present in the vaccine prep, as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 well as to do extensive characterization, molecular  
2 characterization, of the endogenous ALV and EAV  
3 sequences in the MVE because we need to understand how  
4 representative are our primers with regards to  
5 detection of other endogenous retroviral sequences  
6 that are present.

7 Basically, there's very limited sequence  
8 data available on the EAV sequences, so we need to  
9 generate additional data to demonstrate that the  
10 primers are representative to that family of  
11 sequences.

12 Thank you.

13 CHAIRPERSON FERRIERI: Thank you, Dr.  
14 Khan.

15 Because we're ahead of schedule a bit, we  
16 do have time for the panel to ask a few questions.  
17 Is there any member?

18 Yes, please.

19 For the recording person, it would be  
20 helpful if you announced your name first and then she  
21 would be able to record that.

22 DR. FOLKS: Yeah, I'm Tom Folks, Centers  
23 For Disease Control and Prevention.

24 Couple of questions. You went to a lot of  
25 trouble and rigors to get rid of the contaminating DNA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from the prep. Did you just look for unintegrated  
2 sequences after the infection step of the PBLs?

3 DR. KHAN: Okay, basically we're looking  
4 -- in the DNase treated protocol, we're looking for  
5 both unintegrated and integrated because that's total  
6 cell DNA. So we would pick up either.

7 DR. FOLKS: Okay, but you used ALU.

8 DR. KHAN: Okay, the ALU is for the --

9 DR. FOLKS: For integrated.

10 DR. KHAN: -- integrated, yes. That is  
11 specific for that.

12 DR. FOLKS: Okay, but you didn't show any  
13 information where you just looked for unintegrated  
14 DNA.

15 DR. KHAN: Okay, the ALU-PCR is directed  
16 for integrated DNA. The DNase treatment and direct  
17 PCR analysis would detect unintegrated and integrated.  
18 So they're two different procedures. Maybe I'm not  
19 clear about the question, or I don't think I've  
20 answered it.

21 DR. FOLKS: Well, I mean, you satisfy, I  
22 think, by getting rid of the contaminating DNA, you  
23 show that. But then do you go and show -- I mean,  
24 you've taken a lot of effort now to go to the next  
25 step to look for integrated, and I didn't see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information that indicated that you still could have  
2 some slip through and integrated sequences would be  
3 present.

4 DR. KHAN: Yeah, I guess -- again, I think  
5 the -- I guess our major concern was for integrated  
6 sequences. And I don't know, you know --

7 DR. FOLKS: But the ALU treatment is --  
8 study is -- I mean, it works well with MuLV.

9 DR. KHAN: Yeah.

10 DR. FOLKS: But in something that might be  
11 much lower at an infectious nature, especially in a  
12 pseudotyping scenario, you might have much less  
13 integrated material.

14 DR. KHAN: Right, that's why we need to  
15 determine the sensitivity, the detection limits, of  
16 the ALU-PCR.

17 Again, I should mention that we did select  
18 the ALU-PCR strategy to look for specific integration  
19 because, in terms of strategies for looking at  
20 specific integration, this strategy is more sensitive  
21 than others -- for example, inverse PCR.

22 So this is more sensitive in terms of that  
23 type of strategy.

24 But just to clarify, in terms of the ALU  
25 integration, the sample was not DNase treated. We're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 looking at the whole -- we're looking at the native  
2 untreated sample. But I agree, I mean, that strategy  
3 was not meant to pick up integrated; it picks up  
4 integrated, whereas the other one would pick up both.

5 CHAIRPERSON FERRIERI: We have another  
6 question from the table.

7 DR. HUANG: From Alice Huang.

8 You mentioned that your PBMC cultures were  
9 exposed to the various materials for 24 hours and then  
10 you tested them. Did you test for any longer time?  
11 Did you go for 48 or 72?

12 DR. KHAN: Yes, actually in my initial  
13 studies, which I did not present here, we did do 48,  
14 and we also did not find any integration by ALU-PCR.

15 Then I went back to choose 24 in case --  
16 you know, we're really looking for effects of  
17 nonproductive infection. And I was concerned that  
18 maybe, you know, if there was some effect -- some  
19 deleterious effect to the cell or something due to an  
20 initial integration event, some theoretical effect,  
21 that maybe we would be missing it, so therefore we  
22 went back to the 24 hours.

23 But longer than that is difficult because  
24 the measles vaccine virus itself lyses the culture in  
25 about three to four days. And I should mention that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the dose that we are analyzing is 40 human doses  
2 equivalent, so it's a high dose with regards to the  
3 infection.

4 CHAIRPERSON FERRIERI: Any other  
5 questions? Anyone from the audience wish to comment  
6 or ask a question?

7 Thank you, Dr. Khan, we'll move on with  
8 the program then.

9 Dr. Andrew Lewis will begin the series  
10 then on the evolving use of animal cells as vaccine  
11 substrates.

12 I'm sure we'll take up the slack then.  
13 I'll just repeat the rules of our activities here. To  
14 speak, you can raise your hand and hopefully I'll see  
15 you, and then announce your name, please.

16 Dr. Lewis.

17 DR. LEWIS: Good morning.

18 I'd like to begin the discussion this  
19 morning that will occupy us for the rest of the  
20 morning by introducing myself to the Committee. I'm  
21 Andrew Lewis, the head of the DNA Virus Laboratory in  
22 the Division of Viral Products.

23 Before joining CBER in 1995, I spent some  
24 years in the National Institute of Allergy and  
25 Infectious Diseases studying viral carcinogenesis

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 using adenoviruses, SV40, ANU-SV40 hybrids, as well as  
2 a variety of transformed cell lines as experimental  
3 models.

4 Since joining CBER, I've had the privilege  
5 to work with the organization in the capacity as a  
6 head of a group concerned with cell substrate issues.

7 During the past three years, this group  
8 has focused on issues associated with  
9 xenotransplantation, the presence of reverse  
10 transcriptase activity in chicken embryo fibroblasts  
11 that Dr. Khan has just reviewed for you, with SV40 as  
12 a possible human pathogen, and with issues associated  
13 with the possible use of tumor cells as substrates for  
14 viral vaccines.

15 It's this issue that will -- that's going  
16 to occupy our attention for the rest of the morning.

17 Over the past year or so, CBER has  
18 received inquiries and requests about the use of cells  
19 derived from human or animal tumors as substrates for  
20 viral vaccines to be used prophylactically in the  
21 general population. It's my task, in the next few  
22 minutes, to introduce the Committee to the challenge  
23 CBER is facing in addressing such requests.

24 In addressing this challenge, CBER is  
25 being asked to move beyond the precedent that was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 established back in 1954 against the use of cell lines  
2 established from tumors, especially human tumors, as  
3 cell substrates for viral vaccines.

4 A number of factors are motivating the  
5 interest in moving beyond the 1954 precedent. I've  
6 listed what we consider to be the most important of  
7 these factors on the next slide.

8 These factors include the development of  
9 whole virus or traditional vaccines to human  
10 immunodeficiency virus; bioengineering approaches to  
11 viral attenuation in vaccine development; the rapid  
12 development of vaccines to emerging viruses such as  
13 the H5N1 influenza virus that appeared in Hong Kong  
14 last winter; progress in understanding carcinogenesis  
15 and in detecting adventitious agents; and finally,  
16 experience with highly purified biologicals that have  
17 been derived from various types of neoplastic cells.

18 Based on these motivation factors and the  
19 fact that CBER must respond to inquiries and requests  
20 regarding the feasibility of using tumor cells to  
21 develop and produce vaccines for general use, for this  
22 morning's presentations we'd like to initiate a  
23 dialogue with the Committee that we hope will prove  
24 useful in dealing with future issues related to the  
25 use of neoplastic cells as vaccine substrates.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   For my presentation this morning, I'd like  
2 to point out that I'm going to use the term  
3 "neoplastic cells" quite broadly to refer to all types  
4 of immortalized cells, including continuous cell lines  
5 that are either non-tumorigenic or tumorigenic, as  
6 well as those cell lines that are established from  
7 mammalian tumors.

8                   As we go forward with the business of  
9 addressing the use of neoplastic cells as vaccine  
10 substrates, I'd also like to point out that it will be  
11 necessary to schedule discussions about specific  
12 issues as future meetings with the Committee in closed  
13 session.

14                   As the presentations today proceed, we'd  
15 like the Committee to keep in mind the issues that  
16 we'll be asking you to discuss at the end of the  
17 talks. These issues are presented in the next slide.

18                   Following talks this morning, we'd like  
19 the Committee to comment on the approach that we'll  
20 review for you in the talks this morning that are  
21 being considered in CBER to evaluate the use of  
22 neoplastic cells that are proposed for use in the  
23 manufacturing of viral vaccines.

24                   And we'd also like for you to consider any  
25 additional items related to today's presentations or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 to issues related to the use of vaccines developed or  
2 produced in neoplastic cells that the Committee finds  
3 appropriate.

4 We thought it would be appropriate to  
5 begin our discussions of a change in precedence in the  
6 use of cell substrates by first defining for everyone  
7 why it's necessary to use animal cells to produce  
8 viral vaccines, and then reviewing the types of cells  
9 that are currently being used to produce these  
10 products.

11 The next slide shows why it's necessary to  
12 use animal cells to produce viral vaccines. Viruses  
13 in general, as shown in this slide, can be thought of  
14 as infectious nucleic acids, either RNA or DNA, which  
15 can reproduce themselves only within the context of  
16 living cells.

17 Based on these characteristics, viruses  
18 can only be isolated and propagated in viable cells.  
19 Thus, many of the aspects of a study of viruses,  
20 including the development of vaccines to protect  
21 humans from viral infections, can only be accomplished  
22 using those living cells that are both susceptible to  
23 viral infection, as well as viral replication.

24 A critical parameter in the use of living  
25 cells for vaccine production is that the cells must

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 not only be able to grow the infecting virus, but they  
2 must be able to grow it to sufficient concentrations  
3 to make vaccine production feasible.

4 In the next two slides I've listed the  
5 types of animal cells that are available to produce  
6 viral vaccines. Intact animals that are used to  
7 produce vaccines include embryonated chicken eggs to  
8 make influenza vaccines, and calves that were used in  
9 the past to make smallpox vaccines.

10 The use of intact animals obviously  
11 bypasses the need to use tissue culture for vaccine  
12 production. Primary cells represent unpassaged tissue  
13 culture cells that are established from embryonated  
14 eggs or from animal organs.

15 These include cells established from  
16 chicken embryos used to produce measles and mumps  
17 vaccines, and cells from the kidneys of African Green  
18 Monkeys that are used to produce polio vaccines.

19 Diploid cell strains represent cell  
20 cultures established from normal human lung tissues.  
21 Due to their lack of immortality, these strains should  
22 be passage for a limited number of times in cell  
23 culture. They are non-neoplastic and they are not  
24 tumorigenic.

25 Examples of these cells include the WI38

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cell strain, the MRC5 cell strain, and the FRhL cell  
2 strain. A variety of vaccines are made in these types  
3 of cells which include polio, rubella, hepatitis A,  
4 rabies and the rotavirus vaccine.

5 Continuous cell lines also represent cell  
6 lines to establish some tissue culture from the normal  
7 organs from nonhuman primates or rodents.

8 However, continuous cell lines differ from  
9 human diploid cell strains, and that, during passage  
10 in tissue culture, they become immortal; and therefore  
11 they share characteristics with neoplastic cells.

12 In addition, sometime during prolonged  
13 tissue culture passage, immortal cell lines can become  
14 tumorigenic. That is, the cells from high passage  
15 levels will produce tumors when they're injected into  
16 susceptible animals.

17 The VERO cell line, established from the  
18 normal kidney of an African Green Monkey, is an  
19 example of an immortal, non-tumorigenic, continuous  
20 cell line. However, VERO cells can become tumorigenic  
21 if passage level is greater than 146.

22 Following this, VERO cells will produce  
23 progressively growing tumors when they're injected  
24 into immunodeficient nude mice.

25 Some polio vaccines are made in VERO

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cells. The Chinese hamster ovary cell, or CHO cell,  
2 and baby hamster kidney cell line 21 represent  
3 continuous cell lines that are not only immortal, but  
4 tumorigenic as well.

5 Thus far, such cells have been used as  
6 substrates only to produce highly purified  
7 biologicals.

8 Tumor cell lines represent cell lines  
9 established by growing cells from humans or animal  
10 tumors in tissue culture. The cells in these lines  
11 are immortal and are usually tumorigenic. The only  
12 human tumor cell line currently in use to produce a  
13 biological is a Namalwa cell line.

14 This cell was established from a B cell  
15 lymphoma from a patient with Epstein Barr virus-  
16 induced Burkitt's lymphoma. It's used to produce an  
17 interferon product that's licensed in Europe.

18 Other continuous cells lines that offer  
19 potential as vaccine substrates are normal cells that  
20 are transformed in tissue culture into immortal and  
21 possibly tumorigenic cells. The 293 line of  
22 adenovirus transformed human kidney cells in the COS-1  
23 line of SV40 transformed African Green Monkey kidney  
24 cells are representatives of these types of cells.

25 There are currently no licensed products

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that are made in virus transformed cells.

2 The use of embryonated eggs in cells grown  
3 in tissue cultures to produce vaccines has evolved  
4 slowly over the past 60 years.

5 With the discussions today in their  
6 historical perspective, in the next two slides I  
7 review for you some of the important events in the  
8 development of cell substrates for vaccine production  
9 that have occurred during the past 60 years.

10 In 1933, Drs. Woodruff and Goodpasture  
11 grew the fowlpox virus on the chorioallantoic membrane  
12 of embryonated chicken eggs. Subsequent work found  
13 that the embryonated chicken eggs were susceptible to  
14 a wide variety of viruses.

15 And as I've shown you on the previous  
16 slide, influenza, yellow fever, smallpox vaccines, as  
17 well as the vaccine for horses against  
18 encephalomyelitis virus, were all developed in the  
19 embryonated chicken egg before 1950.

20 The innovations of Woodruff and  
21 Goodpasture were followed 16 years later with a  
22 demonstration by Enders, Weller and Robbins in 1949  
23 that polio viruses would grow and produce virus-  
24 specific changes in human kidney cells growing in  
25 tissue culture.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           This study introduced the first reliable  
2 method to grow viruses in test tubes, set the stage  
3 for the tissue culture era of virology, and provided  
4 a major breakthrough in the growth of viruses for  
5 vaccine production; a breakthrough that I don't need  
6 to remind you that we're still exploiting today.

7           In 1953, the first lots of inactivated  
8 polio vaccine were produced in rhesus monkey kidney  
9 cells.

10           And in 1954, in response to the need to  
11 develop adenovirus vaccines to protect military  
12 recruits in boot camp against acute respiratory  
13 disease, the Armed Forces' Epidemiology Board met and  
14 determined that only normal cells were acceptable as  
15 substrates for viral vaccines, thus establishing the  
16 precedent that we're addressing today.

17           In 1961, Hayflick and Morehouse described  
18 the long term culture of human diploid cell  
19 fibroblasts, showed that such cells were susceptible  
20 to polio virus infection, and initiated the  
21 discussions that human diploid cells might be more  
22 suitable for vaccine production than primary cells  
23 from animal organs.

24           In 1967, the World Health Organization  
25 Committee on Cell Culture accepted human diploid cells

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 as substrates for vaccine production. In this same  
2 year, Van Wezel grew cell lines on microcarriers, a  
3 technique that greatly expands the surface area  
4 available for cell growth in any culture container.

5 In 1977, interferon was produced in  
6 concentrations sufficient to be clinically useful  
7 using cultures of lymphoblastoid cells obtained from  
8 human B cell lymphomas. And in 1978, the possibility  
9 of using continuous cell lines as substrates for  
10 biologicals was introduced at a meeting at Lake  
11 Placid, New York.

12 In 1981, Montagnon, Fanget and Nicolas  
13 produced the polio vaccines using viral cells grown in  
14 microcarrier cultures. In 1987, the World Health  
15 Organization accepted the use of continuous cell lines  
16 for the production of highly purified biologicals and  
17 biotechnology products, including the polio vaccine.

18 And now, in 1998, we're confronted with  
19 new technological advances and the need to pursue the  
20 development of all types of HIV vaccines. This makes  
21 it necessary to consider how to approach the use of  
22 continuous cell lines and tumor cell lines as possible  
23 substrates of viral vaccines.

24 And in the late 1970s and early 1980s,  
25 when confronted not only with the ability to produce

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 therapeutically useful amounts of interferon for  
2 Epstein-Barr virus-induced human lymphoblastoid cells,  
3 but the potential to use hybridoma technology to  
4 produce therapeutically useful quantities of  
5 monoclonal antibodies and the ability to develop a  
6 wide variety of products using recombinant DNA  
7 technology, regulatory agencies were challenged to  
8 weigh the need for these new products with the  
9 possible risks that were associated with the use of  
10 continuous cell lines derived from normal tissues and  
11 cell lines derived from animal tumors to produce these  
12 products.

13 The question was, how to proceed? A  
14 successful approach evolved over a period of about ten  
15 years, and patients are now benefitting from a variety  
16 of products made possible by a combination of these  
17 scientific and regulatory innovations.

18 The innovations in regulatory review that  
19 permitted the clinical use of highly purified  
20 biologicals produced in continuous cell lines was  
21 based on the considerations that I've listed in the  
22 next slide.

23 This approach was based on the following  
24 points. First, those concerns that were specifically  
25 associated with the use of continuous cell lines were

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 identified.

2 These concerns included the possible  
3 presence of adventitious agents and the possibility of  
4 transferring abnormal biological characteristics to  
5 recipients by way of occult viruses, residual cellular  
6 DNA, or residual cellular proteins.

7 Methods were needed -- or they were needed  
8 and developed to address each of these concerns  
9 theoretically and experimentally. These methods were  
10 then applied to develop estimations of the risk posed  
11 by the concerns.

12 And finally, these concerns and methods  
13 were addressed and developed in scientific forums.

14 In considering how to approach the use of  
15 continuous cell lines and cells derived from tumors as  
16 substrates for traditional vaccines, we've reviewed  
17 the approach that was used in managing the regulatory  
18 issues associated with the introduction of continuous  
19 cell lines as substrates for highly purified  
20 biologicals.

21 Following my talk, Dr. Sheets will present  
22 a more comprehensive outline of this approach, and  
23 then Dr. Clouse from the Office of Therapeutics will  
24 review the experience with this approach in evaluating  
25 biotechnology products.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The success with the introduction of the  
2 use of continuous cell lines provided an example of  
3 how to approach the new regulatory challenge of  
4 evaluating the use of neoplastic cells as vaccine  
5 substrates.

6           Using this example, we are considering the  
7 approach I've outlined in the next three slides. The  
8 approach consists basically of five components. We'll  
9 begin with the process similar to that initiated in  
10 1987 to evaluate continuous cell lines.

11           To begin this approach, it's first  
12 necessary to identify the specific issues that need to  
13 be addressed. I'm going to outline these issues that  
14 are related to the use of neoplastic cells in the  
15 slides that follow this one.

16           Once the issues are identified, the next  
17 step is to develop theoretical and experimental  
18 methods to evaluate each issue. Dr. Krause is going  
19 to outline some of these methods in his talk later  
20 this morning.

21           To make the development of methods to  
22 evaluate each issue useful for regulatory purposes,  
23 it's necessary to develop criteria to establish the  
24 possible level of risk associated with each issue. In  
25 addition to developing a plan, it's necessary to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 discuss and develop not only the concepts, the issues,  
2 but all aspects of any approach in public scientific  
3 meetings and workshops.

4 The specific issues that are associated  
5 with the development of tumor cells as vaccine  
6 substrates we've tried to present in the next two  
7 slides.

8 In this table, I've listed the general  
9 issues in the left-hand column, the nature of the  
10 concerns that are generated by these specific issues  
11 in the middle column, and references to data that  
12 generated the concern in the column on the right.

13 In developing a table, we reviewed, as  
14 best we could in the time available, the literature  
15 dealing with possible risk related to neoplastic cells  
16 as vaccine substrates. The table was then constructed  
17 by listing all of those issues that would appear to  
18 have raised concerns.

19 Such a comprehensive list is based on the  
20 idea that it was essential to begin our approach from  
21 as broad a base as possible, as any issue judged to be  
22 irrelevant could be considered and dismissed. This is  
23 the complex slide.

24 And time doesn't permit, and it's not our  
25 purpose today, to present a detailed review of each

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 issue in the scientific documentation of why it's  
2 included in the table. Many of these issues will be  
3 the subject of detailed discussions at future  
4 meetings.

5 Today I'm only going to mention the  
6 general issues and the specific concerns that are  
7 related to them, and very briefly mention why it's  
8 necessary to define some of these concerns.

9 As you can see, the first general issue  
10 includes tumor cell contamination with the possible  
11 induction of tumor allografts. At first glance, this  
12 concern may seem trivial, as it's quite easy to  
13 document the removal of viable cells from vaccines.

14 What is noted in Southam & Gross, human  
15 tumor cells have, on very rare occasions, been grafted  
16 into allogeneic humans. So of course it's going to be  
17 important to demonstrate and document that any vaccine  
18 produced in a neoplastic cell contains no such  
19 neoplastic cells.

20 Adventitious agent contamination with the  
21 possible transfer of known or unknown infectious  
22 agents is a concern for all biologicals and probably  
23 doesn't need any further discussion.

24 The formation of recombinants between  
25 vaccine virions and adventitious viral agents present

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in cell substrates occurred in adenovirus vaccines  
2 that were prepared in rhesus monkey kidney cells in  
3 the 1950s.

4 Before the discovery of SV40 in 1960,  
5 humans were immunized with adenovirus vaccines that  
6 contained adenovirus SV40 hybrid viruses. The rate of  
7 recombination between adenoviruses and SV40 in monkey  
8 cells is not known; nor do we know anything about the  
9 ratio between the hybrid and non-hybrid adenovirions  
10 that were present in any of the vaccine preparations  
11 that were used at that time.

12 As recombination among a variety of  
13 viruses and cells co-infected in tissue culture, it is  
14 not uncommon. This is an issue that certainly will  
15 need further consideration.

16 The possible activation and transfer of  
17 occult or unusual agents as a consequence of vaccine  
18 production in neoplastic cells is a complex topic.  
19 Studies have shown that prolonged passage in tissue  
20 culture can induce the expression of endogenous  
21 viruses.

22 And other studies have shown that viruses,  
23 especially retroviruses, can parasitize the genome of  
24 large DNA viruses such as herpes viruses. The  
25 efficiency with which such events might occur in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 neoplastic cells and in vaccines is unknown.

2 An unusual viroid-like agent has been  
3 associated with transmittal of a lymphoma in Syrian  
4 hamsters. Epidemics of infectious lymphoma have  
5 occurred in several different hamster colonies.  
6 Whether such agents are present in humans is unknown.

7 Continuing down the list, we have  
8 contamination with cellular DNA and the possible  
9 transfer with this DNA of oncogenic or infectious  
10 genetic information in the form of activated  
11 oncogenes, integrated viral oncogenes, or viral  
12 genomes, as well as proviruses.

13 Dr. Krause will have more today about  
14 evaluating this concern in his talk later this  
15 morning.

16 Now, based on recent developments in the  
17 fields of transmissible spongiform encephalopathies,  
18 when considering the presence of residual cellular  
19 materials, the possible transfer of residual cellular  
20 proteins containing prions must be considered.

21 In addition to these issues, the  
22 possibility that vaccine virions will package cellular  
23 nucleic acids or rescue integrated viral genomes or  
24 pseudotype endogenous retroviruses with an efficiency  
25 that could lead to the transfer of biologically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 relevant quantities of oncogenic genetic information  
2 needs to be considered as well.

3 The possibility that the instability of  
4 the genome of the neoplastic cells growing  
5 continuously for long periods of time in tissue  
6 culture might enhance any of these events must also be  
7 weighed.

8 Now, in assessing how to address the  
9 variety and complexity of the issues that need to be  
10 considered in managing proposals to use neoplastic  
11 cells as vaccine substrates, the most important  
12 consideration, of course, is how to develop methods to  
13 measure risks and use those measurements to evaluate  
14 product safety.

15 Our approach to the safety issue is to  
16 look at the possibility of assessing the level of risk  
17 posed by each concern quantitatively, establishing the  
18 chance of occurrence or the probability of a worst  
19 case scenario for each, and using such data to weigh  
20 the relative risk of any product produced in  
21 neoplastic cells.

22 The approach we are considering is  
23 presented in the next slide.

24 To simplify the discussion, we're  
25 designating this a defined risks approach. What we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE. N.W.  
WASHINGTON, D.C. 20005-3701

1 proposing is that a defined risk approach could  
2 accomplish the goal of providing a quantitative  
3 estimate of the level of risk posed by each concern,  
4 as well as a means of quantifying the overall, or  
5 cumulative, level of risk posed by the product itself.

6 Establishing both concern associated risk  
7 levels and cumulative risk levels will then provide  
8 methods to better judge the suitability of any product  
9 for the public health situation it's designed to  
10 alleviate.

11 And again, in his talk later this morning,  
12 Dr. Krause is going to address this aspect of our  
13 approach in more detail.

14 Now the manner in which we plan -- or we  
15 would hope to implement such an approach to evaluating  
16 regulatory issues associated with proposals to use  
17 neoplastic cells as substrates for vaccines is  
18 presented in the last slide.

19 To implement this plan, we would hope to  
20 review the problem and develop a working document that  
21 outlines the issues and concerns and how they may be  
22 addressed. We would hope to hold workshops on the  
23 issues and possibly have the document be the subject  
24 of one or more discussions at those workshops or of a  
25 workshop independently devised to consider the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 document itself.

2 The document would then be revised based  
3 on the outcomes of these discussions, and it would be  
4 presented to the Committee, this Committee, for review  
5 and comment. Following those discussions, we would  
6 hope that CBER could develop some more formal policy  
7 on the use of tumor cell lines as vaccine substrates.

8 This is the end of what I have to say.  
9 I'd be happy to try to address any questions you might  
10 have before I turn the podium over to Dr. Sheets.

11 CHAIRPERSON FERRIERI: Thank you, Dr.  
12 Lewis.

13 I guess I would caution the panel that,  
14 because it is an open session, that there may be some  
15 questions Dr. Lewis will be unable to answer if  
16 they're too specific and deal with proprietary issues.

17 Dr. Greenberg.

18 DR. GREENBERG: Andy, thank you for a very  
19 organized look at this.

20 When you're defining risk, it seems to me  
21 that perhaps you want to define it compared to a  
22 standard. And wouldn't your standard be your diploid  
23 cells and risk would be the increased risk above and  
24 beyond what we have already determined to be  
25 acceptable?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LEWIS: Dr. Greenberg, the definition  
2 of what is an acceptable and an unacceptable risk, I  
3 think, is an issue that will create considerable  
4 discussion. At this point in time, I think that we're  
5 not at a stage where we can answer that question with  
6 any confidence.

7 And I think that risk is going to be a  
8 shifting target. It's going to depend on the nature  
9 of the product, the nature of the problem the product  
10 is designed to alleviate, and the complexities of the  
11 individual concern that that risk is attempting to  
12 measure.

13 I think the concept at this point in time  
14 is that we would hope to be able to use experimental  
15 data to define what has been -- what the risk might be  
16 and how it has been altered as a result of the  
17 manufacturing process, or at least addressed, and then  
18 be able to use that to estimate what the risk factor  
19 might be.

20 Beyond that, I think it's very hard to get  
21 a handle on it without further consideration. So I  
22 don't really think I can answer your question  
23 satisfactorily is what I'm trying to say.

24 CHAIRPERSON FERRIERI: Dr. Adimora.

25 DR. ADIMORA: People have a variety of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 concerns about vaccines, people being the general  
2 public. To my knowledge, the cell substrates in which  
3 the vaccines are grown has not been one of their  
4 concerns, one of their major concerns, to date.

5 But I was -- but it conceivably could be  
6 in the future, particularly if continuous -- if other  
7 continuous cell lines are used or tumorigenic cell  
8 lines are used more frequently for vaccines.

9 And I was wondering what you thought about  
10 that, about the potential for the public's concern,  
11 and if there were going to be any ways to address any  
12 potential concerns?

13 DR. LEWIS: I think that, in contrast to  
14 what you say, there has been tremendous concern over  
15 the history of cell substrates both in the public and  
16 in the scientific community about the introduction of  
17 new -- of the use of any substrate and the  
18 introduction of new substrates.

19 There was a tremendous concern associated  
20 with the polio vaccine that was developed in rhesus  
21 monkey kidney cells associated with SV40, the SV40  
22 infection. Two years ago we were one of the sponsors  
23 of a meeting that were dealing with the follow up to  
24 those concerns.

25 Those concerns continue today. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 introduction of the diploid cell strain was  
2 accompanied by a ten year -- a more than ten year  
3 debate on the safety of using human cells as a  
4 substrate for vaccines.

5 So there's -- anytime a new substrate has  
6 been proposed, it's a major alteration in our thinking  
7 and requires -- and has generated considerable  
8 concern. Now, sometimes this concern has reached the  
9 public through the media; sometimes it has not.

10 DR. ADIMORA: Well, see, that's what I was  
11 talking about. I'm aware that there's been tremendous  
12 concern on the part of the scientific community, but  
13 maybe I've missed -- I guess I must have missed it,  
14 but I hadn't been aware of tremendous amounts of  
15 concern in the general media on the part of the  
16 general public, and there conceivably could be much  
17 more than there already has been.

18 That's what I was referring to. I'm aware  
19 of the scientific community.

20 DR. LEWIS: Well, I think there has been  
21 in the past. Now, what happens in the future, we'll  
22 have to see.

23 CHAIRPERSON FERRIERI: Other questions?

24 Dr. Snider.

25 DR. SNIDER: Dixie Snider.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Andy, thanks a lot for that very nice  
2 presentation.

3           It seems to me that there may be some  
4 assumptions that are being made in taking this  
5 approach, and it would be useful to make them  
6 explicit. Because if we're talking about a new cell  
7 substrate, the issue comes up is how do you decide on  
8 whether to use a new substrate rather than an old one.

9           And there, it's not only just the ability  
10 of your virus, let's say, to grow in that substrate,  
11 but how efficiently it does that, and so you get  
12 involved in some cost.

13           And I'm just wondering how the model takes  
14 those things into account, because most of the things  
15 you talked about really focus on we have already made  
16 a decision to assess a new substrate, and it seems to  
17 me it skips over a couple of questions.

18           DR. LEWIS: I don't think that I meant to  
19 give the impression that any of this is cast in stone.  
20 What we're trying to do is develop an approach for  
21 purposes of discussion that can be used as a target to  
22 shoot at.

23           I don't think there have been any concrete  
24 decisions to implement anything that I've said at this  
25 point in time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. SNIDER: Okay, well, I mean, I guess  
2 my suggestion then would be that somehow in the model,  
3 as it moves along, some criteria be established on  
4 when it would be appropriate to even begin to talk  
5 about a new cell substrate as opposed to an older one.

6 What would be reasons to move to a new  
7 substrate as opposed to one that's already been  
8 extensively used for which we have, presumably, fairly  
9 extensive safety data?

10 DR. LEWIS: I think we have to deal with  
11 the requests that are made to the organization for a  
12 regulatory consideration. And so I think that our  
13 response to that -- what we have to do is respond to  
14 those requests.

15 And we're trying to develop some sort of  
16 systematic approach to think about how to respond to  
17 those requests.

18 The substrate that's used by the  
19 manufacturer will, of course, depend on those two  
20 things that you said. First of all, it has to -- the  
21 virus has to replicate and has to be of sufficient  
22 quantity to make the product useful.

23 And the safety issues would then have to  
24 be considered based on the nature of that material.  
25 We would hope that they would select materials that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would be more amenable or pose less of a risk, but  
2 that may not always be the case.

3 But we can't -- we have no way of  
4 advising, I think, against what they do at this point  
5 in time.

6 Dr. Hardegee or Dr. Eagan, would you all  
7 like to comment on that aspect of the selection  
8 process?

9 DR. HARDEGREE: I think some of this  
10 discussion may best follow some of the points that Dr.  
11 Sheets may want to make. But I think it's fair to say  
12 that we are facing these issues now.

13 I mean, we would -- Andy has posed the  
14 development of some papers and some applications  
15 through workshops, but we are being faced with new  
16 cell substrate usage everyday with different products.

17 And we are obviously in -- not at the  
18 point of considering licensure of those products, but  
19 some small Phase I studies may be underway with some  
20 of the cell substrates that are being talked about,  
21 and are certainly being talked about globally.

22 These are not just issues related to the  
23 U.S. The WHO is also facing this issue and wanting to  
24 continue this discussion through workshops as well.  
25 And I think that this is the purpose of getting this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 out today, is to try to get some of the points that  
2 you believe that we need to address on the table.

3 CHAIRPERSON FERRIERI: Thank you.

4 DR. SNIDER: I don't want to belabor this  
5 too much, but it does seem to me that, although FDA  
6 can't tell the manufacturers what to do, and  
7 shouldn't, that some discussion and perhaps some  
8 guidance might evolve from the meetings you're talking  
9 about with regard to some of the trade offs.

10 Because, after all, if we're talking about  
11 a cell line that has an extensive safety record and  
12 only a slightly higher yield, let's say, on the new  
13 substrate and so forth, then one might be inclined to  
14 go -- to encourage the use of the more traditional  
15 substrate, is the only point I was getting at on that.

16 The other point I wanted to make is I  
17 think, in thinking broadly about this, this is not to  
18 downplay any of the things that you're talking about  
19 here, but I think inevitably these issues -- some  
20 issues are going to emerge after the fact.

21 Some new scientific data is going to  
22 become available or some claim is going to be made.  
23 And so the post marketing surveillance aspect of this  
24 I bring up again as something that we really have to  
25 pay attention to and try to ensure that we have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 adequate resources for.

2 CHAIRPERSON FERRIERI: Much of this will  
3 be quite appropriate as we go into the big discussion  
4 after the presentation. So we have time for two other  
5 questions. Two people already had up -- had their  
6 hands up. Dr. Kohl and then Dr. Daum.

7 Steve.

8 DR. KOHL: Steve Kohl.

9 Thank you again, Dr. Lewis, for your  
10 presentation.

11 It strikes me that many of the issues  
12 you've raised in your two issue tables don't  
13 necessarily strictly apply to tumorigenic lines. I  
14 mean, these are concerns that, if anything, are maybe  
15 even heightened in whole animal use, in primary cell  
16 lines.

17 And I think it's very important that this  
18 not be seen as a giant leap into a great unknown area,  
19 but as our improved understanding of risks that we've  
20 actually been dealing with for years and years  
21 sometimes without knowing it.

22 DR. LEWIS: I'd say absolutely.

23 CHAIRPERSON FERRIERI: Dr. Daum.

24 DR. DAUM: I think that we've clearly been  
25 focusing -- well, we have been focusing almost

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 exclusively on this issue of risk. And I think that  
2 the questions that people are looking for feedback on  
3 are both scientific and, ultimately, as someone raised  
4 over here, social issues as well.

5 But I think there's more than risk to deal  
6 with here because there's also an issue of benefit.  
7 And I think that there's a variety of very real  
8 concerns that you pointed out so nicely in your  
9 presentation, and then comes width between the lines  
10 on many of your slides a number of theoretical  
11 concerns.

12 There's probably lots of things about  
13 these processes and these new ideas about how to make  
14 products and vaccines that we don't know much about.  
15 And I would submit that it's impossible to know, a  
16 priori, where all those potential problems are.

17 And the corollary of that, or the  
18 inference from that, is it's impossible to really  
19 accurately gauge what the risk is. And I'd like to at  
20 least introduce the idea that, while it's tempting to  
21 say let's not take any risk and come to the idea of  
22 let's not use neoplastic cells for anything, I think  
23 we also need to keep an eye on the potential benefit.

24 And the thinking about risk and benefit  
25 will certainly evolve as ideas -- we allow the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 scientific and social communities to go forward with  
2 thinking about this.

3 To just conclude with one example that I  
4 think was really helpful, at least for me to think  
5 about, is when there were 30 or 40 or 50,000 children  
6 in a year being paralyzed each year by polio, I think  
7 people would have been willing to take some risks, if  
8 you will, with development of a vaccine quickly and  
9 get it on the market.

10 And, in a sense, that's what happened.  
11 The trade off is that there's a few cases of vaccine-  
12 associated paralytic polio. Would someone 40 years  
13 ago have been willing to trade the 50,000 for six or  
14 eight? Of course they would.

15 And then the thinking evolves to another  
16 point where the 50,000 are gone, and now we say it's  
17 socially unacceptable to have these six or eight. We  
18 have a new problem that we want to deal with together.  
19 So I think the thinking about what is risk and what is  
20 benefit is going to evolve with each idea that you  
21 introduce.

22 And it's important, I think, that we look  
23 at the benefit as well as considering the risk at the  
24 same time.

25 CHAIRPERSON FERRIERI: One last question.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dr. Wolfe, please.

2 DR. WOLFE: When I was asked to come to  
3 this meeting, I was told that it has arisen because of  
4 some pending applications, the details of which  
5 there's no need to discuss this morning. But I'd just  
6 like -- at the risk of continuing the belaboring that  
7 Dr. Snider mentioned, I agree with the point he's  
8 making.

9 A very thoughtful process has just been  
10 laid out involving public discussion, a draft of some  
11 proposals, revising it and everything. And what I'm  
12 concerned about is that the thoroughness and careful  
13 consideration of this process may get affected by the  
14 need to respond to these pending applications.

15 As you all know, in parts of the FDA there  
16 are these prescription drug user fee set deadlines.  
17 Tomorrow is a deadline for some product that's been  
18 submitted for treating diabetes, for example, and they  
19 have to respond.

20 And my own knowledge is much more in the  
21 area of prescription drugs than biologics, and the  
22 question I have really is, is the thoughtful process  
23 you're talking about, which I think needs to be gone  
24 though -- in addition to the point that Dr. Snider  
25 made is, what is on the benefit side, the point you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 just raised -- exactly what is the benefit to be  
2 derived from leaping off of this 40-some year old, 50-  
3 some year old dictum about we shouldn't be using human  
4 tumor cell lines?

5 Is this process going to be artificially  
6 speeded up and made less thorough because of these  
7 pending applications or not? And this is a question  
8 really for the regulators, and maybe it's going to be  
9 discussed later. But I'm very worried about that  
10 because I think that -- with or without these specific  
11 applications having been filed, it's a matter worth  
12 discussing and worth discussing very carefully.

13 And it may take a long time both to answer  
14 the question is there clear evidence that we are  
15 willing to take some measured risk because of the  
16 clear evidence of the benefit, and not just an  
17 economic benefit that you can produce these things  
18 faster and cheaper, which is a benefit, to be sure,  
19 but other kinds of benefits.

20 I'm just worried about the whole time  
21 frame of this because it is propelled now by these  
22 pending applications.

23 DR. LEWIS: I think the only way that I  
24 can respond to that, based on what I reviewed, is that  
25 every time these discussions are introduced about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 changing substrates, there is a substantial debate.

2 The introduction of the diploid cell, that  
3 took ten years. It took ten years to get continuous  
4 cell lines together. And I think it's very unlikely  
5 that anything precipitous would happen here under any  
6 circumstance.

7 The regulatory process certainly has its  
8 deadlines, and they have to be addressed, but safety  
9 is the issue here. And the regulatory process, at  
10 least from my perspective, has to be convinced that  
11 safety is being met, and I think that would be the  
12 overriding concern.

13 CHAIRPERSON FERRIERI: I'd like to see --

14 DR. LEWIS: So I think it could be  
15 precipitous, but it's unlikely.

16 CHAIRPERSON FERRIERI: Dr. Hardegree, did  
17 you want to say something?

18 DR. HARDEGREE: I think it's important  
19 that we're talking about time lines that differ from  
20 -- when we have to deal with IND processes, we have to  
21 think about the time lines that we need and whether or  
22 not materials can go forward, whether they go on hold  
23 because of safety issues, whether we have an adequate  
24 information base for that.

25 For the license application, we have user

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 fee deadlines as well that are dealing with the review  
2 process. And I have to emphasize that it is an  
3 obligation to review and not approve. So that we have  
4 to be certain that the products that we are looking at  
5 are safe and effective based on the material that has  
6 been submitted.

7 And if we need to do additional cycles of  
8 review after we do our initial reviews within the time  
9 lines, then that's what we have to do.

10 CHAIRPERSON FERRIERI: I think we can  
11 amplify some of these issues when we go into the  
12 longer discussion period.

13 Thank you, Dr. Lewis.

14 We'll move on to the presentation by Dr.  
15 Becky Sheets from FDA on evolving cell substrate  
16 issues as they pertain to investigational vaccines.  
17 And she's going to use a high tech presentation here,  
18 I see.

19 DR. SHEETS: Good morning.

20 I think that a lot of the questions that  
21 were brought up just now in the discussion I'm going  
22 to be addressing in my talk, so hopefully you'll find  
23 answers. If not, I'm sure we can have further  
24 discussion.

25 Good morning. I'm Rebecca Sheets, and I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 work in the Viral Vaccines Branch of the Applications  
2 Division in the Office of Vaccines at CBER.

3 Today I will be presenting to you the  
4 safety and regulatory issues concerning cell  
5 substrates for the production of viral vaccines, and  
6 providing you with an example of how CBER has begun to  
7 address these issues for novel substrates proposed by  
8 manufacturers.

9 CHAIRPERSON FERRIERI: Excuse me, Dr.  
10 Sheets. We need to have the slides moved up some.

11 Is there someone here who can adjust the  
12 machinery?

13 DR. SHEETS: I'm not sure -- we've already  
14 put it on --

15 CHAIRPERSON FERRIERI: That's as high as  
16 it can go?

17 DR. SHEETS: -- the Tower of Babel.

18 CHAIRPERSON FERRIERI: Can the audience  
19 see the slides?

20 PARTICIPANT: Sixty percent.

21 CHAIRPERSON FERRIERI: Well,  
22 simplistically, if you just add something underneath  
23 it, it will raise the slides.

24 DR. SHEETS: Is that a little bit better?

25 CHAIRPERSON FERRIERI: Not really; not for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 everyone in the room. It has to be higher. Let's  
2 move that back a little and it's -- it's getting  
3 better. You're working in the right direction.

4 This is the best use of Holiday Inn  
5 crockery that I've ever seen.

6 (Laughter.)

7 How does the audience feel about this now?

8 Great.

9 PARTICIPANT: It looks relatively safe.

10 DR. SHEETS: That's important. I don't  
11 want to lose this important Government property here.

12 Okay, I'll continue. Next slide, please.

13 No, back one. Page up. Thank you.

14 The authority that CBER has for regulation  
15 of investigational vaccines is provided according to  
16 Title XXI of the Code of Federal Regulations in Part  
17 312. This regulation grants CBER the authority to  
18 ensure product safety before investigations can be  
19 conducted in humans.

20 CBER does not have the authority to  
21 dictate to sponsors what product they should  
22 manufacture or in which cell substrate they should  
23 manufacture it. And CBER must provide guidance to  
24 sponsors on how to demonstrate safety.

25 It is also important to understand that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           CBER licenses products for intended uses or  
2           indications. CBER does not license cell substrates  
3           per se; nor, for that matter, adjuvants per se.

4                        These are components, or raw materials,  
5           used in the manufacture of products. It is the final  
6           product that has been demonstrated to meet an intended  
7           use which is licensed by FDA, and each product is  
8           reviewed on a case by case basis.

9                        Next slide.

10                      Guidance for industry is currently  
11           available for characterization of cell lines used to  
12           produce biological products. In addition to the 1993  
13           so-called "Points To Consider" document published by  
14           CBER, some viral vaccines, specifically those not made  
15           in primary cells, are covered by guidance promulgated  
16           in 1997 by the International Conference on  
17           Harmonization, or ICH, in the Q5D document.

18                      Neither of these documents specifically  
19           address the use of transformed or tumor derived cell  
20           lines. Rather, they address the use of diploid cell  
21           strains and continuous cell lines. I'll describe the  
22           differences between these substrates shortly.

23                      Next slide.

24                      But first, what is meant by  
25           characterization of cell lines? Each manufacturer

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 must characterize the cell substrates banked and used  
2 in production in their own facilities. This includes  
3 a description of the history of the isolation and  
4 banking of the cell substrate.

5 The isolation may have been performed  
6 prior to the manufacturer's receipt of the substrate.  
7 Also, they should provide the description of how they  
8 prepared cell banks. They should describe the growth  
9 characteristics of the cells, and they should test the  
10 cells to determine the karyology and tumorigenicity.

11 Importantly, they must assess their cell  
12 banks for freedom from adventitious agents. I'll  
13 describe this further.

14 Next slide.

15 Tests to be performed to characterize cell  
16 banks include the determination of the karyology of  
17 the cells. Furthermore, tumorigenicity is assessed by  
18 injecting cells into immunosuppressed rodents to  
19 monitor tumor formation.

20 Colony formation in soft agar can also be  
21 assessed. These tests apply to cells which are not of  
22 rodent origin, as all continuous cell lines of such  
23 origin are tumorigenic.

24 Next slide.

25 Adventitious agent tests include those for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 bacterial and fungal sterility; cultivatable and non-  
2 cultivatable mycoplasma; and for insect cells,  
3 spiroplasma. And when appropriate, mycobacteria can  
4 be detected in guinea pigs or culture.

5 Finally, viruses can be detected by in  
6 vitro and in vivo tests, specifically viruses such as  
7 those which cause acute or lytic infections, and those  
8 which cause latent infections like retroviruses and  
9 other oncogenic viruses.

10 Some of these adventitious agents are  
11 readily amenable to detection, such as bacterial and  
12 fungal sterility. Some are more difficult to detect,  
13 but well established methods for detection are  
14 available, such as mycoplasma or acute viruses.

15 And some are problematic to detect by  
16 currently validated detection assays.

17 Next slide.

18 Tests for adventitious viruses include  
19 those performed in vitro. Monolayer cultures of at  
20 least three cell types are used, including cells of  
21 the same species and tissue type as the cell substrate  
22 being tested, human diploid cells and monkey kidney  
23 cells.

24 These cultures are assessed for  
25 hemadsorption and hemagglutination at the end of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 culture period. Also, if the growth medium or other  
2 components to which the substrate is exposed are  
3 animal derived, then tests should performed on the raw  
4 materials according to Title IX of the CFR, Part 113.

5 Furthermore, bovine derived products  
6 should be certified to have been obtained from herds  
7 free from bovine spongiform encephalopathy.

8 Next slide.

9 Tests for adventitious agents also include  
10 those performed in vivo in adult and suckling mice; in  
11 embryonated hens eggs; and, when appropriate, in  
12 guinea pigs, rabbits and/or monkeys.

13 Next slide.

14 Additional tests may be required. For  
15 rodent substrates, antibody production tests in mice,  
16 rats or hamsters (referred to as MAP, RAP or HAP  
17 tests) are performed. Also, an infectivity test to  
18 detect lymphocytic choriomeningitis virus, or LCM,  
19 should be performed.

20 For human substrates, it may be  
21 appropriate to screen for Epstein Barr virus,  
22 cytomegalovirus, or hepatitis B or C viruses by in  
23 vitro techniques such as PCR. The appropriateness of  
24 these tests is supposed to be considered on the basis  
25 of the tissue source and donor medical history.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Next slide.

2 Also, when appropriate, tests for  
3 oncogenic or latent viruses should be considered to  
4 detect papilloma viruses, adenoviruses and herpes  
5 viruses.

6 Finally, and importantly, tests for  
7 retroviruses must be performed. These tests include  
8 transmission electron microscopy, assays to detect  
9 reverse transcriptase activity, and infectivity  
10 assays.

11 Next slide, please.

12 When CBER reviews an IND for a viral  
13 vaccine, we make safety evaluations based on several  
14 considerations. We consider the intended use. Is the  
15 product intended for prophylaxis or therapy? What is  
16 the target population?

17 For example, is the sponsor intending to  
18 use the product in healthy infants? What is the route  
19 of administration of the product? Is it to be given  
20 parenterally or mucosally (for example, intranasally  
21 or orally)?

22 How many doses will be given cumulatively?  
23 And what is the severity of the disease being  
24 targeted? Is the medical need currently unmet?

25 And finally, we consider safety on the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 basis of whether the substrate is intended for  
2 production of the final product, or was used in the  
3 history of product development (for example, in the  
4 isolation or passage of viral seeds).

5 Next slide, please.

6 We also consider safety of the product on  
7 the basis of the extent of purification, or viral  
8 clearance, during production. Many viral vaccines are  
9 simply culture supernatant harvested from the  
10 production cells and sterile filtered.

11 Some products, however, undergo  
12 significant purification procedures.

13 Furthermore, we consider the extent of  
14 inactivation of the product. Live viral vaccines  
15 undergo no inactivation because the vaccine virus  
16 itself would be inactivated and thus rendered  
17 ineffective.

18 In contrast, inactivated vaccines undergo  
19 significant inactivation procedures which can be  
20 validated to destroy infectivity of both the vaccine  
21 virus and many potential adventitious viruses.

22 Next slide.

23 CBER takes into account the whole history  
24 of potential exposures of the final product to animal  
25 derived substances when considering product safety.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Safety is considered on the basis of the substrate  
2 used for production.

3 What is the source species, and what  
4 contaminants could have come from that species? And  
5 what exposures would have occurred during production?  
6 For example, could human operators expose the cell  
7 substrate to human viruses, and is the substrate  
8 susceptible for replication of those viruses?

9 We consider the raw materials used in  
10 production such as any antisera used for selection or  
11 purification, and medium components used in cell  
12 cultures such as serum or trypsin.

13 Are these raw materials animal derived,  
14 and what are the source species? What contaminants  
15 could have come from those species? And we consider  
16 the passage history of the viral seeds, including the  
17 cell substrates in which they were isolated and  
18 passaged, and the raw materials to which they were  
19 exposed during isolation.

20 The viral seeds may be isolated in cells  
21 different from those used in production, and they may  
22 have been passaged through several different species  
23 cells for the purpose of attenuation.

24 We consider all of these exposures in  
25 product safety considerations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Next slide, please.

2 The types of cell substrates, which are  
3 the focus of today's discussion, include continuous  
4 cell lines, which are cells which have survived  
5 extended culture passages and do not senesce. Thus,  
6 they're considered to be immortalized.

7 Such cell lines can be banked, unlike  
8 primary cells, and thus can be characterized.  
9 Proposals have also been made to use transformed cell  
10 lines such as 293 cells which are derived from a human  
11 embryonic kidney, but then transfected with adenovirus  
12 Type 5 sequences which transform them to become  
13 tumorigenic.

14 Tumor derived cells have also been  
15 proposed either for production or for isolation of  
16 viral seeds. Examples include HuT78 cells derived  
17 from a human T cell lymphoma, HeLa cells derived from  
18 a human cervical carcinoma, and C127I cells derived  
19 from a mouse mammary tumor.

20 What are the characteristics of continuous  
21 cells?

22 Next slide.

23 They're generally constituted of a  
24 heterogeneous or nonclonal mixture of cells which have  
25 a selective survival potential. They have accumulated

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 mutations during extended culture which have given  
2 them this selective survival capability.

3           Consequently, they're generally aneuploid.  
4 In other words, they no longer maintain a diploid  
5 karyology. They are immortalized in that they  
6 apparently grow forever without senescing.

7           And importantly, for this discussion, they  
8 can be tumorigenic or they can remain non-tumorigenic.  
9 This characteristic can depend on the passage level  
10 and the number, location and types of mutations.

11           For example, VERO cells are generally not  
12 tumorigenic at the passage levels used for vaccine  
13 production, although they can develop this capability  
14 at higher passage levels. Additionally, because these  
15 lines are constituted of heterogeneous mixtures,  
16 different banks of the same substrate can have  
17 different characteristics.

18           For example, at the same approximate  
19 passage levels, some banks may be non-tumorigenic,  
20 whereas other banks may be capable of forming tumors  
21 in animals. Thus, it is critical that cell banks be  
22 assessed on a case by case basis by each manufacturer.

23           Next slide.

24           Transformed cell lines are those which  
25 have been treated by viral infection or transfection

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of oncogenes or viral genes, or by chemical methods  
2 which result in alteration of their genotype and  
3 phenotype.

4 Transformed cell lines can be tumorigenic  
5 even though they're not necessarily derived from a  
6 tumor. 293 cells were derived from normal tissue, but  
7 were transformed with sequences from an oncogenic  
8 virus.

9 Importantly, transformed cells are  
10 generally transformed by a known event such as  
11 infection with an oncogenic virus or treatment with  
12 chemicals that result in specific kinds of mutations.  
13 The mutations in these cells can potentially be  
14 characterized.

15 Next slide, please.

16 In contrast, tumor derived cells are  
17 directly isolated from tumors of human or animal  
18 origin. Generally, the events causing the tumor to  
19 form are unknown or incompletely known. Tumor derived  
20 cells are generally aneuploid, or non-diploid.

21 These too can be heterogeneous. For  
22 example, clones can be isolated from tumor derived  
23 cell lines which have lost the tumorigenic phenotype.  
24 So simply because a cell line is tumor derived does  
25 not mean that it is tumorigenic.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           These characteristics do not equate.  
2           Again, tumorigenicity must be assessed on a case by  
3           case basis.

4           Next slide, please.

5           The cell substrates proposed to be used to  
6           produce investigational vaccines will be shown in the  
7           next few slides.     Investigational vaccines are  
8           proposed to be made in the same cell substrates as  
9           currently licensed products, as well as novel  
10          substrates or novel uses of currently used substrates.

11          The Committee has received a table listing  
12          the substrates used to manufacture vaccines currently  
13          licensed in the U.S., to which you may wish to refer.

14          Please keep in mind that the examples I  
15          will give may be either from active investigational  
16          new drug applications, or INDs, or they may be from  
17          products of which we are aware that are in the pre-IND  
18          or preclinical stage of development.

19          In other words, they have not gone into  
20          humans yet.

21          I've not listed the disease indications  
22          nor manufacturers for these examples because that is  
23          proprietary information which cannot be discussed in  
24          an open forum such as this.

25          However, I will attempt to identify the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 uses and will indicate the type of vaccine, be it  
2 recombinant, live, attenuated or inactivated; and the  
3 proposed use, whether it's therapeutic or  
4 prophylactic.

5 Next slide, please.

6 Simple substrates or unicellular organisms  
7 such as E-coli, salmonella typhae or BCG are proposed  
8 for manufacture of recombinant vaccines either as  
9 substrates for prophylactic and therapeutic vaccine  
10 candidates or as live vectors for prophylactic vaccine  
11 candidates.

12 Insect cell lines are also proposed for  
13 use to manufacture recombinant vaccine candidates for  
14 prophylaxis. Primary cells are proposed for  
15 manufacture of live attenuated vaccine candidates,  
16 live vectors, or recombinant subunit vaccine  
17 candidates for prophylaxis and therapy.

18 Diploid cells are proposed for manufacture  
19 of live attenuated prophylactic vaccines, often for  
20 infant use.

21 I realize that I didn't say -- when I'm  
22 referring to therapeutic indications, these are  
23 therapeutic vaccines.

24 Next slide.

25 A number of continuous cells are proposed

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for use. CHO cells and BHK-21 cells are used for  
2 approved therapeutic biologicals either approved in  
3 the U.S. or abroad, and are proposed for manufacture  
4 of recombinant subunit or recombinant live vectored  
5 vaccines for prophylaxis and therapy.

6 Madin-Darby canine kidney cells are  
7 proposed to manufacture inactivated vaccines for  
8 prophylaxis.

9 Next slide.

10 Importantly, among the proposed continuous  
11 cell substrates for investigational vaccine candidates  
12 are VERO cells. Although there is only one U.S.  
13 licensed vaccine made in VERO cells, and it is an  
14 inactivated vaccine, sponsors perceive VERO cells as  
15 a preferred substrate.

16 They have proposed use of VERO for live  
17 attenuated vaccines, both conventional and  
18 recombinant, for prophylaxis often in healthy infants.  
19 VERO cells have also been proposed for the manufacture  
20 of live vectors for prophylaxis and therapy and  
21 inactivated recombinant vaccine candidates for  
22 prophylaxis.

23 The use of VERO cells for live viral  
24 vaccines has a large regulatory impact in terms of the  
25 number of potential products affected. This is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 novel use of a substrate that's used for currently  
2 approved vaccine.

3 Next slide.

4 Other proposed substrates for  
5 investigational vaccine candidates include virus  
6 transformed cell lines to produce recombinant live  
7 vectors for prophylaxis and tumor derived cell lines  
8 for inactivated, live, attenuated and highly purified  
9 recombinant subunits for prophylaxis and therapy.

10 Next slide.

11 Finally, tumor derived lines are proposed  
12 for isolation of recombinant viral seeds for live  
13 attenuated prophylactic vaccine candidates.

14 This example is important because HeLa  
15 cells, which are believed to have been -- to have  
16 contaminated in overgrown, early cultures of Hep2  
17 cells, are known to contain human papilloma virus Type  
18 16 sequences, the probable source of tumor formation.

19 However, these cells are not proximal to  
20 the product. They are proposed for isolation of the  
21 viral seed, which will then be propagated in a  
22 continuous cell line for production.

23 Next slide.

24 Why would a sponsor propose to grow  
25 viruses in novel substrates such as continuous,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transformed or tumor derived cell lines? Such cell  
2 lines have a growth advantage almost by definition.  
3 They survive better in culture than primary or diploid  
4 cells.

5 They can be more readily adapted for  
6 growth in large scale bioreactors or fermenters, or in  
7 serum-free medium. This facilitates commercial scale  
8 production. And use of serum-free medium helps  
9 eliminate a potential source of adventitious agents.

10 In fact, in some cases, including the  
11 example I will soon give, it may be the only feasible  
12 way to achieve commercial scale production. And cell  
13 lines can be engineered to produce novel products that  
14 might be infeasible otherwise.

15 Also, one should consider that it is  
16 possible for such substrates to be characterized,  
17 unlike primary cells. Thus, it is feasible that a  
18 safer product might result.

19 Next slide.

20 Additionally, often viruses can replicate  
21 to significant higher titers in such substrates  
22 resulting in higher yield process. This allows  
23 commercial scale production and may result in a  
24 cheaper product.

25 In some examples, viruses cannot replicate

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 at all in other substrates. Continuous, transformed  
2 or tumor derived cell lines may be the only sensitive  
3 substrate for particular viruses. In part, this may  
4 be because the substrate provides necessary genes to  
5 support viral replication.

6 An example of this is the adenovirus  
7 vectors being studied for gene therapy. Such  
8 adenovirus recombinants are defective for replication,  
9 so they cannot be grown in WI38 cells as are the  
10 licensed adenovirus vaccines.

11 But they do replicate in 293 cells which  
12 provide the missing adenovirus genes.

13 Next slide.

14 So why are we concerned about cell  
15 substrates? Well, because they are a source of  
16 contamination of the products manufactured in them.  
17 They can be the source of adventitious agents, the  
18 source of tumorigenic potential, and the source of  
19 residual cellular DNA which can have both infectivity  
20 or tumorigenic potential.

21 Dr. Krause will talk later about this a  
22 little more.

23 Next slide.

24 Adventitious agents are a concern for any  
25 cell substrate. Earlier I described the types of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 agents to be screened and the tests for these.  
2 However, some substrates present novel concerns as  
3 they may contain express viruses such as exogenous  
4 oncogenic viruses or recombinant viral elements.

5 Or, the cellular DNA from the substrate  
6 may be the contaminant. This DNA may contain  
7 proviruses or viral genomes which could then transmit  
8 infectivity.

9 Next slide.

10 Oncogenicity, or potential to cause tumors  
11 in recipients, is a major concern for considering use  
12 of those cells that have tumorigenic potential.

13 But what are the potential mechanisms for  
14 transmitting tumorigenic potential from cell  
15 substrates to final products, the viral vaccine?

16 As you heard earlier from Dr. Lewis, these  
17 include the cells themselves, cellular proteins  
18 including oncoproteins and growth factors,  
19 adventitious oncogenic viruses, and cellular DNA.  
20 I'll go into each of these in more detail.

21 Next slide.

22 Cells which have been assessed to be  
23 tumorigenic in animals could quite potentially be  
24 oncogenic in humans. However, even the least purified  
25 viral vaccines are generally filtered to remove

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 potential bacterial contamination and cellular debris  
2 from production.

3 This filtration would also remove intact  
4 substrate cells. Cellular proteins might be  
5 concerning, but they have no way to replicate, so they  
6 would not persist in the recipient and would only be  
7 able to exert briefly any possible effect before being  
8 degraded in the recipient.

9 Next slide.

10 More concerning is the potential for  
11 exposure to adventitious oncogenic viruses. The  
12 primary reasons for this concern are that screening  
13 methods for these viruses are difficult or relatively  
14 insensitive, and that there may exist currently  
15 unknown or occult agents that have never before been  
16 detected despite use of current technology.

17 And finally, concern has centered on  
18 cellular DNA which could be inserted into the cells of  
19 the recipients and transmit the tumorigenic potential.  
20 Cellular DNA could contain activated oncogenes, or it  
21 could activate oncogenes or inactivate tumor  
22 suppressor genes in the recipient by insertional  
23 mutagenesis.

24 Cellular DNA could also contain the  
25 proviruses or viral genomes of oncogenic viruses. The

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 methods for assessing tumorigenicity of cellular DNA  
2 are problematic. Dr. Krause will discuss this further  
3 later.

4 Next slide.

5 Now that I've listed a host of potential  
6 problems, you might ask why anyone would consider use  
7 of these substrates. Therefore, I'll give you a  
8 specific example to shed light on the rationale for  
9 possible use of neoplastic substrates.

10 HuT78 or similar human tumor derived or  
11 virus transformed T cell lines have been considered  
12 for development of traditional approach HIV vaccines;  
13 in other words, live, attenuated or inactivated HIV.

14 Consequently, CBER has begun to develop  
15 policy to guide sponsors in the safe development of  
16 such candidate vaccines. To these ends, a workshop  
17 was held in 1996 at the National Cooperative Vaccine  
18 Development Groups for AIDS Annual Meeting in  
19 Bethesda, Maryland.

20 This workshop helped identify issues of  
21 concern. The proceedings were published in *AIDS*  
22 *Research and Human Retroviruses*.

23 In addition, as you've heard from Dr.  
24 Lewis, CBER is proposing to hold a future workshop to  
25 discuss use of neoplastic substrates in a more global

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 manner.

2 Next slide.

3 Production of live, attenuated or  
4 inactivated HIV vaccine candidates will require the  
5 use of cells in which HIV can replicate.

6 Human peripheral blood mononuclear cells  
7 pose problems for commercial scale production and  
8 would require lot by lot screening of donors and cells  
9 for adventitious agents. Lot to lot consistency may  
10 also be an issue.

11 Human tumor derived or virus transformed  
12 T cells support the replication of HIV, and I'll  
13 discuss this more on a moment. Alternative  
14 substrates, which might seem preferable, have not, to  
15 date, been developed.

16 Even if such an alternative substrate were  
17 engineered, it is unclear that commercial yield of HIV  
18 would be obtainable or whether the receptors would  
19 remain express long enough for HIV to infect and  
20 replicate in such cells.

21 Next slide.

22 Human T cell lymphoma derived or virus  
23 transformed T cells lines have been proposed by  
24 various potential sponsors. Tumorigenicity remains a  
25 concern, including the following issues: residual

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cellular DNA and the imperfect methods available for  
2 measuring tumorigenicity.

3 Inactivants in the case of inactivated  
4 vaccine candidates might actually decrease or  
5 eliminate tumorigenicity.

6 But there remains an unknown significance  
7 for vaccines if the starting material (the cell  
8 substrate), but not the final product (the vaccine),  
9 has tumorigenic potential. Assays for tumorigenicity  
10 of cells, DNA and the final product are problematic,  
11 as will be discussed by Dr. Krause.

12 Furthermore, purification levels  
13 achievable -- next slide, I'm sorry -- for a multi-  
14 component virion are unlikely to be as high as those  
15 for single recombinant protein. Adventitious agents  
16 are, of course, a concern for every cell substrate.

17 But in the case of HuT78 cells, there is  
18 a concern because these would be of human origin;  
19 because tumor viruses such as HTLV1 may have caused  
20 the tumor, it may be difficult to detect residual  
21 sequences by current screening methods; and because  
22 non-vaccine retroviruses may be present which could  
23 pseudotype with the vaccine virions or recombine with  
24 the vaccine provirus to form new viruses of known  
25 pathogenicity.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Next slide.

2 Now I said I would give the specific  
3 example for the purpose of demonstrating why one would  
4 consider using neoplastic cells for vaccine  
5 production, and thus far I've only talked about the  
6 issues of concern for risk, but there is a large  
7 potential for benefit.

8 As you well know, on a global basis,  
9 greater than 30 million people have already been  
10 infected with HIV. The epidemic is continuing to grow  
11 globally with little sign of abatement anywhere.  
12 Approximately 16,000 new infections occur daily.

13 And very few, if anyone, survives once  
14 infected with HIV, and there remains no demonstrated  
15 cure.

16 The therapies available that prolong life  
17 are unaffordable for the majority of infected  
18 individuals because greater than 90% of them live in  
19 developing countries. Thus, a safe and efficacious  
20 vaccine to prevent new infections would have a huge,  
21 global, public health benefit.

22 Next slide.

23 But why try live, attenuated or  
24 inactivated HIV vaccines? Well, because there are  
25 only a few recombinant vaccine candidates reaching the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Phase III of clinical development. The Baltimore  
2 Committee and others have expressed the need to  
3 simulate development of more approaches to increase  
4 the potential candidate pipeline.

5 Most efficacious viral vaccines are live,  
6 attenuated or inactivated viruses. These approaches  
7 have been proven for other viral diseases, and they  
8 provide a multi-component immunogen which presents the  
9 immune system with multiple targets.

10 This may be of critical importance in the  
11 case such as HIV for which there remain no known  
12 correlates of disease protection.

13 Next slide.

14 CBER weighs risk and benefit in the  
15 evaluation of vaccines. We have begun to develop  
16 policies to assess risk from tumorigenic or tumor  
17 derived cell substrates in response to manufacturers'  
18 requests for guidance.

19 This has included issue identification,  
20 proposals for research to assess risk sources,  
21 development and promulgation of test methods, and  
22 establishment of criteria for acceptable levels of  
23 risk which is dependent upon the intended use.

24 Next slide.

25 CBER has considered how to measure risk.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Ideally, a substrate should be free from viral  
2 contamination; but if the substrate is contaminated,  
3 then viral clearance or inactivation during production  
4 processes should be validated.

5 Tumorigenic potential could be estimated  
6 by measuring the quantity, integrity and state of  
7 residual cellular DNA. The WHO has recently revised  
8 their guideline of an upper limit of 100 picograms of  
9 cellular DNA from continuous cell lines, which was  
10 based on scientific data available at that time, to  
11 ten nanograms.

12 Furthermore, determining better methods to  
13 measure tumorigenicity of DNA would help in risk  
14 estimation. Because the cells themselves are the most  
15 obvious source of tumorigenicity, as is demonstrated  
16 in animals, validation of cell clearance provides a  
17 measure of safety.

18 Next slide.

19 To summarize, currently CBER has no  
20 specific regulation prohibiting the use of a  
21 particular cell substrate for the production of viral  
22 vaccines. Our regulatory authority is granted to  
23 ensure product safety.

24 CBER also has a public health mission "to  
25 facilitate the development of new and improved, safe

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and efficacious vaccines." Thus, CBER must work with  
2 manufacturers to provide guidance on how to meet the  
3 regulations; in other words, to demonstrate product  
4 safety.

5 This is a guiding principle behind the FDA  
6 Modernization Act approved by Congress last year.

7 Next slide.

8 Traditional approach vaccines -- in other  
9 words, live, attenuated and inactivated viral  
10 preparations -- remain the most proven approaches to  
11 development of efficacious vaccines. These types of  
12 vaccines must be prepared in cell substrates.

13 Next slide.

14 There still exists significant public  
15 health needs which encourage manufacturers to consider  
16 novel and potentially more problematic product  
17 approaches because, for one thing, the easy things  
18 have been done already; and as old disease are  
19 controlled, like smallpox, polio and measles, other  
20 existing diseases gain in importance to be conquered.

21 And as HIV and other emergent diseases  
22 have taught us, new diseases do arise. Many of these  
23 emergent diseases, like HIV, are not simple to  
24 conquer.

25 This concludes my presentation. I was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 going to introduce the next speaker at this point; but  
2 I understand that we're going to have a break now, so  
3 I'll finish here.

4 CHAIRPERSON FERRIERI: Thank you, Dr.  
5 Sheets.

6 I think we'll keep the questions for a  
7 later period. And we will go into our scheduled  
8 break. We will resume promptly at 10:25.

9 (Whereupon, the foregoing matter went off  
10 the record at 10:07 a.m. and went back on  
11 the record at 10:25 a.m.)

12 CHAIRPERSON FERRIERI: Could we all  
13 assemble now, and the Committee members and other  
14 invited guests please come to the table? We've had a  
15 nice, long break now of almost 25 minutes.

16 We'll move into the rest of the session,  
17 if you could please take your seats.

18 We're going to keep to the schedule, and  
19 questions then can be held for the -- after the two  
20 presentations. I think that will work best, and  
21 everyone can judge what the priorities are.

22 For those of you who are celebrating  
23 today's National Smoke Out, I want to encourage you to  
24 stay with it. I know what it's like, and certainly  
25 congratulate any of you who have decided to do that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 today.

2 If we keep the breaks too long, then you  
3 might crave cigarettes more, so we'll try to restrain  
4 ourselves.

5 The next talk is experience with  
6 therapeutics derived from mammalian cells, and Dr.  
7 Kathleen Clouse from the Office of Therapeutics, FDA,  
8 will speak.

9 I'm sorry if I didn't pronounce your name  
10 correctly, Dr. Clouse.

11 DR. CLOUSE: I'm Dr. Kathleen Clouse from  
12 the Division of Cytokine Biology in the Office of  
13 Therapeutics Research and Review.

14 Within the past 20 years, the  
15 biotechnology industry has developed a wide range of  
16 products for many subacute, acute and often life  
17 threatening indications.

18 Over 40 safe and effective products have  
19 been licensed by the Center For Biologics Evaluation  
20 and Research, and many of these have been derived from  
21 neoplastic cell substrates. The success of these  
22 biologics has been achieved, in part, due to efforts  
23 taken to assure product safety.

24 I have been invited to speak to you today  
25 about our experiences and practices in the Office of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE. N.W.  
WASHINGTON, D.C. 20005-3701

1 Therapeutics Research and Review in dealing with  
2 safety issues concerning recombinant therapeutic  
3 products that are derived from mammalian cell lines.

4 Now, aspects of my talk are also  
5 applicable to issues concerning recombinant subunit  
6 vaccines, but differ significantly from issues that  
7 are pertinent to live, attenuated or inactivated  
8 vaccines.

9 As shown here, there are major differences  
10 between licensed CBER vaccine and therapeutic biologic  
11 products. First of all, the intended use. For  
12 vaccines, it's for prophylaxis, obviously; whereas,  
13 for therapeutics, it's been used for treatment of  
14 often very acutely ill people.

15 So the patient population in general for  
16 vaccines is healthy, versus ill for therapeutics.

17 The dosage schedule and route of  
18 administration can differ. For whole vaccines, you  
19 would dose, in many cases, based on infectious units.  
20 For biologic therapeutics, you would dose based on  
21 units of biological activity in some cases and, in  
22 other cases, based on mass.

23 And the dosage can get as high as  
24 milligram quantities.

25 The schedule also differs. In vaccines,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 you give them for a very short period of time with  
2 long term effects; whereas, with therapeutics,  
3 although initially they were used for short term  
4 treatments in acutely ill patients, we're now starting  
5 to treat more chronically ill patients on a long term  
6 basis.

7 The routes of administration differ for  
8 both vaccines and therapeutics. Now non-recombinant  
9 vaccines cannot achieve the same level of product  
10 purity that is achievable with recombinant  
11 therapeutics or subunit vaccines, and that is because  
12 you're dealing, in general, with one single purified  
13 protein for your recombinant therapeutics.

14 Now the licensed recombinant products  
15 within the Office of Therapeutics have been expressed  
16 in the following substrates: nine products have been  
17 licensed that are expressed in E-coli, two from yeast;  
18 but the need for more complex protein processing has  
19 resulted in the use of mammalian cell substrates.

20 And what we find is there are actually 18  
21 products that have been licensed from mammalian cell  
22 lines, but these are pretty much limited to two cell  
23 lines at this point: CHO cells, Chinese hamster ovary  
24 cells; and the SP20 murine myeloma cell line.

25 Now, 11 of the 18 products have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 expressed in CHO cells and seven in the SP20, or  
2 subclone, cells.

3 Now, this reflects a common practice in  
4 industry that, once something has been licensed  
5 successfully using a particular cell substrate, the  
6 inclination is to continue to use it because more and  
7 more knowledge has been accumulated regarding the safe  
8 use of these cell lines.

9 However, there are situations that arise  
10 when certain products are not amenable to expression  
11 in the existing cell lines. And this leads to  
12 scientists going to different cell substrates, and  
13 then needing to provide more documentation to the  
14 agency regarding the safe use of these substrates.

15 Now the licensed therapeutics that have  
16 been derived from mammalian cells to date include  
17 tissue plant plasminogen activator, or Alteplase;  
18 erythropoietin; DNase, which is marketed as Dornase  
19 Alpha; interferon beta 1A, or Avenex; and, more  
20 recently, a fusion protein that consists of the Fc  
21 portion of human IgG1 and the (p75) TNF receptor which  
22 is marketed as Enbro; and we have 13 monoclonal  
23 antibodies.

24 Of the monoclonal antibodies, about seven  
25 have been expressed in the SP20, but at least six have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1       been expressed in CHO cell lines.

2               Now the documents available through CBER  
3       concerning cell substrate use include the ICH guidance  
4       document on cell substrates and the "Points To  
5       Consider" document that were referenced by Dr. Sheets.

6               But, in addition, there's a "Points To  
7       Consider" document that came out in 1997 regarding the  
8       manufacture and testing of monoclonal antibody  
9       products. And most importantly, from my talk, is an  
10       ICH guidance document on viral safety evaluation of  
11       biotechnology products that are derived from cell  
12       lines of human or animal origin.

13               This guidance document pertains to  
14       recombinant biologic therapeutics and also is  
15       applicable to recombinant subunit vaccines, but does  
16       not apply to whole vaccines or gene therapies.

17               Now, the current approach to working with  
18       cell lines to produce biological therapeutic products  
19       focuses on production, identification and  
20       characterization of the cell substrate; but also on  
21       validation of the manufacturing process for removal or  
22       inactivation of adventitious agents, and also testing  
23       of the bulk drug substance in the final finished drug  
24       product to assure safety for the patient.

25               Characterization of the cell lines

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 intended for use in the manufacture of biologics  
2 includes documenting the history and general  
3 characteristics of the cell line, establishing a cell  
4 banking system consisting of a master cell bank and  
5 working cell bank, and implementation of quality  
6 control testing.

7 Now, the same regulations apply to  
8 therapeutics as to vaccines, and these were discussed  
9 in detail by Dr. Sheets, so I will not go into them  
10 again.

11 But, in addition to characterization of  
12 the host cells with regard to the cell substrate  
13 issues, their source (phenotype and genotype),  
14 detailed information must be provided to the agency  
15 regarding the expression vector system for recombinant  
16 therapeutics.

17 The source and restriction map of the gene  
18 construct has to be provided. The source, origins of  
19 replication, the promoters, enhancers and any  
20 antibiotic resistance genes present in the vector have  
21 to be identified.

22 The final gene construct, the cloning  
23 process for generating it, has to be provided, the  
24 information. And the cloning and actual establishment  
25 of the cell line also must be provided.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           Now, once the cell line has been cloned  
2 and the master cell bank and working cell bank has  
3 been generated, they are tested as described by Dr.  
4 Sheets. But, in addition to testing of the master  
5 cell bank and working cell bank, for therapeutics  
6 there's extensive production cell testing.

7           Now, the production cells are derived from  
8 -- directly from the working cell bank and, in  
9 general, extensive testing is done throughout the  
10 manufacturing process.

11           But at least once the production cells are  
12 tested at the peak of their in vitro life span, and  
13 extensive testing is done with regard to purity for  
14 all of the adventitious agents described by Dr.  
15 Sheets, and also for cell substrate stability.

16           And we're looking for consistent  
17 production of the product throughout the life span of  
18 the cells. We're also looking for retention of the  
19 production capacity during long term cryopreservation  
20 of these cells.

21           And also, at the peak of their production,  
22 we're very interested in the genetic construct  
23 stability.

24           So part of the extensive testing that is  
25 done is to sequence the genetic construct that's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 present in the master cell bank and comparing it to  
2 that that's present in the production cells at the  
3 peak of their in vitro life span.

4 A quality control of the cell substrates  
5 that are used for production includes cell culture  
6 media where you monitor additives derived from animal  
7 sources, any antibiotics, growth factors and so on;  
8 and again, emphasizing management of the cell  
9 cultures, looking for product consistency and constant  
10 adventitious agent testing; and also specific testing  
11 on the unprocessed bulk drug and the processed bulk  
12 drug and the final drug product.

13 The companies will set lot release  
14 specifications for the final bulk drug substance and  
15 drug product, and they will also set specifications  
16 for parameters to monitor in vitro production and  
17 management of the cell cultures.

18 The quality control testing for biologics  
19 again includes testing for bacteria and fungi,  
20 mycoplasma, viruses, both adventitious -- and one  
21 that's omitted from there -- and also endogenous  
22 retroviruses.

23 Tumorigenicity testing is actually not  
24 required for the therapeutic products licensed to date  
25 because it's not required for continuous cell lines

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 derived from rodents since they've already been proven  
2 to be tumorigenic.

3           However, I would like to emphasize  
4 addition practices that are employed for -- with  
5 regard to virus monitoring and to reduce -- that are  
6 geared at reducing the risk of virus contamination in  
7 biologic products.

8           And this is the concept of viral  
9 validation. Now, the risk of viral contamination is  
10 a feature that's common for biologic products that are  
11 derived from cell lines. And as mentioned before, the  
12 contamination can come endogenously from cell  
13 substrates that express virus particles, and you can  
14 have contamination from adventitious virus.

15           And the concern, obviously, is that these  
16 infectious agents could be transmitted to patients and  
17 could be infectious for the patient.

18           So there are three complimentary  
19 approaches that therapeutics uses for the control of  
20 potential viral contamination. First of all is to  
21 select and test the cell lines and raw materials for  
22 the absence of viruses.

23           And again, this was dealt with in detail  
24 by Dr. Sheets. Secondly, to assess the capacity of  
25 the production process to actually clear infectious

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 viruses should a contaminant be introduced that you do  
2 not pick up on.

3 And also to test the product at  
4 appropriate production steps again for the absence of  
5 adventitious virus.

6 Now, no single approach will necessarily  
7 establish the safety of a product. First of all,  
8 there's an inherent limitation to quantitative viral  
9 assays that are used in that their ability to detect  
10 low viral levels pretty much depends on the size of  
11 the sample.

12 So the confidence that virus is absent  
13 from a product may not really result from direct virus  
14 testing. However, you can achieve a certain level of  
15 confidence by showing that the purification process  
16 itself can remove or inactivate viruses.

17 So the type and extent of viral tests and  
18 viral clearance studies in general will depend on the  
19 extent of the cell bank characterization and  
20 qualification that was done, the nature of any viruses  
21 that were detected in this characterization, the  
22 culture methods that are used for product production  
23 and all of the media components that are used, the  
24 results of viral testing after cell culture, and  
25 again, the ability of the process to clear the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 viruses.

2 Now, validation of viral elimination  
3 requires the following:

4 First, you have to select appropriate  
5 viruses for the testing. The manufacturer has to  
6 prepare a scaled down manufacturing system. They have  
7 to do an analysis of step-wise virus elimination.

8 They have to determine whether virus  
9 elimination is due to physical removal of viruses or  
10 inactivation of viruses. If inactivation of virus is  
11 one of the steps, then kinetics of inactivation have  
12 to be determined.

13 And then, at the end, the manufacturer has  
14 to estimate the overall combined effect to generate a  
15 final figure for the overall viral clearance for the  
16 system. In the next few steps I'll discuss each of  
17 these individually.

18 As far as the viruses used for viral  
19 clearance studies, first of all, they should resemble  
20 viruses that could contaminate the product. And they  
21 also should represent a wide range of physicochemical  
22 properties so that you can adequately assess the  
23 ability of the system to remove any potential viral  
24 contaminant.

25 They should include relevant viruses. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 by this, these are viruses that are either identical  
2 to or are the same species of viruses that can  
3 contaminate the cell substrate and have been  
4 identified as contaminants.

5 For specific model viruses -- and these  
6 are viruses that are used when relevant viruses are  
7 unavailable. And relevant viruses may be unavailable  
8 if they either cannot be grown to a high titer or if  
9 they're too infectious to be used for viral validation  
10 studies.

11 And generally, the specific model viruses  
12 are closely related to the known and suspected  
13 viruses. They're generally in the same genus and  
14 family. In addition, nonspecific model viruses should  
15 be used.

16 And these viruses are used for the  
17 purposes of characterizing the capacity of the  
18 manufacturing system to inactivate or remove viruses  
19 in general; in other words, to characterize the  
20 robustness of the manufacturing processes.

21 And the nonspecific model viruses should  
22 possess different properties. The properties should  
23 be varying DNA and RNA genomes. You should have  
24 representative viruses that are enveloped and non-  
25 enveloped. They should have low to high

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 physicochemical resistances.

2 For example, resistance to acid pH is one  
3 parameter. They should range in size. Very often  
4 they range from 20 nanometers to 200 nanometers. And  
5 all of these should be viruses that can be grown to  
6 high titers to give an adequate indication of the  
7 level of virus clearance that can be achieved.

8 Now, once the viruses have been selected  
9 -- and generally you select three or four model  
10 viruses for each viral validation study that's done --  
11 a scaled down manufacturing system should be set up  
12 outside the normal manufacturing facility.

13 And this is deliberately to prevent the  
14 introduction of any virus into a manufacturing  
15 facility that is operating under good manufacturing  
16 practices. This also enables performance of the viral  
17 testing by qualified staff with virologic expertise.

18 Generally what happens is there's a  
19 collaboration between the manufacturer and an outside  
20 company that does viral testing. The manufacturer  
21 actually provides the scaled down model system and  
22 employees that actually operate this.

23 The viral testing group provides the high  
24 titer virus and actually does the virus isolation and  
25 testing as it goes through the manufacturing process.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   When they generate a scaled down  
2 manufacturing system, they have to validate to show  
3 that they -- this adequately represents the production  
4 procedures. It has to be scaled down proportionately,  
5 and all of the production parameters have to be  
6 duplicated, including such things as buffer flow rates  
7 and so on.

8                   And finally, if it's impossible to  
9 identically or accurately scale down any aspect of the  
10 manufacturing process, the manufacturer has to  
11 determine the impact of the production deviations on  
12 the viral clearance studies and how they may reflect  
13 the outcome of the studies.

14                   So for analysis of step-wise virus  
15 elimination, first it's desirable to assess the  
16 contribution of each major production step. And  
17 sufficient virus should be present in the material at  
18 each step.

19                   Generally we try to select a virus -- or  
20 the company selects a virus that can be grown to at  
21 least  $10^8$  particles per ml. The virus should be added  
22 to in process material at each step.

23                   Generally what's done is the manufacturer  
24 provides virus -- or the manufacturer provides drug  
25 substance from at least three lots of material at

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 various steps in the production.

2 And from this, when the material has been  
3 run through the purification process, quantitative  
4 infectivity assays should be done. And these should  
5 be shown to have adequate sensitivity and  
6 reproduceability.

7 Now, it is important -- because virus  
8 elimination can consist of physical removal versus  
9 inactivation, both of these serve as a mechanism for  
10 virus reduction. It's important that, if there is  
11 virus elimination at any step, the mechanism of the  
12 loss of viral infectivity be determined.

13 If inactivation of virus does occur, then  
14 samples need to be taken at different times and an  
15 inactivation curve should be constructed so that the  
16 kinetics of virus inactivation can be determined.

17 And the purpose of this is to make sure  
18 that all of the parameters are in place to make sure  
19 that the product is exposed to the appropriate  
20 conditions so that any virus that's present would be  
21 inactivated.

22 Now, in order to determine the overall  
23 viral clearance, statistical analysis has to be used  
24 on the data, and the results should be statistically  
25 valid to support the conclusions that are drawn. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the statistics that should be used are described in  
2 Appendix 3 of the ICH viral validation document.

3 So, in order to estimate the combined  
4 effects of the viral inactivation and clearance, they  
5 need to quantitatively estimate the overall level of  
6 virus reduction that's achieved. And it's important  
7 that they show that there is an excess capacity for  
8 viral clearance within the system.

9 So this means that they have to compare  
10 the amount of virus that's eliminated to the amount of  
11 virus that's present, let's say, in the unprocessed  
12 bulk drug substance.

13 For murine cell lines, since there are  
14 frequently endogenous retrovirus particles, this  
15 amounts to processing portions of the bulk drug  
16 substance and using transmission electron microscopy  
17 to determine the viral burden.

18 Now, this only applies to endogenous  
19 viruses. If there are adventitious viruses present,  
20 you don't proceed and process the bulk drug substance  
21 into final drug product.

22 From this, they need to calculate the  
23 estimated number of virus particles per dose, and  
24 that's described in Appendix 5 of the ICH document.  
25 And they also need to calculate the level of virus

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 reduction, and these calculations are described in  
2 Appendix 4.

3 So you can see the utility of the  
4 document.

5 But there is one note that I would like to  
6 make, and that is that a number of factors in the  
7 design and execution of viral clearance study can  
8 actually lead to an incorrect estimate of the ability  
9 of the process to remove virus.

10 And these are also discussed in detail in  
11 the ICH document, and you can use this to see what you  
12 need to avoid.

13 Now, the process of viral validation can  
14 actually be used to determine -- or used for risk  
15 assessment, but that's not the intent of the document.  
16 The intent was actually to enable the manufacturer to  
17 provide confidence that any virus that entered the  
18 product, in all likelihood, would be cleared and would  
19 not appear in the final product that is released.

20 So, in addition to doing extensive viral  
21 testing and validation of viral removal, we also have  
22 to validate removal of the cell substrate. First of  
23 all, they have to document removal of all the media  
24 components.

25 If fetal bovine serum is used, for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE. N.W.  
WASHINGTON, D.C. 20005-3701

1 example, in general the companies will monitor bovine  
2 IgG and bovine serum albumin levels to make sure that,  
3 in the final product, these are virtually undetectable  
4 or at extremely low, non-immunogenic levels.

5 The same is true for any growth factors or  
6 antibiotics or inducing agents that are present in the  
7 media. You also have to look for removal of cell  
8 derived proteins.

9 And this is done, in general, by  
10 establishing a host cell protein assay where a  
11 manufacturer takes non-transfected cells, prepares a  
12 mock antigen, and immunizes animals, generates an  
13 antibody, and sets up an ELISA that's capable of  
14 detecting host cell proteins.

15 And for this, they will set lot release  
16 specifications and monitor each lot of product that's  
17 produced. But in addition, they have to control for  
18 host cell proteins that may not be picked up in this  
19 assay, but may co-purify with the recombinant product.

20 And to do that, what's frequently done is  
21 they will run an SDS polyacrylamide gel and use silver  
22 stain and identify all of the bands that appear in the  
23 silver stained gel. They look for primary product  
24 band, they look for breakdown products, and they look  
25 for aggregates of the product.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           If there are other major bands that cannot  
2 be attributed to the product that is desired, they  
3 have gone to great lengths to identify this, even so  
4 much as eluting the protein and sequencing it to  
5 identify it.

6           If it is a frequent contaminant that co-  
7 purifies, then specifications have to be set for  
8 release to make sure that this protein is present in  
9 low levels or, alternatively, an added step in the  
10 manufacturing process to remove this particular  
11 protein.

12           And finally, they have to monitor for  
13 removal of host cell DNA. We previously had set  
14 limits of less than ten picograms, but in most of the  
15 guidance documents the requirement has been removed  
16 because it does vary somewhat depending on the nature  
17 of the product that is being manufactured.

18           So finally, what we can conclude is that,  
19 in the 12 years using licensed biologics and in the  
20 years preceding that, during their development as  
21 investigational new drugs, no cell line derived  
22 biological therapeutic product has been implicated in  
23 the transmission of viruses.

24           Also, there is no known adverse events  
25 that have occurred that can be attributed to cell

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 substrate exposure; however, this is very difficult to  
2 determine.

3 Also, what I wanted to emphasize and what  
4 we hope to gain from this advisory committee meeting  
5 is that the complexity of cell substrate issues is  
6 increasing in biologic therapeutics, and the issues  
7 are also paralleling the complexity of the vaccine  
8 cell substrate issues as we enter into gene therapies  
9 and the proposed use of therapeutic vaccines to  
10 regulate immune responses.

11 CHAIRPERSON FERRIERI: Thank you, Dr.  
12 Clouse.

13 We're going to move on to the next  
14 speaker. And then, following Dr. Krause's talk, we  
15 will have a little time to ask questions of the three  
16 preceding speakers.

17 This talk is studies on safety of cell  
18 lines.

19 And if Drs. Sheets and Clouse could be  
20 available close to a microphone so that, when we get  
21 to the questioning period, that you would be readily  
22 available.

23 MS. CHERRY: We can -- if you can find a  
24 seat at the table when we get to the questions.

25 CHAIRPERSON FERRIERI: There are also a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 lot of seats here in the front row, and there are two  
2 tall microphones.

3 DR. KRAUSE: The goal of this talk is to  
4 discuss our initial approach to thinking about how to  
5 apply laboratory data to the assessment of cell  
6 substrate risk.

7 And before I get started, I'd like to give  
8 credit to Anamaria Serig-Honigman, who is a post  
9 doctoral fellow in my laboratory who performed the  
10 experiments I'm going to show you from my lab; as well  
11 as to Keith Peden in the Laboratory of Retrovirus  
12 Research whose help in formulating this talk was  
13 indispensable.

14 We would like to develop a quantitative  
15 approach that enables us to use laboratory data to  
16 assess risks associated with cell substrates. The  
17 change in precedent against the use of neoplastic  
18 cells as vaccine substrates needs to be based on  
19 objective scientific data that can be used to evaluate  
20 levels of risk.

21 As Dr. Lewis pointed out, this could be  
22 implemented by quantitatively assessing the level of  
23 risk posed by each issue, establishing the probability  
24 of a worst case scenario for each issue, using data to  
25 evaluate the risks individually and cumulatively, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 using the data then to assess the relative risk of the  
2 product.

3 In considering the laboratory evaluation  
4 of cell substrate safety, the first question is, what  
5 materials need to be tested, and by whom? Both  
6 manufacturers and CBER will have to perform tests on  
7 novel cell substrates and products.

8 Some of these tests may need to be  
9 performed on each lot of product. Additional  
10 laboratory studies to answer more general questions  
11 will also need to be done. Because these studies will  
12 provide general information, they should be performed  
13 by public institutions.

14 It is very important that information  
15 relevant to safety issues be viewed as objectively  
16 obtained and readily available to all. Any product  
17 produced in these novel cell substrates will need to  
18 be tested extensively for the presence of adventitious  
19 agents.

20 While the focus of our discussion today is  
21 neoplastic cells, it should be noted that equal or  
22 greater concerns related to adventitious agents may be  
23 raised regarding novel primary cell substrates.

24 Testing for adventitious agents in novel  
25 cell substrates includes the tissue culture and animal

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 tests that Dr. Sheets described. In many cases, it  
2 may also be necessary to develop new, specific tests  
3 for other agents.

4 For example, over the last years, CBER  
5 developed tests for SIV, HIV and SV40 which were  
6 applied to polio vaccines grown in primary monkey  
7 cells in order to help address concerns that this  
8 vaccine might be contaminated with those viruses.

9 This is an example of a PCR test that we  
10 developed in my laboratory to detect SV40 in vaccines.  
11 As you can see from the right-hand panel, this test is  
12 capable of detecting between one and ten copies of  
13 SV40 DNA in a vaccine sample.

14 The left-hand panel shows four samples,  
15 marked one through four, in which SV40 was not  
16 detected. The lanes marked V represent negative  
17 controls which were performed in every other sample.

18 We tested 60 lots of OPV using this  
19 method, all of which were negative for SV40. Phil  
20 Minor, at the NIBSC in Britain, has also performed  
21 extensive testing of polio vaccines using PCR-based  
22 methods and also found the polio vaccines used in the  
23 UK to be free of SV40.

24 An obvious approach to ensuring the  
25 freedom of viral vaccines from adventitious agents is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 based on the validation of viral clearance, as  
2 described by Dr. Clouse. This assumes that measures  
3 that could clear adventitious viruses are taken.

4 While these methods work for highly  
5 purified products, they are very difficult to  
6 implement for live virus vaccines for which attempts  
7 at purification may affect the viability of the  
8 product.

9 One area in which further research might  
10 improve our ability to detect adventitious agents in  
11 cell substrates is the development and implementation  
12 of nonspecific assays to detect unknown viruses. In  
13 implementing such assays, it's important to understand  
14 the sensitivity with which they rule out the presence  
15 of potential adventitious viruses.

16 One example of a nonspecific assay is the  
17 polymerase chain reaction based reverse transcriptase  
18 assay, or PERT, which can detect all retroviruses,  
19 including those that have not yet been discovered.  
20 This assay may also be combined with tissue culture  
21 methods.

22 Currently performed tissue culture assays  
23 are, in general, nonspecific. It is possible that  
24 broadened tissue culture assays may provide better  
25 sensitivity to detect adventitious agents in novel

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 cell substrates, or that improved animal models -- for  
2 example, incorporating the use of immunocompromised  
3 animals -- might improve the sensitivity of such  
4 testing in a nonspecific way.

5 We are also interested in considering  
6 nonspecific molecular assays for detection of  
7 adventitious agents in cell substrates. Examples of  
8 nonspecific molecular tests that could detect unknown  
9 viruses are shown on this slide.

10 One approach is to use degenerate primers  
11 specific for various viral species. For example, in  
12 each of the past few years, new herpes viruses have  
13 been discovered using degenerate PCR primers derived  
14 from herpes virus polymerase sequences.

15 Another approach is to use PCR-based  
16 subtraction methods such as those that have been used  
17 to find viral sequences in diseased human tissues to  
18 examine vaccine or cell substrate samples for the  
19 presence of nucleic acids that could represent  
20 adventitious viruses.

21 A third approach is to purify nuclease  
22 resistant nucleic acids from a vaccine or cell  
23 substrate sample and use generic primers to non-  
24 specifically amplify those nucleic acids that are  
25 resistant to nuclease digestion.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The protein capsids of many viruses  
2 protect their nucleic acids from such digestion, so  
3 that this method might be able to identify  
4 contaminating encapsidated nucleic acids.

5           For any of these methods, it would be  
6 important to understand the sensitivity to detect  
7 known viruses before their ability to detect unknown  
8 viruses can be evaluated.

9           According to the recently revised WHO  
10 requirements for the use of animal cells as in vitro  
11 substrates for the production of biologicals, the  
12 amount of cellular DNA in biological products should  
13 be limited to ten nanograms per dose, an increase from  
14 100 picograms per dose.

15           This limit is meant to apply to continuous  
16 cell lines and not to products given orally or  
17 products derived from microbial, diploid or primary  
18 cell culture systems. The ten nanogram figure was  
19 derived by considering data in theoretical  
20 calculations regarding the tumorigenicity of injected  
21 DNA.

22           However, it should be noted that, for live  
23 viral vaccines in other less highly purified products,  
24 it may not be possible to limit the total amount of  
25 DNA to ten nanograms. While CBER is attentive to WHO

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 guidelines, CBER evaluates products on a case by case  
2 basis in determining appropriate limits for cell  
3 substrate DNA.

4 Thus, the question is raised, what data  
5 would be required to provide assurances regarding safe  
6 quantities of residual cellular DNA for vaccines  
7 produced in these novel cell substrates?

8 Potential tumorigenicity of DNA from cell  
9 substrates is one factor that has limited the quantity  
10 of DNA in biological products. Although cellular DNA  
11 from neoplastic cells has never been shown to be  
12 tumorigenic in animal models, injected intact tumor  
13 cells may be tumorigenic in animal models and in  
14 humans.

15 Of course, some DNA from these cells would  
16 persist in vaccine products.

17 As you can see, the ability of different  
18 types of cells to form tumors in animal models varies  
19 substantially, ranging from tens of cells for  
20 endometrial carcinoma to millions of cells for 293  
21 cells required to induce a tumor in half of -- or in  
22 nude mice.

23 The TPD50 represents the dose of cells  
24 required to induce a tumor in half of the injected  
25 animals.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   One question that CBER will need to answer  
2                   is whether the relative tumorigenicity of the cells  
3                   that are used should affect CBER's assessment of the  
4                   risk associated with the DNA that comes from those  
5                   cells.

6                   Another question is whether the history of  
7                   the cell line, or the mechanism of transformation, if  
8                   it is known, should influence CBER's assessment of  
9                   this relative risk.

10                  This slide lists several additional  
11                  approaches that might be used to better understand the  
12                  potential tumorigenicity of residual DNA from  
13                  neoplastic cells.

14                  These include the identification and  
15                  validation of improved animal models for  
16                  tumorigenicity testing -- for example, highly  
17                  immunosuppressed animals or transgenic mice that are  
18                  deficient in p53 or the constitutively expressed  
19                  oncogenes such as RAS.

20                  At this point, it is not clear whether  
21                  such models would detect oncogenic DNA with greater  
22                  sensitivity than other animal models. Further  
23                  investigation of tissue culture transformation assays,  
24                  like NIH 3T3 cells, either alone or in combination  
25                  with animal models in which transformed cells are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 injected into animals to look for tumors, might be  
2 another useful approach.

3 One could also consider assessing the  
4 tumorigenicity or infectivity of cell substrate  
5 extracts which could detect occult, known tumor  
6 viruses. These methods could be developed in a  
7 quantitative fashion for optimal use in risk  
8 assessment.

9 Another issue associated with cell  
10 substrate DNA that has not been discussed as  
11 thoroughly as the tumorigenicity risk is the potential  
12 for this DNA to be infectious. Viral genomic DNA is  
13 infectious when it is injected into animals.

14 Moreover, tumor cells and primary cells  
15 may harbor latent viruses and thus contain viral  
16 genomes. And DNA used from cells used to produce  
17 retroviral vaccines are a special case because they  
18 may contain retrovirus genomes for that reason as a  
19 byproduct of vaccine production.

20 Several factors may influence the  
21 assessment of the tumorigenicity and infectivity risk  
22 associated with residual DNA.

23 These include the total quantity of DNA in  
24 the vaccine; the number of doses to be given; the size  
25 of the DNA, where larger DNAs might not get into cells

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 as well and smaller DNAs might be small enough to  
2 interrupt or not to encode intact genes; sequence-  
3 related properties of the DNA (for example, whether it  
4 encodes a virus or not); the number of copies of  
5 potentially infectious or tumorigenic sequences per  
6 cell; and the state of the DNA.

7 The state of the DNA includes such factors  
8 as whether it is associated with chromatin, whether it  
9 is integrated into a cellular genome, whether it is  
10 linearized or circular, etc.

11 For the considerations raised on this  
12 slide, although it is likely that they all have an  
13 effect on the infectivity or tumorigenicity of  
14 residual DNA in a vaccine, these studies have not, in  
15 general, yet been performed in a quantitative fashion  
16 that would permit us to apply a quantitative risk  
17 assessment model.

18 This slide summarizes data taken from the  
19 scientific literature regarding the infectivity and  
20 tumorigenicity of viral DNA for several different  
21 viruses when injected into several different animal  
22 models.

23 And there's one mistake on this slide.  
24 For polyoma virus, when the TPD50 was calculated,  
25 hamsters were used and that's not written on this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 slide -- baby hamsters.

2 Using the approach outlined in the  
3 manuscript that is in your background package, on the  
4 right-hand side of the table I have calculated the  
5 theoretical risk associated with a product that  
6 contains one microgram of cellular DNA that contains  
7 a single viral genome per cell.

8 This calculation accounts for the dilution  
9 of the viral genome in the cellular genome and assumes  
10 that viral genomic DNA is as infectious or tumorigenic  
11 when incorporated in cell substrate DNA as it is when  
12 it is linearized and injected directly.

13 This risk estimation also assumes the  
14 total risk is directly proportional to the amount of  
15 DNA injected. The estimated risk of an infection  
16 associated with this theoretical product thus would  
17 range from as high as one in 8,000 for polyoma virus  
18 DNA to one in tens of millions for other DNAs.

19 An improved understanding of the relative  
20 infectivity of different types of DNAs might assist in  
21 developing tests to ensure that dangerous quantities  
22 of infectious DNAs are not in biological products  
23 produced in novel cell substrates.

24 One other comparison may be useful. For  
25 example, for polyoma virus DNA, it appears that this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DNA is quite a bit more infectious than it is  
2 tumorigenic.

3 This suggests that much of our effort in  
4 understanding the risk associated with DNA and  
5 biological products should be focused on understanding  
6 and minimizing the risk of infectivity.

7 It should be pointed out that direct  
8 assessment of the tumorigenicity and infectivity of  
9 residual DNA associated with a particular product may  
10 be impractical. This is because, in order to achieve  
11 meaningful safety margins, very large quantities of  
12 DNA would need to be purified and tested in multiple  
13 animal models for infectivity and tumorigenicity.

14 In the example on this slide, if a dose of  
15 a product contained one microgram of residual cellular  
16 DNA, assessment of a million doses in a single type of  
17 assay would require testing of one gram of DNA.  
18 Moreover, this approach may require use of more  
19 animals than is practical.

20 That is why I believe it is important to  
21 take a more general approach and understand the  
22 potential underlying infectivity and tumorigenicity of  
23 the different types of DNA.

24 A third concern regarding the manufacture  
25 of viral vaccines in novel cell substrates is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 possibility that the vaccine virus might package  
2 components of the substrate cells. This includes the  
3 potential for packaging cellular DNA, recombining with  
4 endogenous sequences, or pseudotyping endogenous viral  
5 sequences.

6 A full assessment of this risk may require  
7 additional experimentation designed to understand the  
8 rates at which these sorts of events occur in the  
9 absence of selective pressures.

10 One approach is to quantitatively assess  
11 recombination or packaging rates using -- by examining  
12 the packaging of reporter genes, such as antibiotic  
13 resistant genes that are expressed within a sample  
14 cell substrate.

15 Another approach is to directly quantify  
16 cellular DNA that may be packaged within viral  
17 particles.

18 The latter approach is illustrated by this  
19 experiment from my laboratory in which we examined the  
20 ability of herpes simplex virus to package the  
21 cellular DNA of HeLa cells, which are a cervical  
22 carcinoma line which contain about 50 copies of the  
23 human papilloma virus Type 16 genome per cell.

24 We performed polymerase chain reaction of  
25 nuclease resistant viral DNA representing the contents

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of viral capsids to detect HPV sequences. When HSV  
2 was grown in HeLa cells, we detected HPV sequences  
3 associated with the HSV capsids.

4 Our negative control was HSV grown in VERO  
5 cells, which do not contain HPV DNA, that was then  
6 added to uninfected HeLa cells. The negative control  
7 demonstrates that the HPV DNA we detected was not  
8 simply a contaminant during the process of purifying  
9 the viral capsids from the HeLa cells.

10 Further experiments to define the quantity  
11 of cellular DNA that is packaged per virion could be  
12 performed using this system. Similar quantitative  
13 approaches could assess other types of viral packaging  
14 of cellular components at potential recombination  
15 rates between the vaccine viruses and endogenous  
16 sequences.

17 In summary, I've tried to outline  
18 laboratory approaches that could be used to better  
19 assess safety issues associated with novel cell  
20 substrates, including neoplastic cells.

21 These include extensive testing for  
22 relevant potential adventitious agents, development  
23 and implementation of improved nonspecific methods to  
24 detect new adventitious agents with non-sensitivity,  
25 the use of quantitative approaches to assessing DNA

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 infectivity and tumorigenicity, and the assessment of  
2 viral -- rates of viral packaging or recombination  
3 with pseudotyping of cellular sequences.

4 In addition, quantitative risk assessments  
5 could and should be performed along similar lines for  
6 other issues as required.

7 Thank you.

8 CHAIRPERSON FERRIERI: Thank you, Dr.  
9 Krause. If you could stay at the microphone for a  
10 minute or so.

11 We'll take questions from the panel that  
12 are related to his presentation for information.

13 Yes, Dr. Greenberg.

14 DR. GREENBERG: Thanks for that very nice  
15 presentation.

16 You've outlined a program that requires a  
17 lot of laboratory experimentation to go forward. At  
18 previous meetings of this Committee, we've been  
19 informed about lack of funds to do experimental work,  
20 and I just wonder where all of this work to define how  
21 to define risk is going to happen?

22 DR. KRAUSE: That's an excellent question.  
23 As you know, the amount of research resources that  
24 CBER has to perform studies like this is greatly  
25 limited and has become increasingly limited from year

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to year.

2 I think that a lot of the reason that I am  
3 presenting these kinds of studies to your group is to  
4 get a sense from this Advisory Committee of the  
5 relative importance of doing these kinds of studies in  
6 coming to decisions regarding whether or not to use  
7 novel kinds of cell substrates so that then  
8 appropriate cases can be made to have these kinds of  
9 studies done in one place or another.

10 DR. GREENBERG: Can I just ask a follow  
11 up? Are manufacturers themselves investing in trying  
12 to do this type of work as to form the basis of moving  
13 forward?

14 DR. KRAUSE: To my knowledge, this general  
15 kind of work is not being done by manufacturers. On  
16 one of my earlier slides, I pointed out that I think  
17 it's important for these kinds of studies to be done  
18 in public institutions where nobody will suspect the  
19 objectivity of the research and where one can be  
20 certain that all of the work is in the public domain.

21 So that if one manufacturer does studies,  
22 the question then becomes what's the motivation for  
23 that manufacturer to provide that information to  
24 assist another manufacturer in getting a competing  
25 product approved.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           So the answer is, to my knowledge, these  
2 kinds of more general studies are not being done by  
3 manufacturers.

4           CHAIRPERSON FERRIERI: Yes.

5           DR. HALL: Caroline Hall.

6           This is fascinating talks and excellent,  
7 and I'm amazed at the amount of effort and work that  
8 has gone into this.

9           Is it all right to go ahead?

10          CHAIRPERSON FERRIERI: Yes, we're  
11 beckoning to one of the other members of the  
12 Committee.

13          Sorry, Caroline.

14          DR. HALL: No, that's all right.

15          But I'm also concerned that, in this --  
16 sort of as I listen to this, this mire of conundrums  
17 of how you're ever going to put all these potential  
18 risk factors in to have a priority of risk or set up  
19 these standards -- that, in doing this, are we using  
20 other standards that are, in actuality, assumptions?

21          And this is what I mean by this, is that  
22 we have assumed that such things as the route of  
23 inoculation -- that there are certain risks factors;  
24 that systemic may be more dangerous, say, than orally,  
25 etc.; that more -- a greater inoculation dose or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 repetitive dose may be more dangerous when, in  
2 actuality, could it be an individual circumstances  
3 that a greater antigenic boost is actually safer.

4 Or that the inactivation procedure is  
5 good, it gets rid of the adventitious agent or  
6 inactivates it, but that the process may actually be  
7 worse than the adventitious agent, etc.

8 So that what I'm asking is, in some of  
9 these sort of -- what I think are basic standards that  
10 we utilize, are they being also put in potential  
11 standards and considered in the individual cases?

12 DR. KRAUSE: I agree with you completely  
13 that they should be, and that, in many cases, of  
14 course, you can't address those kinds of questions  
15 directly. On the other hand, many of those kinds of  
16 research questions can be addressed in animal models.

17 And I guess, again, that's one of the  
18 reasons that we're here today is to get an assessment  
19 from you, as the Advisory Committee, about what kinds  
20 of information one really should have in order to  
21 proceed, from my perspective, not only with tumor  
22 cells or with neoplastic cells, but also with other  
23 novel cell substrates.

24 But, in any event, since neoplastic cells  
25 are the focus of the discussion today, to make that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 big step.

2 DR. HALL: The assumption is they're  
3 worse.

4 CHAIRPERSON FERRIERI: We won't preempt  
5 that part of the morning program that Dr. Lewis will  
6 be presenting to us, so we will confine the questions  
7 and comments then to information.

8 Dr. Snider.

9 DR. SNIDER: Thank you.

10 I add my comments of congratulations and  
11 appreciation for the quality of the presentations  
12 today.

13 With regard to tumorigenicity, one thing  
14 that is not clear to me about what CBER proposes to do  
15 is whether investigating the mechanism would be a part  
16 of what CBER would propose to do or would this be done  
17 elsewhere?

18 It seemed to me, when the issue was  
19 discussed, that it does make a -- it may, at least, in  
20 a lot of cases, be important to know the mechanism in  
21 order to perform the appropriate kinds of tests.

22 So what is CBER's plans in that regard?  
23 What would you like to do?

24 DR. KRAUSE: My own bias is that, at least  
25 at first blush, attempting to assess the relative risk

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of one cell versus another, that if you understand at  
2 least something about the mechanism by which a cell  
3 line became immortal for one cell and you understand  
4 nothing about it for another cell, there's some solace  
5 to be taken in that knowledge in that there might be  
6 a lower relative risk for the cell lines for which you  
7 have that understanding.

8 That may not always be true and, in many  
9 cases, that kind of understanding is illusory. I  
10 think that, you know, understanding at a basic level  
11 the mechanisms by which cells become tumorigenic or  
12 oncogenic is probably beyond the scope of the kinds of  
13 things that CBER or perhaps even the NIH can hope to  
14 understand in a very short period of time.

15 But I think that CBER will need to call in  
16 all appropriate outside expertise on those kinds of  
17 issues and will need to take that kind of expertise --  
18 those experiments into account. And it may well be  
19 that, after doing that, experiments that should be  
20 done at CBER will suggest themselves.

21 CHAIRPERSON FERRIERI: Dr. Lewis, would  
22 you like to add to that response? No.

23 Another point, Dr. Snider?

24 DR. SNIDER: Well, I just wanted to follow  
25 up and say that I was thinking that, you know, this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 would be a role for NIH and for academia. And  
2 therefore, if that's the case, it seems to me CBER  
3 might consider having another meeting to try to foster  
4 the kind of research that is going to be necessary to  
5 discover these mechanisms.

6 DR. KRAUSE: I'm hoping, and I think Dr.  
7 Lewis is hoping as well, that that would be one of the  
8 outcomes of the public discussion of these issues.

9 CHAIRPERSON FERRIERI: Thank you, Dr.  
10 Krause.

11 Dr. Kohl had a question for Dr. Sheets.  
12 Do you still -- would you like to raise  
13 that, Dr. Sheets?

14 DR. KOHL: Thank you.

15 Dr. Sheets, we talked at the break. We  
16 kind of have breezed by the issue of protein problems  
17 and, in particular, the question of prions. And I  
18 wondered if you could address that.

19 DR. SHEETS: Yes, this is Dr. Sheets.

20 Is this on? Can you hear me? Okay.

21 I think that we are certainly not ignoring  
22 the issue. This would be an issue possibly for all  
23 kinds of cell substrates because the normal gene is  
24 present. However, it is possible, in some of these  
25 more novel substrates, that mutations could have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 occurred.

2 It's problematic to assess this risk.  
3 There aren't really good assays that are commercially  
4 available or validated for assessing products. But  
5 it's certainly not an issue that we're ignoring.

6 I didn't want to go into a lot of detail  
7 about it in my presentation because it is such a  
8 problematic issue. So Dr. Lewis did include briefly  
9 that this is something we're cognizant of.

10 CHAIRPERSON FERRIERI: Any other questions  
11 for Dr. Sheets?

12 Questions for Dr. Clouse, the presentation  
13 on the therapeutics, experience with therapeutics?

14 Yes, Dr. Oxman.

15 DR. OXMAN: I just have a comment and a  
16 question for Phil Krause following his very excellent  
17 presentation.

18 I've been impressed that we're getting  
19 very good at looking for agents that we can -- that we  
20 know about, but I'm obviously concerned about the  
21 agents that we don't know about. And you commented on  
22 the use of degenerate primers as one approach to using  
23 PCR for finding things we don't know the name of or  
24 the gene structure of yet.

25 I wonder if you could expand on that a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 little bit.

2 DR. KRAUSE: Well, obviously the use of  
3 degenerate primers is only going to be useful for  
4 identifying unknown agents that we know relatives of.

5 But if you take a family of viruses and  
6 can identify conserved sequences within that family,  
7 and often that turns out to be within the DNA  
8 polymerase chain, which seemed to be among the best  
9 conserved sequences in DNA viruses at least -- and, of  
10 course, RNA viruses don't have DNA polymerases.

11 But I think that those kinds of primer  
12 pairs that can detect members of a class of viruses  
13 and thereby extend our ability to detect new members  
14 that are somewhat related to, but different from, all  
15 viruses could be extended.

16 The example that I gave in the talk was  
17 that of herpes viruses where each of the last few  
18 years, when I've gone to the International Herpes  
19 Virus Workshop, a new herpes virus has been discovered  
20 in some animal using precisely this strategy of using  
21 these -- of first suspecting its existence, and  
22 finding an appropriate sample.

23 And second, then using degenerate primers  
24 that have been selected based on careful sequence  
25 analysis of all of the known sequences of herpes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 viruses, and then identifying them, and then  
2 sequencing the span between the primers and find that,  
3 in fact, it's different from any known herpes virus.

4 And then once one has a probe, you can go  
5 on and do more work.

6 DR. OXMAN: That's a very fine and  
7 powerful approach to members of families we know  
8 about. And I just think this is one area that we need  
9 to expand on a little bit, and that is the agents that  
10 we don't know about yet.

11 DR. KRAUSE: Right. The two other types  
12 of experiments that I described that I think have some  
13 chance of identifying agents that we don't know about,  
14 one of them relates to completely non-specifically  
15 amplifying nuclease resistant nucleic acids.

16 Most viruses that -- have protein capsids  
17 that will protect the viral nucleic acid from  
18 nuclease, RNase or DNase digestion.

19 And the PCR methods that are now available  
20 enable you to non-specifically, using random primers  
21 or selected random primers or other similar such  
22 methods, non-specifically amplify DNA that's in a  
23 tube.

24 And we all know that, of course, also from  
25 the O.J. Simpson case.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And so the idea of taking a cell substrate  
2           or a sample in which one suspects that there's an  
3           adventitious virus and then first completely  
4           nucleasing it, getting rid of all the free DNA and  
5           RNA, then perhaps ultracentrifuging it to purify  
6           encapsidated nucleic acids, and then releasing those  
7           nucleic acids and non-specifically amplifying them may  
8           provide the way of identifying those kinds of things.

9           The other method, which is somewhat more  
10          complicated, that I alluded to is attempting to use  
11          subtractive techniques to find new viruses.

12          And again, since I'm a herpes virologist,  
13          my greatest experience in this comes from the herpes  
14          virus field, but the Karposi's sarcoma herpes virus  
15          was discovered using a very powerful PCR-based  
16          subtractive technique called representational  
17          difference analysis.

18          And so the key there is finding a sample  
19          that's worth subtracting from another in order to see  
20          what's left over. And one approach might be to -- if  
21          one is attempting to detect non-cytopathogenic  
22          viruses, to inoculate a sample onto tissue culture and  
23          then subtract early time points from later time points  
24          to see whether any nucleic acids have amplified over  
25          time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 Or, alternatively, if one goes back to the  
2 nuclease resistant nucleic acid concept and subtracts  
3 away the vaccine strain or something, then we might be  
4 able to find something else that's left over.

5 So these are general concepts that I think  
6 might be used. But I agree with you completely that  
7 more research in this area is potentially very  
8 helpful.

9 CHAIRPERSON FERRIERI: Thank you, Phil.

10 We have a question from Dr. Brieman.

11 DR. BREIMAN: And I think this question is  
12 for Dr. Sheets, but I'm not totally sure.

13 In that wonderfully colorful piece that  
14 you gave us from Maurice Hillemann, which was from  
15 1978 and is an argument in favor of production of  
16 biologics in cancer cells, he seems to make the point  
17 there that the primary purpose for moving ahead with  
18 these sorts of cell lines is really for developing  
19 subunit vaccines.

20 And, in fact, he makes the comment that  
21 "there is, to my knowledge, no present important live  
22 virus vaccine need for which primary cells or diploid  
23 cell strains will not provide an acceptable answer."

24 The impression that I'm getting from the  
25 earlier discussion is that one of the driving forces

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 for this is the ability to produce HIV vaccines and  
2 make them affordable, you know, for use in developing  
3 countries and so forth.

4 So is this statement no longer applicable;  
5 that one would have to move to these new sorts of cell  
6 lines to develop, say, live vaccines?

7 I guess the other issue is that he goes on  
8 in this piece to talk about dragon killing and kind of  
9 -- it colorfully again talks about the -- you know,  
10 what sorts of things one could then do if you were  
11 producing a subunit vaccine, which includes all sorts  
12 of inactivation measures, which again wouldn't apply  
13 to, you know, a live virus vaccine.

14 I'm just wondering what's changed since  
15 this?

16 DR. SHEETS: Well, certainly Dr. Hillemann  
17 is quite a colorful character.

18 I think that it is true that early uses of  
19 some of these types of novel substrates were for  
20 recombinant purposes. Certainly that's why the  
21 therapeutics field is much further ahead than we are  
22 in considering the risk benefits for such things.

23 However, there are becoming more and more  
24 increasing uses which I can't tell you all of the  
25 specific indications, but certainly people are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 considering using -- I believe it's in the literature  
2 -- the Madin-Darby canine kidney cells, which is a  
3 continuous cell line, for influenza.

4 Certainly influenza is grown in eggs. But  
5 if we want to be able to break free of the  
6 restrictions of -- for influenza vaccination  
7 production -- vaccine production, which is very  
8 complicated timing-wise -- you have to get the hens  
9 produced.

10 Then the hens have to be producing the  
11 eggs. Then you have to have each batch of eggs come  
12 in so that you can produce a lot of vaccine. So all  
13 the timing is very critical. A lot of that would be  
14 alleviated with use of continuous cell line.

15 So that's one purpose. The HIV example  
16 that I gave you, if you want to grow live HIV for  
17 further inactivation or for a live attenuated vaccine,  
18 it's most likely going to be viable to do so in a  
19 tumor derived cell line.

20 Although it can be grown in primary cells,  
21 it's unlikely that commercial scale yield would be  
22 able to be achieved, and lot by lot testing for  
23 adventitious agents would be a huge burden.

24 So I think there are multiple examples.  
25 And we are certainly being approached all the time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 We have several applications for things, and also  
2 sponsors who approach us before they submit an  
3 application for advice.

4 So yes, this is changing. More and more  
5 novel approaches are being tried.

6 CHAIRPERSON FERRIERI: Thank you, Becky.

7 Dr. Greenberg.

8 This is our last question, and then I'm  
9 going to turn it back to Andy Lewis to present the  
10 focused issues for discussion.

11 DR. GREENBERG: This is basically a  
12 comment and a suggestion based on what Mike Oxman  
13 mentioned.

14 I also am worried about the agents that  
15 can't be -- that aren't known. And I would simply say  
16 that the Defense Department and DARPA is investing  
17 really very large amounts of monies for other reasons  
18 in finding agents that aren't known, and that there  
19 might be some partnering that FDA could use for some  
20 of this very new technology to, in this case, look for  
21 agents in these biologics, especially using micro  
22 arrays and things like that for looking for signature  
23 transcriptional responses in cells.

24 So maybe it would be good for somebody to  
25 talk to DARPA.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON FERRIERI: Thank you, Harry.

2 We will flip that into one of the two key  
3 issues. That's a very important item. We can amplify  
4 on that.

5 Andy.

6 The rest of the hour now will be devoted  
7 to these two focused items.

8 DR. LEWIS: We thank you for your  
9 attention this morning.

10 In the presentations that we've made  
11 before the Committee, we've tried to outline the  
12 manner in which living tissues needed for vaccine  
13 manufacture have been selected over the past 60 years.

14 Through the development of the polio  
15 vaccine, the selection process was serendipitous and  
16 opportunistic.

17 Following the discovery of SV40 in rhesus  
18 monkey cells that were being used for vaccine  
19 production in the 1950s and the development of the  
20 human diploid cell strains in 1961, the selection  
21 process shifted into a more deliberative phase that  
22 we're acknowledging today.

23 As a result of this process, only six  
24 types of cell cultures from the eukaryotic species  
25 have been selected as substrates suitable for vaccine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 manufacture.

2 Due to technological advances and new  
3 disease causing microbes, once again it's necessary to  
4 approach the possibility of expanding types of tissues  
5 that can be used for vaccine production.

6 And once again, the question is, how to  
7 proceed?

8 In a first attempt to address this  
9 question this morning, we've shared with the Committee  
10 our initial thoughts on how we might go forward. At  
11 this stage of the process, we think it's premature to  
12 ask the Committee to address questions regarding the  
13 use of specific neoplastic cell lines as vaccine  
14 substrates.

15 We thought it better to ask the Committee  
16 to comment generally on the issues regarding the use  
17 of neoplastic cells as vaccine substrates, and, within  
18 the context of these deliberations, to address the two  
19 items that I presented in my third slide this morning  
20 and that I'm going to present again on the next slide.

21 So in this regard, we ask the Committee to  
22 please comment on CBER's concept and approach to  
23 evaluate neoplastic cell lines that are proposed for  
24 use in vaccine manufacturing, and then consider any  
25 additional items related to today's presentations or

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 to issues related to the use of vaccines manufactured  
2 in neoplastic cells that the Committee finds  
3 appropriate.

4 CHAIRPERSON FERRIERI: Thank you, Dr.  
5 Lewis.

6 Well, let's open it up and address the  
7 first question, and that is the general concept of the  
8 use of neoplastic cell lines.

9 Who would like to lead off? Any  
10 volunteers?

11 Great, Dr. Kim.

12 DR. KIM: Yes, this is Kwang Sik Kim.

13 My concern is that I don't think we  
14 understand clearly the basis of tumorigenicity. And  
15 without having a complete information and knowledge on  
16 these issues, it will be very difficult to speculate  
17 what is going to happen.

18 Good example is this morning we heard that  
19 RT activity has been detected in chicken derived cell  
20 lines. And, you know, I think that a similar kind of  
21 findings will be obtained from other cell lines,  
22 including tumor derived cell lines, and I don't know  
23 what to do with that information.

24 CHAIRPERSON FERRIERI: Well, that occupied  
25 several of our Committee meetings prior to your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 joining us, Kwang Sik, so it threw many people into a  
2 turmoil.

3 Any amplification on that point, those who  
4 might share this concern at the table?

5 Yes, please, Dr. Folks.

6 DR. FOLKS: Tom Folks.

7 That's occupied a lot of our time at CDC  
8 as well. And just to make a comment, and maybe it's  
9 moving more to point two, but clearly I think that  
10 when you introduce or you find new agents associated  
11 with anything, or you iatrogenically attempt to change  
12 something -- and I'll use xenotransplantation as an  
13 example where we're heavily invested in that as well  
14 in looking for the transmission of something new or  
15 unknown, how do you go about knowing where you're  
16 stepping?

17 And about the only thing -- or one of the  
18 things you can do is surveillance, clinical  
19 surveillance. And I haven't heard a lot about that.  
20 And again, I think our purpose of this meeting is to  
21 talk about substrates.

22 But clearly, as we move beyond substrates  
23 and we start to think about application of the  
24 substrates into humans, we want to be sure that there  
25 is some type of random -- limited random surveillance,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 clinical surveillance, that follows anything like  
2 this.

3 And we've been surveying the reverse  
4 transcriptase activity, associated type activity, that  
5 children have been receiving over a number of years  
6 with the measles, mumps, rubella vaccine that you're  
7 alluding to.

8 And clearly, there are no sequences, no  
9 avian leukosis virus, or EAV type sequences that we  
10 can find in children post this type of vaccination.  
11 Likewise, we're developing other surveillance tools  
12 like Western Blot in serology to survey children, and  
13 again we find no real conversion of children to these  
14 chicken viruses.

15 So, you know, the concern is real. And  
16 whenever you find something new -- and assuredly, as  
17 Dr. Krause alluded to with representational difference  
18 analysis, this is a powerful, powerful tool to find  
19 new things that are going to appear in all types of  
20 substrates in vaccines that we're currently using.

21 We have to be cautious as to what they  
22 really mean, and surveillance may be one of the only  
23 ways we can do it.

24 CHAIRPERSON FERRIERI: Let me refocus the  
25 first question though, Dr. Folks. Would you care to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 comment on CBER's current approach to evaluating these  
2 neoplastic cell lines? As an opener, is it  
3 reasonable? Is it, at the moment, based on the  
4 technologies you're familiar with, sufficiently  
5 comprehensive?

6 DR. FOLKS: Highly reasonable.

7 CHAIRPERSON FERRIERI: Highly reasonable?  
8 I hope some of you will forgive me for calling upon  
9 you, but I think of you as our experts for the  
10 Committee.

11 Dr. Wold, would you care to address this  
12 point of what your opinion is of CBER's current  
13 approach to the evaluation of these cell lines?

14 DR. WOLD: Wold or Wolfe? Bill Wold.

15 The question has been raised -- if I could  
16 make a comment first -- as to why bother to consider  
17 cancer cell lines and other cell lines when there are  
18 lines that have been worked -- that have worked well  
19 in the past.

20 There are a number of reasons. First,  
21 many viruses don't grow well and cannot be genetically  
22 manipulated in the WI38s and cells of that nature.  
23 Secondly, the new technology available has created  
24 many new opportunities to develop genetically  
25 engineered vaccines and recombinant vaccines.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And those kinds of genetic manipulations  
2           have to be done in cells that are easily studied in  
3           the laboratory.

4           And thirdly, I think if you considered  
5           using other types of cell lines, you would engage in  
6           an entirely new community of researchers interested in  
7           vaccine development and biological development.

8           For example, academia, many of whom don't  
9           want to become involved in this kind of research  
10          because they don't have the resources, and it's just  
11          too much trouble to get involved.

12          So I think if it were easier to do the  
13          kinds of experiments that we can do now and we'll be  
14          able to do in the future, it would be better for  
15          everybody.

16          To comment specifically on the point, I  
17          think the experiments outlined are very reasonable.  
18          They're really taking the worst case scenario for, you  
19          know, contamination of DNA, protein when there isn't  
20          a great deal of evidence that there's a lot to be  
21          concerned about with any of these particular concerns.

22          But, nevertheless, the kinds of  
23          experiments that are proposed should be done, and I  
24          think they're reasonable.

25          CHAIRPERSON FERRIERI: Dr. Blair, do you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 have an opinion? Would you share your opinion with  
2 us, please? I'm sure you must have an opinion.

3 DR. BLAIR: No, I think the -- there  
4 clearly, in some cases, may be advantages to some of  
5 the neoplastic cells in the sense that one can clone  
6 them and characterize them to a much greater extent  
7 than you can the continuous cell lines or primary  
8 cells.

9 And I think that you -- that the  
10 approaches that were described to test for those  
11 things we know about and to test for -- you know, for  
12 the limits of our detectability of some of those  
13 things are the kinds of -- are the approaches that you  
14 have to do.

15 I'm not sure how to test for those things  
16 we don't know about and whether we already know  
17 examples of everything that's out there such that, if  
18 it were in one of our established lines, we would have  
19 noticed it by now.

20 I don't know, but I think the approach to  
21 establish the minimal limits and the limits of how  
22 much of any potentially hazardous or potentially  
23 hazardous material might be present in the output of  
24 some of these established lines should -- is certainly  
25 a worthwhile approach.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 I don't know whether there's the  
2 equivalent of an RFP within the FDA to get some of  
3 this work done on the outside, but that's certainly  
4 the kind of an approach that might get it done.

5 CHAIRPERSON FERRIERI: Thank you.

6 Dr. Wolfe.

7 DR. WOLFE: Assuming that the point that  
8 Dr. Snider raised, which is, in every instance that  
9 there's been an application, now or in the future,  
10 clearly the only way to go is with human or animal  
11 tumor cell lines, which may or may not be the case.

12 It may be that, in some cases, that's the  
13 way to go. And other places, for economic or reasons  
14 that aren't really that health related, the company  
15 has chosen to go that way.

16 But assuming that, at least in some of  
17 these instances, we would all agree that the way to go  
18 is to use human or animal tumor cell lines, it seems  
19 that the very careful approach both at the research  
20 level for developing the methodology, and then, when  
21 and if these are considered for human trials, the  
22 research to check out from the Government's  
23 standpoint, is very well thought out, but it is  
24 extremely resource intensive.

25 And so I don't think we can answer the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 first question without assurance because we're being  
2 asked -- or the people on the Committee permanently --  
3 I'm just here intermittently, I guess -- are being  
4 asked is this okay without guarantees that there will  
5 be the resources.

6 It seems that it would be better to have  
7 the resources used in house -- it may be necessary to  
8 augment that with RFPs or maybe convincing pieces of  
9 the DOD or NIH, with its now \$13 billion dollar  
10 budget, to be interested in it.

11 But I think we have to get some assurance  
12 that there will be the resources. Otherwise, nice  
13 idea, but no implementation could be really dangerous  
14 because it would -- going back to Dr. Krause's point,  
15 these things are too important to be left to anything  
16 other than public and publicly accountable  
17 institutions.

18 And if the budgets aren't there for that,  
19 they will be left to private institutions, and I would  
20 not have the trust in those institutions to do the  
21 very thoughtful kind of processes being described  
22 there.

23 CHAIRPERSON FERRIERI: Oh, I agree with  
24 you completely and think that we need considerable  
25 public advocacy to see that the resources are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 available. These are very vital.

2 I'm targeting Dr. Hughes. I wonder if  
3 you'd like -- with your position as Head of the  
4 Molecular Carcinogenesis Laboratory, if you would like  
5 to add your perspective to question one.

6 DR. HUGHES: I'm pleased certainly by what  
7 I've heard this morning. I think the approach in  
8 general is thoughtful.

9 CHAIRPERSON FERRIERI: Is your microphone  
10 working, Dr. Hughes?

11 DR. HUGHES: I don't know how to comment  
12 on that.

13 (Laughter.)

14 CHAIRPERSON FERRIERI: We'll try to fix  
15 it.

16 DR. HUGHES: I think the first thing  
17 though that needs to be kept very firmly in mind if  
18 one is considering neoplastic -- using neoplastic  
19 cells is not the risk in the absolute sense, but, in  
20 effect, the comparative risk relative to normal cells.

21 And the reason for pointing that out is  
22 that, someone who fiddles around with retroviruses in  
23 one form or another, I think it's only fair to point  
24 out -- and I think the experience with the avian  
25 system that we heard something about this morning is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 illustrative -- that you are not going to be able to  
2 avoid, even with normal cells, some potential risk.

3 I think if you're contemplating using  
4 neoplastic cells, and I think that's a reasonable  
5 thing to contemplate, one needs to think very  
6 carefully, wherever possible, about the route by which  
7 those cells became transformed.

8 And I think it's important to think  
9 differently about virally transformed cells and cells  
10 that are transformed by some relatively well  
11 understood bits of DNA damage, loss of suppressor  
12 genes or activation of some endogenous oncogene like  
13 NIC.

14 And I think, as was outlined, and I think  
15 nicely, one needs to think about the relative risks in  
16 any derived agent-reagent, whether it comes from  
17 normal cells or transformed cells, at the protein DNA  
18 and viral level.

19 And certainly my prejudice, which I think  
20 reflects what was discussed this morning, is that the  
21 risk of protein is relatively modest. The risk of DNA  
22 is less well understood, but probably also relatively  
23 modest if one excludes virally transformed cells.

24 And I don't think anyone is really  
25 seriously contemplating using those.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           And the real problem is viral. But I  
2 would point out, and I think this echoes a bit what  
3 Don Blair said, it may, in some ways, be easier to  
4 assess the contamination and the risk of viral  
5 contamination with a well characterized permanent cell  
6 line than it is with normal cells.

7           And I certainly think that one needs to be  
8 cautious in detecting "unknown agents." But that, I  
9 point out, is at least as big a problem, if not a  
10 bigger problem, if one is getting, you know, eggs or  
11 tissues from here, there and everywhere.

12           It's an unavoidable issue. And I think  
13 the final point, which I don't believe was discussed,  
14 is that maybe some thought should be given, since  
15 there was discussion of HIV, to differentiating agents  
16 or reagents that are intended for use in  
17 immunocompromised individuals and those that are not.

18           CHAIRPERSON FERRIERI: Thank you.

19           Back to Dr. Wolfe and then Dr. Johnston.

20           DR. WOLFE: Yeah, a quick comment, which  
21 was I think that your statement that you don't think  
22 anyone is seriously considering using virally  
23 transformed cells is not correct.

24           I think that one of the -- from the  
25 discussion, as I have read it this morning, that that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is one of the things that's being considered.

2 And, for the same reason as you, I would  
3 be concerned about that.

4 DR. HUGHES: Well, I think it's very  
5 important to distinguish when one uses the term  
6 virally transformed. I would not call 293 virally  
7 transformed.

8 DR. WOLFE: You wouldn't?

9 DR. HUGHES: That is a virus that is  
10 transformed by a modest component from adenovirus.

11 DR. WOLFE: Okay, so you --

12 DR. HUGHES: And I think that there's  
13 really no reason to expect that if you -- that you  
14 could resurrect an infectious agent out of a 293 cell  
15 since the 293 cell does not contain the vast majority  
16 of adenoviral sequences.

17 And I think that one could easily argue  
18 that the only way that you're going to recover  
19 something like an infectious adenovirus is to add an  
20 infectious adenovirus, and I think that's a different  
21 sort of situation which one should guard carefully  
22 against.

23 DR. WOLFE: I thought you were talking  
24 about the --

25 CHAIRPERSON FERRIERI: Thank you, Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Hughes.

2 Dr. Sheets, do you want to add to this  
3 discussion? And then we'll go back to Dr. Johnston.

4 DR. SHEETS: I did want to clarify.  
5 Certainly there are people considering 293 cells for  
6 the production of adenovirus recombinants.

7 The other thing is there are people  
8 considering use of HeLa cells, which we're -- we are  
9 presuming, because this is cervical cancer and there  
10 is human papilloma virus Type 16 in there, that that  
11 was the -- one of the transformation events.

12 So you shouldn't discount that these  
13 aren't being considered.

14 Now, I can't comment on what the products  
15 are or whether -- what the risk-benefit ratio would  
16 be, how FDA is viewing such applications or potential  
17 applications, but it isn't a truth to think that no  
18 one out there is considering them. They certainly  
19 are.

20 CHAIRPERSON FERRIERI: Dr. Hughes.

21 DR. HUGHES: It is -- you may know more  
22 about this than I. When you speak of HPV being  
23 present, are those -- how well characterized are the  
24 genomic elements in HeLa? Are they intact? Do we  
25 have whole viral information? Do we know?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRPERSON FERRIERI: Dr. Krause.

2 DR. KRAUSE: You know, the trouble with  
3 studying HPV is the lack of good tissue culture models  
4 in figuring that kind of stuff out, but there are  
5 about 50 copies of the HPV genome in a HeLa cell.

6 And, to the extent that has been looked,  
7 it appears as though all the components are there.  
8 Whether they're completely normal HPV Type 16 or  
9 there's something wrong with them, I'm not sure has  
10 been looked at.

11 But they appear normal based on the  
12 limited studies that have been done.

13 CHAIRPERSON FERRIERI: Dr. Johnston,  
14 thanks for your patience.

15 DR. JOHNSTON: Yes, if I may, I'd like to  
16 have a question answered and then provide a comment.  
17 And this is a question to Andy Lewis.

18 Andy, you presented earlier a very well  
19 thought out plan for developing a policy here at CBER,  
20 and I'm wondering if there's been given any thought to  
21 the time line that it will take to accomplish that  
22 plan?

23 DR. LEWIS: There's been some  
24 consideration of that. I think that the understanding  
25 that I have right now is that there may be resources

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 available to begin with an international workshop on  
2 cell substrates sometime between now and next October,  
3 probably late in the summer or September.

4 In terms of developing a working document  
5 within the organization, I think we have outlined for  
6 you what the major components of that document might  
7 be. It's not in hand at this point in time, and  
8 there's really no serious discussion as to a time  
9 frame as when that could be available.

10 But, realistically, I think we could  
11 probably have something within six months or so, if  
12 that were the way we would go. Beyond that, I don't  
13 think we have any perception as to a time line.

14 DR. JOHNSTON: Okay, thank you.

15 My view is that neoplastic cell lines  
16 definitely need to be considered aggressively,  
17 particularly for situations where there are no  
18 alternatives. And I think that the methods, the plan,  
19 the approach that has been outlined today is highly  
20 reasonable.

21 The resource issues are probably not  
22 insignificant; however, I think in general, when one  
23 is talking about prevention, we tend to undervalue  
24 those technologies, and perhaps this is one area where  
25 that undervaluing needs to change and that resources

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 need to be made available to accomplish these tests in  
2 an expeditious manner.

3 Now, whether or not one can rely on NIH,  
4 I think, is questionable. There is a new vaccine  
5 study section, but the degree to which it will look  
6 favorably upon very applied applications is yet to be  
7 determined because there's just no track record there.

8 So I would caution FDA to first look  
9 within before looking without.

10 Now, my concern is actually in contrast to  
11 that raised earlier by Dr. Wolfe, and it gets back to  
12 a comment made by Dr. Daum earlier, and that is one of  
13 risk-benefit ratio. Risk will never be nothing. We  
14 all know that.

15 And my concern is not so much that FDA  
16 will be precipitous, but that it may be too slow. And  
17 I guess one thing I'm uncertain about is where in the  
18 equation the risk-benefit discussion will take place.

19 Will this be at a product by product  
20 level? And what will happen in cases -- and I'll use  
21 the case of HIV vaccine since it was raised -- where  
22 the risk-benefit ratio itself will probably be  
23 dominated by issues other than cell substrate?

24 And that is the safety of the product  
25 itself, as well as the huge potential benefit that it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 may have.

2 So that is one example where there may not  
3 be alternatives to other cell substrates, where there  
4 could be substantial risk issues involved in the  
5 product itself, where there could be an enormous  
6 benefit.

7 And I guess my concern is I would hate to  
8 see things not progress because cell substrate issues  
9 have not yet been addressed and a policy has not yet  
10 been -- you know, something that would be widely  
11 applicable to everything has been formalized.

12 CHAIRPERSON FERRIERI: Well, that's very  
13 well articulated. Before we take a question from Dr.  
14 Nathanson, I wonder if Dr. Hardegree or a member from  
15 the Agency would like to respond to Dr. Johnston's  
16 point?

17 DR. HARDEGREE: Well, I think that you've  
18 heard before that we probably have to consider  
19 benefit-risk on a product by product category. And so  
20 that, as people are bringing us specific problems and  
21 issues, each of those are being considered.

22 And I think you also heard Andy say this  
23 morning that, as we have a specific product that we  
24 need to bring to this Committee, that we can do that  
25 in a way that we may need to address.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But it's time to get the discussion going  
2 about what the issues are, and so that everybody can  
3 be thinking about these together as opposed to a  
4 commercial sponsor alone thinking about it with FDA.

5           CHAIRPERSON FERRIERI: Thank you, Carolyn.

6           Dr. Nathanson, I was going to call upon  
7 you, but you've volunteered.

8           DR. NATHANSON: I just want to emphasize  
9 what several people have said, Peggy Johnston and also  
10 Sid Wolfe, about -- number one, I would hope -- the  
11 world desperately needs an AIDS vaccine.

12           I would certainly hope that you would put  
13 some teeth into the response to this, number one, by  
14 including some statements about a time line and about  
15 resources. And I certainly don't think it should be  
16 left to RFPs and grant applications.

17           This is something that is an applied  
18 problem, and there should be money that is  
19 specifically put there and a very directed program to  
20 accomplish this. And I think that could be a very  
21 useful recommendation, so I just wanted to emphasize  
22 that.

23           CHAIRPERSON FERRIERI: Well, thank you.  
24 I think this is just what Dr. Lewis and other members  
25 need to hear from us, and the recordings here are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701



1 taking this all in.

2 Yes, Dr. Edwards.

3 I need to tell you, Kathy, when you called  
4 me the other day, my secretary wrote it down as Dr.  
5 Edwards Vanderbilt. And I said I don't know any Dr.  
6 Edward Vanderbilt, but then I figured it out.

7 Kathy.

8 DR. EDWARDS: Thank you.

9 Well, first of all, I'm very impressed  
10 with the FDA presentation. And it's been certainly  
11 lucid and has clearly shown the quality of the science  
12 that exists at the FDA in spite of tremendous  
13 financial constraints.

14 And I think that that really is a tribute  
15 to the productivity and the intellect and hard work of  
16 people. And certainly that funding does have to be  
17 enhanced in this area because clearly this is a  
18 mission of CBER and it's very, very important.

19 Every day I labor in the trenches of  
20 trying to convince parents that vaccines are safe, and  
21 obviously that is becoming more and more difficult to  
22 do.

23 So that I think it is really imperative  
24 that, from this meeting, there is a public sentiment  
25 and there is real concerted effort to get the funds to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the FDA to continue this important work to assure that  
2 vaccines are safe.

3 And so that I really think it's a very  
4 important practical issue that must be done.

5 CHAIRPERSON FERRIERI: Thanks, Kathy.

6 Dr. Snider and then Dr. Wolfe.

7 No? Okay.

8 Dixie.

9 DR. SNIDER: Several comments. I guess,  
10 in summarizing my advice to the FDA, I would say that  
11 I think that the emphasis that they placed -- where  
12 they placed the emphasis today is understandable, but  
13 there are things on the front end and on the back end  
14 that need to be looked at just as carefully, need to  
15 be discussed publicly.

16 And, for example, the whole business about  
17 justifying using certain cell lines, I personally am  
18 in favor of it, but the point is that there needs to  
19 be some discussion around the alternatives, as I said,  
20 for traditional substrates as opposed to novel  
21 substrates and the whole risk-benefit thing we've been  
22 talking about, you know, compared to what  
23 alternatives.

24 And it seems to me that really needs to  
25 take place early on, especially from a manufacturer's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 point of view, before there is too much investment in  
2 a particular approach. And so I think that needs to  
3 be given more consideration.

4 And then, to just echo again what I said  
5 earlier and what Tom has said, I think on the back end  
6 -- I mean, no matter what we do in terms of monitoring  
7 production and monitoring safety during the early  
8 phases of development, there are going to -- new  
9 issues are going to come up or old issues are going to  
10 hang on.

11 And so there is the issue of monitoring  
12 folks during the randomized trials and during post  
13 marketing surveillance. And it raises all the issues  
14 again of what mechanisms are you going to use to  
15 monitor people who have been recipients of these  
16 vaccines.

17 And I think the public's going to want  
18 that kind of an assurance. And it's not too early to  
19 begin to think about some paradigm for that, as well  
20 as a paradigm for, you know, checking out whether  
21 certain proteins or viruses or cells or DNA slips  
22 through in a manufacturing process.

23 CHAIRPERSON FERRIERI: Thanks, Dixie.

24 DR. SNIDER: And finally, the  
25 tumorigenicity thing I want to bring up again because

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 -- on the record, that it's not just NIAID, it's NCI.  
2 But somehow, I think it's important to understand  
3 mechanisms and mobilize the research community around  
4 conducting some studies to find out mechanisms.

5 I think it's going to be a challenge. And  
6 maybe NVPO can help, maybe others can help, but it's  
7 important to engage on this issue.

8 CHAIRPERSON FERRIERI: Thanks. That  
9 overlaps very much into the second question, and I'm  
10 grateful for your doing that. A couple other points.  
11 First Dr. Huang, and then Caroline Hall, and then Dr.  
12 Daum.

13 DR. HUANG: When I look at CBER's approach  
14 to evaluating neoplastic cell lines, I basically see  
15 two major worries. One is tumorigenicity and the  
16 other one is adventitious agents.

17 I'm quite comfortable with the first  
18 approach and the worry about tumorigenicity. I think  
19 that, in fact, some of the tests may be overkill.  
20 This is in particular when we begin to think about  
21 more recent knowledge of how cancer cells are actually  
22 formed.

23 It's a progression, multi-step  
24 progression.

25 And I believe that one of the papers that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 we got earlier to read really did mention this. And  
2 so, on that particular line, if we see oncogenes or  
3 sequences of oncogenes or partial oncogenes around,  
4 I'm not terribly worried about that aspect.

5           However, with adventitious agents, I would  
6 just like to add my bit to the worry about those  
7 agents that might cause slow viral disease or  
8 neurological disease that we don't know a whole lot  
9 about.

10           And more specifically, I think that, of  
11 the cell lines that were used to test for adventitious  
12 agents, I would suggest that one of the cell lines  
13 that be used and which is used regularly in diagnostic  
14 laboratories is the 293 cell line which will pick up  
15 many humantrophic agents that other cells may not.

16           So that's a very specific sort of  
17 suggestion.

18           CHAIRPERSON FERRIERI: Thank you, Alice.

19           Dr. Hall.

20           DR. HALL: I just want wondered if Dr.  
21 Lewis could explain or clarify a little more about the  
22 document that will be available in about six months.

23           You said the time line. What this will  
24 contain or how it will be utilized. Is this something  
25 that would be given to a potential manufacturer and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 then the burden is upon them to get these tests, to do  
2 these tests? And it brings up the questions of  
3 standardization, etc.

4 DR. LEWIS: The perception that I would  
5 have of the document -- it might be ready in six  
6 months -- would be something that we'd be discussing  
7 internally and that we would be having other people  
8 look at in any type of forum that's necessary.

9 And if we had and we organized a meeting,  
10 say, sometime in August or September, if that document  
11 were ready, certainly it could be a part of a session  
12 or part of a day or whatever for discussion at that  
13 meeting.

14 I think to -- my perception would be that  
15 it would be premature to have this as a guidance  
16 document at this point in time at this stage of its  
17 development to be submitted to industry. That would  
18 only be ready after these discussions, and perhaps  
19 even after more discussions.

20 Because once we get into this process, it  
21 may be somewhat open ended. And how long it might  
22 take to come up with a guidance document I think might  
23 be unpredictable. Certainly I don't think we would  
24 stop having to deal with these problems as they come  
25 up, and we'd just have to manage as best we can.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           But again, if the question is to when  
2           there may be a guidance document ready, I think it  
3           would not be ready in six months. That would be my  
4           perception. Dr. Eagan or Dr. Hardegree may have other  
5           things to say about that.

6                   CHAIRPERSON FERRIERI: Thank you, Andy.

7                   Dr. Daum. And appreciate, Bob, if you  
8           might segue into the second question.

9                   DR. DAUM: Yeah, I think somewhere between  
10          the first and the second, but certainly there will be  
11          some second question comments.

12                   In sitting here sort of thinking about all  
13          of this, it seems to me that there's sort of three  
14          orbs or circles that are -- need to spin all at once  
15          to try and get arms around these issues. And one is  
16          the -- what is the need to pursue this new technology?

17                   And we haven't heard as much about that as  
18          I would like partly for -- mainly proprietary reasons.  
19          But we heard some of them in theoretical context.

20                   But I think the need is a crucial thing  
21          because I think there's an education process here  
22          that's got to go on to sell the scientific community  
23          and the public beyond about the need for new  
24          technologies.

25                   Comes with the need for new technologies

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 is careful monitoring strategies, sort of sphere  
2 number two. And I've been very impressed this morning  
3 at the sincerity, at the thoroughness and at the  
4 scientific integrity of plans to monitor these new  
5 technologies as they go forward with everything that's  
6 known about what to monitor.

7 But I think that there's also another  
8 sphere sort of orbiting around here which we're all  
9 trying to come to grips with somehow, is that comes  
10 with any new technology risks that we don't know much  
11 about at this point.

12 And I think that for people to accept  
13 these risks and believe that they're important to take  
14 is going to again come around to the education process  
15 about what is the need and what is driving pursuit of  
16 these new ideas.

17 And so I would like to add something that  
18 I haven't heard much so far, is that there needs to be  
19 some kind of education process of the scientific  
20 community and perhaps of the public beyond about the  
21 need for new technologies and new ideas and what's  
22 being done to monitor them carefully.

23 So, to me these three things are sort of  
24 spinning around at once. And the vast majority of  
25 what we've heard and are able to comment on is what is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1           CBER's approach to new technologies with existing  
2           ideas and looking for existing transmissions, for  
3           instance, of infectious agents.

4                     And there's a couple of areas that  
5           they're, by virtue of the beast, unable to comment on.  
6           And I think education and acceptance by us, that the  
7           risks may be worth it and let's go forward together  
8           with our eyes wide open has to be the approach.

9                     CHAIRPERSON FERRIERI: Thanks, Bob.

10                    Dr. Oxman and then Dr. Eickhoff.

11                    DR. OXMAN: Just to expand a little bit on  
12           a point that Dixie Snider mentioned a couple of  
13           minutes ago, most of what we've seen so far is an  
14           approach to dealing with a vaccine produced in a  
15           potentially neoplastic substrate and then trying to  
16           minimize the risk.

17                    One of the best ways of minimizing the  
18           risk is to start off by choosing the better of two or  
19           three or ten substrates and how to do that. And I  
20           think more effort needs to be put into that.

21                    I realize it's difficult, because of the  
22           position of the FDA, to dictate what substrates people  
23           should use. I've been around long enough to see that  
24           many substrates are chosen quite at random and  
25           serendipitously.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And once an investment is made, often by  
2 accident, people are reluctant to change the  
3 substrates. There are -- neoplastic cell substrates  
4 cover a very broad territory.

5           And the risks involved in manipulating an  
6 already well characterized transformed cell, for  
7 instance, by inserting a gene that would express CD4  
8 on its surface to make it suitable as a substrate for  
9 HIV, this would be a much lower risk and an easier  
10 thing to deal with in terms of guaranteeing safety  
11 than an uncharacterized or a much less characterized  
12 neoplastic cell line.

13           So I think emphasis on the choice of  
14 substrates prospectively would be very useful.

15           CHAIRPERSON FERRIERI: Thank you.

16           Dr. Eickhoff.

17           DR. EICKHOFF: Thanks.

18           I'd like to thank the presenters this  
19 morning, as others have already done, for really a  
20 very elegant series of discussions of the current  
21 state of using neoplastic cell lines for vaccine  
22 production.

23           I think it is a -- as others have said  
24 also, it's a very reasonable approach. And I think  
25 also it's a very necessary approach because one gets

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the impression, at least I did, that this whole  
2 approach is really a bit of a freight train that is  
3 pounding down on CBER and we better start learning how  
4 to deal with it now rather than waiting for some  
5 product license application that uses this technology  
6 and then suddenly there will be a real problem on our  
7 hands.

8 The process that is proposed -- as I said,  
9 it's a very reasonable one. I think it should --  
10 obviously the science is going to be the determinative  
11 discussion. But it should also be a very public  
12 process, I think, and I would really like to emphasize  
13 that.

14 Not only because public advocacy is  
15 probably going to be necessary to provide the  
16 necessary resources, which are uncertain at best, but  
17 also because -- and here I'd like to take off on what  
18 Dr. Daum said because I think this is terribly  
19 important.

20 There's going to have to be an enormous  
21 amount of public education. The public today is a  
22 very different public than it was 30 years ago when  
23 polio vaccine came on the market and the response was,  
24 "Does it work? Here, give it to me."

25 There were no concerns about safety for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 obvious reasons, and today there are. The public, for  
2 a whole variety of reasons which we don't need to go  
3 into now, has become much more distrustful of the  
4 vaccine enterprise, if you will.

5 At least many segments of the public are.  
6 And when they hear about vaccines produced in  
7 neoplastic cells, that will set some people's teeth on  
8 edge. And so I think the public education process is  
9 going to have to be enormously important.

10 CHAIRPERSON FERRIERI: Thank you, Ted.

11 I was volunteered as a youngster for the  
12 early Salk trials, so I feel I'm very sensitive to  
13 these issues. I undoubtedly have a little SV40 around  
14 and I don't worry about it at night, but I do care a  
15 lot about what we're doing now and in the future  
16 certainly.

17 Other comments?

18 Yes, please.

19 DR. SCHILD: I feel very privileged to  
20 have been able to come to today's debate. I think the  
21 FDA has done a superb job in presenting, with great  
22 clarity, the complexities and urgency of these issues.  
23 And I think their proposals are warmly received.

24 One thing is key, and that is the need for  
25 more regulatory research. And I think it's absolutely

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 right that Government funded bodies have the major  
2 role in this field of research. Industry would like  
3 to do more, but I think getting the new products out  
4 is a major priority.

5 These issues are of global significance.  
6 And there is -- I'm sure there is good spirit of  
7 global cooperation. I think the various regulator  
8 authorities in the world will work together on issues  
9 and share out the challenge.

10 One other thing I'd like to mention is  
11 that all biological products of the type we've been  
12 discussing today are really very much the function --  
13 their characteristics are very much a function of the  
14 cells in which they were produced.

15 The measles vaccine made in chicken cells  
16 doesn't work in the same way exactly as measles  
17 vaccine made in human diploid cells. Influenza virus  
18 grown in one substrate is different from that grown in  
19 another.

20 And when we're considering the first part  
21 of this, evaluating in neoplastic cells, we have to  
22 take into account also the characteristics of the  
23 products produced in those cells and how cells affect  
24 those as part of that consideration.

25 Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON FERRIERI: Thank you, Dr.  
2 Schild. I'm so pleased that you could join us on the  
3 panel today.

4 Let's keep up this crescendo here.

5 Dr. Greenberg.

6 DR. GREENBERG: Well, at the risk of being  
7 repetitive, I am in agreement with much that is said  
8 here. But I think we have to remember that we, here  
9 on the Committee, have listened to, in my span on the  
10 Committee, about a year and a half of decreasing  
11 funding for any form of research, and we're hearing a  
12 very important need.

13 And everything I have learned up to this  
14 point would say that the resources are not presently  
15 available to carry out this need. And so, if there's  
16 any single message that I would like to give from this  
17 Committee -- to the Government from the Committee, is  
18 that those resources have to be available.

19 Otherwise it's sort of silly to be asking  
20 us this question.

21 CHAIRPERSON FERRIERI: Well, I agree  
22 completely. And I like to think that comments like  
23 yours and others will be picked up by the national  
24 media. We're frequently bugged so much about the  
25 things we discuss here. We can't control what the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 translation is.

2 So, if you're out there in the audience,  
3 I hope you're hearing this, that you're quoting  
4 members who have said it. This is the third or fourth  
5 time. We are all very worried about the financial  
6 structure of CBER, other elements of FDA as well.

7 And this is an example of the vitality,  
8 the critical need to back this up. Otherwise we  
9 shouldn't be in the business, in my opinion, if we  
10 can't pursue this in depth.

11 And a great deal of money is wasted in  
12 Government for things that I am politically sensitive  
13 to not enumerating for you all today, but I think that  
14 the nation's health is the subject here that we're  
15 gripping with.

16 Yes, Dr. Adimora.

17 DR. ADIMORA: I wanted to, very briefly,  
18 revisit the issue of the risks and benefits in various  
19 target populations, and just revisit the issue of what  
20 would be the arena in which these issues would be  
21 decided.

22 Specifically, I think what I mean is that  
23 there are risks and benefits of vaccines that are  
24 manufactured in these continuous cell lines, and the  
25 extent to which these risks and benefits change -- the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 extent to which these risks and benefits change  
2 certainly varies with the anticipated target  
3 population.

4 For example, in HIV, if one thinks about  
5 the critical need for an HIV vaccine, there are  
6 clearly very different risks and benefits associated  
7 when you're thinking about a child growing up, say, in  
8 some part of Central Africa who might have, for  
9 example, a 50% chance of ultimately dying of HIV at  
10 some point in his or her life compared to the same  
11 risk of a child growing up in suburban America.

12 On the other hand, risks and benefits can  
13 certainly vary among inhabitants of even the same  
14 country -- obviously within different subpopulations  
15 of the same country.

16 I'm normally very conservative by nature,  
17 but one concern that I have is that some of the -- I'm  
18 sort of uncertain how to say this, but I'm concerned  
19 that intense focus on -- and I'm not saying that this  
20 is not -- that this is unwarranted, but I think that  
21 I worry about the extent to which intense focus on  
22 very small risks in some populations may inhibit the  
23 development of vaccines in populations in which such  
24 vaccines could do immediate good to huge numbers of --  
25 to people who are at incredibly high risk.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1                   And I'm not sure how to approach this  
2 issue or even who should approach it, but I just  
3 thought that it was a reasonable issue to throw out  
4 concerning your questions for number two.

5                   CHAIRPERSON FERRIERI: I think that if  
6 Mary Lou had been with us, she would have been able to  
7 relate to that point and be a champion of what you  
8 have just said.

9                   Other points?

10                  Dr. Lewis, have you heard enough today  
11 that will help you all? We'll start the collection  
12 tin in a moment.

13                  (Laughter.)

14                  But I would like to be able to sum up very  
15 briefly. I want to congratulate the panel, the  
16 permanent members of the Committee, and the wonderful  
17 guests we had today to make this very dynamic beyond  
18 my hopes and expectations, I might say.

19                  And so I hope that FDA has benefitted from  
20 it. I think the critical things that we have heard  
21 today that relate to the current approach of  
22 evaluation include the reasonableness of the approach,  
23 how critical it is to take these approaches; and that,  
24 although some things may appear to be in excess, most  
25 of the approaches can be defended.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           The need for the financial resources is  
2 evidence. We don't have confirmation or affirmation  
3 that those resources will be available, and we need to  
4 recruit public advocacy in the strongest way and their  
5 interest in education of the public to be on the same  
6 band wagon so that we're all together as this moves  
7 forward.

8           We all have an investment in this.

9           The other items that were brought up  
10 include a need to better understand the mechanisms of  
11 what we are dealing with and what is being studied,  
12 not just a simple pragmatic approach, and if the  
13 resources could accommodate pursuing that.

14           And we can't be at all dependent that  
15 other elements of the Federal Government such as NIH  
16 will be able to pursue that, so we're going to have to  
17 be very creative in thinking of how we're going to  
18 understand this and support basic understanding of  
19 these lines.

20           Alternatives have been suggested as well.  
21 Although the focus has been neoplastic cell lines,  
22 that we consider other novel, creative substrates as  
23 we move forward. Monitoring strategies of these new  
24 technologies have also been emphasized, and trying to  
25 move forward in a very prospective way in examining

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 alternative choices.

2 And the choice of substrate need not be  
3 confined to the historical past. And although those  
4 who come to FDA already have chosen their cell  
5 substrate, it doesn't mean that the word can't get out  
6 from now on that don't be confined and fettered by the  
7 past.

8 We look forward and throw back to FDA the  
9 need to see a document that you would prepare  
10 internally, and would encourage its review at a  
11 special session, for example, of the workshop that is  
12 being proposed by early fall.

13 I think that sums everything up, but I  
14 think that the inclusion of the public in this process  
15 is very vital. And I encourage whatever mechanisms we  
16 might have of public relations, other ways of  
17 disseminating information that can be understood by  
18 everyone for people who do not do molecular biology by  
19 day and night.

20 So, I'd like us to break for lunch. And  
21 those of us who are included in the closed session at  
22 1:30, we will start promptly.

23 Thank you all very much.

24 (Whereupon, the proceedings were adjourned  
25 at 12:26 p.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

**CERTIFICATE**


This is to certify that the foregoing transcript in the  
matter of:                   VACCINES AND RELATED BIOLOGICAL PRODUCTS  
                                  ADVISORY COMMITTEE MEETING

Before:                   DEPARTMENT OF HEALTH AND HUMAN SERVICES  
                                  PUBLIC HEALTH SERVICE

Date:                    NOVEMBER 19, 1998

Place:                   BETHESDA, MD

represents the full and complete proceedings of the  
aforementioned matter, as reported and reduced to  
typewriting.

  
\_\_\_\_\_