

1 DR. MASUCCI: Absolutely, and drug
2 companies --

3 ACTING CHAIR WARD: Whoops. Oh,
4 I'm sorry.

5 DR. MASUCCI: Go ahead.

6 ACTING CHAIR WARD: Does anyone
7 disagree with this question?

8 PARTICIPANT: Does anyone think
9 about the --

10 ACTING CHAIR WARD: Okay. Right.
11 Okay.

12 DR. MASUCCI: But very tied to
13 this is the second question.

14 ACTING CHAIR WARD: Yes.

15 DR. MASUCCI: For those drugs
16 where it's not approved and we have limited
17 data or data with some type of deficiencies,
18 that needs to be made very clear in the label.

19 ACTING CHAIR WARD: Yes.

20 DR. MASUCCI: And if you --

21 ACTING CHAIR WARD: Right.

22 DR. MASUCCI: -- can certainly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 recommend wording or context, that would be
2 great.

3 ACTING CHAIR WARD: Yes. I think
4 the wording has to be quite explicit to
5 accurately reflect the data that you have,
6 tested, not tested, shown to be ineffective
7 versus inconclusive. Tom?

8 DR. NEWMAN: Yes, that's what I
9 want to comment on. I would second that. I
10 think efficacy not established is not the same
11 as saying we recommend you don't use this
12 because there are studies and we think it
13 won't work or it would be harmful. And so if
14 you say efficacy is not established and then
15 down below, data inconclusive to warrant
16 approval, those are too similar to each other.

17 So I would agree with others who
18 have said that rather than efficacy not
19 established, say not effective, do not use.

20 DR. MASUCCI: Right.

21 DR. NEWMAN: Yes, study shown to
22 be not effective, do not use.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MASUCCI: Right, the first
2 question has to be --

3 DR. NEWMAN: As opposed to
4 efficacy not established.

5 DR. MASUCCI: Right. The first
6 question obviously is has it even been studied
7 and then what did the studies find, and
8 pertinent negatives are extremely important in
9 this, in this context.

10 ACTING CHAIR WARD: Rich?

11 DR. GORMAN: Yes, sir. You know,
12 the Agency has wrestled with exactly the same
13 issue with pregnancy and came up with this
14 lovely lettering system, which shorthanded
15 this whole discussion we're about to have,
16 never been tested in pregnancy, we don't know.

17 It's terrible in pregnancy, don't ever use
18 it.

19 And I know that's not the legal
20 regulatory definitions of some of these
21 letters. We don't have a lot of information,
22 but it looks okay. We don't have a lot of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 information, but it looks bad.

2 And I think a system like that
3 where it could be graded as the initial
4 sentence, and then you can go into as much
5 detail as you want, would be very helpful
6 where there would be four categories or five
7 categories or one category.

8 Yes, you see, I make my life easy
9 for everybody, don't I?

10 ACTING CHAIR WARD: I asked Dianne
11 to just pull her hair out when we really got
12 really far afield and I think we may be seeing
13 that response. I'm not sure.

14 DR. MURPHY: You know, I
15 understand why you're saying that, but
16 actually there is an enormous effort to do
17 just -- do away with that system because
18 ob/gyn people have found it so unuseful and
19 that they really want more information, and
20 that what we have been trying to say and we
21 have been actually telling the divisions, and
22 Bob Temple has also made the statement, well,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 maybe at least say, okay, it was studied in a
2 trial of 29 kids, so you will know it wasn't
3 like some adult studies, a 2,000 -- it mainly
4 didn't work because you only did 29 kids.

5 We don't know, but that was the
6 only information we had, that at this point we
7 have been trying to push to get people to say
8 what? A little sentence about what the trial
9 was and then that it was not shown to be
10 effective or not effective.

11 So that was sort of -- and not go
12 into a categorization process, because people
13 want to know what the basis of that
14 categorization is and that is sort of where I
15 think we're heading.

16 ACTING CHAIR WARD: We're going to
17 let Rich respond in between apples here.

18 DR. GORMAN: I understand and I
19 would also appreciate that extra information,
20 but I will not discount the expertise that
21 sits in this and the surrounding buildings to
22 make a gradation that at least is an initial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stab for those of us who occasionally only
2 read the Cliff Notes, okay, and don't get to
3 the full novel.

4 DR. MURPHY: But there is never a
5 novel for children.

6 ACTING CHAIR WARD: Right.

7 DR. MURPHY: There is only Cliff
8 Notes for children.

9 ACTING CHAIR WARD: Short points.

10 DR. MURPHY: I shouldn't say that,
11 the majority of the time. So I think that is
12 why there has been a push to try to get
13 whatever limited information we have without
14 making it a novel that at least a study was
15 done.

16 ACTING CHAIR WARD: Yes.

17 DR. MURPHY: That is number one.
18 Everybody agrees that at least we got to put
19 in there a study was done or not done, because
20 as of last night I can tell you there are
21 products in the PDR where we know we studied
22 them and it's still saying safety and efficacy

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 not proven. So everyone agrees we got to get
2 that fixed.

3 Secondly, we now are -- where do
4 we put, you know, the information because the
5 other thing that sort of happened that wasn't
6 -- is that all the pediatric information now
7 has been sort of in the Pediatric Use section.

8 And some people are putting it there even if
9 it's approved or unapproved and others are
10 putting it in other places.

11 So that we're trying to bring some
12 order out of this chaos and we have this
13 wonderful group that happens to be looking at
14 every one of the new labels that's done, so
15 that we have this opportunity to do that.

16 So we're really -- they are coming
17 to you to say do you like separating it out?
18 There is a down side, I can tell you, of
19 taking the approved pediatric information and
20 putting it everywhere because, you know, some
21 people just go to the Pediatric section now,
22 which, you know -- when you only look at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Cliff Notes.

2 But it also would make it a whole
3 lot easier to have people understand that what
4 is in the Pediatric Use section in the future
5 would be those studies that were conducted,
6 but did not result in approval.

7 ACTING CHAIR WARD: Okay. The
8 down side of that, Dianne, is that that
9 section will need to be able to be revised on
10 a regular basis by the Agency as new data are
11 published.

12 DR. MURPHY: If it's approved or
13 not approved, but there is a supplement.

14 ACTING CHAIR WARD: This, the
15 pediatric --

16 DR. MURPHY: There is a
17 supplement.

18 ACTING CHAIR WARD: No, the
19 Pediatric Use section.

20 DR. MURPHY: Right.

21 ACTING CHAIR WARD: Let's say it's
22 the trial of 20 children and it was effective

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 in one or something like that. Next year
2 there is another study of another. What are
3 you going to do with that, so the subsequent
4 study, the subsequent study that yet is still
5 not approved and labeled for children?

6 DR. MURPHY: A sponsor would
7 submit the studies trying to get an indication
8 and, therefore, those studies would be looked
9 at for whether they made that indication or
10 not. If they made it, it would go in under
11 making the indication. If it didn't, it would
12 go into the Pediatric Use section.

13 ACTING CHAIR WARD: Okay. Then
14 I'm confused. If there are data, published
15 data that are not part of a sponsor's trial,
16 can it show up in the label?

17 DR. MURPHY: It has to be
18 submitted as a supplement.

19 DR. MATHIS: I think one of the
20 big places where we're going to get these
21 studies that don't demonstrate efficacy are
22 from pediatric clinical trials as a result of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Best Pharmaceuticals for Children Act. We
2 have for a long time now really struggled with
3 what to do with data from the studies that
4 basically the taxpayer has paid for by
5 blocking generics.

6 How do we get that information out
7 to the public? And, of course, we have a
8 couple of ways. We post the summaries on the
9 web, but it has also been just recently, I
10 think, that the entire Agency has accepted the
11 fact that this information needs to get into
12 labeling because pediatricians need to know
13 that the drug has been studied and found to
14 not be effective or inconclusive or that it
15 works.

16 So we really have been using the
17 Pediatric Use section of labeling for this
18 additional information that we're getting from
19 BPCA. I don't know that it would be possible
20 for us to constantly scan the published
21 literature and keep changing labeling.

22 ACTING CHAIR WARD: Next year

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we'll start seeing controlled pediatric trials
2 coming from Europe. So are you going to be
3 putting those in or only those that the
4 sponsor brings to you the data?

5 DR. MURPHY: Now, remember
6 Labeling 101, that the label is owned by the
7 sponsor and so the studies that -- they are
8 the company that decides whether they want to
9 get a new indication or not. And if they
10 don't want a new indication and they don't
11 submit it, then we would have to decide that
12 we think there is a public health need because
13 of some important information.

14 The division can go and ask the
15 sponsor to submit it. They can do that. You
16 know, there have been situations where
17 something has come out in the literature. We
18 don't just do labeling based on literature.
19 We do labeling --

20 ACTING CHAIR WARD: That is not
21 entirely true. If there is a toxicity issue,
22 it will often land in the label.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: That's too broad of a
2 statement.

3 ACTING CHAIR WARD: Yes.

4 DR. MURPHY: If there is a safety
5 issue, I was getting ready to say, generally,
6 for efficacy, you know, you want the raw data.
7 You want to be able to look at it. If
8 something comes up in the literature that
9 there is a safety issue, people want that
10 information, the division will request it,
11 they will bring it in, they will look at it
12 and they could put it in the label. But it
13 would be a labeling supplement when they did
14 that.

15 DR. JOHANN-LIANG: I have one
16 thing. There is a mechanism. It's the
17 citizen's petition mechanism where if you
18 think there is a safety issue with a drug,
19 anyone, you know, in the public domain could
20 bring a citizen's petition into the FDA and
21 the Review Division will look at that
22 petition. They will still have to speak with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the sponsor to update the labeling though.

2 But there is a mechanism by which
3 if you have, you know, an aggregate of trials,
4 you know, in the published literature and you
5 want to bring that in, so there is a
6 mechanism.

7 DR. MASUCCI: Both the old
8 labeling regulations and even now, even more
9 explicitly in the Physician Labeling Rule say
10 very clearly that the sponsor has to keep the
11 labeling updated. And if new information
12 becomes available, they need to incorporate it
13 in their labeling or else their labeling is
14 misleading. So they have some responsibility
15 on that level to do that.

16 One quick comment about what
17 Dianne said about keeping all the -- not
18 keeping all the information in Pediatric Use
19 for an approved indication, it does spread it
20 throughout the label. However, what you would
21 do in that Pediatric Use section is say, you
22 know, two clinical trials were conducted,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 demonstrated safety and effectiveness in kids
2 over 12, See, Clinical Studies, See,
3 Pharmacokinetics.

4 So the cross-referencing would be
5 there to guide the reader so they wouldn't
6 have to totally flip through the label by
7 themselves. If they went to Pediatric Use
8 first, they would be able to find everything
9 they needed.

10 ACTING CHAIR WARD: Yes, Betsy?

11 DR. GAROFALO: Sure. I thought I
12 would just make a couple of points. I think
13 this is great. I like this proposal for the
14 labeling and I think being more explicit with
15 the pediatric information is better, because
16 sometimes we don't have the luxury of doing as
17 many trials, you know, in adults. It might
18 take multiple depression trials to see an
19 effect and we wouldn't necessarily have that
20 luxury.

21 So to say it absolutely doesn't
22 work may not be a fair assessment. So I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 more of the details as opposed to trying to
2 draw some conclusion. And in terms of, you
3 know, studies that are happening around the
4 world and getting into our labeling here, I
5 think most, you know, sponsors are very
6 interested in getting the information out as
7 well and are holding things back.

8 But it's really not any different
9 between adult trials from pediatric trials.
10 Some trials just don't ever make it into the
11 labeling for one reason or other.

12 ACTING CHAIR WARD: All right.
13 Given the --

14 DR. MURPHY: Question?

15 ACTING CHAIR WARD: Oops, sorry.

16 MS. DOKKEN: I just had a quick
17 question, because you were talking about sort
18 of guiding the reader, so they knew they would
19 go to the Pediatric section and then they
20 would know whether they were supposed to go
21 every other place. Have you talked about
22 whether there could be anything very quick and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 easy that could be up-front in the Highlights,
2 so you would know right away whether it was a
3 yes or a no drug?

4 DR. MASUCCI: Now, that's a very
5 good question. And Highlights is very -- is
6 going to be very much on a case-by-case basis
7 with each drug. One component that can go in
8 Highlights, well, a couple of them where this
9 information could find its way in is depending
10 on the wording of the indication. If the
11 indication is very explicit about the patient
12 population that should be used, then that can
13 be in there.

14 And again, the Physician Labeling
15 Rule is even more explicit that the Indication
16 section must include any important limitations
17 to the indication. If we know it shouldn't be
18 used in kids under 5, because of HP axis
19 suppression, that should be an indication and
20 that would find its way into Highlights.

21 Another section that can be
22 incorporated into Highlights if there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 relevant information is a use in specific
2 population subheading and the example that I
3 gave you in your slides has that. Not every
4 drug will have to say whether or not studies
5 have been done in kids, yes or no. It is
6 going to be a case-by-case review decision
7 based on a couple of things on the relevance
8 and the importance of the information.

9 Also, given the space limitations
10 of Highlights, we are limited to half a page.

11 So that's a constraint that we have never had
12 to deal with before in labeling. And so those
13 decisions are going to have to be made about
14 priorities of information as well.

15 DR. MURPHY: Shall we go on to the
16 last question? Are we through with this one?

17 ACTING CHAIR WARD: Does anybody
18 disagree with this, given the comments from
19 Dianne about the ownership of the label?

20 PARTICIPANT: Disagree with what?

21 ACTING CHAIR WARD: Pardon?

22 DR. MURPHY: I guess we just need

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some summary, because there were some
2 different, you know, discussion here. Does
3 everybody agree that the language explained
4 the lack of evidence for approval in the
5 Pediatric Use section would be useful? Is
6 that unanimous?

7 ACTING CHAIR WARD: I don't see a
8 dissenting head nod.

9 DR. MURPHY: Okay.

10 ACTING CHAIR WARD: Okay.

11 DR. MURPHY: Okay.

12 DR. NEWMAN: Excuse me. But
13 clarifying that, that the wording efficacy not
14 established, not be used when the
15 recommendation is not to use it, you know.

16 ACTING CHAIR WARD: Yes. I think
17 from all of the discussion, I think, we have
18 been pretty clear that we want explicit
19 language about when it should and should not
20 be used.

21 DR. MURPHY: Well, I think that's
22 a good point to make though.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACTING CHAIR WARD: Okay.

2 DR. MURPHY: That it needs to be
3 better than the standard language.

4 ACTING CHAIR WARD: Yes, not
5 established.

6 DR. MURPHY: And reflect the
7 conversation of having more data than less
8 within the restraints of what you all can do.

9 ACTING CHAIR WARD: Yes.

10 DR. MASUCCI: And we talked about
11 this a little bit earlier about having a
12 minimum age in indications. What I have seen
13 within CDER is review divisions that have a
14 lot of pediatric drugs, be it derm products or
15 pulmonary with the asthma and the allergy
16 products. A lot of them that have a lot of
17 pediatricians on their staff, most of their
18 labels have this, but other review divisions
19 that don't, they don't.

20 ACTING CHAIR WARD: Yes, yes.

21 DR. MASUCCI: And I sense from our
22 -- when we started with this topic that most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of you thought that was going to be very
2 valuable information for the user.

3 ACTING CHAIR WARD: Does anyone
4 disagree with this recommendation? Okay.
5 We're moving on.

6 DR. NEWMAN: Thank you.

7 ACTING CHAIR WARD: Thank you.

8 DR. MASUCCI: Thank you all for
9 listening.

10 ACTING CHAIR WARD: I think this
11 will be more user-friendly and hopefully serve
12 patients better.

13 DR. MASUCCI: Thank you.

14 ACTING CHAIR WARD: Tom is not
15 finished yet. Hang on.

16 DR. NEWMAN: I just think this is
17 wonderful and for all the reasons you
18 mentioned, all labels don't work very well and
19 this will be a huge improvement. But I'm just
20 sort of dismayed that even in the year 2013,
21 it is still going to be some like this and
22 some like the old one. And the whole idea of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 being okay, No. 1 means this, No. 2 means
2 this. Can't we accelerate this process
3 somehow?

4 DR. MASUCCI: In terms of getting
5 labels changed from the old format to the new?

6 DR. NEWMAN: Yeah.

7 DR. MASUCCI: Well, we are seeing
8 some of that. I mean, some -- there are
9 already a handful of labels approved in the
10 new format. Some companies are really eager
11 to do this. They think it's better for them.
12 They think for whatever reason, be it a
13 marketing advantage, who knows what their
14 motivations are, but I think we're going to
15 see more rather than less.

16 DR. NEWMAN: Is this something FDA
17 can regulate or make happen sooner?

18 DR. MASUCCI: Our regulations are
19 very explicit about by when certain dates you
20 must and beyond that is purely voluntary, but
21 we are encouraging. In what form that
22 encouragement is going to take, you know, arm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 twisting, threats, I don't know. But I think,
2 you know, for certainly older drugs, off-
3 patent, no new studies, nothing else coming
4 out, we're going to see old labels for a bit.

5 ACTING CHAIR WARD: Could I ask
6 about why you made a cutoff of 2001 to have to
7 do it?

8 DR. MASUCCI: I have no idea. I
9 was not involved in that decision. Those
10 people, I don't think are in the room.

11 DR. MURPHY: I don't know. I can
12 just -- I just want to tell you guys that I
13 won't tell you how many years it took to get
14 this label. This is so exciting that we have
15 it and it's going to happen in our lifetime.
16 I know I'm strange.

17 ACTING CHAIR WARD: I though you
18 were going to say you were in high school when
19 it started.

20 DR. MASUCCI: Thanks again.

21 ACTING CHAIR WARD: All right.
22 Alan is going to come back and we will return

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to the abbreviated presentations of fully
2 reviewed drugs, I should say. We will start
3 with ritonavir.

4 DR. SHAPIRO: This is more of a
5 standard presentation for this one, but that's
6 okay. So I would like to continue on with the
7 infectious disease theme and talk about the
8 one year post-exclusivity adverse event review
9 for ritonavir.

10 Ritonavir also known as Norvir is
11 an HIV protease inhibitor. Its sponsor is
12 Abbott Laboratories. Now, this is the prior
13 to exclusivity the treatment, its indication
14 was treatment of HIV-infection in combination
15 with other antiretroviral agents greater in
16 patients 2 years and older. It gained market
17 approval in March of 1996 and pediatric
18 exclusivity was granted in June of 2005.

19 One thing I want to emphasize
20 which is very important about ritonavir, it's
21 not being used the way it was studied. Right
22 now, we're using ritonavir more as a metabolic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 enhancer. I'm going to describe that here.

2 For all protease inhibitors,
3 resistance has been reported when using
4 monotherapy and can even develop when you are
5 using combination therapy, in which drug
6 levels are subtherapeutic. This could be due
7 to inadequate dosing, poor drug absorption,
8 rapid drug clearance and inadequate adherence.

9 As I started to mention, ritonavir
10 is mainly now used to increase the serum
11 concentration and decrease the dosage
12 frequency of other protease inhibitors.
13 "Boosted" therapeutic regimens usually consist
14 of two protease inhibitors, usually a low dose
15 of ritonavir plus saquinavir or
16 lopinavir/ritonavir, which is also known as
17 Kaletra, and combined with one or two
18 Nucleoside Reverse Transcriptase Inhibitors.
19 With the exception of lopinavir/ritonavir,
20 there is limited data on the safety and dosing
21 of combination protease inhibitor regimens in
22 children.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now, getting on to this. As I
2 mentioned, that these studies, pediatric
3 exclusivity studies are describing ways of
4 using ritonavir that we're not currently
5 using, but that still, at the time, was what
6 people were interested in. So of the trials,
7 there was initially a Phase 1/Phase 2 dose
8 finding open-label study of two different
9 doses of ritonavir used alone and in
10 combination with lamivudine and zidovudine in
11 HIV-infected infants and children.

12 This looked at safety, tolerance,
13 pharmacokinetics and activity of ritonavir.
14 And there was also a Phase 1/Phase 2 open-
15 label management study in HIV patients 6
16 months to 21 years of age with rapidly
17 progressive/advancing disease who is failing
18 current therapy.

19 Now, the results of these trials
20 was that there was no statistic difference
21 between -- noted in the small randomized study
22 between the dose of 350 milligrams per meter

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 squared and the 450 milligrams per meter
2 squared twice daily doses during the first 104
3 weeks of follow-up with respect to HIV-1 RNA,
4 CD4 cell count and CD4 percentage.

5 Overall, the toxicity profile of
6 ritonavir seen during the clinical trials
7 appeared similar to that observed in adults.
8 Ritonavir was part of a combination
9 antiretroviral therapy, therefore, it was
10 difficult to determine the exact contribution
11 of ritonavir to any clinical or laboratory
12 toxicities and many of the approved
13 antiretroviral drugs have overlapping
14 toxicities.

15 Now, labeling changes that
16 resulted from exclusivity studies for
17 ritonavir. For the indication, extended the
18 age range down from 2 years down to 1 month.
19 Safety, the adverse event profile in the
20 pediatric population was similar to that of
21 adults and also PK and dosing information was
22 added for pediatric patients less than 2 years

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of age.

2 Now, to talk about the Adverse
3 Event Reports since marketing approval. For
4 all ages, there were 6,511 Adverse Event
5 Reports of which 6,026 were serious and there
6 were 703 deaths. In the pediatric age range,
7 there were 417 reports, 380 were serious and
8 there were 39 deaths.

9 Now, going on to the 13 months
10 post-exclusivity period for ritonavir. For
11 all ages, there were 984 reports of which 953
12 were serious and there were 183 deaths. Now,
13 in the pediatric age range, there were 68
14 reports of which 63 were serious and there
15 were 5 deaths. Although, I should emphasize
16 that this represents three unduplicated
17 pediatric deaths.

18 Now, in discussing adverse event
19 types of ritonavir exposure, there are two
20 types of exposure I need to emphasize. No. 1,
21 you have the direct exposure, which are
22 patients that receive treatment of HIV-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 infection. This is usually in patients after
2 birth who are using combination with other
3 antiretroviral agents for HIV treatment. So
4 it is difficult to assign causality to the
5 reported adverse events.

6 And then there is indirect. This
7 occurs in utero and that's for ritonavir being
8 used during pregnancy by HIV positive mothers
9 for maternal health and prevention of
10 perinatal HIV. The caveats here are exposed
11 infants may or may not be HIV-infected. There
12 is possible association of combination
13 antiretroviral therapy and premature delivery.

14 And newborns receive antiretroviral therapy
15 postpartum, which may complicate the
16 interpretation of adverse events associated
17 with the in utero exposure.

18 Now, in the adverse events from
19 direct exposure to the one year post-
20 exclusivity period, as you can see, the
21 adverse events listed here include three
22 deaths, seven hepatic events, five drug

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 interactions with fluticasone propionate
2 causing Cushing Syndrome, two pancreatitis,
3 two of gastrointestinal symptoms, three of
4 drug being ineffective, three skin reactions,
5 eight miscellaneous, which includes the ones
6 listed below and the unlabeled ones which are
7 underlying include: alopecia, nystagmus,
8 strabismus and spontaneous abortion.

9 Now, I would like to go over the
10 three pediatric deaths from direct exposure
11 during the one year post-exclusivity period.
12 The first one was a 16 year-old HIV-infected
13 female on lopinavir/ritonavir, stavudine and
14 lamivudine who died of cryptococcal
15 meningitis.

16 There was also a 21 month-old HIV-
17 infected patient on lopinavir/ritonavir,
18 lamivudine, stavudine who died of cardio-
19 respiratory complications secondary to
20 disseminated cytomegalovirus infection.

21 There was also a 2 year-old male
22 who died due to hemorrhagic pneumonia,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ruptured porencephalic cyst, medication error,
2 bronchospasm and deterioration of renal
3 function while enrolled in a clinical trial of
4 atazanavir, stavudine, lamivudine and
5 ritonavir.

6 Now, in utero exposure, as we
7 talked about, most commonly reported adverse
8 events were the fetal or intrauterine growth
9 retardation. There were three reports. Three
10 reports of neutropenia, two of anemia, two of
11 hypertriglyceridemia and there was blood
12 lactate or lactic acid increased. I should
13 mention that all three of those patients were
14 exposed to Nucleoside Reverse Transcriptase
15 Inhibitors, both in utero and postpartum,
16 which have been known to be associated with
17 lactic acidosis. And one of CPK increased.

18 As mentioned before, the
19 underlying ones, which are the intrauterine
20 growth retardation and lactic acidosis is
21 those that are not labeled in ritonavir.

22 Now, in utero adverse events, also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there were congenital anomalies, which were
2 seven, which are listed below. One thing I
3 should emphasize about the congenital
4 anomalies, the interpretation of these in
5 ritonavir exposed patients who are exposed are
6 complicated by the health of the mother and
7 the use of multiple antiretrovirals during
8 pregnancy.

9 Lastly, I would like to mention in
10 the in utero exposed there was one
11 intrauterine death at 34 weeks gestation in a
12 35 year-old female on saquinavir, ritonavir,
13 zidovudine and lamivudine who had severe
14 endometriosis. The fetus was delivered
15 following demise and nuchal cord was noted,
16 but there was no apparent birth defects.

17 Now, going on to ritonavir drug
18 use. Pediatric patients account for,
19 approximately, .8 percent of Norvir
20 prescriptions. The number of patients
21 receiving Norvir over the pre- to post-
22 exclusivity years increased 20 percent for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 adults, but decreased 31 percent for pediatric
2 patients age 0 to 16 years.

3 So in the post-exclusivity year,
4 an estimated 765 patients and over, that's
5 pediatric patients, 94,000 adult patients
6 received Norvir prescriptions. The projected
7 number of unique pediatric patients who
8 received the dispensed prescription for
9 Kaletra decreased by 8 percent from 2,600 in
10 the pre-exclusivity year to 2,383 in the post-
11 exclusivity year.

12 Now, to summarize for ritonavir,
13 there are no concerning safety signals. HIV
14 is a serious frequently fatal disease and
15 antiretroviral therapy has many known serious
16 adverse events. Causality interpretation also
17 is confounded by concomitant medications.

18 This completes the one year post-
19 exclusivity Adverse Event Reporting as
20 mandated by BPCA. The FDA recommends routine
21 monitoring of ritonavir for adverse events in
22 all populations. Does the Advisory Committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 concur? And I would like to thank the
2 following individuals who helped in the
3 preparation of my presentation.

4 ACTING CHAIR WARD: Thanks, Alan.
5 Committee Members, does anyone disagree with
6 this recommendation? Okay.

7 DR. MURPHY: Let me throw in an
8 extra question in here.

9 ACTING CHAIR WARD: Sure.

10 DR. MURPHY: One of the reasons we
11 present some of these products not abbreviated
12 to you is because they are, as I mentioned
13 earlier, in conditions in which we know there
14 are a lot of deaths. In a situation like
15 this, where we do have a number of deaths and
16 severe AEs, but we, you know, know that
17 because of the disease there will be.

18 If we look at it and we don't see
19 anything, would you be comfortable with us
20 doing this as abbreviated, even though there
21 are a large number of deaths?

22 ACTING CHAIR WARD: Anybody feel

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we need extra details if they have gone
2 through this exhaustive review?

3 DR. MURPHY: Okay.

4 ACTING CHAIR WARD: Okay.

5 DR. MURPHY: You would still get
6 the material.

7 ACTING CHAIR WARD: Yes.

8 DR. MURPHY: But again, we're
9 trying to --

10 ACTING CHAIR WARD: Yes.

11 DR. MURPHY: We keep trying to
12 slice and dice what it is you really want to
13 hear about and now we have gotten down to
14 deaths and serious AEs.

15 ACTING CHAIR WARD: Yes.

16 DR. MURPHY: There are certain
17 conditions in which we anticipate there were,
18 and if we don't see anything, we could present
19 them to you as an abbreviated.

20 ACTING CHAIR WARD: I think that
21 sounds very reasonable to me.

22 DR. MURPHY: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SHAPIRO: I'm still here for
2 the next one.

3 ACTING CHAIR WARD: Rapamune?

4 DR. SHAPIRO: Rapamune.

5 DR. PENA: Dr. Marc Cavaille Coll
6 is also the representative from the division.
7 He is a medical officer, team leader,
8 Division of Special Pathogen and
9 Transportation Products.

10 DR. SHAPIRO: Okay. Now, going on
11 to a slightly different tact to an immune
12 suppressant, sirolimus, and the one year post-
13 exclusivity adverse event review. Sirolimus
14 also known as Rapamune is an immune
15 suppressant. The sponsor is Wyeth
16 Pharmaceuticals. Its indication is a
17 prophylaxis of organ rejection in patients
18 aged 13 years or older receiving renal
19 transplants. It gained market approval in
20 September of 1999 and exclusivity was granted
21 in November of 2004.

22 Drug use trends in outpatient

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 setting for sirolimus. Pediatric patients
2 accounted for 4.3 percent of the 165,000
3 Rapamune prescriptions dispensed in the U.S.
4 from December 2004 to November 2005. The
5 pediatric use of Rapamune increased from 4,900
6 prescriptions in the year prior to exclusivity
7 to 7,100 prescriptions in the year following
8 exclusivity.

9 Patients in the 12 to 16 year-old
10 age group accounted for the majority of
11 prescriptions dispensed to pediatrics in the
12 post-exclusivity period with almost 60 percent
13 of the annual Rapamune prescriptions dispensed
14 to this older group of pediatric patients.

15 Now, going on to the exclusivity
16 studies for sirolimus. The first study was a
17 randomized study in high immunologic risk
18 pediatric renal allograft recipients that
19 compared the following regimens for safety and
20 efficacy. The first was sirolimus plus
21 calcineurin inhibitor, which could be either
22 cyclosporine or tacrolimus and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 corticosteroids.

2 The next was double therapy, which
3 is a calcineurin inhibitor and corticosteroid,
4 or triple therapy, which was calcineurin
5 inhibitor plus azathioprine or mycophenolate
6 mofetil and corticosteroids. The second study
7 was a double-blind randomized trial of steroid
8 withdrawal in sirolimus and cyclosporine-
9 treated primary transplant recipients. I
10 should emphasize that pharmacokinetics data
11 was collected from both studies.

12 The results of these studies.
13 Efficacy failure in the intention-to-treat
14 population was numerically more frequent in
15 pediatric patients randomly assigned to
16 receive the combination of sirolimus and a
17 calcineurin inhibitor than in the subjects
18 allocated to a standard therapy. When
19 comparing only patients 18 years or younger,
20 efficacy failure rates were similar.

21 Adverse events such as abdominal
22 pain, fever, abnormal renal function, urinary

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tract infection were significantly more common
2 in sirolimus-treated cohort than compared to
3 standard therapy. The actually emphasized UTI
4 rates were 15 percent in the sirolimus
5 combination group versus 1 percent for the
6 control group.

7 The pharmacokinetics of sirolimus
8 and cyclosporine regimen. The younger
9 children had overall lower sirolimus dose
10 normalized to exposure, apparently due to
11 higher clearance. There was a strong
12 correlation at steady-state between whole
13 blood sirolimus pharmacokinetics values were
14 observed for all treatments and regimens.
15 Sirolimus trough concentrations were adequate
16 surrogates for sirolimus exposure.

17 Now, resultant labeling from these
18 studies. We have information on the
19 pharmacokinetics parameters. Safety and
20 efficacy of sirolimus established in children
21 13 years or older judged to be, and I need to
22 emphasize this, low to moderate immunologic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 risk.

2 In pediatric renal transplant
3 recipients considered to be high immunological
4 risks, the use of sirolimus in combination
5 with calcineurin inhibitors and
6 corticosteroids were associated with, one, an
7 increased risk of deterioration of renal
8 function, two, lipid abnormalities and, three,
9 urinary tract infections.

10 Therefore, to emphasize safety and
11 efficacy have not been established in
12 pediatric patients less than 13 years of age
13 or in pediatric renal transplant recipients
14 considered to be at high immunological risk.

15 The Adverse Event Reports since
16 market approval for all ages, there were 3,712
17 reports of which 2,981 were serious and there
18 were 375 deaths. In the pediatric age group,
19 there were 88 reports of which 82 were serious
20 and there were six deaths.

21 Now, the adverse events for the
22 one year post-exclusivity period, for all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ages, there were 862 reports of which 845 were
2 serious. There were 86 deaths. In the
3 pediatric age range, there were 19 reports,
4 all were serious and there were no deaths.

5 Now, going over the pediatric
6 deaths in the post-marketing period. I would
7 like to go over each of these six cases and
8 also give an explanation of what we think was
9 going on with each of these cases.

10 The first one was a 15 year-old
11 recurrence of hepatoblastoma with fatal
12 complications following liver transplant. I
13 should emphasize that hepatoblastoma is a
14 high-risk cancer in which the recurrence has
15 been known in transplanted patients.

16 Also, there was a 10 year-old
17 renal transplant patient with subsequent renal
18 vein thrombosis and infarction of the donor
19 kidney. This patient developed respiratory
20 failure and cardiac arrest. One thing we need
21 to distinguish with this case is whether it is
22 the known transplant complication of renal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 vein thrombosis versus being a sirolimus-
2 related thrombosis.

3 Now, we have a 9 year-old with
4 renal and cardiac transplant who developed
5 severe thrombocytopenia and leukopenia three
6 weeks after transplant who died three weeks
7 later. One thing to note is sirolimus is
8 associated with bone marrow suppression.

9 Now, there was also a 2.5 year-old
10 patient with congenital genitourinary
11 abnormalities who had a renal transplant who
12 died of complications of aspergillus pneumonia
13 and gastrointestinal bleeding. For this
14 patient, we note the aspergillus pneumonitis
15 and CMV colitis is known complications of
16 immunosuppression.

17 Now, we have lastly a 6 year-old
18 with short-bowel syndrome status post
19 intestinal transplant who developed
20 progressive encephalitis with elevated liver
21 enzymes due to hepatitis A along with primary
22 EBV and HHV-6 infection. Graft was removed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and immunosuppression was discontinued.

2 This patient subsequently
3 developed erythroderma with severe edema and
4 adenopathy following cytolytic hepatitis and
5 eosinophilia. This patient died of multi-
6 organ failure. Now, we know that
7 exacerbations of EBV and HHV-6 infections are
8 known complications of immunosuppression.

9 This is the last one. There is
10 also a 12 year-old with end-stage renal
11 disease, post-transplant diabetes mellitus,
12 hypertension and renal hyperplasia status post
13 renal transplant. Five months after being
14 transplanted, the patient was hospitalized
15 with viral lower respiratory infection with
16 subglottic edema. This patient died following
17 discharge. The death thought to be due to
18 laryngeal inflammation and airway obstruction.

19 This was likely an exacerbation of viral
20 infection due to immune suppression.

21 Now, for the serious pediatric
22 adverse events, there were 19 unduplicated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric reports in patients on sirolimus
2 during this one year post-exclusivity period.

3 That consisted of eight patients with
4 transplant complications and rejections, three
5 gastrointestinal events, three drug
6 interactions or drug level fluctuations of
7 which two of them were due to possible
8 interactions with azithromycin, which is not
9 labeled.

10 There was also cardiac events, in
11 which there were two, which were not labeled,
12 infection and panniculitis and intracranial
13 bleeding, both of which are not labeled for
14 sirolimus.

15 Now, I would like to go over the
16 pediatric adverse events for the two patients
17 with interactions with azithromycin. The
18 first patient was a 6 year-old renal
19 transplant who was on sirolimus, tacrolimus,
20 prednisone and co-trimoxazole. This patient
21 was on azithromycin for pneumonia.

22 This patient had an overdose of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 tacrolimus due to medication error and had
2 increased tacrolimus and sirolimus levels and
3 neurological side effects. Sirolimus levels
4 continued to be elevated even though
5 tacrolimus stopped. Only once azithromycin
6 was stopped the sirolimus level decreased.

7 Now, the other patient was a 5
8 year-old renal transplant on sirolimus,
9 tacrolimus, atorvastatin and ferrous sulfate
10 who was on azithromycin for pneumonia. This
11 patient developed increased sirolimus and
12 tacrolimus levels with neurotoxicity despite
13 having the sirolimus dose reduced.

14 From these two cases, we can say
15 that they are confounded by tacrolimus
16 overexposure, that the sirolimus label does
17 not have any warnings about interactions with
18 azrithromycin. But I should also emphasize
19 that compared to other drugs, but not limited
20 to ketoconazole and erythromycin, azithromycin
21 is a weak CYP3A inhibitor. And we just
22 indicated it as part of our concerns.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Now, for the cardiac adverse
2 events, we had two, which I talked about,
3 which are unlabeled. There is a 3 year-old
4 renal transplant patient on tacrolimus and
5 sirolimus with iron deficiency. This patient
6 had fever and three months of history of
7 cough.

8 The x-ray showed massive
9 cardiomegaly and lung infiltrate. The echo
10 showed moderate to large pericardial effusion.

11 The viral work-up revealed only Adenovirus
12 type 2 in the stool. This patient and a
13 pericardiocentesis and the effusion stabilized
14 and did not recur.

15 There was also a 2 year-old renal
16 transplant patient with hypertension and a
17 prior history of pericardial effusion on
18 tacrolimus, prednisone and sirolimus who
19 subsequently developed persistent pericardial
20 effusion. The pericardial effusion increased
21 in size despite decreasing sirolimus dose and
22 was hospitalized twice for this condition.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 During the second hospitalization
2 it was noted to have an upper respiratory
3 infection associated with fever, nausea and
4 emesis. Following the second hospitalization,
5 the pericardial effusion resolved on its own
6 while on the reduced sirolimus dose.
7 Currently, the Office of Safety and
8 Epidemiology is evaluating the association of
9 sirolimus use with pericardial effusion.

10 Now, to go on to the two other
11 unlabeled adverse events, one being
12 panniculitis. There was a 14 year-old renal
13 transplant patient on azathioprine,
14 prednisone, sirolimus and nitrofurantoin and
15 enalapril who developed lower limb
16 panniculitis two months after starting
17 sirolimus and was hospitalized.

18 They continued the sirolimus for
19 another seven months. This patient recovered
20 following the discontinuation of sirolimus
21 therapy. But I should emphasize that there
22 are not enough information to make any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conclusions about this adverse event.

2 And for the intracranial bleed,
3 there was a 2 year-old liver transplant
4 patient with concurrent short-bowel syndrome
5 on tacrolimus, prednisolone, sirolimus,
6 loperamide and gentamicin who had treated with
7 2 milligrams of sirolimus for 28 days. The
8 day after stopping sirolimus, developed an
9 intracranial hemorrhage.

10 One and two weeks after the event,
11 the brain scan still indicated new bleeding.
12 The patient did recover from this event. The
13 interval between transplant and intracranial
14 hemorrhage was not known. I should emphasize
15 that hemorrhage is a labeled adverse event and
16 it's not clear if bleeding was related to the
17 sirolimus since it occurred after it was
18 discontinued.

19 Now, to summarize. The Office of
20 Surveillance and Epidemiology and the Division
21 of Special Pathogens and Transplant Products
22 are evaluating the association of sirolimus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with pericardial effusion. The division is in
2 discussion with the sponsor about potential
3 labeling changes.

4 This completes the one year post-
5 exclusivity Adverse Event Reporting as
6 mandated by BPCA. FDA recommends routine
7 monitoring of sirolimus for adverse events in
8 all populations. Does the Advisory Committee
9 concur?

10 I would also like to acknowledge
11 the following individuals who helped me with
12 my presentation.

13 ACTING CHAIR WARD: Any questions
14 for Alan about the cases or the AEs?

15 DR. SHAPIRO: Okay.

16 ACTING CHAIR WARD: Geoff?

17 DR. ROSENTHAL: You know, this
18 issue of pericardial effusion just has me
19 baffled, but I have seen it clinically as
20 well. Is this a complication that has been
21 seen or an adverse event that has been
22 reported in the adults on sirolimus?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SHAPIRO: Yes, it has. And as
2 we said, it's that we're currently following
3 up with the Office of Surveillance and
4 Epidemiology is looking into it and they are
5 contemplating more of a thorough review at
6 this time.

7 DR. COLL: Yes, in the published
8 literature there have been a number of similar
9 cases and we do know that this product
10 probably has some effects on wound healing and
11 intraproliferative effects. We are currently
12 in discussion with the company on how better
13 to describe this phenomenon in the label as it
14 probably relates to several types of adverse
15 events, including the pericardial thrombosis
16 for which there is a black box warning.

17 ACTING CHAIR WARD: Have these
18 been cultured for viruses and shown to be
19 sterile effusions?

20 DR. COLL: In these cases here we
21 do not have that information.

22 ACTING CHAIR WARD: All right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Given the plans for more detailed monitoring
2 of the pericardial effusion issue, does anyone
3 disagree with routine monitoring at this
4 point? Okay.

5 DR. MURPHY: And I guess the only
6 other thing then is that we don't need to come
7 back to the Committee with any more updates on
8 the pericardial effusion or -- because right
9 now the division is in the process of
10 negotiating with the sponsor about a labeling
11 change. So if they have a labeling change, do
12 you want us to send it to you electronically?

13 If they don't resolve that, do you want us to
14 come back to you?

15 We didn't ask as a question,
16 because we're -- those are what the points are
17 about, bullet points. This is what's going
18 on. Is there anything else you want us to do
19 or just let you know if the label changes?

20 ACTING CHAIR WARD: Does that
21 sound like adequate detail to something to
22 receive the outcome of their deliberations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 electronically?

2 DR. ROSENTHAL: Yes.

3 ACTING CHAIR WARD: Okay. So,
4 Dianne, I think there is agreement that we
5 would like to receive that information
6 electronically and not have it reviewed and
7 represented. Okay.

8 Did you get the short straw? All
9 right. Alan will proceed, Invanz. I think we
10 don't need a break right now, do we? Okay.
11 All right.

12 DR. PENA: I will also mention
13 that the representative from the division is
14 Dr. Linda Forsythe. Dr. Forsythe is a medical
15 officer in the Office of Anti-Microbial
16 Products, Division of Anti-Infective and
17 Ophthalmology Products.

18 DR. SHAPIRO: Okay. Being the ID
19 person in this as part of Pediatrics Maternal
20 Health Staff, I continue on with another
21 infectious disease presentation.

22 ACTING CHAIR WARD: You can join

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 neonatology, I mean.

2 DR. SHAPIRO: So I would like to
3 discuss the adverse event review for
4 ertapenem. Ertapenem also known as Invanz is
5 an anti-infective in the carbapenem class.
6 Its sponsor is Merck. The indication is for
7 treatment of complicated intra-abdominal
8 infections, complicated skin and skin
9 structure infections, community-acquired
10 pneumonia, complicated urinary tract
11 infections and acute pelvic infections. It
12 gained market approval in November of 2001 and
13 exclusivity was granted in February of 2005.

14 The exclusivity trials done for
15 ertapenem include a single dose
16 pharmacokinetics study in patients requiring
17 antibiotic therapy, which also we had a single
18 dose PK study of cerebral spinal fluid
19 concentrations of ertapenem in patients with
20 meningitis. There was also a double-blind
21 multi-center comparative safety and efficacy
22 study of ertapenem versus ceftriaxone for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 community-acquired pneumonia, complicated UTI
2 and skin and soft tissue infections.

3 There was also a double-blind
4 perspective multi-center comparative study of
5 ertapenem versus ticarcillin/clavulanate for
6 the treatment of complicated intra-abdominal
7 infections and acute pelvic infections.

8 The trial results include from the
9 PK study, there was an appropriate dose of
10 ertapenem determined to be 15 milligrams per
11 kilo intravenously every 12 hours for patients
12 3 months to 12 years and 1 gram once daily for
13 patients 13 to 17 years of age. One thing to
14 really emphasize is that the CSF
15 concentrations obtained were not adequate for
16 the treatment of bacterial meningitis.

17 The safety profile of ertapenem in
18 pediatric studies was similar to the
19 comparators and similar to the profile
20 described in adults. The most frequent drug-
21 related side effect was diarrhea and infusion
22 site pain.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Also, in the two comparative
2 studies, the response rates of ertapenem
3 versus the combined comparatives were similar.

4 Now, to emphasize, safety and effectiveness
5 of ertapenem also known as Invanz in pediatric
6 patients 3 months to 17 years of age was
7 supported by evidence from adequate and well-
8 controlled studies in adults, pharmacokinetics
9 data in pediatric patients and additional data
10 from comparator controlled studies in
11 pediatric patients 3 months to 17 years of
12 age.

13 In the way of adverse events,
14 there was no pediatric adverse events reported
15 to AERS during the year following exclusivity,
16 but there was two pediatric adverse events
17 since market approval. And I will only
18 discuss the serious one.

19 There was a 16 year-old male
20 originally treated with ceftriaxone and
21 clindamycin for periorbital cellulitis. This
22 patient had a reaction to ceftriaxone and was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 initially switched to metronidazole,
2 vancomycin and levofloxacin and was
3 subsequently placed on ertapenem.

4 This patient developed
5 neurological changes, including agitation and
6 combative behavior and diagnosed with a
7 frontal brain abscess by CT scan. This
8 improved following craniotomy and drainage of
9 the abscess. Again, to emphasize, ertapenem
10 is not recommended for the treatment of
11 meningitis in pediatric population due to lack
12 of sufficient CSF penetration.

13 Now, for the drug use of
14 ertapenem. The pediatric use of ertapenem is
15 relatively small, a total of 158 associated
16 discharges from August 2004 to July 2005.
17 During -- the pediatric use of ertapenem
18 increased from 70 to 88 associated discharges
19 from the six month period prior to receiving
20 exclusivity as compared to the following six
21 months.

22 Now, in summary, this summary of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ertapenem Adverse Events Reports is presented
2 in abbreviated format, because, one, there are
3 no concerning unlabeled safety signals, two,
4 the pediatric use is limited with few Adverse
5 Event Reports.

6 This completes the one year post-
7 exclusivity Adverse Event Reporting as
8 mandated by BPCA. The FDA recommends routine
9 monitoring of ertapenem for adverse events in
10 all populations. Does the Advisory Committee
11 concur?

12 I would like to thank the
13 following individuals for their help in this
14 presentation.

15 ACTING CHAIR WARD: Robert?

16 DR. DAUM: Yes, so I'm not
17 necessarily not concurring, but I do have a
18 couple of questions.

19 DR. SHAPIRO: Yes.

20 DR. DAUM: What is the
21 concentration of antibiotic in the CSF that is
22 adequate to treat meningitis and how do you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 know?

2 DR. SHAPIRO: That, you know,
3 depends. As you know, it depends on the
4 organism.

5 DR. DAUM: I don't know that I
6 know anything. Invanz doesn't get into the
7 CSF at all and treats candida meningitis in
8 many instances just fine. And I think it
9 underscores, I say it tongue and cheek, the
10 fact that we don't really know what the right
11 level is in CSF or rather if CSF is the
12 appropriate place to look.

13 We measure it because it's there.
14 Sort of like the Mt. Everest syndrome of
15 meningitis, but I don't know how someone can
16 conclude that the levels are inadequate to
17 treat something unless you know something
18 about the disease and how it performs.

19 DR. SHAPIRO: Yes. And, you know,
20 I will just say one thing. It's that when we
21 do make these decisions, and I can't really
22 speak for the division, is that when looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at an anti-microbial, you need to make some
2 decisions of what you think is safe or not.
3 And we only have this one case here which
4 emphasized, you know, this patient had a brain
5 abscess.

6 Whether it was related to poor
7 penetration or not, it's not clear. But it's
8 one of the concerns that was illustrated by
9 the studies that were done, is that they were
10 not finding a level which -- like I say, I
11 cannot speak for the division, you know, that
12 reached a level that they felt was sufficient.

13 DR. DAUM: Well, you preempted my
14 second question and that is that a brain
15 abscess is not meningitis. So I mean, there
16 are some overlapping features of drug needing
17 to cross blood-brain barrier and stuff like
18 that, but it's not the same thing. I mean, is
19 there any way to change that language so that
20 it says the CSF penetration is not good or say
21 what it is rather than the conclusion that
22 it's inadequate to treat something? I mean,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you don't know that.

2 DR. SHAPIRO: Yes, like I said, as
3 being not a member of the division, I can only
4 reflect to you what basically was in the
5 labeling and such. I would have to refer back
6 to the division to answer that question.

7 DR. FORSYTHE: This is Linda
8 Forsythe here. I mean, I think that's
9 definitely a good point you have made. I know
10 this was a clinical pharmacology issue and
11 they felt very strongly about this wording at
12 the time of approval. However, I think you
13 bring a valid point. What are the levels and,
14 you know, this could be something we can
15 further address in our division.

16 DR. MURPHY: This is your area of
17 expertise, so this is previous. You know, Bob
18 has done a lot of work with meningitis. So I
19 think the only way to answer that is that the
20 biopharm people saw levels that were so low
21 that they thought it would be inappropriate to
22 recommend this for use of meningitis and that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the clinical studies, therefore, did not go
2 forward. Is that correct?

3 DR. FORSYTHE: That's correct.
4 That's correct.

5 DR. MURPHY: So that they did not,
6 you know, request the study. So you are right
7 in that they don't have the clinical trials to
8 say it failed compared to some other product,
9 but that's because they didn't think they
10 should go forward because the levels were low.

11 DR. DAUM: I'm not even arguing
12 with that decision. Mind you, I haven't seen
13 the data you are talking about.

14 DR. MURPHY: Yes.

15 DR. DAUM: So I'm a bit in the
16 dark here. But the point is that what you
17 know is that levels on a limited number of
18 patients in the CSF were very low. And why
19 not just say that? I mean, why go further and
20 talk about efficacy against a disease that we
21 don't know how to measure or determine?

22 DR. FORSYTHE: Good point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: They can go back and
2 look at that language. But I think in general
3 that if one sees very low levels, one would
4 want to say we don't think this is a smart
5 thing. I think that's sort of what they were
6 doing, Bob, without having the clinical data
7 supported.

8 DR. DAUM: I'm with you on that.
9 I mean, vancomycin penetrates the CSF usually
10 poorly and very variably between people.

11 DR. MURPHY: Yes.

12 DR. DAUM: But yet we recommend it
13 for meningitis, don't we, because we don't
14 really have anything better. And so I think
15 that the correct way, if I were writing the
16 vanco package insert here, I would say it
17 penetrates the CSF poorly and variably. But
18 yet, everybody recommends that it be a front
19 line for meningitis of unknown etiology.

20 DR. MURPHY: And I would say that
21 this division has people that know that and
22 work with that all the time. So without again

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 having that individual data, all I can tell
2 you is it must have been awfully dismal for
3 them to come out and say we don't think we
4 need a stronger statement in there. Because
5 they are the people who know about vancomycin.

6 I mean, they know all these models and that's
7 the only justification I can come up with to
8 say that they are familiar with it and this
9 must have been very low.

10 ACTING CHAIR WARD: Can I just ask
11 in adult studies are the same criteria applied
12 and do they proceed similarly if CSF levels
13 are quite low? Is that sort of routine?

14 DR. MURPHY: I don't know that it
15 is routine. I wouldn't want to categorize it
16 that way.

17 ACTING CHAIR WARD: Okay.

18 DR. MURPHY: Just because
19 meningitis in the past has always been such a
20 pediatric disease.

21 ACTING CHAIR WARD: Yes. Robert?

22 DR. DAUM: You know, I'm

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 uncomfortable with it.

2 ACTING CHAIR WARD: Okay.

3 DR. DAUM: I wouldn't vote for the
4 language.

5 ACTING CHAIR WARD: Okay. Well,
6 we're actually not voting on that language.

7 DR. DAUM: That's good. Then I
8 have no issues.

9 ACTING CHAIR WARD: Right, right,
10 right. You know, we just changed the state
11 that's all. What I would suggest is that we
12 ask you to take Dr. Daum's language back to
13 the biopharm people as a concern by an
14 authority in the field. And then -- but it
15 would appear from the usage data we have and
16 the toxicity data that there is not a striking
17 signal of adverse events that need special
18 attention.

19 So does anybody disagree with then
20 routine monitoring at this point? Okay.

21 DR. MURPHY: Okay.

22 ACTING CHAIR WARD: Gemzar.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SHAPIRO: Yes, I am moving on
2 to an oncology product. Okay. Let's see, do
3 we have a member of the division?

4 DR. PENA: Yes. The division
5 representative is Dr. Martin Cohen. Dr. Cohen
6 is a medical officer in the Division of
7 Oncology Drug Products.

8 DR. SHAPIRO: Okay. Going on to
9 gemcitabine, the post-exclusivity adverse
10 event review. I also would like to
11 acknowledge Solomon Iyasu who is familiar to
12 the Committee who moved on to the Office of
13 Safety -- sorry, Office of Surveillance and
14 Epidemiology from pediatrics.

15 Gemcitabine also known as Gemzar
16 is an antineoplastic agent. The sponsor is
17 Eli Lilly. It is approved for breast cancer,
18 non-small cell lung cancer and pancreatic
19 cancer as first-line treatment in combination
20 with other drugs. It gained market approval
21 in May of 1996 and exclusivity was granted in
22 January of 2005.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The use information for
2 gemcitabine was difficult to obtain since the
3 data resources available to us do not capture
4 the use of gemcitabine in the outpatient
5 clinic setting, which represents,
6 approximately, 75 percent of its use.

7 We did use the Premier database
8 which revealed pediatric use accounting for
9 four discharges in which gemcitabine was
10 billed between January of 2005 and June of
11 2005.

12 Now, the labeling that resulted
13 from the exclusivity studies, the
14 effectiveness of Gemzar in pediatric patients
15 has not been demonstrated. There was a Phase
16 1 trial dose finding study in patients with
17 refractory leukemia that found the max
18 tolerated dose of 10 milligrams per meter
19 squared per minute for 316 minutes, three
20 times weekly, followed by one week rest
21 period.

22 There was also a Phase 2 trial in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric patients with relapse and acute
2 lymphocytic leukemia and acute myelocytic
3 leukemia and it was found that there was no
4 meaningful clinical activity. The toxicities
5 included bone marrow suppression, febrile
6 neutropenia, elevation of serum transaminase,
7 nausea and rash/desquamation.

8 For the adverse events, there was
9 four non-fatal unduplicated adolescent
10 pediatric Adverse Event Reports during the one
11 year post-exclusivity period, which was
12 confounded by concomitant medications and
13 recent surgical procedure. There was also two
14 fatal adolescent pediatric reports since
15 approval of which one was during the post
16 exclusivity period. Both patients died of
17 disease progression, because they were
18 refractory cases.

19 In summary, no new unexpected
20 safety signals were identified in pediatric
21 Adverse Events Reported through the AERS in
22 the one year post-exclusivity period.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 This completes the one year post-
2 exclusivity Adverse Reporting as mandated by
3 BPCA. FDA recommends routing monitoring of
4 gemcitabine for adverse events in all
5 populations. Does the Advisory Committee
6 concur?

7 And I would like to thank the
8 following individuals for helping my
9 presentation.

10 ACTING CHAIR WARD: Thanks, Alan.

11 Anybody disagree with moving this to routine
12 monitoring? Okay. Welcome back, Lisa Mathis.

13 And we will move to Ditropan, oxybutynin.
14 Nope, I'm sorry. Whew, did I skip ahead.
15 Okay. Amaryl, glimepiride.

16 DR. PENA: And the division
17 representative at the table is Dr. Robert
18 Misbin, a medical officer in the Division of
19 Metabolism and Endocrinology Products.

20 DR. MATHIS: Dr. Ward, I do want
21 you to know that --

22 ACTING CHAIR WARD: Yes, ma'am.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MATHIS: -- we have already
2 done the assignments for the next Advisory
3 Committee and we took great mercy upon Alan.
4 All right.

5 ACTING CHAIR WARD: Does that mean
6 you got the short straw next time?

7 DR. MATHIS: No, I did not. I
8 don't draw straws. All right. So I'm going
9 to start with my discussion of Amaryl or
10 glimepiride, which is an oral hypoglycemic
11 agent by Sanofi Aventis US. It was originally
12 approved in November of 1995 with pediatric
13 exclusivity granted June 9, 2005.

14 There are some combination
15 products that contain glimepiride. Those are
16 Avandaryl and Duetact. Glimepiride is
17 indicated for adjunct to diet and exercise to
18 lower blood glucose in patients with type 2
19 diabetes mellitus. This drug is only approved
20 for use in adults.

21 After the exclusivity studies, we
22 did change labeling to reflect that data are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 insufficient to recommend pediatric use.
2 There was a single dose PK study as well as a
3 24 week clinical safety and efficacy trial
4 that are included in labeling now. And also a
5 statement that the adverse events were similar
6 to those seen in adults.

7 In addition, there is a statement
8 that hypoglycemia in this trial occurred 4
9 percent in patients compared to glimepiride,
10 which was a comparator, where there was -- I'm
11 sorry, metformin, which there was 1 percent of
12 hypoglycemia.

13 All right. Drug use. The total
14 dispensed prescriptions for glimepiride and
15 related anti-hyperglycemic agents increased
16 overall. Amaryl is the fourth most commonly
17 dispensed product and pediatric use represents
18 less than 1 percent. In addition, the use of
19 Amaryl in the pediatric population has
20 decreased since this drug was granted
21 exclusivity.

22 Pediatric adverse events represent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 less than 1 percent of the total of adverse
2 events. Since approval, there have been only
3 8 out of 1,494. There were no pediatric
4 deaths and there have been two confounded,
5 non-fatal serious adverse events identified in
6 pediatric patients during the post-exclusivity
7 period.

8 One was in a 17 year-old with
9 Trisomy 21 on amitriptyline who experienced
10 behavioral abnormalities after two doses of
11 glimepiride and one was in an infant with
12 congenital anomalies, VSD, microcephaly,
13 dysmorphic facies, after in utero exposure to
14 a mother with a history of multiple
15 miscarriages and two other children with
16 congenital anomalies and consanguinity. I'm
17 sorry. Okay.

18 In summary, as a result of the
19 exclusivity studies, labeling indicates that
20 there are insufficient data to recommend
21 pediatric use. There are no new pediatric
22 adverse events identified one year post-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 exclusivity period.

2 This completes the one year post-
3 exclusivity adverse event reporting as
4 mandated by BPCA, and the FDA recommends that
5 this product return to routine monitoring.
6 Does the Advisory Committee concur? I better
7 put up my thank you slide, too.

8 ACTING CHAIR WARD: Thank you,
9 Lisa. Anyone disagree with returning it to
10 routine monitoring or any questions about this
11 product for Dr. Mathis? Looks unanimous. So
12 we'll move now to NovoLog, insulin aspart
13 recombinant.

14 DR. MATHIS: Okay. NovoLog or
15 insulin aspart recombinant is a human insulin
16 analog from Novo Nordisk Incorporated with
17 original marketing approval in June of 2000
18 and pediatric exclusivity in May of 2005. It
19 is indicated for the treatment of patients
20 with diabetes mellitus for the control of
21 hyperglycemia. This is a drug that is dosed
22 individually immediately prior to a meal.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Drug use trends. Dispensed
2 prescriptions for NovoLog have been increasing
3 with pediatric patients accounting for,
4 approximately, 13 percent of prescriptions and
5 the majority of pediatric NovoLog
6 prescriptions have been to patients 12 to 16
7 years of age.

8 After the exclusivity studies,
9 labeling was changed and NovoLog is indicated
10 for use in pediatric patients. And just to
11 piggyback onto the talk earlier about
12 labeling, the indication actually states no
13 age restrictions. It just states that it's
14 approved for the treatment of diabetes.

15 PK and clinical studies are
16 described and those studies went down to
17 patients down to the age of 2. And, also,
18 glycemic control and adverse events,
19 particularly hypoglycemia, were comparable to
20 those of regular insulin. That is included in
21 labeling.

22 As far as adverse events since

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 marketing, for all ages there have been 1,338
2 with 616 serious and 36 deaths. For
3 pediatrics, 154 with 72 serious and five
4 deaths. Of those five deaths, there were four
5 that were unduplicated. Two were infants.
6 One was a 4 month-old female with truncus
7 arteriosus communis and another was a 4 day-
8 old male with hypoxic ischemic encephalopathy
9 and seizures.

10 Also, there was a 14 year-old male
11 with type I diabetes and a remote history of
12 asthma who was found dead in bed. He had been
13 treated for four to five months with insulin
14 detemir and aspart, and post mortem the
15 autopsy showed that his death was consistent
16 with acute asthma attack, although he had not
17 had an asthma attack in eight years.

18 There was also a 9 year-old male
19 with type 2 diabetes on insulin glargine for
20 six months and aspart for an unknown period.
21 He died possible to alcohol overdose. It
22 should be noted that while these four cases

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were since approval, these are also four cases
2 that show up here as three cases in the post-
3 exclusivity period. The last patient, the
4 alcohol overdose, was actually a patient that
5 occurred after the data lock. We got this
6 report in, so we just included it in here.

7 All right. Unlabeled serious non-
8 fatal cases. In utero exposure accounted for
9 four of these adverse events. So labeling has
10 been updated after exclusivity studies. It is
11 indicated for the treatment of type I diabetes
12 in patients greater than 2 years of age, and
13 the most frequent adverse event is
14 hypoglycemia.

15 During the post-exclusivity
16 period, although adverse events related to the
17 in utero exposure were observed, there is no
18 pattern and there are no new pediatric adverse
19 events that have been identified.

20 This completes the one year post-
21 exclusivity adverse event report as mandated
22 by BPCA, and we recommend return to normal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 monitoring. Does the Advisory Committee
2 concur?

3 ACTING CHAIR WARD: Does anyone
4 disagree with that?

5 DR. DURE: No, but how does a 9
6 year-old die of alcohol? I mean, was that a
7 misprint on the age or what? Were there any
8 more details?

9 DR. MATHIS: That was all the
10 report said and I don't know if anybody else
11 wants to comment, but it certainly is a
12 reflection of some of the reports we get.
13 There are questions that get raised. Was it a
14 19 year-old? Was it a 90 year-old? Was it
15 alcohol poisoning? It's hard to tell, but
16 that's what the report said.

17 ACTING CHAIR WARD: All right.
18 Let's move on to meloxicam. Again, Dr.
19 Mathis.

20 DR. MATHIS: All right.
21 Meloxicam.

22 ACTING CHAIR WARD: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MATHIS: You guys are getting
2 sick of me now.

3 DR. PENA: I should mention that
4 we have a division representative at the
5 table.

6 DR. MATHIS: Thank you.

7 DR. PENA: Dr. Jeff Siegel, the
8 medical officer at Division of Anesthesia,
9 Analgesia and Rheumatology Products.

10 DR. MATHIS: All right. So
11 meloxicam or Mobic is a nonsteroidal, anti-
12 inflammatory by Boehringer Ingelheim. It had
13 original market approval in April of 2000 and
14 was granted pediatric exclusivity April 15,
15 2005.

16 It is indicated for relief of the
17 signs and symptoms of osteoarthritis and
18 rheumatoid arthritis for adults, and actually
19 has a unique pediatric indication of
20 pauciarticular and polyarticular course
21 juvenile rheumatoid arthritis in children
22 equal to or greater than 2 years of age.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The dosage for adults is 7.5 to 15
2 milligrams once daily, and that of children is
3 0.125 milligrams per kilo for a maximum of 7.5
4 milligrams once daily.

5 Drug use trends. Dispensed retail
6 prescriptions for a group of nine NSAIDs,
7 including meloxicam, have decreased by 21
8 percent. This may be secondary to some
9 concerns about valdecoxib and rofecoxib which
10 were withdrawn from the market in 2004 and
11 2005, respectively.

12 The dispensed prescriptions for
13 meloxicam ranked fourth among the nine
14 nonsteroidal anti-inflammatory drugs and
15 pediatric use accounts for 0.3 percent of the
16 prescriptions dispensed. It should be noted
17 that most of the prescriptions are for an off-
18 label indication of ankle sprains and juvenile
19 osteochondrosis.

20 All right. Under the labeling
21 changes that resulted from the exclusivity
22 studies, we have a clinical pharmacology

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 section that says general trend towards lower
2 exposure in younger patients 2 to 6 years of
3 age compared to older patients, 7 to 16. The
4 half life is slightly longer in younger
5 patients and weight is not a predictor of
6 clearance.

7 Also, there is information from
8 two 12 week, double-blind, parallel-arm,
9 active-controlled trials and an indication was
10 granted that is unique to pediatric patients,
11 JRA. Under the Pediatric Use Section of the
12 Precautions section of labeling, it states
13 that safety and effectiveness for pediatric
14 JRA patients 2 to 17 years have been evaluated
15 in three clinical trials. One was a PK study
16 and two were safety and efficacy.

17 And the Adverse Events section
18 reflects those adverse events seen in the
19 clinical trials. The Dosage and
20 Administration section of labeling includes
21 dosage for pediatric patients.

22 And, in summary, there were no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pediatric adverse events identified during the
2 one year exclusivity period. Since approval,
3 there have been five pediatric adverse events,
4 either labeled events or confounded, with the
5 exception of one case of Bell's palsy in an
6 athlete who received the drug for contusions.

7 This completes the one year post-
8 exclusivity adverse event reporting as
9 mandated by BPCA. And the FDA recommends
10 routine monitoring of meloxicam for adverse
11 events in all populations. Does the Advisory
12 Committee concur?

13 ACTING CHAIR WARD: Does anyone
14 disagree with that recommendation at this
15 point? We're moving. Okay. I don't see any
16 need for a break personally. I think we can
17 move right on through. Okay.

18 DR. MATHIS: I will go quickly.

19 ACTING CHAIR WARD: Okay.

20 DR. PENA: I'll mention that --

21 DR. MATHIS: And that's no pun
22 when you see what I'm going to talk about.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. PENA: The division
2 representative is Dr. Mark Hirsch. Dr. Hirsch
3 is the medical team leader in urology and
4 Acting Deputy Director of the Division of
5 Reproductive and Neurologic Products.

6 DR. MATHIS: All right. So I'm
7 going to start with Ditropan or oxybutynin,
8 which is an anticholinergic, antispasmodic by
9 Johnson and Johnson originally approved in
10 1975. It was granted pediatric exclusivity
11 February 8, 2002.

12 It is indicated in adults for
13 symptoms of bladder irritability associated
14 with voiding impatience with uninhibited
15 neurogenic bladder, urgency, frequency,
16 urinary leakage, urge incontinence and
17 dysuria.

18 In children, it is indicated in
19 patients greater than 5, greater than or equal
20 to 5 years of age, for the regular release and
21 in the XL or extended release, it's in greater
22 than or equal to 6 years for detrusor muscle

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 over-activity in association with neurologic
2 conditions such as Spina bifida.

3 The pediatric labeling. The
4 labeling was changed as a result of pediatric
5 studies. There is additional information on
6 dose and PK parameters and also the
7 Precautions section of the labeling is
8 updated. Ditropan XL states that safety and
9 effectiveness have been established down to 6
10 years of age.

11 As far as the pediatric adverse
12 events go, when we initially came to the
13 Advisory Committee in 2003 for the one year
14 post-exclusivity update, there were only five
15 unduplicated reports for one year and, at that
16 time, it was determined that there weren't
17 enough reports to really say anything, so we
18 were told to come back and we have. And we
19 have learned about the same thing, and that is
20 that now we have 13 serious events, so we have
21 only had 10 additional unduplicated reports in
22 the pediatric population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 13 of the reports were considered
2 serious. The most common were for an
3 unlabeled indication, nocturnal enuresis.
4 There were seven that were confounded by other
5 drugs or underlying conditions or did not
6 contain enough information to make a causality
7 assessment. And the six remaining cases
8 included one of extrapyramidal reaction in a
9 10 year-old boy. And then five remaining
10 serious cases that were labeled events that
11 may be due to the anticholinergic effects of
12 oxybutynin, particularly anticholinergic CNS
13 excitation.

14 When the Office of Surveillance
15 and Epidemiology looked for these adverse
16 events, they used some preferred terms
17 indicative of CNS excitation and I have just
18 listed them here, so you can see all of the
19 terms that are covered by this umbrella CNS
20 excitation title, and we think that these
21 adverse events may or may not indicate
22 increased sensitivity to anticholinergic

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 effects of this drug in the pediatric
2 population.

3 We do know that some
4 anticholinergics may cross the blood-brain
5 barrier in pediatric patients more easily, but
6 we also know that cholinesterase levels in
7 pediatric patients are about the same as they
8 are for adult patients. So we don't see a lot
9 of increased anticholinergic activity
10 otherwise.

11 The other thing is is that there
12 may be differences in reporting rates for
13 pediatric patients with CNS issues versus
14 adult patients. All of the patients had other
15 underlying neurologic conditions and were on
16 other psychoactive drugs.

17 So, in summary, we saw no new
18 safety signal. There were few reports, single
19 cases. They were very confounded or had
20 insufficient information and the FDA
21 recommends routine monitoring of oxybutynin
22 for adverse events in all populations. Does

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the Advisory Committee concur?

2 ACTING CHAIR WARD: So we have an
3 additional three years of data that doesn't
4 point us in any particular -- to any
5 particular area of concerns. Anybody disagree
6 with discontinuing now at this point with
7 routine monitoring? Okay. All right.
8 Lipitor.

9 DR. MATHIS: It's not me.

10 ACTING CHAIR WARD: Okay. It will
11 be presented by Jean Temeck, a medical
12 officer.

13 DR. PENA: Dr. Temeck is in the
14 Pediatric and Maternal Health Staff where she
15 now functions as an acting team leader. She
16 is board-certified in pediatrics and pediatric
17 endocrinology. The division representative is
18 Eileen Craig. Dr. Craig is a medical officer
19 in the Division of Metabolism and
20 Endocrinology Products.

21 DR. TEMECK: Thank you for giving
22 me the opportunity to present to you today. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really got the easy part, just presenting two
2 updates to you and everyone else was doing all
3 the hard work. And I want to thank my
4 director, Dr. Lisa Mathis, for taking on all
5 of Dr. Hari Sachs' drugs to present to you
6 today.

7 Okay. Let's get Lipitor, this
8 again is an update. We first presented to you
9 back in June of 2003 the pediatric adverse
10 events that occurred during the one year
11 following granting of exclusivity to
12 atorvastatin. So this is going to represent
13 pediatric adverse events that has occurred in
14 the subsequent three and a half year period.

15 Atorvastatin or Lipitor is a
16 lipid-lowering agent. The sponsor is Pfizer.

17 Original market approval was granted in 1996.

18 Exclusivity was granted on February 22, 2002.

19 The mechanism of action is inhibition of HMG-
20 CoA reductase.

21 Atorvastatin is approved as an
22 adjunct to diet in pediatric patients aged 10

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to 17 years of age with heterozygous familial
2 hypercholesterolemia and also for the
3 treatment of homozygous familial
4 hypercholesterolemia.

5 Since we presented to you in June
6 2003, labeling changes affecting pediatric
7 patients entail implementation of a patient
8 package insert. As you can see on this slide,
9 pediatric use of atorvastatin is small,
10 constituting less than 0.1 percent of the
11 total number of prescriptions dispensed in
12 retail pharmacies.

13 Just to refresh your memory, there
14 were no pediatric adverse events during the
15 one year following granting of exclusivity to
16 this product. In the subsequent three and
17 half year period, there have been 12 pediatric
18 adverse events that have been reported. These
19 12 adverse events represent 0.15 of the total
20 reports for all ages.

21 These reports were all serious and
22 included one death. As you will see, that was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a stillbirth at 32 weeks gestational age.
2 There were nine post-natal reports in children
3 ages 2 to 16 years, which included three
4 accidental ingestions exposures, ingestions
5 and six other reports. The remaining three
6 reports were in utero exposures.

7 Of the six reports that occurred
8 in patients aged 4 to 16 years, three were
9 labeled and included anemia, pancreatitis and
10 elevated CPK with muscle stiffness. There
11 were three unlabeled adverse events and they
12 were bone marrow suppression, bronchospasm and
13 hemoptysis.

14 The only information provided for
15 the case of bone marrow suppression was that
16 this was a patient who was 14 years-old and
17 was taking 20 milligrams of Lipitor for the
18 treatment of homozygous familial
19 hypercholesterolemia.

20 The case of bronchospasm is a
21 foreign report in a 4 year-old male who was
22 taking atorvastatin and other medications for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 myocardial ischemia. The bronchospasm
2 recurred upon rechallenge times three, but
3 details of the rechallenge were not provided.

4 The event resolved, but the intervention
5 taken was not reported, so this case was
6 confounded by insufficient information and use
7 of concomitant medications.

8 The final report, which is
9 unlabeled, is that of hemoptysis, also a
10 foreign report, occurred in a 16 year-old male
11 who had been taking Lipitor and nicotinic acid
12 to treat familial hypercholesterolemia.
13 Bronchoscopy revealed diffuse pulmonary
14 alveolar hemorrhage.

15 At the time of this report, this
16 patient was also diagnosed with cardiac
17 failure. Both medications were subsequently
18 discontinued and we have no further
19 information regarding this unlabeled adverse
20 event.

21 So, in summary, regarding these
22 post-natal adverse events that were unlabeled,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 they were all either confounded by underlying
2 illness, use of concomitant medications and/or
3 insufficient information.

4 Now, we'll look at the three in
5 utero exposures. These were all single cases
6 and there was no pattern. They included one
7 case of congenital blindness, one case of
8 congenital hepatomegaly, single functional
9 kidney and stillbirth at 33 weeks of
10 gestational age with a maternal history of
11 diabetes mellitus and use of multiple
12 medications. The third case was one of
13 congenital myopathy.

14 In summary, the pediatric
15 unlabeled post-natal adverse events do not
16 reveal a safety signal. There were few
17 reports, single cases, that were confounded or
18 there was insufficient information to assess
19 them.

20 Regarding the in utero exposures,
21 atorvastatin is Pregnancy Category X. FDA
22 recommends routine monitoring of atorvastatin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for adverse events in all populations. Does
2 the Advisory Committee concur?

3 ACTING CHAIR WARD: Thank you,
4 Jean. Anybody disagree with continuing simply
5 routine monitoring for atorvastatin? Okay.
6 Statin on.

7 DR. TEMECK: Okay. Let's see
8 here. Okay. Great. I will do a similar
9 presentation now for simvastatin. Again, this
10 is an update since we first presented to you
11 in June of 2003, the pediatric adverse events
12 that occurred following granting of
13 exclusivity to simvastatin.

14 Simvastatin or Zocor is also a
15 lipid-lowering agent. The sponsor is Merck.
16 It was originally approved in 1991.
17 Exclusivity was granted on February 22, 2002.
18 The mechanism of action is inhibition of HMG-
19 CoA reductase.

20 Simvastatin is indicated as an
21 adjunct to diet in pediatric patients aged 10
22 to 17 years of age with heterozygous familial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hypercholesterolemia. It is also indicated
2 for the treatment of patients with homozygous
3 familial hypercholesterolemia.

4 Pediatric use of this product is
5 small with pediatric prescriptions
6 constituting less than 0.1 percent of the
7 total number of prescriptions dispensed in
8 retail pharmacies.

9 As reported to you previously,
10 during the one year following granting of
11 exclusivity, there were four confounded
12 reports of serious pediatric adverse events,
13 which included one death in a premature infant
14 on day three. The infant had been exposed in
15 utero to simvastatin.

16 During the subsequent,
17 approximately, three and a half year period,
18 six adverse events were reported in pediatric
19 patients. These six adverse events
20 constituted 0.13 percent of the total reports
21 for all ages. They were all serious and
22 included one death. There were, of course,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 three post-natal reports and the other three
2 reports were in utero exposures.

3 Regarding the post-natal adverse
4 events, two of the reports are labeled and
5 they constituted elevations in CPK, one which
6 was also associated with muscle stiffness.
7 The unlabeled adverse event was a case of
8 thrombotic thrombocytopenic purpura in an 8
9 year-old female who also had systemic lupus
10 erythematosus and Sjogren's Syndrome which, as
11 you know, is associated with TTP, and this
12 patient was also taking concomitant
13 medications.

14 Now, this slide delineates the in
15 utero exposures and, actually, we have three
16 in utero exposures that were reported to AERS.

17 However, one of these reports says that it
18 was also reported in the literature and when
19 we looked at the literature reference, it
20 actually appeared to be an additional case, so
21 there probably are four in utero exposures and
22 I will briefly go through these here.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There were a spontaneous
2 miscarriage. There was a skin outgrowth of
3 the fifth finger of the left hand with an
4 anomaly of the fingernail whose mother also
5 took salbutamol during the seventh month of
6 pregnancy. There was a case of lower limb
7 deformity, which actually constituted a
8 missing bone, tarsus bone, and also a
9 shortened tibia and fibula on the right side
10 as compared to the left.

11 There was maternal exposure to
12 narcotics, as you can see here on this slide
13 during the first trimester, and there was also
14 one case of VACTERL association and use of
15 concomitant medications.

16 To note that these drugs, all of
17 these HMG-CoA reductase inhibitors, are
18 Pregnancy Category X, the labeling does
19 contain a statement that there are rare
20 reports of congenital anomalies in infants
21 whose mothers have taken these drugs during
22 pregnancy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 In summary, we have one pediatric
2 unlabeled post-natal adverse event that was
3 confounded by underlying illness and
4 concomitant medications. As I said, regarding
5 the in utero exposures, these drugs are
6 Pregnancy Category X. We recommend routine
7 monitoring of simvastatin for adverse events
8 in all populations. Does the Advisory
9 Committee concur?

10 ACTING CHAIR WARD: Anybody
11 disagree with moving this to routine
12 monitoring? Okay. I will just observe the
13 limb shortening and then the VACTERL or VATER,
14 whichever form you care for, you know, looked
15 like an interesting issue.

16 DR. TEMECK: Yes. The VACTERL
17 actually, there is -- well, it's a non-random
18 association of malformations as we know with
19 sporadic occurrence and, actually, there is
20 one other case of VACTERL association. It was
21 with lovastatin and the mother also took
22 dextroamphetamine during the first trimester

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of pregnancy, so both of those cases -- those
2 are the only two cases that I'm aware of.

3 And, actually, it's interesting
4 that you bring up the point, because also the
5 label for this drug class mentions that
6 skeletal malformations have been reported in
7 rodents, so I want to put that out as well,
8 whatever, you know, that means.

9 ACTING CHAIR WARD: So we don't
10 prescribe it to our pregnant patients. Okay.

11 So we'll go forward there with the routine
12 monitoring.

13 DR. TEMECK: Right. Thank you.

14 DR. MURPHY: I now have -- thank
15 you very much, have a question for the
16 Committee.

17 Having gone through now this
18 process, this is like the third time we have
19 done abbreviated and at the recommendation of
20 the Committee, would the Committee -- there
21 are a couple of options here. We can continue
22 doing it the way we just have been doing it,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which we have tried to condense it down to not
2 going through all the studies and, you know,
3 just very quickly summarize.

4 We had hoped for three or four,
5 sometimes it's five or six slides for the
6 abbreviated, but the bottom line is we can
7 continue doing that. We could get up and just
8 basically say these are all of the drugs that
9 we, you know, have reviewed and don't think
10 they warrant even a standard presentation,
11 there was very little data, and give you an
12 opportunity from your reading to make comments
13 and just say in general we think we would like
14 to return to routine monitoring instead of
15 going through each one of them. So that is --

16 ACTING CHAIR WARD: Yes.

17 DR. MURPHY: That's another option
18 for the future that we are laying on the table
19 for you for the abbreviated only.

20 ACTING CHAIR WARD: Committee
21 Members and especially those of you who have
22 been doing this for awhile, comments and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 feedback to receiving the abbreviated
2 discussions in a written or electronic format
3 in advance? Larry?

4 DR. SASICH: The question that I
5 would like to ask is if these are abbreviated
6 and we don't get as much information as we do
7 now, will these reports go through the Office
8 of Drug Safety or the Office of Safety and
9 Epidemiology?

10 DR. MURPHY: These reports are
11 generated by them.

12 DR. SASICH: They are generated by
13 them.

14 DR. MURPHY: Yes.

15 DR. JOHANN-LIANG: The one year
16 post-marketing adverse event reports, not the
17 ones with the exclusivity. That is done over
18 at the --

19 DR. MURPHY: Right.

20 DR. SASICH: You --

21 DR. MURPHY: But the adverse event
22 reporting is from the office.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SASICH: But you know where
2 I'm going is over the issue of an independent
3 office of drug safety within the Agency and
4 just how independent the safety people are at
5 this point in time. Maybe it's a conspiracy
6 theory again but --

7 DR. JOHANN-LIANG: We can have
8 coffee later.

9 DR. SASICH: -- it's a safe
10 question.

11 DR. MURPHY: I think --

12 DR. SASICH: That is kind of my
13 concern. I was very pleased with what was
14 done today, but I don't know. I mean, things
15 become abbreviated and I get worried.

16 DR. MURPHY: Well, we don't want
17 to -- we're trying to not balance not using
18 your time ineffectively when we don't think
19 there is anything there, because there is very
20 little use, there is very -- nothing there.
21 Yet, we want to adhere to the intent of making
22 everything public and transparent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACTING CHAIR WARD: Yes.

2 DR. MURPHY: But if we can just,
3 if we can condense. We don't want to condense
4 it to the point where it's not useful, but I
5 get the feeling when we have these ones that
6 are so -- we already designated they are
7 abbreviated.

8 ACTING CHAIR WARD: Yes.

9 DR. MURPHY: That it might be
10 better to do it a different way, but if you
11 don't want to, we can do that.

12 ACTING CHAIR WARD: I would think
13 that we need just about the full amount of
14 information we received.

15 DR. MURPHY: Right.

16 ACTING CHAIR WARD: But if we have
17 the slide set and we have the background
18 information, if we can read, we can work our
19 way through this. And if questions arise, I
20 think it's our obligation then to identify
21 those and bring them back for further
22 discussion.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Okay. We'll try that
2 the next time.

3 ACTING CHAIR WARD: Okay.

4 DR. MURPHY: For the abbreviated
5 where we will -- we always try to get you the
6 slide set, but particularly for the
7 abbreviated --

8 ACTING CHAIR WARD: Yes.

9 DR. MURPHY: -- we will make sure
10 we get you the slide set. It means that -- so
11 that you will know what our thinking is, and
12 we will have a session where we'll put all of
13 them up and we'll have an opportunity for
14 comments, how is that, instead of walking
15 through each one of the slides? Okay. We
16 appreciate that.

17 ACTING CHAIR WARD: Yes, I think
18 that will work. Okay. All right. Let me,
19 while everybody is still pretty much awake, go
20 through some notes that I have about what we
21 were going to ask in return for follow-up.
22 Zyvox. We were going to receive follow-up on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cardiac events, especially those with elevated
2 QTcs.

3 Avandia. We were going -- there
4 was a recommendation that include in the label
5 studies that did not show effectiveness, so it
6 had been studied and they failed to show
7 effectiveness.

8 DR. MURPHY: Well, it's in the
9 label. The recommendation was to put it in
10 the patient part of the --

11 ACTING CHAIR WARD: Okay.

12 DR. MURPHY: Yes.

13 ACTING CHAIR WARD: Yes. The
14 whole issue, as Larry has emphasized this
15 time, about information to patients I think
16 will be an ongoing dialogue.

17 Trileptal. We wanted to see the
18 results of the 2,000 pediatric patients in
19 this large study, especially with respect to
20 neuropsychiatric events, and then with respect
21 to Trileptal and angioedema, anaphylactoid
22 reactions, that was I think going to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 followed up as well if there was a signal. If
2 there was not a signal, not.

3 Is that what you have down,
4 Dianne?

5 DR. MURPHY: I have down that for
6 Trileptal that you definitely wanted the
7 division to come back and present what they
8 found from those 170 studies.

9 ACTING CHAIR WARD: Yes, right.

10 DR. MURPHY: Of particular
11 interest to you all was the subset analysis of
12 the 2,000 or more that there will be, because
13 they don't have all the studies in now, but
14 that for the angioedema and anaphylaxis that
15 whatever changes they were going to put into
16 the label, that they didn't have to come and
17 go through all that with you.

18 ACTING CHAIR WARD: No.

19 DR. MURPHY: You have heard this
20 before. You just want to have sent to you in
21 writing --

22 ACTING CHAIR WARD: Right.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: -- what the change
2 for that was.

3 ACTING CHAIR WARD: Yes.

4 DR. MURPHY: Okay. Great.

5 ACTING CHAIR WARD: That's as I
6 recall it as well. For Tamiflu, we already
7 have scheduled a one year follow-up that will
8 represent a two year follow-up from the first
9 time the issues were raised about again
10 neuropsychiatric behavioral changes, and a
11 recommendation for a U.S. pediatric randomized
12 controlled trial.

13 For Celexa, I had down that we
14 were recommending stating the number of
15 negative trials and wanted then to also
16 receive the results of this review of QTc
17 changes for SSRIs as a class recognizing that
18 that may take awhile to accomplish. Yes,
19 Robert?

20 DR. DAUM: Can you clarify
21 something that I probably just nodded off
22 while you -- wouldn't have happened. I don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 remember hearing a call for a U.S. controlled
2 trial with Tamiflu.

3 PARTICIPANT: I don't know that it
4 was U.S. either actually.

5 ACTING CHAIR WARD: It was from
6 Tuli, Tuli Cnaan.

7 DR. DURE: It was an observational
8 study.

9 PARTICIPANT: Observational.

10 DR. DURE: She talked about some
11 observational data.

12 ACTING CHAIR WARD: Really? Okay.

13 DR. DAUM: I don't know if we
14 called for it.

15 ACTING CHAIR WARD: That is why
16 we're going through this.

17 DR. DAUM: Yes, I don't know if we
18 called for it.

19 ACTING CHAIR WARD: Okay.

20 DR. DAUM: I mean, she called for
21 it.

22 ACTING CHAIR WARD: Okay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DAUM: But not controlled.

2 ACTING CHAIR WARD: Yes.

3 DR. DAUM: I didn't hear any
4 controlled stuff like --

5 ACTING CHAIR WARD: Okay.

6 DR. DAUM: -- there would be a
7 placebo group or something.

8 ACTING CHAIR WARD: Yes.

9 DR. DAUM: I didn't hear that.

10 ACTING CHAIR WARD: Yes.

11 DR. MURPHY: Well, and we are
12 trying to differentiate out. It was just an
13 individual Member's recommendation from the
14 entire Committee, such as the suggestion for
15 putting the negative studies in the Celexa.
16 That was an individual, I believe, for Celexa,
17 but you do want to receive the QT information.

18 PARTICIPANT: Yes.

19 DR. MURPHY: The whole Committee
20 said that. So for the Tamiflu, it was an
21 individual recommendation that was made by?

22 ACTING CHAIR WARD: I think it was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 an individual's recommendation. I don't think
2 it received much discussion.

3 DR. MURPHY: Okay.

4 ACTING CHAIR WARD: Tom?

5 DR. NEWMAN: Yes, my thought about
6 it would be I think she said that in order to
7 disentangle whether it's due to flu or the
8 drug, that it would be good to have a control
9 group. I heard her say something like that.

10 ACTING CHAIR WARD: Yes.

11 DR. NEWMAN: So I understood a
12 randomized trial. My concern would be if
13 these psychiatric effects are very rare, I
14 mean, there are millions of prescriptions in
15 Japan --

16 ACTING CHAIR WARD: Yes.

17 DR. NEWMAN: -- and, you know, for
18 something that is one in 10,000 or something,
19 a big randomized trial to look at that adverse
20 effect is not going to be feasible.

21 ACTING CHAIR WARD: Right.

22 DR. MURPHY: So?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ACTING CHAIR WARD: Any other,
2 yes, Keith?

3 DR. KOCIS: I just thought earlier
4 on we had that whole discussion about what
5 trials should or could be done and what the
6 FDA had authority on and I thought we came to
7 the agreement you can't even encourage that
8 other studies be done.

9 ACTING CHAIR WARD: Yes.

10 DR. KOCIS: Or did I misinterpret
11 his comments from --

12 DR. MURPHY: We're just trying to
13 capture what you guys recommended.

14 ACTING CHAIR WARD: Yes.

15 DR. MURPHY: We let you know that
16 we can't make them go do it. Okay? We can't
17 make them go do it. We can say, you know,
18 this is what was thought to be useful.

19 ACTING CHAIR WARD: Yes, yes.

20 DR. MURPHY: And they can do what
21 they wish to do. So we're just trying to make
22 sure we understood what was being discussed.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Because were running so far behind, we began
2 to get a little abbreviated there.

3 ACTING CHAIR WARD: True. Yes?

4 DR. KOCIS: I mean, we could
5 recommend for every drug that a randomized,
6 double-blinded, you know, I mean --

7 ACTING CHAIR WARD: Right.

8 DR. KOCIS: So I wonder where.

9 ACTING CHAIR WARD: Yes. Well, I
10 think the issue is particularly difficult for
11 Tamiflu because of this entanglement of
12 disease and drug and the reactions that have
13 been observed, but I don't know what the
14 consensus of the Committee is about that.

15 Let me just ask. Do people want
16 to recommend to the Agency to recommend a
17 controlled trial? Do we want to try to get
18 more data about influenza from Japan? What
19 are some other options? Yes, Rich?

20 DR. GORMAN: I am unaware, being a
21 simple country pediatrician, but is there any
22 large group of people who are routinely

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 prophylaxed that we could follow
2 observationally, people with immunosuppressive
3 diseases perhaps? Do they get routinely
4 prophylaxed, because I don't know that group.

5 And the second thing with the --
6 this is one of those cases where there will be
7 a collision of the therapeutic imperative and
8 new technology with the proliferation in this
9 particular year of inexpensive, rapid
10 influenza tests that are CLIA-waived, notice
11 how there was all those qualifiers, that can
12 differentiate A from B. Increasing Tamiflu
13 use will probably be likely.

14 DR. MURPHY: Okay. What we will
15 do, we'll go back and look at the transcript
16 and see what we thought was being recommended
17 by our statistician and then if there are no
18 other recommendations from the Committee as a
19 whole, we'll just note what the
20 recommendations were from the statistician.
21 Is that acceptable to the Committee then?

22 ACTING CHAIR WARD: I think that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 reasonable.

2 DR. MURPHY: Okay.

3 ACTING CHAIR WARD: Because it was
4 not discussed and I think it was not a
5 consensus.

6 DR. MURPHY: Yes, it wasn't.

7 ACTING CHAIR WARD: Okay.

8 DR. MURPHY: And I want to make it
9 clear. We didn't think the whole Committee
10 came to a consensus.

11 ACTING CHAIR WARD: Yes.

12 DR. MURPHY: We're just trying to
13 pick up little pieces of individual
14 discussions --

15 ACTING CHAIR WARD: Okay.

16 DR. MURPHY: -- that come out,
17 too.

18 ACTING CHAIR WARD: All right.
19 Tom, yes?

20 DR. NEWMAN: Just while we're on
21 Tamiflu, a question I had that I didn't get a
22 chance to answer. My impression was that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 use in Japan was almost all therapeutic rather
2 than prophylactic, but I never got any actual
3 numbers on that.

4 You know, if a whole many of the
5 millions of prescriptions in Japan were
6 prophylactic and this doesn't happen then, it
7 would point a little bit more towards the flu
8 rather than the drug as the cause. It doesn't
9 mean that there isn't some interaction between
10 flu and drug.

11 DR. DAUM: It was on the slides.

12 DR. MURPHY: And, Tom, all I can--

13 DR. DAUM: I think almost none of
14 it was prophylactic.

15 DR. MURPHY: My recall to last
16 year was that the way I think the division
17 went through it is that what happens is that
18 because of the health care system and the fact
19 of the use of the rapid diagnostics, that they
20 just go into wherever, local ER doctor, they
21 get a rapid test and they get the medication.

22 So it tends to be more treatment was what my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 understanding of it last year was.

2 DR. JOHANN-LIANG: But your point
3 is well-taken. I don't think we have
4 specifically asked Japan to give us a
5 breakdown of their prophylactic use versus
6 their treatment use, especially in the most
7 recent years as the prophylaxis indication was
8 approved earlier in Japan than in the U.S.
9 actually.

10 So that is a good -- that is
11 something we can't -- we will have to go
12 through Roche to ask for that, you know, query
13 that for the Japanese data, but we can do
14 that. But also the point is that for the
15 numerator, for the adverse events that we have
16 seen, almost all of it is, you know, the
17 patient got a rapid diagnostic test done, took
18 Tamiflu, one dose, two doses later. So that
19 is a good point.

20 ACTING CHAIR WARD: But since we
21 have closer working relationships with their
22 regulatory agency over the last 5, 10 years,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 it would seem to me an opportunity for us to
2 be out ahead of the curve of use by asking
3 them if they would provide us data and if they
4 would increase their monitoring. It would be,
5 I think, to their advantage as well as ours.

6 DR. MURPHY: We can certainly ask
7 for that analysis of what breakout for
8 prophylaxis use, prophylactic use.

9 ACTING CHAIR WARD: Folks, I think
10 we are through. Dr. Murphy, any --

11 DR. MURPHY: I have two other
12 things. One was under Tamiflu, there was some
13 discussion about, you know, the labeling and
14 the fact -- though I did find out that
15 labeling is in print, but we will be coming
16 back next year and we can, you know, re-
17 discuss this, but there was a suggestion that
18 the wording should have been different and
19 that it should have been stop your medication
20 while you're waiting to call your doctor
21 versus call your doctor. So we did hear that.

22 And on Rapamune I have that you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 want us to send the labeling changes just to
2 you electronically, that we don't have to
3 represent it to you, but you would like to
4 know if there are any labeling changes
5 particularly related to the pericarditis.

6 DR. DAUM: Regarding the comment
7 that was just made about we don't know whether
8 it was prophylactic or not, what was presented
9 this morning were that there were 129 reports.

10 26 were excluded because they weren't certain
11 about them, so there were 103. 95 were from
12 Japan, five from the U.S. and three were
13 other. Of those 103, three were prophylactic
14 and 100 were therapeutic. I mean, that's --

15 DR. NEWMAN: And I'm asking, I
16 mean, does that reflect the proportion of
17 usage in Japan?

18 DR. DAUM: Ah, that's why we're
19 here this morning.

20 DR. NEWMAN: Yes.

21 DR. DAUM: Yes. I think that is a
22 great question.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MURPHY: Yes, yes, we think we
2 all agree. That's a good question. We'll try
3 to go back and see if they can give us that
4 information before next year.

5 ACTING CHAIR WARD: Thank you all
6 very much, a lot of work, a lot of thoughts.
7 We appreciate it.

8 DR. MURPHY: I also want to thank
9 you all very much for sitting through 16
10 products and for your discussion and comments.
11 Hopefully, we won't have so many next time.

12 (Whereupon, the meeting was
13 concluded at 4:14 p.m.)
14
15
16
17
18
19
20
21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1
2
3
4
5
6
7
8
9
10
11

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701