

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

+ + + + +

AGENCY FOR HEALTHCARE RESEARCH AND QUALITY

+ + + + +

STAKEHOLDERS' MEETING REGARDING MEDICARE  
COVERAGE OF KIDNEY DISEASE PATIENT EDUCATION  
SERVICES

+ + + + +

TUESDAY,  
DECEMBER 16, 2008

+ + + + +

The meeting convened at 1:00 p.m.  
in the AHRQ Conference Center, 540 Gaither  
Road, Rockville, Maryland, Neil R. Powe, M.D.,  
M.P.H., Moderator, presiding.

PARTICIPANTS:

NEIL R. POWE, M.D., M.P.H., Johns Hopkins  
University Welch Center

KIM MARIE WITTENBERG, M.A., AHRQ

PAUL W. EGGERS, Ph.D., National Institute of  
Diabetes and Digestive and Kidney Diseases

KAREN BASINGER, American Dietetic Association  
Renal Practice Group

SUE CARY, American Nephrology Nurses'  
Association

DOLPH CHIANCHIANO, J.D., M.P.A., National  
Kidney Foundation

PARTICIPANTS: (cont.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

ANN COMPTON, Virginia Commonwealth University,  
Division of Nephrology

JAMIE HERMANSEN, M.P.P., CMS

THOMAS HOSTETTER, M.D., American Society of  
Nephrology

ALICE McCALL, American Association of Kidney  
Patients

JENNIFER ST. CLAIR RUSSELL, American Kidney  
Fund

MARCEL SALIVE, M.D., M.P.A., CMS

TONYA SALSTROM, Dialysis Patient Citizens

DALE SINGER, Renal Physicians Association

BETH WITTEN, Medical Education Institute,  
Missouri Kidney Program

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## CONTENTS

Introduction of Staff and Moderator.....	5
Overview of MIPPA section 152(b).....	10
Demographics of ESRD.....	15
Meeting Framework.....	24
Question 1	
What are the accepted clinical criteria (or standards of practice) for diagnosing someone with Stage IV CKD and determining that the patient will need to start renal replacement therapy?	
Sue Cary .....	28
Dale Singer .....	33
Comments .....	37
Question 2	
What are the different modalities of education appropriate for kidney disease patient education?	
Jennifer Russell .....	50
Tonya Salstrom .....	56
Comments .....	64
Question 3	
What is the recommended frequency and duration for these education services?	
Ann Compton .....	85
Beth Witten .....	91
Comments .....	99
Question 4	
What factors in existing education programs lead to the best patient outcomes?_	
Dolph Chianchiano .....	115
Karen Basinger .....	122
Comments .....	127

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

## CONTENTS (CONT.)

## Question 5

What are the existing chronic kidney disease education resources that are publicly available In addition to the resources, please provide information regarding the sponsorship or funding provided to produce the existing education programs.

Alice McCall .....	145
Thomas Hostetter .....	150
Comments .....	157

## Question 6

Are there organizations in existence that certify the content of the education services that are currently publicly available In addition, please provide information regarding sponsorship or funding provided to these certification entities.

Dolph Chianchiano .....	166
Beth Witten .....	172
Comments .....	183

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 P-R-O-C-E-E-D-I-N-G-S

2 (1:03 p.m.)

3 MS. WITTENBERG: Well good  
4 afternoon, and welcome, everyone. I'm Kim  
5 Wittenberg. And welcome to the AHRQ  
6 Stakeholders' Meeting Regarding Medicare  
7 Coverage of Kidney Disease Patient Education  
8 Services.

9 The purpose of this meeting is to  
10 solicit feedback regarding Section 152(b) of  
11 MIPPA, Medicare Improvements for Patients and  
12 Providers Act of 2008, which provides Medicare  
13 Coverage for kidney disease patient education  
14 services for individuals with stage IV chronic  
15 kidney disease, or CKD.

16 And Dr. Neil Powe is going to be  
17 responding further on 152(b).

18 CMS commissioned AHRQ to convene  
19 this meeting, as AHRQ is an outside agency  
20 that is a science partner to CMS.

21 I'd like to run through abbreviated  
22 bios for the group at the head table here

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 today. And just so you know, in your  
2 handouts, you'll have more substantial bios  
3 for all the folks here.

4 Dr. Steve Phurrough was going to  
5 attend today, and unfortunately he had a  
6 conflict, and he sends his regrets for not  
7 being able to be here today.

8 So the first person I'm going to  
9 introduce is Dr. Marcel Salive, on your far  
10 right. He is the Director of the Division of  
11 Medical and Surgical Services at CMS, and he  
12 serves on the Board of the American College of  
13 Preventive Medicine, and the American Board of  
14 Preventive Medicine. And is a Captain in the  
15 US Public Health Service Commissioned Corps.

16 Next is Jamie Hermansen. She is  
17 the Health Insurance Specialist for the  
18 Division of Medical and Surgical Services  
19 within the Coverage and Analysis Group at CMS.

20 Next to Jamie is Dr. Paul Eggers.  
21 He is the Program Director for Kidney and  
22 Urology Epidemiology at NIDDK. He oversees

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the United States Renal Data System, the  
2 Urologic Diseases in America Project, the  
3 Boston Area Community Health Study, the  
4 Frequent Hemodialysis Community Network  
5 clinical trial, the NIDDK Data Repository, and  
6 the RAND Interstitial Cystitis Epidemiology  
7 Survey, or RICE.

8 His research on ESRD includes  
9 epidemiological studies of mortality and  
10 morbidity among ESRD beneficiaries,  
11 transplantation studies and cost studies of  
12 dialysis and transplantation. And he has over  
13 70 publications concerning various issues  
14 relating to the Medicare program, and ESRD in  
15 particular.

16 Last is Dr. Neil Powe. He is a  
17 James Fries Professor of Medicine and  
18 Distinguished University Service Professor at  
19 the Johns Hopkins University School of  
20 Medicine. And Professor of Epidemiology and  
21 Health Policy and Management at the Johns  
22 Hopkins University Bloomberg School of Public

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Health.

2 He is the Director of the Welch  
3 Center for Prevention, Epidemiology and  
4 Clinical Research. He has extensive  
5 experience in developing and measuring  
6 outcomes in chronic kidney disease. And is  
7 currently serving as PI of a CDC sponsored  
8 project to design a surveillance system for  
9 CKD in the United States.

10 He serves on the National Advisory  
11 Committee for Healthcare Research and Quality,  
12 and the Board of Trustees of the American  
13 Board of Internal Medicine. He is a Fellow of  
14 the American College of Physicians, and also a  
15 member of the American Society of Clinical  
16 Investigation, the Association of American  
17 Physicians, and the Institute of Medicine.

18 Just some general information for  
19 this meeting, the restrooms are located  
20 directly across the hall behind us here. And  
21 one hallway back, right near the entrance, is  
22 where the snack machines and drink machines

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are located. If you go down that hallway, on  
2 your left is the kitchen, and that's where  
3 those machines are.

4 Also there will be several  
5 opportunities throughout the meeting for  
6 comments and questions.

7 Please always use the mics that are  
8 here in the aisle. This meeting is being  
9 recorded, so we need you to actually use the  
10 microphones to pick up your voice for the  
11 recording. Also, please begin by introducing  
12 yourselves and stating your affiliation.

13 After this meeting is over,  
14 sometime early next year, we will be producing  
15 an executive summary of the meeting.

16 And just to end the disclaimer for  
17 the meeting, statements, opinions, and-or  
18 positions made by the moderators, speakers,  
19 and-or the public, are independent of the  
20 United States Government. They should not be  
21 construed as an official position of AHRQ,  
22 CMS, NIH, or HHS. Reference to any specific

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 commercial products, process, service,  
2 manufacturer, company, or trademark, does not  
3 constitute its endorsement or recommendation  
4 by the United States Government, HHS, AHRQ,  
5 and-or CMS.

6 Thank you very much.

7 Dr. Powe?

8 DR. POWE: Well, I want to welcome  
9 all of you to this meeting this afternoon, and  
10 thank you for being here to participate in the  
11 meeting.

12 When Congress creates a public law,  
13 they usually have an intent in mind for that  
14 public law. But, in fact, through the public  
15 law, they instruct our Federal Agencies to  
16 write regulations that will help implement the  
17 intent of that law.

18 And that's why we're here today,  
19 and want to hear from all of you in the  
20 community, to understand how this law could be  
21 implemented in an optimal fashion to in fact  
22 address the intent that Congress had for this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 law.

2 So, what I'm going to do here is to  
3 go over the provisions that are in this law  
4 with you, in HR6331, which is the Medicare  
5 Improvement Act for Patients and Providers of  
6 2008.

7 And in that public law, there  
8 actually were a number of sections that  
9 pertained to patients with kidney disease.  
10 Section 152, kidney disease education and  
11 awareness provisions, which we're going to  
12 address. And then renal dialysis provisions.

13 But really the focus of this  
14 meeting is really on section 152, on kidney  
15 disease education and awareness provisions.  
16 We're not going to address the provisions in  
17 the other sections today.

18 So let me just go through with all  
19 of you what I extracted from the law that I  
20 think the key provisions that Congress was  
21 trying to say with this law. They defined  
22 kidney disease education services as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 educational services that are furnished to an  
2 individual with stage IV chronic kidney  
3 disease who, according to accepted clinical  
4 guidelines identified by the Secretary, would  
5 require dialysis or a kidney transplant.

6 And I want to remind you this  
7 classification, the CKD classification that we  
8 have now, includes stages I through V, and  
9 their intent really applied to this stage,  
10 stage IV, for which the prevalence of disease  
11 is about .35 percent. These are individuals  
12 who have an estimated glomerular filtration  
13 rate of 15 to 29 milliliters per minute per  
14 1.73 meters squared.

15 There are estimated, through this  
16 data from NHANES that there are about 700,000  
17 individuals in this country today who have  
18 stage IV kidney disease, a far greater number  
19 with lesser degree stages, and a few less with  
20 stage V as Dr. Eggers will talk about in a  
21 minute. But that's who these services were  
22 intended to be applied to.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So these services are supposed to  
2 be designed to provide comprehensive  
3 information regarding the management of  
4 comorbidities, including for purposes of  
5 delaying the need for dialysis, the prevention  
6 of uremic complications. And each option for  
7 renal replacement therapy, including in-center  
8 and home services as well as vascular access  
9 options and transplantation.

10           And Congress said the services  
11 should be designed to ensure that the  
12 individual has the opportunity to actively  
13 participate in the choice of therapy, and that  
14 they should be tailored to meet needs of the  
15 individual patient.

16           They also said that they should be  
17 furnished upon the referral of a physician  
18 managing the individual kidney condition by a  
19 qualified person. And by qualified person,  
20 they designated a physician or physician  
21 assistant, nurse practitioner or clinical  
22 nurse specialist, who furnishes services for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 which payment can be made under the CMS fee  
2 schedule, but also by providers of services  
3 located in a rural area.

4 An interesting part of this is that  
5 they said that this does not include a  
6 provider of services other than those in a  
7 rural area or a renal dialysis facility.

8 And they said, in terms of payment  
9 that no individual should be furnished for  
10 more than six sessions of kidney disease  
11 education services. And Congress' intent was  
12 to have this implemented by January 1<sup>st</sup> of  
13 2010, so roughly a year from now. And that's  
14 why we're here today, because what they  
15 instructed, the Secretary of the Department of  
16 Health and Human Services, to do, was to set  
17 standards for the content of information to be  
18 provided, after consulting with a variety of  
19 individuals shown on the slide.

20 To the extent possible the  
21 Secretary shall consult with persons or  
22 entities, other than a dialysis facility, that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has not received industry funding from a drug  
2 or biological manufacturer or dialysis  
3 facility. That's why we asked you all to fill  
4 out a disclosure form when you came in to the  
5 meeting.

6 So that is what really the key  
7 provisions of this law, that we're going to  
8 discuss today. But before we get into the  
9 comment period, we thought it would be useful  
10 for Dr. Eggers to show you a little bit about  
11 the magnitude of the problem of kidney disease  
12 in this country.

13 So I'll turn it over to Dr. Eggers.

14 DR. EGGERS: Thanks, Neil.

15 I think probably most of you are  
16 already familiar with a lot of the stuff that  
17 I'm going to show, but it's always been my  
18 feeling that it helps to give some background  
19 information just to make sure that we're all  
20 talking about the same kind of thing here.

21 So, I asked him, to just give them  
22 some opportunity to talk a little bit about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 what we already know about this issue that  
2 we're going to talk about today.

3 So the first thing is something  
4 that's pretty straightforward to most of us.  
5 But it's a problem of aging and of minorities.

6 This is the trend in incidence from  
7 less than 20,000 people in 1980, to almost I  
8 think it's a bout 110,000 in 2006. So a very  
9 rapid increase. And as you can see, most of  
10 the increase has been in the older ages, so  
11 that those over 65 now account for half of all  
12 new patients. And those over 75 account for  
13 about a quarter of all new patients.

14 And it is a disease of minorities,  
15 as we well know. And so these are by age the  
16 incident rates per million, by these various  
17 racial categories here. And as you can see,  
18 Blacks are almost four times as likely as  
19 Whites to have ESRD. Native Americans twice,  
20 and Asian Americans 40 percent more likely to  
21 go onto ESRD.

22 Another thing that we're not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       terribly informed about, but are beginning to  
2       be more aware of, is the effect of acute  
3       kidney injury on end stage renal disease.  
4       It's been sort of appreciated for a long time  
5       that the chronic part of kidney disease  
6       eventually leads to end stage renal disease.  
7       But we're just beginning to study this acute  
8       episode here.

9                So this is just sort of a cartoon  
10       that I put together.    And we have sort of  
11       kidney function over here, GFR, on this one.  
12       And this is time, these units don't mean  
13       anything.    So stage IV, obviously starts right  
14       about here.    And stage V is down around here.

15       So that would be stage V.

16               And this is sort of the concept I  
17       think that most people have about a smooth  
18       transition from impaired kidney function all  
19       the way down.    At some point they would reach  
20       stage V and require dialysis or a transplant.

21               And of course you have other  
22       patients, and they would, depending on their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 conditions and everything, proceed at  
2 different rates.

3 But what apparently happens in a  
4 lot of cases is you have people that are going  
5 along, and then boom, they all of a sudden  
6 drop on kidney function. They have an acute  
7 episode of whatever. And in terms of  
8 planning, you can see that in a lot of cases,  
9 at least it's my feeling from looking at the  
10 data and talking with nephrologists, that you  
11 know, you might start planning and do your  
12 education right around here, but the patient  
13 sort of drops.

14 And of course, something that's  
15 even a worse situation, is a patient that's up  
16 here, somewhere in relatively good kidney  
17 function, and has an acute episode, and ends  
18 up on end stage renal disease.

19 And the magnitude of these two  
20 things is really sort of unknown, but if you  
21 talk to any nephrologist, they might estimate  
22 anywhere from 30 to 50 percent of all cases of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 ESRD sort of come out of the blue. They're  
2 just not known, and are going to be very  
3 difficult to target for any kind of  
4 educational purpose.

5 And this is just showing that in  
6 the year 2004, the number of people who went  
7 to ESRD, who had at least one hospitalization  
8 for acute kidney injury in the two years prior  
9 the ESRD, was upwards around 30 percent or so.

10 So what do we know already about  
11 patient preparation? The new, relatively new,  
12 CMS 2728 form that tells us about the patient  
13 population, in terms of vascular access, 80  
14 percent of the people, their first routine  
15 dialysis, they're getting the access through a  
16 catheter, okay, 14 percent start with a  
17 fistula, and as you can see, very few start  
18 with a graft.

19 Secondly, how many people that go  
20 into ESRD were actually under the care of a  
21 nephrologist prior to going on to ESRD? Well  
22 it's about 60 percent. Thirty percent, they

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were not. Additional 10 percent, unknown, I  
2 think it's probably reasonable to assume that  
3 that's probably a none.

4 And then within that 60 percent,  
5 this is the length of time that they've been  
6 under the care of a nephrologist. So, again,  
7 within six months, it's going to be a little  
8 bit difficult to figure out how long those  
9 people have been really effectively  
10 identified. As you can see, maybe a quarter  
11 have been under the care a year or more. So  
12 that is a major issue.

13 Now, another thing, which isn't  
14 terribly hopeful at this point in time  
15 anywhere, is that if a person has been under  
16 the care of a nephrologist, rather than 14  
17 percent of them having a functioning fistula,  
18 it's 22 percent. So that's in one sense a lot  
19 more, but it isn't real great.

20 So if they aren't under the care of  
21 a nephrologist there's almost no chance that  
22 they start off with a fistula. And if they've

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 been under the care of a nephrologist for as  
2 much as a year, there's still only 28 percent  
3 of them start with a fistula.

4 But of course it's more complicated  
5 than that. So if they do have a catheter, at  
6 least is there a maturing fistula? Because  
7 you can well imagine a situation in which they  
8 put a catheter in, and are waiting for it to  
9 mature. It may take six, eight weeks, maybe  
10 three months, and they need to go on dialysis  
11 right away, so you put a catheter in there.  
12 Is the fistula maturing?

13 And so it's about 20 percent. It  
14 doesn't vary much by demographics, okay. No  
15 one group could you say is really, you know,  
16 wonderfully prepared at the time of ESRD.

17 And then the, sort of the most  
18 optimistic way of looking at it is, do they  
19 have a fistula or a graft, or do they have a  
20 maturing fistula or a graft? Okay, so again,  
21 that's only about 35 percent there. So,  
22 another way of thinking about that is 65

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 percent start with a catheter with no other  
2 access yet being planned.

3           Although,           what           about  
4 transplantation options? Most people are  
5 getting their, or finding out about  
6 transplant. And again, it's highest for the  
7 youngest age groups, as you can see it's,  
8 what, 71 percent there. And then it decreases  
9 with age, and some variation by gender and  
10 race.

11           And then finally, I think, the  
12 reason patient was not informed of transplant  
13 options. We haven't looked at this in great  
14 detail yet, but some were declared medically  
15 unfit. Not much detail about that.

16           A big percent were not assessed.  
17 So it's a sort of a strange answer, you know,  
18 why didn't you assess them? And the answer  
19 is, they weren't assessed. You have a sort of  
20 self-evident one might say there.

21           And then a bunch of these that were  
22 deemed sort of too old for a transplant. And

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 98 percent of these, 98, 99, percent of these,  
2 are over the age of 65.

3 One thing other to consider is,  
4 what about insurance coverage at the time of  
5 ESRD? Again, 53 percent are Medicare  
6 entitled, so you have all of the aged there,  
7 as well as some disabled people already. So  
8 in terms of Medicare coverage, this is the  
9 group that you look at there.

10 And then a bunch of them are under  
11 an employee group health plan, or Medicaid, or  
12 some other kind of thing there. And then a  
13 small percentage there are uninsured.

14 So, just to summarize there, in  
15 terms of what we're dealing with, many ESRD  
16 patients are old and frail.

17 Many ESRD patients are unknown  
18 until ESRD. So the effectiveness of any sort  
19 of education program is going to be greatly  
20 affected by this problem right here, which of  
21 course we're working in other areas to try to  
22 figure out.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Pre-ESRD care is low for many ESRD  
2 patients. So the bright way of looking at  
3 that is there are opportunities for  
4 improvement. All right?

5           And then, only about one half of  
6 ESRD patients have Medicare coverage prior to  
7 ESRD.

8           Thank you.

9           DR. POWE: Thank you, Paul. That  
10 was a wonderful, wonderful background.

11           And so, what I'm going to do next  
12 is to tell you a little bit about how we're  
13 going to conduct this session this afternoon.

14           This is really a session made to hear from  
15 you.

16           We solicited all of you about six  
17 questions regarding this public law. And you  
18 might say, well why were those six questions  
19 that were asked? And that's because they were  
20 prime interests to AHRQ and CMS as information  
21 that they need, they determined that they  
22 need, in order to implement regulation for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this.

2 So, what we're going to do, we're  
3 going to have two sessions here. We're going  
4 to have, the first session this afternoon is,  
5 we're going to go question by question through  
6 those six questions.

7 And what we've asked, we asked  
8 anyone to actually provide us information  
9 about any of the questions, but to make this  
10 meeting doable in an afternoon, we've asked  
11 two of the organizations to lead off with  
12 comments for each question.

13 And then we'll have a period after  
14 that of about 20 minutes where we'll open it  
15 up for additional comments and questions  
16 regarding that specific question.

17 And then we're going to have a  
18 final session at the end of the day where  
19 we'll open this up to comments from the floor  
20 on issues even beyond those questions, issues  
21 you may want to raise about the law, its  
22 implementation. And then also, additional

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 open comments on those questions.

2 So, Kim asked that each of you,  
3 each speaker should state their name and their  
4 organization when you speak today. And please  
5 respect our time limits. The time is limited,  
6 and so you may be asked by myself to shorten  
7 or quickly wrap up your comments if I think  
8 we're going a little too over.

9 And we could be a little flexible  
10 at the time for comments or questions, maybe  
11 adjust depending on the progress that we make.

12 I want to say, this is a meeting  
13 for information gathering and discussion. It  
14 is not a meeting that by the end of the  
15 afternoon, you know, we're looking, CMS or  
16 AHRQ's looking for consensus. So it's okay if  
17 your viewpoints are different with regards to  
18 these issues.

19 The session, just to let you know,  
20 the session will be audio taped. That's  
21 because they want to make sure that they  
22 actually get your comments correct.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   And after this meeting, if you have  
2 additional thoughts, they can be put in  
3 writing and sent to CKDEducation@cms.hhs.gov,  
4 so if you miss anything that you want to say,  
5 it can be sent in after the meeting.

6                   And as Kim said, we'll have an  
7 executive summary of the meeting available at  
8 a future date that will be announced.

9                   So I think we're ready to get on  
10 with the comments today. So what I'm going to  
11 ask is for each of the questions that the two  
12 speakers or two first commenters come up to  
13 this table here. Our first two are Sue Cary  
14 and Dale Singer.

15                   And this was the first question,  
16 what are the accepted clinical criteria, or  
17 standards of practice, for diagnosing someone  
18 with Stage IV CKD and determining that the  
19 patient will need to start kidney replacement  
20 therapy?

21                   So we'll start off with Sue. And  
22 you have a PowerPoint, and I'll load it up

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here for you.

2 MS. CARY: Thank you. I appreciate  
3 it, thank you.

4 All right, let me get my watch.

5 Hello, I'm Sue Cary, I'm President  
6 of the American Nephrology Nurses Association.

7 And we are a membership of over 12,000  
8 nurses, and we'd like to thank AHRQ for the  
9 opportunity to be able to speak.

10 Our members are employed in various  
11 areas, as you can see, federal, state, all the  
12 areas of renal replacement therapy, CKD,  
13 etcetera.

14 Our mission statement. Part of it  
15 is to positively influence outcomes for  
16 patients with kidney disease. And that is why  
17 we are very appreciative of being able to be  
18 here, for to be able to be partners in the  
19 quality care for patients with kidney disease,  
20 with all of you.

21 We are the largest professional  
22 organization in nephrology as nurses. And our

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 members practice in many areas. As you can  
2 see, at least over 25 percent of them, over a  
3 fourth of them, practice in CKD area.

4 All right, we do have question  
5 number one. And you have that question in  
6 front of you, and we decided to break it into  
7 a two part question.

8 One, the first part being, what are  
9 the accepted clinical criteria, or standards  
10 of practice, for diagnosing someone with Stage  
11 IV Chronic Kidney Disease?

12 We went to the kidney disease  
13 outcomes quality initiative, KDOQI, which all  
14 of you are familiar with. The reason that we  
15 used this is because it does provide evidence  
16 based clinical practice guidelines for all  
17 stages of chronic kidney disease.

18 It was an initiative started by NKF  
19 in 1997. And it's recognized throughout the  
20 world for improving the care of dialysis  
21 patients.

22 They also give the definition,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 KDOQI gives the definition of CKD. And I'm  
2 sure most of you, all of you are familiar with  
3 that. Kidney damage for greater or equal to  
4 three months, with or without decreased GFR.

5 And the second part of the  
6 definition has a GFR less than 60 milliliters  
7 per minute, greater than or equal to three  
8 months, with or without kidney damage.

9 So, severe decrease, or stage IV  
10 chronic kidney disease, defined as severe  
11 decrease in GFR of 15 to 29, or less than 30.

12 The workgroup did identify though  
13 that the limitations, one of the limitations,  
14 the GFR cut-off, for stages III to V, have  
15 been selected based on limited data with  
16 respect the relationship between complications  
17 and level of GFR. Basically, when you're  
18 using the numbers as a basis, to be mindful of  
19 those who are at the borderline.

20 For example, someone who I actually  
21 had in CKD clinic yesterday, between 28 and  
22 30, stage III, stage IV. But a lot of times,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you know, that would depend upon the hydration  
2 state. Check it again, another week or so it  
3 may change. So, especially those on the  
4 borderline.

5 Part two of question number one,  
6 the clinical criteria for determining the need  
7 to start renal replacement therapy.

8 Focusing on the assessment data of  
9 how the patient feels. Uremic symptoms, how  
10 uremic, are they, you know all that, are they  
11 nauseated, are they eating, et cetera. So  
12 looking at the uremic symptoms, your  
13 assessment of the patient.

14 Then patient preferences. Some  
15 don't want to start when you advise them to.  
16 Some are holding off as long as they can.  
17 Some don't want to start at all.

18 So, taking into account, when do  
19 you start renal replacement therapy? Uremic  
20 symptoms, patient preferences, and then  
21 focusing on the numbers, which I just  
22 mentioned about the definition of CKD stage

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 IV.

2 Also you look at the other numbers,  
3 hyperkalemia, anemia, fluid volume overload,  
4 metabolic acidosis, bone disease. All these  
5 numbers that become refractory to medical  
6 therapies as they near end stage.

7 Looking also at poor nutritional  
8 status, as the albumin keeps dropping. And  
9 then, we all know that we look at stage V  
10 chronic kidney disease with estimated GFR of  
11 less than 15 for diabetics, and less than ten  
12 for non-diabetics.

13 So, clinical criteria, also is  
14 determined by modality of treatment chosen.  
15 Transplant, usually start looking, putting,  
16 trying to get them evaluated for a transplant,  
17 GFR of 20 or less, not necessarily waiting for  
18 the 15 or 10.

19 And PD, higher GFR than  
20 hemodialysis. Since this is a self-motivated  
21 modality, don't want somebody very uremic  
22 trying to do PD. You want them to be looking

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 at a slightly higher GFR at this point for  
2 various reasons.

3 So we'd just like to thank you  
4 again. And also just to summarize, not only  
5 focus on numbers but also focusing on the  
6 patient assessment.

7 Thank you.

8 DR. POWE: Thank you, Sue. And  
9 next we'll have Dale Singer. Let's see, did  
10 you have slides?

11 MS. SINGER: No.

12 DR. POWE: Okay.

13 MS. SINGER: Good afternoon. My  
14 name is Dale Singer, and I am the Executive  
15 Director of the Renal Physician's Association,  
16 the professional organization of  
17 nephrologists, whose goals are to ensure  
18 optimal care under the highest standards of  
19 medical practice for patients with renal  
20 disease and related disorders.

21 RPA acts as the national  
22 representative for physicians engaged in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 study and management of patients with renal  
2 disease.

3 The question I am addressing is,  
4 what are the accepted clinical criteria or  
5 standards of practice for diagnosing someone  
6 with Stage IV CKD and determining that the  
7 patient will need to start renal replacement  
8 therapy?

9 We believe that RPA is ideally  
10 suited to address this issue in light of our  
11 development and publication of the evidence  
12 based clinical practice guideline in this area  
13 entitled, Appropriate Patient Preparation for  
14 Renal Replacement Therapy.

15 This guideline was preceded by an  
16 AHRQ endorsed evidence based report on this  
17 topic that was prepared by RPA, and the Duke  
18 University Evidence Based Practice Center for  
19 Clinical Health Policy Research.

20 The degree of advancement of CKD is  
21 determined by a combination of evidence of  
22 kidney damage, such as proteinuria, and level

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of kidney function, as indicated by glomerular  
2 filtration rate.

3 The patient population at the  
4 center of the RPA's guideline, and a target  
5 group for the CKD education benefit, is the  
6 patient subset referred to as advanced CKD, a  
7 shorthand term for the more specific  
8 designation of those patients whose clinical  
9 condition is characterized as advanced chronic  
10 kidney disease stages IV and V, but not on  
11 renal replacement therapy.

12 This corresponds to a GFR of less  
13 than or equal to 30 milliliters per minute  
14 when kidney function is at a high risk of  
15 progression.

16 Natural history data indicate that  
17 when patients reach stage IV, a large percent  
18 will likely progress to stage V and require  
19 renal replacement therapy.

20 Prior to stage IV, the focus of  
21 diagnosis and treatment of CKD is on slowing  
22 progression of kidney failure and identifying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and managing comorbidities. As the patient  
2 progresses to stage IV and V advanced CKD, the  
3 focus shifts to managing complex metabolic  
4 disturbances and preparing the patient for  
5 dialysis or transplantation.

6 Proactive preparation for renal  
7 replacement therapy is recommended to  
8 facilitate the transition and reduce the  
9 burden of clinical risk factors known to be  
10 associated with worse outcomes in ESRD  
11 patients.

12 Stage IV CKD is defined as patients  
13 with GFR 15 to 30 milliliters per minute,  
14 renal replacement therapy usually does not  
15 begin until stage V CKD when GFR is less than  
16 or equal to 15 milliliters per minute.

17 Some patients with uremic symptoms,  
18 nutritional deficiencies, or other compelling  
19 factors, may require initiating dialysis in  
20 stage IV.

21 Some preemptive kidney transplants  
22 are performed for patients with late stage IV

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to early stage V CKD when appropriate donors  
2 are identified and clinical circumstances  
3 suggest earlier rather than later  
4 transplantation.

5 RPA appreciates the opportunity to  
6 provide our input to the kidney disease  
7 stakeholders meeting, and will continue to  
8 serve as a resource as AHRQ and CMS work to  
9 ensure the best possible health outcomes and  
10 quality of life for Medicare beneficiaries  
11 with CKD.

12 DR. POWE: Thank you, thank you.  
13 Great.

14 So let me invite anyone who'd like  
15 to comment on this question to the microphone.

16 Dr. Hostetter?

17 DR. HOSTETTER: I agree with a lot  
18 of what's just been said.

19 I'd sort of put the questions back  
20 together again, that Sue took apart, because I  
21 think that seems to me, would seem to me to be  
22 one of the questions that CMS may be asking,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that is, how do we decide who really needs  
2 this kind of education? And that underlies  
3 that, it says that maybe not everybody there  
4 does.

5 And I think that makes it a very  
6 hard question. It makes it hard for the  
7 reasons that Paul brought up. That if all  
8 those people who have a GFR of 29, not all of  
9 them are ever going to need end stage renal  
10 disease.

11 Some of them are elderly and die  
12 from some other almost entirely independent  
13 comorbid condition. Others will not even be  
14 identified at that stage. And a few from  
15 acute kidney injury will drop in.

16 So I don't have an answer to it  
17 when I combine those two. But I have a  
18 general answer. And I think that means that  
19 we're going to need to educate far more people  
20 than need to be educated, if need is defined  
21 by those who end up on a dialysis machine,  
22 peritoneal dialysis, or having a transplant.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   And I don't think that's entirely  
2 bad, because there are other messages to get  
3 to these people other than what's going to  
4 happen when they reach end stage.

5                   But because not all 700,000 of  
6 those people will get to that stage, and we  
7 have such poor predictors for knowing that, we  
8 will be teaching people some things that maybe  
9 will never really pertain to them.

10                  MS. WITTENBERG: Could you please  
11 also introduce yourself and state your  
12 affiliation?

13                  DR. HOSTETTER: I'm sorry, I'm Tom  
14 Hostetter, I'm here with the American Society  
15 of Nephrology.

16                  MS. WITTENBERG: Thank you.

17                  DR. POWE: Would you like to  
18 comment?

19                  MS. CARY: Just part of that, I  
20 understand what you're saying, but as far as  
21 the education of CKD stage IV, part of the  
22 goal would be also those trying to prevent

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1       them from further progressing, which we do  
2       have, like you said, a lot of elderly,  
3       etcetera, that would not be considering going  
4       on renal replacement therapy.

5                   But they can benefit from education  
6       at stage IV to be able to prevent progression.

7       Not just elderly, but, you know, to prevent  
8       progression. So that's part of why we broke  
9       it into two.

10                   DR. HOSTETTER: I agree with that.

11       I think that would be a big benefit of this,  
12       if you could prevent them to getting that  
13       stage.

14                   But I think, if the question really  
15       means, how do we decide whether Mrs. Jones  
16       really needs that education because Mrs. Jones  
17       is going to end up on dialysis, I think we  
18       have to say, we don't really know. We have a  
19       few clues, clinically, but we can't tell  
20       whether she's going to get from 29 down to 10  
21       with any kind of certainty.

22                   So we're going to have to be

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 willing to instruct lots of those people where  
2 we can't predict where they would go. And one  
3 of the benefits that you raised I think is a  
4 really important one, that may help to prevent  
5 her from getting down to GFR of 10.

6 DR. POWE: Other comments?

7 MS. WITTEN: I'm Beth Witten, I'm  
8 actually going to be one of the people talking  
9 to you. I'm here representing a whole bunch  
10 of people. I didn't want to go unemployed.

11 So I'm here with the National  
12 Kidney Foundation, but not representing them  
13 today. Dolph's doing that. I am representing  
14 Missouri Kidney Program and Medical Education  
15 Institute.

16 One of the things that I think is  
17 real important in educating patients is  
18 educating as many people as we can to prevent  
19 kidney failure. Because that's very expensive  
20 for Medicare. So if we can keep people, even  
21 if it's the 80 year old person, from  
22 developing kidney failure before they die,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 then that's going to save Medicare money.

2           So I think the other thing that's  
3 important is looking at, how do we choose  
4 which patients to refer for education? Who's  
5 going to make that choice? Who decides who's  
6 a candidate for education?

7           I work with Missouri Kidney  
8 Program, we get referrals from all kinds of  
9 people. And one of the things that I'm  
10 concerned about a little bit with this law is  
11 that it says that only the physician can refer  
12 for CKD education, or a nurse practitioner, or  
13 basically an advanced practice registered  
14 nurse.

15           And we get patients who come to our  
16 classes who are self referred, because they  
17 saw a brochure and they heard about our class.

18           There could be a way that that could be  
19 screened with a physician who then certifies  
20 the person as someone at that stage, and if  
21 we're educating people that are at stage III  
22 instead of stage IV, and that is not a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Medicare covered benefit for that person, at  
2 least they're being educated.

3 So, you know, I would just caution  
4 against too much narrowing down the focus on  
5 who should get the education, because if we  
6 can prevent kidney failure, slow the  
7 progression of kidney disease and save money  
8 in Medicare, it'll be to the advantage of  
9 everybody.

10 DR. POWE: We need you to come to  
11 the microphone.

12 MR. RETTIG: I'm Dick Rettig from  
13 RAND and part of Drew-RAND-UCLA Comprehensive  
14 Center for Health Disparities.

15 I think the implication, or to me,  
16 I guess it's a question, is not the  
17 implication, one implication of what you said,  
18 Tom, and Beth, what you said, that the  
19 statutory limitation of this education on  
20 stage IV is inappropriate.

21 That is to say, why should stage  
22 III not be engaged from an education

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 standpoint? I'd like to hear your comments.

2 DR. HOSTETTER: I think the  
3 President-Elect said it in one of the debates,  
4 not that I equate myself with him. But,  
5 that's above my pay grade to answer. I mean,  
6 that's a cost, because you go from 700,000  
7 people or thereabouts, like Paul said, that's  
8 even putting aside the ones that's dropped in  
9 from acute renal failure, to seven million  
10 people.

11 And so, the cost would be  
12 different. And maybe I have too small a  
13 scope, but I'm sort of grateful that we're  
14 even getting it at stage IV.

15 But having said that, I agree with  
16 you entirely, and I think it emphasizes what  
17 Beth said, that if we could slow progression  
18 at even earlier stages, there are benefits  
19 that would accrue.

20 DR. POWE: Dolph.

21 MR. CHIANCHIANO: Dolph  
22 Chianchiano, National Kidney Foundation.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Carrying on with, from Dr. Rettig's comment, I  
2 would go the opposite direction, and that is  
3 that patients in stage V kidney disease not  
4 yet on dialysis would also benefit from these  
5 services. And it's unfortunate that the  
6 statute is so limited as far as the  
7 entitlement is concerned.

8 DR. POWE: Let me ask a question in  
9 follow up to this. I guess there are variety  
10 of services that Congress has spelled out in  
11 terms of the content of the education. And  
12 would that vary by the stage of disease? I  
13 think, you know, would you necessarily want to  
14 be educating all stage III patients about  
15 their options for renal replacement therapy  
16 early on at a GFR of let's say 59?

17 DR. HOSTETTER: I'd say no. I  
18 think the bang for the buck there would be  
19 things about slowing their progression to that  
20 stage. So then, I think that's your question,  
21 the nature of the education, or emphasis of  
22 the education in my mind would be quite

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 different at those early stages. And would  
2 also just include that you may not get to that  
3 place at all, but need to be followed.

4 MS. CARY: I would agree with that  
5 because patients coming to CKD clinic, they're  
6 really afraid of the word that you're going to  
7 mention, is dialysis or renal replacement  
8 therapy. They don't want to hear that word.

9 And so there's a lot more than  
10 renal replacement therapy to teach them about.

11 So no, I wouldn't educate everyone,  
12 especially with GFR 59 on the renal  
13 replacement therapy option. But there's a lot  
14 more that they do need an education on.

15 DR. EGGERS: One thing I guess  
16 about this stage III is, you know, one of the  
17 sort of odd things about the staging criteria  
18 is that stages I and II, you have to have  
19 kidney injury, which is microalbuminuria.

20 And then all of a sudden you change  
21 the criteria and you have a whole lot of  
22 people in stage III who are there largely

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because of age.

2           And so I think that although we  
3 don't know as much about progression as we  
4 should, I think that nephrologists in general  
5 already do this. I mean, if they've got a  
6 patient that's elderly, has no  
7 microalbuminuria, and is, you know, a 50 or 55  
8 GFR, doesn't really worry about that sort of  
9 thing.

10           On the other hand, if they're say a  
11 younger patient, say 35 or 40, and has a GFR  
12 of 55, and has microalbuminuria, then that  
13 means a much different sort of thing in terms  
14 of progression.

15           And so, you know, it's a little  
16 hard to sort of write, you know, bureaucratic  
17 rules about that sort of thing. But I think  
18 there are a lot of indications that  
19 nephrologists have about the nature of the  
20 patient, other than just whatever the GFR is.

21           MS. NEWMAN: Hi, I'm Eileen Newman  
22 from the National Kidney Disease Education

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Program. And our tactic is that education is  
2 a continuous process. And so, when we get a  
3 patient or we recommend a patient who has a  
4 GFR of 59 or 60, we begin kidney disease  
5 education with that patient.

6 And you might begin by talking  
7 about the function of the kidneys and what the  
8 detection, and go on to treatment. And as  
9 they slowly progress, if they do progress,  
10 that they do then, you do talk about dialysis.

11 And by giving them these, as you're  
12 seeing them more and more often and repeating  
13 this, they may not even be hearing what you're  
14 saying at the very beginning, but when they're  
15 hearing this, and they're slowly accepting  
16 some of the information that you're saying,  
17 that they then can be more prepared for  
18 dialysis as you get further and further along.

19 And maybe as their GFR is  
20 decreasing you can then start talking about  
21 dialysis and then have better outcomes with  
22 dialysis because they are more informed.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. COMPTON: I'm Ann Compton at  
2 Virginia Commonwealth University.

3 And with stage III CKD you have the  
4 opportunity to really slow progression,  
5 especially in those patients that are young  
6 with diabetes and hypertension. And  
7 oftentimes if you start giving them some  
8 dialysis education at that point, they may  
9 change their behavior to get their A1C better  
10 under control as well as their hypertension  
11 under control.

12 And that is where you can get  
13 Medicare bang for the buck, is changing those  
14 health behaviors of those individuals early  
15 on. And those two, just diabetes and  
16 hypertension.

17 DR. POWE: I see no more comments  
18 on question one, so I want to thank the  
19 commenters and all the others for your  
20 comments and opinions.

21 So we'll move on to our next group  
22 of questions. And question two, why don't I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have the two presenters come, they're Jennifer  
2 Russell and Tonya Salstrom.

3 Question two is, What are the  
4 different modalities of education appropriate  
5 for kidney disease education? And we'll have  
6 Jennifer Russell lead off, and we have some  
7 slides.

8 MS. RUSSELL: Good afternoon, I'm  
9 Jennifer St. Clair Russell with the American  
10 Kidney Fund. I'm the Director of Professional  
11 and Public Education with the American Kidney  
12 Fund.

13 A little bit about AKF. Many of  
14 you may be familiar with our mission, but  
15 primarily we provide direct financial  
16 assistance to kidney patients who are in need.

17 We also provide health education to people  
18 with or at risk for kidney disease.

19 We have a number of programs, many  
20 of which are financial. But we also have  
21 education outreach programs. In 2007, AKF  
22 provided over one hundred million dollars in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 financial assistance to 68,000 kidney patients  
2 in all 50 states.

3 In looking at the different  
4 modalities for patient education, it's  
5 conceivable that patient education can have  
6 multiple goals. I've listed just a few here.

7 Improve outcomes, obviously. But  
8 perhaps increase patient efficacy. Provide  
9 hope. Increase patient involvement in  
10 decision making. Increase patient-provider  
11 communication. There could be a number of  
12 different goals.

13 I think depending on those goals,  
14 and what the focus is, the components or the  
15 way that the education is delivered could  
16 vary.

17 At any rate though, what you're  
18 talking about when you're looking at patient  
19 education with this particular population is  
20 adult education. And adult education theories  
21 and principles.

22 It should be interactive, and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 developed with the learner in mind.  
2 Certainly, we have things that we want to  
3 communicate to the learner, but we need to  
4 remember where they are in the process.  
5 Someone mentioned earlier they may not be  
6 ready to hear what we have to say to where  
7 they are in hearing it.

8 It also should be culturally  
9 appropriate. Developed certainly using health  
10 literacy principles. And appropriate for  
11 various learning styles. It should be based  
12 on their readiness to learn. And most  
13 importantly, grouped in manageable chunks.

14 I know sometimes we may consider  
15 that people may be coming from rural  
16 locations, and may only have access to them  
17 for one time in two or three months. So you  
18 want to group everything you can at one time.

19 But what is the person really going to walk  
20 away with?

21 Typically we recommend education  
22 would only be about 45 minutes to an hour at a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 time. And it should focus on three to five  
2 key points. Again, these are things that  
3 people can walk away with. And these points  
4 should be reinforced. What use is the  
5 education if no-one's going to remember it  
6 when they leave?

7 And then finally, include  
8 assessments and opportunities that allow  
9 learners to apply what they have learned.

10 The American Kidney Fund supports  
11 small group sessions as well as individual  
12 sessions. We believe a combination would be  
13 able to appropriately incorporate adult  
14 learning principles as well as some of the  
15 other benefits that come with these various  
16 modalities.

17 And here you see I have pros versus  
18 cons on small group sessions. Pros, of  
19 course, offers a component of support.  
20 They're with their peers, they can share their  
21 stories. Provides for richer discussion and  
22 greater interactions with peers.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Cons would be, shared medical  
2 information could be perceived as medical  
3 advice, or could taint patient perspectives.  
4 And of course there could be confidentiality  
5 concerns.

6           But then when we look at the one-  
7 on-one sessions, you can see that those pros  
8 and cons then flip. So wouldn't it make sense  
9 to have some flexibility and to complement the  
10 pros of those by having a combination of both?

11           I talked a little bit about  
12 interactivity and engaging the learner.  
13 Certainly multimedia is something that we all  
14 -- we live in a world -- I see many of us have  
15 BlackBerries or Palms that we're walking  
16 around with. This population may not be  
17 appropriate for some of that advanced  
18 technology.

19           In fact, as you can see on the  
20 slide, when you look at the Pew internet data,  
21 many of these folks may not have access to the  
22 internet. And certainly if they do have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 access, are they going to be able to log onto  
2 a WebEx or GoToMeeting session? Some of us  
3 even have problems with that.

4 So, internet education may not be  
5 accessible, but certainly there are other  
6 distance education modalities that would be  
7 appropriate. Things like teleconferencing.

8 Certainly written materials where  
9 they could read something assuming it's at an  
10 appropriate literacy level, and then there  
11 could be some sort of assessment and a  
12 discussion perhaps over the phone or on a one  
13 and one basis.

14 Certainly the use of videos.  
15 Again, appealing to the multiple learning  
16 styles of your learner.

17 So in summary, recommend a  
18 combination of face-to-face, small group  
19 sessions along with one-on-one. Should be  
20 developed using adult learning principles.  
21 Sessions should only be about 45 minutes,  
22 focus on three to five key points. And it

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 should be limited to about 10 to 12 patients  
2 and include family or caregivers.

3 And again, if we're looking at  
4 multimedia, incorporate things like  
5 teleconferencing, videos, but limit the use of  
6 internet and computer based education just  
7 because of accessibility issues.

8 Thank you.

9 DR. POWE: Thank you. Next up we  
10 have Tonya Salstrom. Let's see, did you have  
11 slides? Okay.

12 MS. SALSTROM: Hi, I'm Tonya  
13 Salstrom, I'm Deputy Director for Dialysis  
14 Patient Citizens. And I am again answering,  
15 what are the different modalities of education  
16 appropriate for kidney disease and patient  
17 education?

18 At the risk of repeating Jennifer,  
19 most of what she has outlined as appropriate  
20 modalities, I completely agree with. But  
21 wanted to just kind of supplement some of the  
22 information with some patient examples.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I'll start out by telling you that  
2 first, DPC is a non-profit organization. We  
3 have a patient controlled board of directors,  
4 and our membership is focused on dialysis  
5 patients and pre-dialysis patients. We  
6 currently have over 22,000 members nationwide.

7 Our primary purpose is to develop  
8 awareness of dialysis issues, advocating for  
9 patients, and improving the partnership  
10 between patients and caregivers, and of course  
11 promoting favorable public policy.

12 You can see that we were overjoyed  
13 with the passage of MIPPA and the beginning of  
14 education for CKD stage IV patients. This is  
15 something that our membership supported  
16 wholeheartedly, was up on Capitol Hill talking  
17 to members of Congress about.

18 And we're very passionate, even  
19 though most of them were current dialysis  
20 patients, they had missed their opportunity  
21 for this education, they very much wanted to  
22 see that other patients were educated on these

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 issues and properly prepared for dialysis, but  
2 also to help prevent or delay dialysis for  
3 others.

4           So some of my slides you're going  
5 to see here are a little bit different than  
6 probably what you've seen today. These are  
7 slides that I've taken from presentations that  
8 we have given to patients. So they are a  
9 little bit comical and cartoonish.

10           But I think they're appropriate,  
11 because one of the things that you're going to  
12 want to do for education is you really want to  
13 empower patients. And to do that, you're  
14 going to have to be able to present education  
15 in a manner that is understandable to the  
16 population that you are working with.

17           The population is diverse as has  
18 already been outlined here today. And there  
19 are different levels of education and  
20 different levels of, different ways that  
21 people learn. And so one of the ways that  
22 people learn is by visual aids. So you will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 see some, most of my slides are just a visual  
2 aid for you.

3 What I think the focus on education  
4 is, you want to empower individuals. And the  
5 empowerment is important because what you're  
6 doing is you're educating them to take better  
7 care of themselves. Once they've been  
8 educated, they need to feel that they can  
9 apply that to their lifestyle.

10 So we feel that a combination of  
11 education principles is best for patients. So  
12 a combination of written materials, you can do  
13 internet education, face-to-face education,  
14 video education. All of this can be  
15 incorporated, but the importance here is it  
16 needs to be personalized education.

17 So all of these pictures that  
18 you're seeing up there are current patients.  
19 You can see how diverse the population is.  
20 You have younger, older, you can't see it here  
21 but you have lower income, different education  
22 levels.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So it's very important to educate  
2           in a way that speaks to them. Your senior  
3           citizens, your Medicare beneficiaries, are  
4           probably not wired, as Jennifer said. They  
5           didn't grow up wired, they're not used to  
6           accessing the internet for education.

7           But your younger patients, those  
8           that are in their 20s that are maybe dealing  
9           with high blood pressure and that's how their  
10          kidneys are failing as a result, they may be  
11          very comfortable with the internet.

12          They are already online trying to  
13          figure out what has happened to them. They  
14          are looking on FaceBook, they are searching  
15          Wikipedia, so they are very comfortable. And  
16          so in that respect, internet education would  
17          be appropriate for that population.

18          In some other areas of the country  
19          you have high illiteracy rates. And for  
20          those people the face-to-face education, small  
21          group sessions, one-on-one with a clinical  
22          educator or a nephrologist, is going to be a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 little bit more appropriate.

2 No matter what method of education  
3 is chosen, the importance is really going to  
4 be on focusing on comprehension,  
5 understanding, and reinforcement. They are  
6 going to need to be able to take that  
7 education home with them and apply it to their  
8 daily lives.

9 And one way you can do that is by  
10 incorporating different ways to test their  
11 knowledge. But also in developing a patient  
12 care plan. Now these can come again in  
13 different formats. They can be written. They  
14 can be something that's done in a quiz online.

15 They can be something that's discussed one-  
16 on-one.

17 But whoever is providing the  
18 education is comfortable and knows that the  
19 patient has understood what has been brought  
20 to them, and that they can then communicate  
21 back to the educator how they can incorporate  
22 what they've learned into their daily lives,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is going to be extremely important.

2 One thing that I hear so much from  
3 patients is, if I had only known. If I had  
4 only known that if I didn't take my blood  
5 pressure medicine properly, my kidneys could  
6 fail.

7 I work with a 27 year old, and he's  
8 a patient ambassador of ours. And this is a  
9 little bit about that Patient Ambassador  
10 Program up on the screen. Basically it's  
11 patients that are educating other patients in  
12 their dialysis clinics in their local  
13 communities to raise awareness about kidney  
14 disease.

15 Twenty-seven years old, has high  
16 blood pressure. Took his medication, started  
17 to feel better, so he stopped taking the  
18 medication. It's not a cheap drug, so he  
19 stopped taking it. And then went back to the  
20 doctor and found out that his kidneys were  
21 failing, and he was going to need to go on  
22 dialysis.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And of course he comes to us and  
2           says, if I'd only known. And now he has made  
3           it his mission to educate others in his  
4           family. To raise awareness in his community,  
5           that hey, this can happen to you.

6           And so I think it's important when  
7           you're providing education to patients, that  
8           it is done so in a manner that's  
9           understandable to them, because it is ongoing.

10          And that also it can be provided with a  
11          support system in place.

12          So I think that if you're going to  
13          provide education, a family member should  
14          attend the sessions. Whether they're in  
15          person, online, they should be present.  
16          Because then that's somebody you can go home  
17          and talk to afterwards and start to really  
18          develop a family or a companion or friend  
19          support system.

20          And these are just some quotes of  
21          what patients have said. Patient to patient  
22          education doesn't replace clinical education,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but it can be an added support value. So I  
2 think after addressing initial modalities of  
3 education, and introducing patients in a group  
4 setting, and helping them foster ongoing  
5 relationships so that they can talk to one  
6 another and help educate other patients in the  
7 future, would also be a way to promote greater  
8 outcomes.

9 So, I would like to thank AHRQ for  
10 having us here, and I'm very excited for the  
11 legislation, and I'm glad that we've already  
12 started working on this discussion.

13 Thank you very much.

14 DR. POWE: Thank you. So let me  
15 open the floor up to additional comments and  
16 questions.

17 MS. CARY: I actually just have a  
18 comment. Some of the words, I think three  
19 words that were used by both of you that was  
20 very important: personalized, individualized,  
21 and culturally appropriate.

22 A few years ago, ANNA had looked

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for what information was out there for  
2 patients in the Spanish population for  
3 instance, and there's a lot of information out  
4 there. But culturally appropriate is very  
5 important.

6 The individualized. Just yesterday  
7 I had a woman that was deaf. You know, so you  
8 have to -- a lot of -- I think those three  
9 words, culturally appropriate, individualized,  
10 and personalized, are very important to  
11 consider when they're looking at this  
12 question.

13 MS. WITTENBERG: And Sue, please  
14 state your name and affiliation.

15 MS. CARY: I'm sorry. I'm Sue  
16 Cary, ANNA President. Thank you.

17 MS. KITSEN: Jenny Kitsen, Director  
18 for the Network of New England.

19 I just wanted to make the  
20 observation that I think particularly when you  
21 look at the demographics as Paul was  
22 mentioning in terms of the Medicare patients

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 who are in stage IV that we're discussing  
2 here, we're really talking a disproportionate  
3 number of geriatric patients who live within  
4 the system and a family.

5 And I think in terms of these  
6 educational models that we're thinking about,  
7 we really need to consider the fact that we're  
8 not just teaching the patient, we're really  
9 trying to teach the significant other and the  
10 family support system that's with them.

11 Because they're going to be just as  
12 involved in this chronic illness as anyone  
13 else is, and they're going to have to be  
14 supporting and helping that particular  
15 patient.

16 Recall, remember, and you know,  
17 follow the adaptation and the changes that are  
18 going to be needed with regards to moving  
19 forward into the next stages and selecting  
20 modalities that are appropriate for them.

21 So I think it's, we're talking a  
22 unit here, were not just talking a patient.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. COMPTON: Ann Compton, Virginia  
2 Commonwealth University.

3 I just wanted to say that the  
4 assumption that education level has anything  
5 to do with health literacy should be taken  
6 away, because the truth is, everybody kind of  
7 starts at the same level.

8 I was telling Beth, I have a cousin  
9 who is a health educator from Johns Hopkins,  
10 and has a Masters there, and doesn't, can't  
11 even tell you the medicines he's on right now  
12 because of the illness and what it's done.

13 So, you know, we have to assume  
14 that everybody at an equal level, and drop  
15 those levels of education to suit everyone but  
16 not assume that just because you have higher  
17 education that you're going to understand  
18 better.

19 DR. POWE: Great commentary. Let  
20 me ask a question. Both of you talked about  
21 small group sessions. What is a small group  
22 session? How big or small should be a session

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be? Is there too large a group for which this  
2 would be ineffective?

3 MS. SALSTROM: Sure. I can give  
4 you some examples. I would say probably try  
5 to keep it under 20 people. And this is one  
6 thing I had meant to address.

7 Where you have the group sessions  
8 is going to be very important. Utilization of  
9 community health centers would be an excellent  
10 place to hold these type of education  
11 sessions, because they are easier to access  
12 for patients, patients may already be familiar  
13 with them. And maybe receiving other services  
14 at the community health center.

15 I think once you get above 20, you  
16 do find it a little bit difficult for  
17 everybody to ask the questions that they might  
18 have. I know we hold conference calls on a  
19 monthly basis with current patients, and we  
20 get about 30 to 50 people on those calls each  
21 month.

22 And when you do that it's great and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 everybody is receiving the information, but  
2 not everybody is having the opportunity to get  
3 their question across. And so you run into  
4 that challenge.

5 DR. POWE: Thank you.

6 MS. RUSSELL: I would agree. I  
7 think 10 to 12 folks, groups of 10 to 12  
8 people as well as including family members, so  
9 maybe no larger than 20.

10 And of course it also can affect  
11 how interactive, not only just asking  
12 questions, but if you're going to be doing  
13 care plans and things like that, the educator  
14 needs to be able to talk to the people  
15 participating, the family as well as the  
16 patient, and provide some direct one-on-one in  
17 the middle of the group sessions as well. So  
18 I think smaller groups about that size would  
19 be best.

20 MS. NEWMAN: Eileen Newman. I  
21 would just like to add to --

22 DR. POWE: Just repeat your name

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and --

2 MS. NEWMAN: Eileen Newman,  
3 National Kidney Disease Education Program.

4 I just would like to put a focus on  
5 the providers, or the, who is providing the  
6 education. It's important not only to think  
7 of the, you know, the physician, but also  
8 nurse practitioners, registered dieticians,  
9 social workers, nurses, advanced practice  
10 nurses, the many, many different types of  
11 people that can be involved, and to make sure  
12 the legislation includes all those types of  
13 providers that are able to do that.

14 DR. POWE: Yes?

15 MS. BASINGER: I'm Karen Basinger,  
16 I'm a member of the Renal Practice Group and -  
17 -

18 DR. POWE: Can you just wait one  
19 second?

20 MS. BASINGER: Sure.

21 DR. POWE: She wanted to make a  
22 comment related to that prior comment.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. RUSSELL: I just wanted to add,  
2 certainly education should be multi-  
3 disciplinary because that is how ultimately  
4 renal replacement therapy is delivered. But I  
5 think also you need to think outside of the  
6 box and realize that just because someone is a  
7 content expert or a subject matter expert does  
8 not make them an educator.

9 And so a lot of folks may not be  
10 aware of adult learning principles, may not be  
11 aware of those three things that we have  
12 hitting home in our presentation. And so it  
13 has to be a team approach, not only in the way  
14 care is delivered, but also in the way  
15 education is delivered.

16 DR. POWE: Thank you. Sorry to  
17 interrupt you. Now you're on.

18 MS. BASINGER: I'm Karen Basinger,  
19 I'm a member of the Renal Practice Group of  
20 the American Dietetic Association.

21 This is from personal, this is my  
22 other hat. I actually work in a senior

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 retirement community. And we do classes  
2 regularly. And we keep them at five, but we  
3 have more, we have family members and care  
4 givers and everything in there. So our little  
5 room maybe can handle ten. But actually it's  
6 five people in there, and it is, it's a small,  
7 small group. And we cover maybe one out of  
8 three topics, but it's what they can handle at  
9 the time and what they discuss.

10 Sometimes I have to pull somebody  
11 aside and do some more one-on-one. It's about  
12 personalizing. It's about working with  
13 people. And especially the one thing I have  
14 to emphasize is, with the elderly, they have a  
15 hard time hearing. So when people have a  
16 certain, they have a dialect, or they have a  
17 strong accent, the message isn't received  
18 well. And in fact, I've had them walk out the  
19 room when we use somebody with a very strong  
20 accent, and had to repeat the session.

21 So I think we need to be not only  
22 culturally sensitive to the material that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have, but culturally sensitive to our  
2 learners. Because the accents are very hard  
3 for the elderly to understand.

4 And when I see this group, it's not  
5 four or five sessions. Because they're  
6 staying power isn't lasting long. It may take  
7 me ten sessions. So that's why when I see  
8 this for six, the number of sessions, that  
9 also impacts on what we can do.

10 MS. SALSTROM: I agree, the number  
11 of sessions is going to be problematic,  
12 particularly if they're going to be face-to-  
13 face sessions.

14 Because particularly in rural  
15 areas, and those of you that are in the  
16 dialysis industry already realize that  
17 transportation to dialysis is problematic. So  
18 transportation for something that's going to  
19 be voluntary is going to be a nightmare. And  
20 you're not going to get the number of people  
21 there that you need to educate.

22 If it's, you know, a total of six

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sessions, maybe it needs to be, like we both  
2 mentioned, other formats of education or  
3 combined. Something needs to be addressed so  
4 that they are not just six sessions that are  
5 face-to-face in a doctor's office.

6 MS. RUSSELL: This also appeals to  
7 different learning types as well.

8 MS. WILLIAMS: Hi, Deb Williams,  
9 Baxter Healthcare.

10 You know, we've had a longstanding  
11 program of kidney education and other people  
12 too, however, I think the way the benefit is  
13 constructed in the statute, it's under the  
14 physician fee schedule, and it's envisioned as  
15 a clinical service where the only person who  
16 can be responsible for the payment is the  
17 physician, the nurse practitioner, or the  
18 physician assistant.

19 So if you think about it in the  
20 constructs of the Medicare program, that  
21 people can still go out and do community  
22 education, but that's not what's under this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clinical benefit.

2 Matter of fact, I would hope that  
3 we and others still do, you know, community  
4 education. That way you could tell people,  
5 you can go into your nephrologist for more  
6 intensive sessions.

7 But the kind of face-to-face  
8 sessions in a physician's office, which by of  
9 necessity, just practical necessity, the size  
10 of the office, will relatively be fairly  
11 small, is a different kettle of fish, can of  
12 worms, I don't know, something like that.

13 Thanks.

14 DR. POWE: And again, it may not  
15 exclude one of those providers that you  
16 mentioned bringing in others as --

17 MS. WILLIAMS: Yes.

18 DR. POWE: -- part of the education  
19 session.

20 MS. WILLIAMS: I think that we  
21 believe that that's very important that the  
22 physician be allowed, the benefit be rich

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 enough, the physician be allowed to bring in -  
2 - first of all, they may not have a trained  
3 nephrology nurse to do certain things,  
4 dieticians, clinical social workers to talk  
5 about you know the psycho-social elements.  
6 Those are all very important.

7 DR. POWE: Thank you.

8 MS. HAYS: My name is Rebecca Hays,  
9 I'm a renal social worker actually out of  
10 Transplant Center University of Wisconsin.

11 Thank you for your presentations.

12 You know, Jennifer, when you were  
13 talking about patient readiness to learn, I  
14 think that was where my ears really perked up,  
15 because I think that's one of the challenges  
16 to thinking about this education, is  
17 considering that folks in stage IV are going  
18 to still be in the beginning phases of disease  
19 adjustment.

20 And so I think for this education  
21 to hit home it needs to be targeted to what  
22 people are ready to learn and what people are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 going to be excited to learn about.

2 And I think that's often protecting  
3 their sense of vitality and also protecting  
4 their quality of life. And so I think if we  
5 can offer this education to target what  
6 people's goals are at that point, they're  
7 going to be more likely to participate.

8 DR. POWE: Yes.

9 MS. RUSSELL: I think that also  
10 speaks to, that the number one concern may not  
11 be necessarily what modality of therapy  
12 they're going to go on. They may be wondering  
13 how they're going to pay for this.

14 So, I think that's again where it  
15 goes back to personalization and starting  
16 where the learner is. Because you need to  
17 address all those barriers and all those  
18 concerns first before they're going to hear  
19 anything else that you're going to say. So,  
20 absolutely, I agree.

21 DR. POWE: Yes.

22 MR. RETTIG: Dick Rettig, RAND

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Health. I'm impressed by the dominance of  
2 this discussion of a professional education  
3 model. I kind of have some of the same  
4 reactions I had to teacher education as a sort  
5 of root cause of problems in schools.

6 Let me tell you, we have a report  
7 that will come out in some time in the Spring  
8 I expect. Six cases of CKD clinics and  
9 practices. One of those practices focuses on  
10 Paul Crawford in the southwest side of  
11 Chicago.

12 Paul is an African American who  
13 grew up in the southwest side of Chicago, and  
14 came back to live there to serve his  
15 community. He goes everywhere, to every  
16 church, to every community organization. He  
17 speaks on television and radio as frequently  
18 as he can.

19 The Baxter representative mentioned  
20 community outreach. I think at some point  
21 you've got to just say, the model that's  
22 implicit in the question here is only

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 partially adequate to the problem of educating  
2 in the community to the concerns for diabetes,  
3 high blood pressure, and so on.

4 And I was struck the other day by  
5 the piece in the New York Times, November 20,  
6 enlisting the aid of hairstylists as sentinels  
7 for domestic abuse. And you can read the  
8 comments that follow that piece, and there's  
9 some that quarrel with the thing, but there  
10 are many that are really quite supportive.

11 And I haven't heard anything in the  
12 modalities about beauty parlors and hair  
13 salons as a way to get the information out.  
14 And I think in the Black community that's  
15 certainly a very important thing.

16 MS. COMPTON: This is Ann Compton,  
17 VCU, again. I don't see these as mutually  
18 exclusive. I see, you know, what the law says  
19 as far as nurse practitioners, and doctors,  
20 and physician education.

21 But also I think there's a very  
22 important place for these other education

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 programs that have been implemented through  
2 the years that have been very successful in  
3 patient education.

4 So I don't see these as mutually  
5 exclusive, I see them existing alongside each  
6 other.

7 DR. HOSTETTER: Tom Hostetter, ASN.  
8 First a comment, and then a question for the  
9 panelists.

10 I realize we're not here to design  
11 the content of this education program, but I  
12 would really urge that there be more than lip  
13 service to two pieces of it. We keep talking  
14 more about dialysis, preparation for dialysis,  
15 choosing modality of dialysis.

16 But one is that these people at  
17 least at the higher ends of GFR may be able to  
18 delay it for a significant period of time and  
19 save the system money, at least 60 or 70  
20 thousand dollars a year that they're off of  
21 dialysis themselves, I'll agree.

22 The other topic that needs to be in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there again as more than lip service is early  
2 transplantation, which may not be in the  
3 interest of a lot of the people who would be  
4 delivering this message, and who would bill  
5 for it.

6 And so, while it kind of shows up  
7 on the list, I think it's more often lip  
8 service to really urging patients to looking  
9 for relatives or friends that could donate.

10 The question I have for the  
11 panelists is, how effective would it be to do  
12 these in dialysis units? I worry about that a  
13 bit. A friend of mine once said, it's like  
14 CKD education in the dialysis unit is doing  
15 marriage counseling in a divorce court, in  
16 that the patients are very afraid of it, that  
17 it's not the place to do it.

18 I also am afraid of it because it  
19 may be a way of channeling, using dialysis  
20 units to channel patients into given providers  
21 or different clinics, which I don't think  
22 would be a good use of this education benefit.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So I'd like to hear the panelists'  
2 discussion of the use of dialysis clinics as  
3 sites for this.

4           MS. RUSSELL: I would agree with  
5 what Tonya said earlier, using community  
6 health centers and places out in the  
7 community. I think that also brings in the  
8 point about community outreach and going out  
9 into the community. I would agree that I  
10 think if you're delivering this education in a  
11 dialysis center it tends to look like you're  
12 trying to sway them towards a particular type  
13 of treatment.

14           I think however there could be some  
15 usefulness in maybe a visit. But certainly  
16 not all the education sessions delivered  
17 there. And I think the one thing too is, to  
18 go back to this one point that we made over  
19 here, this is not, education obviously,  
20 patient education, is not new.

21           Education in barber shops and  
22 beauty shops is not new. That's where a lot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of breast cancer screening has started. Many  
2 model programs in the health education world  
3 have started in churches and in health  
4 centers, beauty shops, and that sort of thing.

5 So, and I think it goes back to the  
6 point that we made over here is that we can do  
7 all this stuff in tandem, and then hopefully  
8 these are well informed patients and families  
9 that then can ask the questions that, and get  
10 the information they need, in these sessions  
11 then that would be paid for by Medicare.

12 MS. SALSTROM: I'm going to agree.  
13 I don't think that a dialysis clinic is the  
14 proper place for all of these education  
15 sessions. I do see a benefit in, like  
16 Jennifer said, a visit. I do also see the  
17 benefit in a dialysis patient meeting with a  
18 CKD stage IV patient and discussing not just  
19 dialysis but discussing how they ended up on  
20 dialysis.

21 So where your focus may not be,  
22 how do you prepare for dialysis, but here are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some steps that you can take to prevent or  
2 delay that I didn't know about before. And  
3 that's very powerful.

4 We actually have had a lot of  
5 experience with patients going out to their  
6 local churches and their local communities and  
7 doing just that. Talking to those that have  
8 diabetes, those that are at risk for kidney  
9 disease, and saying, like I said before, hey,  
10 if I had only known. And they really have a  
11 passion for doing that.

12 And back to, I don't remember her  
13 name, but she was saying, there are definitely  
14 these six sessions that need to take place.  
15 And there are six topics that need to be  
16 discussed at CKD stage IV. But there's still  
17 a variety of issues that need to be addressed.

18 And continuing education is also very  
19 important.

20 DR. POWE: Well, I want to thank  
21 our commenters and all of you for those.  
22 Also, thank you for staying on time. We're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 actually on time in this agenda, which is very  
2 nice.

3 So, let me have our commentators  
4 for question three come forward, Ann Compton  
5 and Beth Witten.

6 The question three is, what is the  
7 recommended frequency and duration for these  
8 education services? And I think we began to  
9 touch that a little here.

10 MS. COMPTON: I cover two of those  
11 positions, I'm a Nurse Practitioner and a  
12 Clinical Nurse Specialist. So that kind of  
13 helps me out.

14 So I actually have had a patient  
15 education program at VCU since 1997. A health  
16 educator dropped in our lap that was doing her  
17 PhD in education and developed our education  
18 to at a fourth to fifth grade level. And it  
19 was, happened to be six sessions. And we met  
20 weekly for one hour before the renal clinic,  
21 where the indigent patients, because they were  
22 there, it made it convenient for them.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So, in the literature, nothing  
2 really talked about, you know, what would work  
3 for patients time-wise or otherwise. But as  
4 far as the frequency, you want to consider  
5 your patient circumstances, the stage of  
6 chronic kidney disease, either early IV or  
7 late V, you're certainly going to talk about  
8 different things first in those situations.

9           What their travel time is. Do they  
10 have jobs? Are you interfering with work  
11 hours? Children, readiness to learn, in other  
12 words, you need to benefit the patient so  
13 they're not worrying about getting to their on  
14 time or picking up their children. And again,  
15 readiness to learn, what you have to say.

16           The teaching environment, we've  
17 talked about a little bit, is very important.

18           You don't want it to be someplace that makes  
19 them feel nervous or whatever. You might want  
20 to offer cookies or something, just to kind of  
21 make them feel more comfortable.

22           Convenience to another appointment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that they have or convenience of time for  
2 their circumstances.

3 Health educators, you know, not all  
4 of us are health educators whether we're  
5 physicians, nurses, or whatever. It's a whole  
6 different type of thing, and we don't always,  
7 giving people this didactic learning doesn't  
8 always work. That's not necessarily what  
9 they're going to hear.

10 And I do believe it needs to be  
11 multi-disciplinary. Again, these six sessions  
12 don't have to exclude other things that are  
13 already being done. And I think we need to  
14 kind of think about that.

15 We need to be available to spend  
16 the time and have patients not feel rushed.  
17 Again, most convenient for the participants.

18 And also, consistent times with  
19 continuously rotating topics. In other words,  
20 every Tuesday there was a class, and it  
21 rotated through the classes over and over  
22 again, so the patients knew from 11 to 12 this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 class was taking place, and they were welcome  
2 to come and bring family members. So that  
3 kind of they always knew that they had that,  
4 and they would get cookies, and something to  
5 drink.

6 Duration, the only thing I could  
7 find that would make a commitment about this,  
8 Sue, was ANNA core curriculum. It said  
9 chronic kidney disease have short attention  
10 spans, and so do the nurses and physicians.  
11 About 10 to 15 minute sessions are about all  
12 that we or they can handle.

13 They may have depressed mentation.  
14 They have a lot on their mind. They may be  
15 thinking about how are we going to afford  
16 this, what is this going to mean to my job,  
17 what is this going to mean to my family. We  
18 have to get past all of that that's going on  
19 in their mind before they're going to be able  
20 to accept what we have to say about chronic  
21 kidney disease, vascular access, the values or  
22 whatever.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Need frequent clarification and  
2 reassurance, and they better respond to  
3 repetitive information presented in varied  
4 forms. And again, that might be the sessions  
5 that we're talking about here, with  
6 supplemented by other CKD programs that are in  
7 existence now.

8           Again, present points early and  
9 repetitively, so that first 10 to 15 minutes  
10 you want to make sure you get your point  
11 across before you start to discuss other  
12 things.

13           A nonthreatening environment, some  
14 place that they can feel comfortable.

15           Educators should not seem hurried  
16 or distracted.

17           Allow adequate time to answer their  
18 questions. And they may be questions that you  
19 had no idea were on their mind.

20           And deal with patient concerns  
21 first so they can accept the information that  
22 he needs to make an informed decision about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 his modality selection.

2 Our CKD education program again is  
3 six one-hour sessions.

4 Convenient time prior to renal  
5 clinic.

6 There's a consistent schedule.

7 They can attend class in any order,  
8 or as many times as they needed. I had one  
9 patient that came back ten times.

10 Significant others are welcome.

11 Incentives, such as, you'll get to  
12 see the doctor first. I'm always bribing  
13 patients, you can get a cookie or juice.

14 And again, multi-disciplinary, all  
15 of the literature says multi-disciplinary is  
16 an important part of the health education.

17 That's it.

18 MS. WITTEN: Okay, first of all,  
19 like I said before, I'm Beth Witten. I work  
20 with the National Kidney Foundation. I  
21 consult with the Missouri Kidney Program and  
22 with Medical Education Institute.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I was asked to talk on question  
2 number three, which deals with the frequency  
3 and duration. And so I thought, well, where  
4 can I go to get information? I think I'll  
5 talk about the program that I consult with.

6 So that's Missouri Kidney Program's  
7 Patient Education Program. It's been in  
8 existence for 25 years. I'm not sure if  
9 that's the longest running CKD education  
10 program, but if it's not the longest running,  
11 it certainly has to be up there.

12 It is state funded, so that we are  
13 able to provide education according to our  
14 budget.

15 We started in a facility in St.  
16 Louis under a cost containment grant through  
17 the state kidney program. It did cost save,  
18 because it encouraged people to do other  
19 modalities other than in-center hemodialysis.

20 It expanded to Kansas City, which is where I  
21 am, and to outlying areas of Missouri.

22 We've educated about 3,000 patients

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 plus their family members, some of whom are  
2 also at risk of CKD. And they have expressed  
3 excitement about learning things that may  
4 prolong their kidney function.

5 We do, like most people do, six  
6 classes. They're one hour. Their fact based  
7 education.

8 They're highly interactive. We  
9 have a nurse that presents on some topics, a  
10 dietitian that presents on the diet and kidney  
11 disease. Social worker moderates the classes,  
12 and also presents on financing and coping.

13 For the options classes, we have  
14 patient presenters as well as the nurses, so  
15 that the patients can hear from people that  
16 are actually doing the particular treatment  
17 that they are considering doing.

18 One of the things that we found  
19 with our classes is that patients sometimes  
20 come to them and they believe already that  
21 they're not a candidate for a particular  
22 modality. Their doctor's steering them toward

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a particular modality, and we're having to  
2 overcome those kinds of biases.

3 I'm proposing here, and it probably  
4 won't fly, but I threw it out here anyway.  
5 Looking at the diabetes self management  
6 education, in addition to the six sessions,  
7 that there be individual counseling with the  
8 various modalities so that the patients can  
9 get their unique questions answered.

10 And then diabetes self management  
11 education also has a two hour annual session  
12 where the person can kind of brush up on what  
13 they maybe missed out on or forgot about from  
14 what they learned in the classes that they  
15 attended.

16 The way that the Missouri Kidney  
17 Program is structured, it's education that's  
18 been kind of based on what the community needs  
19 are, the patient's needs in the community. It  
20 started off with one class per week. An hour,  
21 it was usually done in the evening so that  
22 working patients and their families could

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       come.

2                       But then the elderly people didn't  
3       like driving at night. So, you know, they've  
4       done it in different communities, say like on  
5       two successive Saturdays. The way that we're  
6       currently doing it all throughout the state of  
7       Missouri is three topics on Saturday and three  
8       topics on Sunday.

9                       We found that the patients are more  
10      likely to attend that way, and they are more  
11      likely to bring their family members with  
12      them. And then if they can't attend one day,  
13      or they can't attend a particular topic, then  
14      we invite them back for three additional  
15      times. So we send out invitations to them.

16                      And it really helps when their  
17      doctor tells them, this is a really good  
18      program, it's really good for you to go.  
19      Because then they'll call me and they'll say,  
20      my doctor suggested that I come to your class,  
21      and I want to sign up for it.

22                      This is our schedule, this is the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 way we lay out our agenda. We have 15 minute  
2 breaks in between each one of the topics, so  
3 that people can talk to the presenter or talk  
4 to the patients that are there, or talk to  
5 each other.

6 We have kidney friendly snacks, so  
7 they can see that you can eat things that are  
8 other than a little teeny tiny glass of water  
9 and maybe a little bit of bread.

10 We have an evaluation and include a  
11 pre-test and a post-test in the evaluation, so  
12 that we can see whether or not we've improved  
13 knowledge, scores. We do them every other  
14 month in Kansas City and St. Louis.

15 We have been doing them in  
16 Springfield, quarterly, and in rural Missouri  
17 we just had our first class in a rural  
18 location. Because we're trying to get to the  
19 rural patients and the patients that are  
20 frequently under-served.

21 Our northwest Missouri site, we  
22 used to do education, and currently it's

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 inactive. We hope to get that started again  
2 at some point.

3 We also send home with all the  
4 people that come to our classes a binder of  
5 materials. I brought it here, and I can leave  
6 it with you if you'd like. It includes all  
7 the PowerPoints of all of our presentations.  
8 And it includes a variety of materials from a  
9 bunch of other organizations. Generally don't  
10 include things from the manufacturers.

11 There's a transplant facility list,  
12 so that people know the transplant facilities  
13 in the state. We have a variety of websites  
14 and a bunch of other information that we give  
15 them as well.

16 Our outcomes show significant  
17 improvement in their knowledge scores. One of  
18 the benefits of this kind of education is the  
19 empowerment and the self management. That's  
20 one of the, those are goals that we have to  
21 help encourage them to choose healthy  
22 lifestyle behaviors, hopefully to prolong

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 their kidney function.

2           Ninety-five plus percent of the  
3 people that attend our classes rate them as  
4 excellent or good, in spite of the fact that  
5 they're an hour long per class, and three  
6 hours plus break times on Saturdays and  
7 Sundays.

8           The interesting thing is that we  
9 have a significant number of people that want  
10 to choose one of the modalities that is  
11 currently under-utilized. Instead of having  
12 90-plus percent of people on in-center  
13 hemodialysis, we have 46 percent that say that  
14 they want to choose PD.

15           We're going to be following up with  
16 those patients to find out what they actually  
17 choose. We have got a research project that  
18 we're doing right now.

19           And seven percent of the people  
20 that attended our classes over the last year  
21 said that they want to do home hemodialysis.  
22 So that's pretty significant increase over the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 number that are currently doing them.

2 And then we have 99 percent of our  
3 patients, greater than that, say that they  
4 would refer other people to our classes. We  
5 encourage them to go back and tell their  
6 doctors, and that's one of the ways we get the  
7 doctors to refer to us.

8 So these are some testimonials. I  
9 just wanted to share those with you. These  
10 are some of the words of the patients who  
11 attended our class in 2007.

12 And I really appreciate being able  
13 to come here and talk with you about Missouri  
14 Kidney Program and what it's done over the  
15 last 25 years. And believe that this is a  
16 great opportunity to share information among  
17 ourselves.

18 DR. POWE: So let me open this up  
19 then for additional comments or questions.

20 DR. EGGERS: Not to be a spoil-  
21 sport about the whole thing, but it says here  
22 that under the provisions of the law, no

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 individual shall be furnished more than six  
2 sessions of kidney disease education services.

3 Does that kind of answer the  
4 question already for us? I mean, is there any  
5 flexibility? Sounds like it doesn't make any  
6 difference if everybody in the room said ten  
7 was the minimum necessary, if the law says you  
8 can't give any more than six, there you go,  
9 right?

10 DR. POWE: The law says you can't  
11 get paid for more than six, probably.

12 DR. EGGERS: Well --

13 DR. POWE: It doesn't say you can't  
14 give more than six. And that's an issue, kind  
15 of, what that would mean. But again, I think  
16 the question that Paul's asking is, is this  
17 really reasonable, can we do it in six  
18 sessions?

19 DR. EGGERS: Or maybe the charge  
20 should be, regardless of what we think should  
21 be done, how can we design something that  
22 gives the maximum amount in six?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. WITTEN: Well, Missouri Kidney  
2 Program's been doing six sessions for the last  
3 25 years. So, and patients feel like they --  
4 They rate it highly, their knowledge increases  
5 significantly.

6 Actually, I meant to mention that  
7 when patients come in to the Missouri Kidney  
8 Program, we give them a pretest. There are 24  
9 questions on the pretest. The patients'  
10 average score coming in is less than 50  
11 percent, which is pretty horrible if you think  
12 about what kind of education we're providing  
13 to people that their doctors believe that  
14 they're going to be starting dialysis in the  
15 next say six months.

16 All of the people that we target  
17 are stage IV CKD. So we are targeting the  
18 people that this law is, you know, is  
19 targeting as well.

20 MS. COMPTON: Can I just say --

21 DR. POWE: Yes, go ahead.

22 MS. COMPTON: Can I say one more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thing? And I'll be a spoilsport too.

2 All the literature that looks at  
3 CKD education in Italy and Britain and Canada,  
4 it really impacts a patient's, if you're  
5 looking at how long they live or whatever,  
6 only about six months. You see an improvement  
7 in those patients who did versus didn't.

8 So another thing to think about is,  
9 keeping patients off dialysis longer, what's  
10 going to be the most bang for the buck as far  
11 as Medicare is concerned? And that is,  
12 getting these patients changing their health  
13 behaviors. They got to this place doing  
14 something. And changing and maybe zeroing in  
15 on those health behaviors to be able to slow  
16 the progression of their disease. And to have  
17 them prepare for dialysis once they do go on  
18 dialysis.

19 I don't see these, I said earlier,  
20 I don't see these as mutually exclusive. I  
21 see these as maybe complementing each other.  
22 The six sessions. But that doesn't mean that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 other CKD education programs that are already  
2 in existence can't enhance what they learn in  
3 those six sessions.

4 MS. SALSTROM: I just have a quick  
5 question about the Missouri Program. I'm  
6 Tonya Salstrom with Dialysis Patient Citizens.

7 Beth, you mentioned that you do  
8 three sessions on Saturday, and then they get  
9 three sessions on Sunday. And you did answer  
10 part of my question when you said you did a  
11 pretest.

12 I'm assuming you give a post-test,  
13 and when is that post-test delivered? And is  
14 there additional follow-up maybe a month or  
15 two months out to see what the retention was?

16 MS. WITTEN: We give a pretest, as  
17 I said, and we do give a post-test. We give  
18 the post-test on the last day after the last  
19 session. And at this point, no, there is not  
20 a follow-up to see what they retain. That's a  
21 good point.

22 And that would be a good thing to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 do as well as following up to see whether  
2 those patients that said they wanted to do a  
3 particular modality really actually were  
4 allowed to do that.

5 And so that's one of the research  
6 things that we're doing this year, is to  
7 follow-up with them on what they ended up  
8 doing. That's going to be like in six months  
9 and a year and over a period of time.

10 MS. SALSTROM: Great. And I think  
11 that that is very important when we're talking  
12 about education to again follow-up and make  
13 sure that there was retention there.

14 And that the education is  
15 implemented. Because if the idea here, which  
16 I am assuming it is, is to increase quality  
17 and reduce costs, we're going to have to have  
18 some sort of, whether it's paid for or not,  
19 there's going to have to be some sort of  
20 follow-up to ensure that the education is  
21 being put into play by patients.

22 MS. WITTEN: One reason why this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 program in Missouri was expanded to the state  
2 related to the cost of treatment for treating  
3 somebody in-center on hemodialysis, versus  
4 treating somebody at home on PD or home hemo.

5 Because the cost of treating people  
6 at home and transplant are less expensive for  
7 Medicare than treating somebody in-center.

8 So the idea was if we can encourage  
9 more people to take more interest in their  
10 care and be more involved in their care and  
11 perhaps choose a home modality, or even to  
12 choose healthier behaviors, so if they don't  
13 have the complications it'll be less  
14 expensive.

15 MS. BASINGER: Karen Basinger,  
16 member of the Renal Practice Group of the  
17 American Dietetic Association.

18 I like to go along with Beth's  
19 devil's advocate, in that playing on the four,  
20 the additional hours. Even though you may  
21 have a session for diet, let me emphasize,  
22 that there is a benefit under Medicare for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 CKD, for early dietary intervention that has  
2 been lost in this legislation.

3 And we really would like to make a  
4 point, that these people can come, you know,  
5 for a certain amount of education, early  
6 intervention, and they have the follow-up.  
7 Each year with a dietician, individually, or  
8 as a group session.

9 And I think we really need to bring  
10 out that there are other parameters, that they  
11 can meet with other people of the team  
12 separate from this six hour session, that  
13 would really help that patient do much better.

14 I have to tell you, in my setting,  
15 we've kept off 50 percent of the clients we've  
16 seen in five years. They are not any further  
17 in stage IV, just by diet and education.

18 So, and these are the senior  
19 population. So it can be done. But you need  
20 to bring in the other disciplines, and that's  
21 why I'm encouraging MNT has to be part of  
22 this.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. WITTEN: Well I think nobody's  
2 saying, thank goodness, that medical nutrition  
3 therapy should go away just because of this  
4 benefit. I think that more, I hope that more  
5 dieticians get out and get the provider  
6 numbers to be able to do that.

7 In my community I have had so many  
8 patients that have called and said, well I'd  
9 like to meet with the dietician, and then  
10 trying to find a dietician that has a Medicare  
11 provider number and does medical nutrition  
12 therapy. Thank goodness I know of two now so  
13 I can tell people, you know, there are at  
14 least these two, we're looking at trying to  
15 find more.

16 That is a tremendous benefit, and  
17 that by itself can help to prolong kidney  
18 function. So, very important benefit.

19 DR. POWE: Let me ask a question.  
20 Is the five hour session the length of this  
21 session? I noticed that these questions were  
22 about the length of this session this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 afternoon. Is that appropriate given that we  
2 heard that the attention span of a CKD patient  
3 is 10 to 15 minutes? Is an hour too long?

4 MS. WITTEN: The patients that come  
5 to our class, they may say, "oh my God, it's  
6 that long on Saturday and Sunday?" But then,  
7 after the last day, after the last class,  
8 they're standing around talking.

9 They're talking to the patients,  
10 they're talking to the presenters. You know,  
11 they're standing out in the parking lot  
12 talking to people. So, I think it empowers  
13 them to ask more questions.

14 MS. COMPTON: There's only one way  
15 to know that, and to see if the information  
16 you gave about transplant, PD, the modalities  
17 or whatever, if they actually choose those and  
18 actually start doing them. So, you know, you  
19 have to have that follow-up.

20 I've had patients change their mind  
21 on the operating room table about whether  
22 they're going to have a fistula or PD

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 catheter, actually.

2           So, you know, we really do need --  
3 And the only thing that's going to tell you if  
4 what you're doing educational-wise, and there  
5 is nothing out there, is working, is if you  
6 have follow-up to see if they actually did,  
7 or, you know, what they did, and if they got  
8 started early and how they're access placed  
9 and those kinds of things.

10           I don't think we know.

11           DR. HOSTETTER:     Tom Hostetter.  
12 This serves as just a little factoid that  
13 bears on that.

14           About a year ago, from our place,  
15 Sue Halpern, one of the epidemiologists looked  
16 at the NHANES data. And if you take people  
17 that are less than 65, who have chronic kidney  
18 disease of this range, and who do not have a  
19 history or evidence of a stroke, they have  
20 significant cognitive impairment already.

21           So, I don't think, I mean, that  
22 doesn't kind of make you throw in the towel.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Obviously you all haven't thrown in the towel.

2 It seems to me that that really needs to be  
3 considered in this group. Because they're not  
4 going to be thinking as well as other people.

5 That would seem, intuitively, I  
6 don't know if there's data, that that's a  
7 strong reason for having a healthy family  
8 member come whenever possible to kind of  
9 provide some kind of cognitive bulwark to  
10 these people's problems.

11 MS. WITTEN: Well, you know, we  
12 tell patients, when they call us and they say,  
13 we'd like to come to your class, I'll say, no,  
14 are you going to bring a family member,  
15 friend, somebody that's a support person with  
16 you?

17 I say, you know, four ears are  
18 better than two. And, you know, there are  
19 going to be things that you'll forget that  
20 they'll be able to help remind you.

21 And also having a support person  
22 there as somebody to supplement the education

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and remind them in a nice way hopefully of  
2 things that are helpful to them, things that  
3 would be better choices.

4 MS. WILLIAMS: I don't want to  
5 correlate --

6 DR. POWE: Just repeat your name.

7 MS. WILLIAMS: Deborah Williams,  
8 Baxter. But it is true that central Missouri,  
9 and St. Louis, is one of the higher areas for  
10 peritoneal dialysis in the country, as well as  
11 around -- and of course we attribute that they  
12 have great clinical leadership in those areas  
13 too.

14 MS. WITTEN: Keep in mind I'm in  
15 Kansas City, so. I'm in western Missouri, but  
16 central Missouri is University of Missouri,  
17 and they have actually a different educator  
18 doing individual education with people  
19 separate from Missouri Kidney Program, because  
20 they're with one of the providers.

21 MS. HAYS: I'm Rebecca Hays from  
22 the University of Wisconsin again. And I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guess I would also suggest, Beth, that one of  
2 the strengths of the Missouri Kidney Program  
3 has been its flexibility to change the timing  
4 of its sessions according to what the  
5 population needs at that point.

6 Because I think as we begin to  
7 think about this curriculum, one of the things  
8 we should be trying to look at is who isn't  
9 getting to those programs and how to help  
10 folks get there and also see the benefit of  
11 getting there.

12 I would also suggest that as  
13 terrific as any of this curriculum is going to  
14 be, perhaps its main intention is to get  
15 people interested enough in the content to go  
16 back to their nephrologist and talk more about  
17 it.

18 And if perhaps the primary goal of  
19 this intervention should be to help people  
20 make an informed choice about their first  
21 modality choice, so that Medicare doesn't have  
22 the added expense and the person have the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 added burden of switching modalities  
2 repeatedly.

3 And certainly, coming from a  
4 transplant center, I am biased to have this  
5 curriculum also improve our absolutely abysmal  
6 rate of preemptive transplants in this  
7 country. So, I would add that.

8 MS. WITTEN: And that's what we're  
9 studying, actually, in the Missouri Kidney  
10 Program, for this year and next year.  
11 Actually it's a three year grant with Amy  
12 Waterman to study preemptive transplant, and  
13 just transplant in general.

14 And whether the education, the way  
15 it's given, whether it's the standard  
16 education that Missouri Kidney Program has  
17 given, which is modified every year and  
18 improved with feedback from the people that  
19 are presenting, but also two different  
20 modalities of education using a video or using  
21 a health educator instead of a transplant  
22 coordinator to do the education, whether that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 makes a difference.

2 And whether people choose to get  
3 evaluated for a transplant and then follow  
4 through on getting evaluated.

5 MS. COMPTON: I just want to say,  
6 in our program, we did have a transplant -- We  
7 have a dietician, multi-disciplinary  
8 pharmacist, social worker, transplant  
9 coordinator, all the other dialysis  
10 modalities, and what kidney disease is all  
11 about. So it is truly a multi-disciplinary  
12 program. And we do have a pretty good  
13 preemptive transplant rate.

14 DR. POWE: Thank you for bringing  
15 the perspective of transplants to this.

16 There are no more comments on  
17 question three. I think you earned a 12  
18 minute break. We'll reconvene at three.  
19 Thank you.

20 (Whereupon, the above-entitled  
21 matter went off the record at 2:49 p.m. and  
22 resumed at 3:02 p.m.)

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. POWE: Okay, let's resume. I  
2 just, one housekeeping detail that I wanted to  
3 let you know. For taxi information, at the,  
4 where you checked in today, there is actually  
5 a phone where you can call one of four taxis.  
6 They have sheets like this there. Barwood,  
7 Action, Regency or the Shuttle, for taxi  
8 services. So if you wanted to do that, that's  
9 where you can do it, at the desk where you  
10 checked in.

11 So, we're on next to question four.

12 And question four is, what factors in  
13 existing education programs lead to the best  
14 patient outcomes? And I think it's  
15 interesting, given the comments that we heard  
16 in the last section about measuring what  
17 happens as a result of education, that this  
18 question is here.

19 And so we have Dolph Chianchiano,  
20 who will be our first speaker.

21 MR. CHIANCHIANO: Thank you, Dr.  
22 Powe. And I thank folks from CMS and AHRQ for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the opportunity to meet with you this  
2 afternoon.

3 The good news is twofold, and that  
4 is that a lot of the points that I had planned  
5 to make have already been made. But the good  
6 news is that shows there's a lot of  
7 consistency in our feelings towards the  
8 implementation of this benefit.

9 It also means that my talk will be  
10 a little bit shorter. That's always the  
11 danger of being the seventh speaker anyway.

12 So, for those of you who don't  
13 know, the National Kidney Foundation is a  
14 voluntary, non-profit health organization  
15 dedicated to preventing kidney and urinary  
16 tract diseases, improving the health and well-  
17 being of individuals and families affected by  
18 those diseases, and increasing the  
19 availability of all organs for  
20 transplantation.

21 To give you an idea of our  
22 membership, we have constituent councils, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patient and family council, the transaction  
2 council, the chair of the transaction council,  
3 which represents kidney recipients, kidney  
4 transplant recipients. If Debra Washington is  
5 in the room, she may have some comments later  
6 on today.

7 And we have professional councils  
8 on dietetics, social work, and nurses and  
9 technicians, advanced practitioners, and then  
10 almost 3,000 physician members.

11 Our education programs are,  
12 needless to say, patient centered. And the  
13 history of that as relevant to this  
14 afternoon's discussion begins 15 years ago  
15 with the creation of a video series called  
16 People Like Us.

17 Interestingly enough, there are six  
18 videos. The measure, the number six seems to  
19 be very prominent in these days.

20 But you can see that the effort,  
21 which I will come back to, to provide equal  
22 time for all modalities of treatment is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 evidenced in the way the People Like Us video  
2 series was developed.

3 So there is a separate video on  
4 hemodialysis, a separate video on peritoneal  
5 dialysis, and a separate video on  
6 transplantation.

7 Our patient education program is  
8 based on the philosophy of knowledge, choice,  
9 and control. In other words, patient  
10 empowerment.

11 After the successful operation of  
12 the People Like Us video series, we developed  
13 something called People Like Us Live, which  
14 once again has six sessions. And they  
15 parallel the sessions in the video program.

16 It was offered and has been offered  
17 by affiliates throughout the country. It is  
18 modeled after and adopted from the Missouri  
19 Kidney Program Patient Education Program that  
20 Beth had described.

21 And similarly, it is implemented by  
22 a social worker moderator, who receives

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 training and certification by the National  
2 Kidney Foundation and the faculty is multi-  
3 disciplinary.

4 And the patients receive  
5 supplementary take-home patient education  
6 materials.

7 We believe, I think we can safely  
8 say that the People Like Us and People Like Us  
9 Live are recognized for their comprehensive  
10 content and fair balance.

11 So, you know, basically I'm going  
12 to talk about what are the components of a  
13 successful program. But first of all, we have  
14 to decide, what is success? And one way to  
15 look at that is, success in helping patients  
16 to cope. Because coping is important to the  
17 health outcomes for the individuals who are  
18 involved.

19 In the qualitative study that was  
20 done by the National Kidney Foundation of  
21 Alabama, shows that almost 100 percent of all  
22 the participants in the People Like Us Live

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 program found that it contributed to their  
2 ability to cope with their disease, and to  
3 manage their disease.

4 So with that in mind, some  
5 components that we would like to propose for  
6 successful education programs based upon our  
7 experience with these two programs, and that  
8 is, there is a value in patient input in the  
9 development of the programs. They should  
10 promote the patient empowerment. And be  
11 aligned with evidence based practices and  
12 encourage family participation.

13 But I think here we get some of the  
14 points that I think I may have to uniquely  
15 offer this afternoon. And that is that our  
16 programs are designed so that they do not  
17 drive patients to a specific treatment and-or  
18 provider. And we would recommend that that be  
19 a fundamental principle in the implementation  
20 of this Medicare benefit.

21 And also that the educators should  
22 be well versed in all aspects of every

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 treatment modality. And that all modalities  
2 should be given equal time in all  
3 presentations, and equal space in all written  
4 materials. And that all pros and cons for  
5 each modality should be identified and  
6 explained.

7 To recap some of the earlier  
8 discussion, the best, we believe that the  
9 face-to-face is the best modality for the  
10 educational component. But that there should  
11 be -- And that group sessions are invaluable  
12 because of the peer-to-peer interaction. But  
13 also that individual opportunities should be  
14 provided for confidential discussion.

15 Once again, here is something that  
16 I think that hasn't been emphasized enough  
17 this afternoon, and that is the need for  
18 standardized content. First of all, the  
19 imperative for standardized content is to  
20 assure the comprehensiveness, but also it will  
21 allow for portability.

22 And by that I mean that a patient

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could attend one class with one provider, and  
2 then another class with another provider,  
3 should they leave the community, move to  
4 another part of the country permanently or  
5 temporarily. So that they can take up again  
6 where they left off.

7           There's been a lot of discussion  
8 about settings, so I won't repeat that. But,  
9 and also to suggest that there should be an  
10 opportunity to reinforce patient education  
11 over time through repetitive learning  
12 opportunities.

13           And also an opportunity or a  
14 mechanism for evaluation and documentation of  
15 successful patient participation, as well as  
16 the relationship between the education program  
17 and health outcomes.

18           So in conclusion, we would urge  
19 that the when the regulations are complete for  
20 this new benefit, that the benefit will  
21 empower patients and families. Instill a  
22 sense of hope and include recognition of some

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of the best practices, including a  
2 standardized and consistent messaging,  
3 scientific based for the education materials,  
4 that the program be comprehensive and  
5 balanced, that the faculty be unbiased and  
6 well versed, and that there be an evaluation  
7 component.

8 Thank you.

9 DR. POWE: Thank you, Dolph. And  
10 next we have Karen Basinger.

11 MS. BASINGER: I would like to  
12 introduce myself. I'm Karen Basinger, I'm a  
13 member of the Renal Practice Group of the  
14 American Dietetic Association. And I would  
15 like to thank AHRQ for the opportunity to  
16 present today.

17 Initially when we had this  
18 discussion, and I talked to several of my  
19 peers, but one thing that we came up with is  
20 initially we have to go back to our  
21 nephrologist. Because it is tantamount for  
22 better patient outcomes.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           If we leave out the nephrologist,  
2 we're not going to get the referrals. And  
3 they're very much part of this education  
4 process.

5           This being said, the patient also  
6 needs to be an active participant in CKD  
7 education to comprehend the illness and the  
8 diagnosis and the treatment regimen.

9           The primary criterion of extreme  
10 importance would be inclusion of qualified  
11 practitioners. And when we talk about  
12 qualified practitioners, we are talking about  
13 those that are experienced in renal.

14           I can't tell you how many times  
15 that I've gotten calls from diabetes educators  
16 thinking they can train somebody on a renal  
17 diabetic diet or talk about renal disease.  
18 This has to be specific to the renal  
19 population.

20           And you don't want to self-refer  
21 out to a renal educator, they want to do it  
22 themselves for that valuable time for diabetes

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 education.

2           There's a point where we lean  
3 together, but there's also a point where  
4 separately, at this point, we work much  
5 better. So we need to consider that as well.

6           It is imperative in the education  
7 of a CKD patient a practitioner who has no  
8 renal background cannot truly provide the  
9 necessary education, which also includes  
10 comorbidities, and other variables.

11           Again, our diabetes peers think  
12 they can handle it all. But they can't. And  
13 a lot of these people that come to us with  
14 diabetes don't want to go back to these  
15 diabetes educators because they never, they  
16 deal with classes, they don't develop an  
17 interpersonal relationship. And they figure,  
18 you know, I didn't listen to them, I got this  
19 far, big deal.

20           So they don't really spend the time  
21 that these people need. And the educational  
22 level needs to be taken to a next level. Not

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to revert back to the diabetes educator.

2           The other component that is missing  
3 is that MNT, whether it's for diabetes or for  
4 pre-renal, this is a benefit that has been  
5 under-utilized in all disciplines. And the  
6 more you involve them with dietary  
7 interventions, the better the results. And it  
8 is imperative that this benefit is utilized  
9 better than what it is.

10           In my numerous years of experience,  
11 the best outcomes are those derived from  
12 individual counseling along with the  
13 education, not a class. I've had more impact,  
14 one-on-one, than in a class.

15           In addition with a one-on-one  
16 session, you can emphasize behavior  
17 interventions, as opposed to a class setting  
18 which cannot effectively address these  
19 changes. And it's hard to get the people to  
20 come back to meet individually with a social  
21 worker, that usually on a one-on-one session  
22 we can pull out and pull in.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           It is also for me to remind you  
2           that the US Preventive Services Taskforce has  
3           conducted a systematic review of the  
4           literature and found that outcomes are  
5           achieved in patient focus, intensive  
6           intervention, in-depth behavior change. And  
7           this is the one thing that I have to say MNT  
8           does, is we deal with behavior changes.

9           And in the classes that I work  
10          with, for the facility that I have to deal  
11          with in several areas. We deal with behavior  
12          changes. And sometimes that is lost in  
13          classes, because you are just one.

14          So as a member of the Renal  
15          Practice Group of the American Dietetic  
16          Association, I would like to continue to  
17          provide education, and act as a resource for  
18          this ongoing and valuable project.

19                   Thank you.

20                   DR. POWE: Okay, thank you. Let me  
21                   open up the floor for any additional comments.

22                   MS. LOGAN: Hello, my name is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dianne Logan, I'm from Fresenius Medical Care.

2 And I thought all the panel has done an  
3 excellent job today, and this has been very  
4 fascinating.

5 And I agree with Karen. And  
6 looking at the Bill 152, and it talks about  
7 the qualified provider, I understand all the  
8 professions that are involved that can be  
9 reimbursed for this, and I'm fine with that.

10 But it doesn't really clarify  
11 whether or not it requires any nephrology  
12 education or background. Or can any physician  
13 or any nurse or any person that meets these  
14 provider qualifications, can they, using the  
15 standard information that maybe Medicare will  
16 come forth and recommend that everybody uses  
17 for these six reimbursed stages, can anybody  
18 like a podiatrist, who might be willing to use  
19 that standard information and then give that  
20 education to the patient and then get  
21 reimbursed for that education?

22 And so, I've been thinking about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 how to ask this question, and you opened the  
2 door, and I don't know whether I'm not reading  
3 this correctly, if it was written to be broad,  
4 which is fine.

5 But I just really feel that if you  
6 have a cardiology issue, a GYN issue, an OB  
7 issue, a dermatology issue, you're going to go  
8 to that professional practice person, whether  
9 it be a nurse practitioner, or clinical nurse  
10 specialist, a physician assistant or a  
11 physician.

12 You know, and so I'm just looking  
13 for clarification on that. Is the nephrology  
14 industry going to be the ones that provide  
15 this education regardless if it's the NKF or  
16 whoever? Nephrology in practices, not the  
17 providers, I understand that. But I just am  
18 looking for clarification and feedback from  
19 anybody. That would be helpful. Thank you.

20 MR. CHIANCHIANO: You've co-opted  
21 my next talk.

22 MS. LOGAN: Sorry. I can wait.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   MR. CHIANCHIANO:    Because I am  
2 going to discuss a little bit about that in my  
3 next talk.

4                   MS. LOGAN:    Okay. Thank you.

5                   DR. POWE:    I think you've raised a  
6 question, I don't know what the answer is.  
7 I'm not sure anyone here can answer that  
8 question of who would be, who the qualified  
9 person, you know, or professional would be.  
10 And that, that would be up to the Secretary.

11                   MS. LOGAN:    The Secretary. My  
12 recommendation would be, that would be really  
13 the key to look at going forward, because you  
14 really want to look at patient outcomes. And  
15 people here have spoken to, that there is data  
16 saying that after six months we don't know.  
17 But everybody here it sounds like has  
18 nephrology background and experience.

19                   And this disease is very  
20 complicated. It is not simple. It's really a  
21 family disease, it's not an individual  
22 disease. And it impacts the community. It

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really impacts everybody, it impacts the  
2 Government.

3 So I think that is a crucial point  
4 to look at, who is the qualified person. Not  
5 the different practitioners, I understand all  
6 that. But the specialties you have. And I  
7 would highly recommend that they would be  
8 nephrology people.

9 Thanks.

10 MS. BASINGER: Let me add something  
11 else here. I think you should go back and  
12 look at the diabetes education referral data.

13 Because the ones that are getting referred  
14 are from endocrinologists.

15 But I can tell you, a number, a  
16 significant number, where the primaries are  
17 not referring for diabetes education. And the  
18 first time people hear about a diabetic diet  
19 or monitoring is when they broke down and  
20 found a dietician, and asked for this one-on-  
21 one intervention. And we wind up referring  
22 them to diabetes education.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So a lot of times the primaries are  
2 not getting these people where they need to  
3 go. And that's why I really feel strongly  
4 that it has to be a renal professional.

5           MS. LOGAN: Maybe one follow-up.  
6 And I think with this population, what we all  
7 see, and it comes to some of the first  
8 presentations that we saw, these people, we  
9 don't find them until they end up in the  
10 emergency room.

11           And so if you've got a podiatrist,  
12 or you've got a dermatologist, or you've got  
13 an OB person talking about kidney disease,  
14 that's really not part of what they're doing.

15           It's not their chief complaint. So they  
16 might be able to order a follow-up or make a  
17 referral.

18           But the key for this is really a  
19 continuity of care and to get these people in  
20 the system and to educate them. And it sounds  
21 like everybody's going to do that. And the  
22 fact that the Government's going to reimburse,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 six sessions is I think outstanding. And it  
2 just shows that they understand now the  
3 seriousness of the disease.

4 But we really need to keep these  
5 people within a nephrology system, if that  
6 makes sense. Because they're going to get  
7 lost to follow-up, and then they're going to  
8 bounce around, and then they're going to end  
9 up --

10 That episodic event they're going  
11 to have, and they're going to have a cardiac  
12 or respiratory event, they're going to end up  
13 in an emergency room, and that's when they're  
14 going to end up on dialysis with a catheter.

15 And they're going to say, why  
16 didn't I know? Why didn't someone tell me?

17 So I think as a nephrology  
18 profession, we really need to look at this and  
19 work with Medicare. And I would highly  
20 recommend that that qualification be discussed  
21 and really be defined.

22 Because I think it will either have

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a very effective program, or -- maybe Sue  
2 would be effective, but I think everybody else  
3 is so busy in healthcare, they're all going to  
4 be dealing with their chief complaints and  
5 their specialties and what they need to do to  
6 provide care.

7 DR. POWE: I guess that's as it's  
8 now left open, I guess it will be whichever  
9 provider can get to the patient first when  
10 their eGFR is 29.

11 MR. CHIANCHIANO: I think we may  
12 also learn something from the MNT benefit that  
13 Karen was talking about. And unfortunately it  
14 is terribly under-utilized.

15 The Congressional budget office  
16 originally estimated that it would cost  
17 Medicare about 60 million dollars a year. And  
18 Medicare's billings, payments, are running  
19 around five million dollars a year.

20 And one of the issues, quite  
21 frankly, is that it's almost impossible to  
22 find anything about medical nutrition therapy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on the CMS website. So if a beneficiary  
2 doesn't know how to ask for it or how to  
3 access this service, then it's not likely to  
4 be utilized.

5 MS. SALSTROM: Hi, Tonya Salstrom  
6 with Dialysis Patient Citizens. I think a  
7 very important point was just raised, and that  
8 is, this legislation also talks about public  
9 awareness campaigns. And that public  
10 awareness is to help educate the medical  
11 community. And I would extrapolate from that,  
12 primary care physicians as well, to run tests  
13 for the disease, but then make the appropriate  
14 referrals and start the discussion for  
15 education for kidney disease.

16 So I just wanted to throw that out  
17 there. We've been focusing on the six  
18 sessions, but there's also that public  
19 awareness component where we can also educate  
20 the medical community.

21 DR. POWE: Thank you.

22 MS. CARY: I am Sue Cary from the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 American Nephrology Nurses' Association. Just  
2 a comment to what Karen had said, that,  
3 actually I'm a nurse practitioner in Baton  
4 Rouge, Louisiana. And I have a chronic kidney  
5 disease clinic. But I was approached by  
6 internal medicine doctors when I work with  
7 their diabetic educator and their diabetic  
8 nutritionist to talk, and, you know, for her  
9 to do, like, education of comorbidities.

10 So we had faith that it's out  
11 there, it's real. But I told them about this  
12 legislation and the internal medicine docs  
13 were excited about that.

14 One other thing I wanted to  
15 mention. I was looking, and I found in the  
16 literature, an article entitled the importance  
17 of CKD clinics. And some of the education is  
18 obviously dealing with a lot of nurse  
19 practitioners trying to decide, this was a  
20 couple years ago, what we're going to do as  
21 far as education or CKD clinics.

22 And one thing you mentioned is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scientific based, and some standardization of  
2 the education. I think that would be very  
3 helpful.

4 And one thing that this article had  
5 said that I thought was really great about the  
6 education of anemia management, hypertension,  
7 secondary hyperparathyroidism, lipid  
8 management, access placement, renal  
9 replacement therapy.

10 And then, they called it a one-stop  
11 shop. Basically these are clinics that were  
12 run by advanced practice nurses, and they were  
13 the go-to person who coordinated then with the  
14 dietitian, because that's very important, the  
15 multi-disciplinary approach is very important.

16 Making sure the patient did get to  
17 the dietitian. Making sure they did get to  
18 the social worker. So it's one person that  
19 coordinated all of this to make sure the  
20 patient did receive the benefit of all the  
21 multi-disciplinary approach.

22 Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 MS. ORTIZ: Hi, Brenda Ortiz from  
2 TMF Health Quality Institute. We're one of  
3 the quality improvement organizations working  
4 on the issue that someone just talked about,  
5 in terms of working with primary care  
6 physicians and the early referral, or  
7 appropriate referral to a nephrologist.

8 And, you know, we know that that's  
9 where we need to go. The challenge, at least  
10 in our state, but I think this is also  
11 nationally, is that there just aren't enough  
12 nephrologists. And so, we are going to be  
13 challenged with that in this topic as well, if  
14 we're thinking that nephrologists or even the  
15 renal dieticians would be the appropriate  
16 people to deliver this program.

17 I agree with that, but I think in  
18 some areas we're going to be very limited in  
19 terms of how many professionals we will find  
20 in our communities to deliver that.

21 So that is a concern, so it's very  
22 real in our state where we're challenged with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that.

2 The other issue is that for many of  
3 our primary care physicians, you're right,  
4 they're not aware of the programs that  
5 Medicare covers, with medical nutrition  
6 therapy, and even diabetes self management  
7 programs.

8 Some of them may be aware of the  
9 coverage, but they don't know what programs to  
10 refer patients to. So, a lot of the work  
11 we've done over the past few years is linking  
12 the PCPs to information sources in their  
13 communities. And that seems like they would  
14 know what's in their community, but the  
15 reality is they don't, a lot of times.

16 DR. POWE: Thank you. Let me ask  
17 Dolph a question. Is standardization  
18 compatible with individualization?

19 MR. CHIANCHIANO: Well I think the  
20 standardization should be that certain  
21 specific number of topics have to be covered  
22 in the course of the educational services.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Then you can customize the way that  
2 the information is delivered to the specific  
3 needs of the particular patient.

4           But even if the patient is  
5 convinced, for instance, that they are only  
6 interested in a transplant, we know that they  
7 should also have the opportunity to understand  
8 the different types of dialysis treatment,  
9 peritoneal dialysis treatment.

10           So that, as will probably be the  
11 case, they may not eventually receive a  
12 transplant or they may have a transplant which  
13 fails, they will be prepared to deal with some  
14 of the other options.

15           DR.     HOSTETTER:     Dolph,     you  
16 mentioned -- Tom Hostetter, ASN.     You  
17 mentioned evaluation as something that ought  
18 to be on the list of things, evaluating  
19 whether this works.

20           Either you or other people, what  
21 would you envision as a way of evaluating what  
22 the societal benefit, or economic benefit of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this CMS benefit would be?

2 MR. CHIANCHIANO: Well certainly,  
3 since the legislation talks about delaying  
4 progression, that would be one parameter to  
5 look at.

6 But another one certainly, the type  
7 of vascular access that a patient has at the  
8 initiation of dialysis.

9 Something that Beth would probably  
10 say, whether or not they remain employed or  
11 whether they have undergone rehabilitation  
12 training.

13 Their general health status would  
14 also be a way of evaluating.

15 But as I said, the one that  
16 Missouri Kidney Program had, and the People  
17 Like Us Live Program has used, is evaluating  
18 coping. So that would also be an issue that  
19 should be considered.

20 MS. WASHINGTON: My name is Debra  
21 Washington, I'm with the National Kidney  
22 Foundation, with the Transaction Executive

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Committee. I am a kidney recipient as of  
2 November 29<sup>th</sup>, it's been 11 years.

3 We do have the advantage of having  
4 volunteers nationwide, so we have patients who  
5 can help. I can walk into a dialysis center  
6 or to a transplant center, and I can show the  
7 patient my fistula. I can show them my  
8 incision. I can talk to them about why I  
9 chose hemodialysis versus peritoneal dialysis.

10 So keep in mind, they do got a lot  
11 of resources out there who are willing to  
12 help. Okay?

13 DR. POWE: Okay, I see no more  
14 commentors. I want to thank --

15 MR. RETTIG: Dick Rettig, RAND. I  
16 have a question for really everyone here, and  
17 that is, how important is it for a patient to  
18 know their estimated GFR? If you go back to  
19 the start on the clinical diagnostic criteria  
20 for stages III and forward, GFR is the  
21 control.

22 Is it the same as knowing high

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 blood pressure? Is it the same as knowing  
2 cholesterol levels? Is it the same as knowing  
3 glucose levels?

4 And if that's the case, then what  
5 obligation, or what advocacy should be made to  
6 Medicare, Medicaid, private health insurers,  
7 large employers, to reimburse the, under some  
8 circumstances, under appropriate  
9 circumstances, the estimation of GFR?

10 So I would welcome any discussion  
11 on that point.

12 MS. WITTEN: Well I've kind of  
13 wondered why, when they came out with the  
14 Welcome to Medicare benefit, why that wasn't  
15 included in that. You know, I've often said,  
16 you know, we have all these people, it's a  
17 pretty expensive program, seems like that  
18 would be a very simple thing to add to the  
19 Welcome to Medicare.

20 MR. CHIANCHIANO: And interestingly  
21 enough, Welcome to Medicare includes referral  
22 for MNT, but you can't refer for MNT without a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 GFR.

2 DR. HOSTETTER: Tom Hostetter, ASN.

3 I maybe can correct this, but I think about  
4 40 percent of labs report this now. And  
5 probably a far higher percentage of patients  
6 get it. Because the two largest commercial  
7 labs, Quest and LabCorp, provide it. It's  
8 provided for free, so that it's out there.

9 I mean, it obviously has the  
10 difference between say, from blood pressure or  
11 blood sugar, is that we act on it to try to  
12 lower blood pressure or blood sugar, but we're  
13 really trying to tell the patient, well it's  
14 okay if you keep this at the same level.

15 So, there's a very different kind  
16 of message that's delivered. But I think over  
17 the last five or six years, with a lot of  
18 people's help, the reporting of that has  
19 become pretty close to routine around the  
20 country.

21 Whether the patients know what  
22 their values are is another issue.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. POWE: I think it's interesting  
2 that, you know, if you look at where blood  
3 pressure was, cholesterol, glucose was, 15, 20  
4 years ago, maybe that's where your eGFR  
5 measurement is now.

6 MS. COMPTON: And I think that  
7 because we have those questions, that might be  
8 another argument, that a renal professional is  
9 the one who needs to discuss those with the  
10 patient. Because an eGFR of, you know, 17 or  
11 18 milliliters per minute in my 85 year old  
12 isn't going to mean to me what that is going  
13 to mean in a 30 year old that comes to me.

14 So, again, getting back to who is  
15 going to provide this education, even if it's  
16 a renal professional, they should meet certain  
17 criteria as well, whether they are in  
18 nephrology or not. Or whether they are in  
19 nephrology, because those are the exact issues  
20 they would have to be kind of zeroed in, that  
21 a renal professional needs to provide for the  
22 patient. What it means to that person.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. POWE: Thanks. Why don't we  
2 move along. I would ask our next commenters  
3 to come forward for question five.

4 So question five is, what are the  
5 existing kidney disease education resources  
6 that are publicly available? And in addition  
7 to these resources, we're asked to provide  
8 information regarding the sponsorship or  
9 funding provided to produce existing education  
10 programs.

11 And our first commenter will be  
12 Alice McCall.

13 MS. McCALL: Good afternoon and  
14 thank you. My name is Alice McCall. I am a  
15 Board Member, National Board Member of the  
16 American Association of Kidney Patients, a  
17 transplant recipient, and also an RN.

18 And our comment today is, the  
19 American Association of Kidney Patients, in  
20 case you don't know us, has been providing  
21 education resources for patients since 1969.

22 The organization is governed by a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 board of directors composing of dialysis  
2 patients, transplant recipients, CKD patients,  
3 healthcare professionals, and members of the  
4 public concerned with kidney disease.

5 AAKP exists to serving the needs,  
6 interests, and welfare of all kidney patients  
7 and their families. Its purpose is to help  
8 patients and their families cope with the  
9 physical, emotional, and social impact of  
10 kidney disease, thereby enabling them to  
11 resume productive and satisfying lives.

12 AAKP reaches one million patients a  
13 year with its variety of educational material.

14 We make education available through print,  
15 web, seminar, telephone. Information must be  
16 provided in a format in which the patient  
17 wants to receive it. And we're speaking there  
18 of customizing and personalizing, therefore to  
19 try to accommodate the needs of all patients.

20 One of AAKP's many focuses is that  
21 of CKD education. AAKP Kidney Beginnings is  
22 an educational series, was started about eight

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 years ago, and includes a live seminar,  
2 electronic newsletter.

3 AAKP believes that offering both a  
4 written and live educational program gives  
5 kidney patients the best opportunity to  
6 educate themselves and their families,  
7 enabling them to lead a happier, and healthier  
8 life.

9 The educated patient is better able  
10 to become an active participant in the  
11 planning, managing, of treatment. Its online  
12 personal health record, AAKPMyHelp, helps  
13 track medication, lab, and physician care.

14 In addition to being a resource  
15 tool for monitoring progress, it also provides  
16 education so patients can have understanding  
17 of what their lab values mean.

18 The benefit to this personal health  
19 record is, as if a patient transitions from  
20 ESRD, the information stored as CKD  
21 information remains, but new lab values  
22 entered will be specific for ESRD.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           The AAKP Patient Plan was developed  
2 with stage IV patient in mind. When patients  
3 first hear the words kidney disease, it can be  
4 a lot to absorb. Then to leave the doctor's  
5 office with an armful load of education  
6 materials is overwhelming to say the least.

7           The Patient Plan was created to  
8 categorize the journey of kidney disease into  
9 four phases. The first and second phases,  
10 diagnosis to treatment choices and access and  
11 initiation, are ideal resources for CKD  
12 patients. It allows the patient to take in  
13 information in a more controlled fashion. And  
14 the patient decides when he is ready to  
15 receive the next phase of materials.

16           It also gives control back to the  
17 patient, because when you are coping with a  
18 chronic illness, it's easy to feel as though  
19 you are losing control. You rely on so many  
20 other resources for information, doctors,  
21 nurses, et cetera. It's helpful, therapeutic,  
22 to have a sense of being in the driver's seat.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And the Patient Plan provides that.

2 As a patient who has benefit from  
3 the services of AAKP, it is a peer-to-peer  
4 education that separates AAKP from many  
5 educational resources. When you're  
6 experiencing a chronic disease, nothing is  
7 more powerful than receiving education support  
8 from a peer.

9 When organizing the ESRD option  
10 education, we would recommend a conservative  
11 managed approach as well as ensure advanced  
12 directives are included in that information.  
13 When making decisions about healthcare, it's  
14 important that all information is made  
15 available, and that patients can decide when  
16 it's appropriate for his next stage of needs.

17 AAKP maintains AAKP resources are  
18 available directly to patients through  
19 generous sponsorships. The organization is  
20 willing to discuss options for alternate  
21 distribution resources.

22 And thank you so much for having me

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 here today.

2 DR. POWE: Thank you.

3 DR. HOSTETTER: Good afternoon, I'm  
4 Tom Hofstetter. I'm representing the American  
5 Society of Nephrology. I actually work in the  
6 renal division at Albert Einstein College of  
7 Medicine in the Bronx, New York.

8 The ASN is a large professional  
9 society of about 11,000 members. And so  
10 almost all of the 7,000 or so board certified  
11 adult nephrologists in the country are members  
12 of it. In addition, a number of basic  
13 scientists, pediatric nephrologists,  
14 pathologists, and foreign non-US nephrologists  
15 are members.

16 We are not a patient or a nursing  
17 or a dietetic informed membership. But one of  
18 the reasons I'm here is to tell you that the  
19 ASN is quite willing to work with the  
20 development of whatever materials are  
21 available.

22 And we don't really have a direct,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 sort of, dog in the fight, because we haven't  
2 been a direct patient kind of organization,  
3 even though our members are the ones who for  
4 the large part take care of the patients.

5 So I'm really here in sort of a  
6 role as my former life. I worked up until  
7 three years ago in the National Kidney Disease  
8 Education Program, which Eileen Newman works  
9 in now.

10 And so, I'm really here to remind  
11 you that there are some really, I think, high  
12 quality sets of materials and resources and  
13 thinking available right now in the National  
14 Institutes of Health.

15 The first, the National Kidney  
16 Disease Education Program, this sort of  
17 screenshot comes from what you get when you  
18 put NKDEP into Google, and I urge you all to  
19 go to that site.

20 The program was really envisioned  
21 as, much like the high blood pressure,  
22 cholesterol education programs which were in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 another institute, and that is to bring the  
2 science of NIH to some kind of practical  
3 public use.

4 And so, two groups were targeted.  
5 People at risk for kidney disease, and their  
6 providers. And to get at these people  
7 earlier, they were really primary care  
8 providers, not nephrologists who we targeted  
9 and who NKDEP targets now.

10 And that's sort of showing, there  
11 are things for the public, patients, for  
12 health professionals. Again, nephrologists  
13 but primarily primary care providers and other  
14 groups like laboratory professionals in order  
15 to improve the reporting of creatinine and in  
16 turn the accuracy of estimated GFR.

17 There's just loads of material on  
18 this website which have been I think very  
19 thoroughly vetted, both for their reading  
20 levels as well as for their scientific  
21 accuracy, and their efficacy at least as  
22 judged by things like focus groups.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   These are just some examples. This  
2 is more for the professionals, two of the  
3 major tests explaining in terms that the  
4 primary care providers can understand, the  
5 urine albumin to creatinine ratio, one of the  
6 key indicators of chronic kidney disease, and  
7 the use of the estimated GFR. Again, from the  
8 website.

9                   These are, it says, materials for  
10 primary care providers, but this is material  
11 that's actually provided to patients. And  
12 this sort of relates to something Dick Rettig  
13 said, that we got to simplify the way of  
14 looking at GFR along this sort of speedometer  
15 range, so any number of ways of kind of  
16 conceiving of it. But again, these have been  
17 things that seem to register with patients.

18                   There are sort of suggested, sort  
19 of talking points for providers that could be  
20 used. Things like talking about how kidney  
21 functions when it works, the importance of  
22 testing and the simplicity of testing. And I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 think we would urge simplicity as a key  
2 element in any education program.

3 But to describe that CKD tends to  
4 be progressive, although there's therapy, and  
5 fourthly that there are end-stage measures to  
6 be taken.

7 In addition to this program, which  
8 started about seven or eight years ago, and I  
9 think I can say this without tooting my own  
10 horn, that it's done even better since I've  
11 left in the last three years. I think it's a  
12 big resource and it has a track record in  
13 developing some of these materials in an  
14 unbiased and scientific fashion.

15 But even predating this program, is  
16 a resource which I find most people aren't  
17 aware of, and that's called the National  
18 Kidney and Urologic Diseases Information  
19 Clearinghouse, also run by the NIDDK in NIH.

20 And this is another screenshot. It  
21 shows you beginning A to Z of the kinds of  
22 materials that again have been scientifically

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 scrutinized, but also developed to be, having  
2 the appropriate reading level and usefulness  
3 to the usual population.

4           And this list begins with acidosis,  
5 moves down through bed-wetting, down to blood  
6 pressure, and that's only A through B. So  
7 there are multiple publications that are  
8 available, that are essentially for free. In  
9 large bulk I think they charge for the  
10 shipping, but not much else that are again  
11 fairly scientifically based and readable.

12           If you really hone down on it and  
13 look at what they have, for example, for the  
14 methods of treatment of kidney failure, you  
15 could see that choosing the particular type of  
16 therapy is in both English and Spanish.  
17 Hemodialysis, peritoneal dialysis, and if you  
18 scroll on down you'd see transplantation  
19 etcetera.

20           So this clearinghouse, which is  
21 sort of a parallel just information source,  
22 which is not highly marketed, but highly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 available through the NIH website, that I  
2 think would be a terrific basis for at least  
3 some of the things that are being contemplated  
4 here.

5           So I'll stop and summarize to say  
6 that the National Institute of Diabetes,  
7 Digestive and Kidney Diseases, at NIH, has  
8 well vetted that is scientifically reliable  
9 and unbiased in materials for patients and  
10 providers. That is a benefit of working with  
11 the taxpayers' dollars rather than with  
12 industry dollars, that the materials are less  
13 likely to come under that sort of spotlight.

14           I would say, returning to my role  
15 as ASN representative here, that we would be  
16 happy to help with whatever development does  
17 occur. And since, you know, we don't have a  
18 particular set of these materials of our own,  
19 we hope we could do it in a relatively  
20 unbiased way ourselves.

21           Thanks for asking us to speak.

22           DR. POWE: Thank you. Let me open

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 this up to further comments.

2 MS. KITSEN: Jenny Kitsen, Network  
3 of New England. I just wanted to capitalize  
4 on a couple of comments that Alice said there,  
5 because I'm afraid we might have drifted by  
6 it.

7 The idea of conservative treatment,  
8 that no treatment is an acceptable treatment.

9 I think we may not be thinking about in terms  
10 of this context, of the educational modules  
11 that we're thinking about.

12 But we may want to revisit that and  
13 put it on the list of something to be  
14 considered, because I think patients are very  
15 reluctant in the process of moving towards  
16 that treatment to even raise the subject.

17 So there needs to be some way of  
18 introducing it in a non-threatening way, and  
19 trying to draw it out. As well as the idea of  
20 what advanced directives are all about.

21 I don't think that it's beyond the  
22 scope of early education to somehow figure out

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 a way to make sure that we incorporate these  
2 topics in this educational modalities that  
3 we're topic about, or modules.

4 So I thank you for raising it.

5 MS. McCALL: And you're right,  
6 thank you for that comment.

7 We did put it in there because we  
8 know it's a major issue, but as you mentioned  
9 it kind of slipped by. But those are pretty  
10 major issues, exactly right.

11 MS. KITSEN: And there is already a  
12 website and a national coalition of parties  
13 that are belonging to a coalition for end of  
14 life care, so there are some resources that  
15 are already available, you know, that might  
16 assist in this discussion and consideration  
17 too.

18 MS. McCALL: Thank you for your  
19 question.

20 MS. RUSSELL: Jennifer Russell,  
21 American Kidney Fund. A couple of things,  
22 just to add to, and in terms of resources.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 The American Kidney Fund has a help line  
2 that's staffed by health educators, that  
3 anyone can call into and ask questions about  
4 CKD, ESRD, general health questions,  
5 understanding lab results. It's available in  
6 English and Spanish. As well, we have a  
7 brochure series.

8 And just to piggyback on some of  
9 the things that were mentioned in terms of  
10 resources. I think we need to bring back to  
11 the beginning of the discussion where we  
12 talked about cultural appropriateness and  
13 thinking about that. Just because a piece of  
14 literature is translated doesn't mean it's  
15 culturally appropriate.

16 And then finally, the principles of  
17 health literacy and looking at writing things,  
18 using plain language principles, so that  
19 everyone can understand, and whereat that,  
20 everyone can really, truly comprehend what  
21 you're saying, not based on any kind of  
22 education level or what they're background may

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be. It's just clear communication that anyone  
2 can understand.

3 MR. CHIANCHIANO: Dolph  
4 Chianchiano, National Kidney Foundation. The  
5 National Kidney Foundation is one of 30  
6 members of something called the Kidney Care  
7 Partners Coalition. And the Kidney Care  
8 Partners Coalition has a workgroup that is  
9 specifically charged with making  
10 recommendations to CMS on the implementation  
11 of the Section 152(b) of MIPPA.

12 And as part of that task, the  
13 workgroup is going to assemble at least a  
14 catalog of all of the kinds of programs that  
15 might be useful as precedents for this new  
16 education service. And we will be happy to  
17 provide that to CMS and AHRQ staff.

18 MS. SINGER: I would just add that  
19 the guideline that I had mentioned earlier,  
20 the Appropriate Patient Preparation for Renal  
21 Replacement Therapy, an outgrowth of that  
22 guideline was an advanced CKD patient

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 management toolkit that we created for stage  
2 IV, stage V patients.

3 This is Dale Singer from RPA.

4 And that toolkit is actually right  
5 now being field tested by ten nephrology  
6 practices across the United States to look at  
7 how patient outcomes improved in specific  
8 areas that are identified in the guideline.

9 And we're actually using, again,  
10 the Duke Clinical Research Group to help us  
11 implement that study.

12 And we're also making that toolkit  
13 available to the ten QIOs that have the  
14 contracts from CMS to implement the  
15 demonstration project in CKD.

16 MS. WITTEN: Beth Witten, again.  
17 This time I'm talking for Medical Education  
18 Institute. Medical Education Institute also  
19 has Kidney School, which is an interactive  
20 web-based program. People talk about people  
21 not having access to the internet, but  
22 actually more people do have access to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 internet than people believe, because it's  
2 available in libraries, it's available through  
3 family members or friends.

4 So, there's 16 topics that are up  
5 there right now that people could access 24-7.

6 If they work through it interactively they  
7 get an action plan at the end that encourages  
8 them to talk to their doctor, do a variety of  
9 things, based on how they answered questions  
10 throughout the module.

11 In addition, Medical Education  
12 Institute also has a website called Home  
13 Dialysis Central, and it has a fact sheet to  
14 help patients and their professionals evaluate  
15 somebody's candidacy for home dialysis  
16 therapy.

17 Because frequently people  
18 underestimate patients' ability to do home  
19 dialysis. And that dispels a lot of the myths  
20 about home dialysis. And there were a lot of  
21 professionals that helped to create that  
22 document.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So, it's done in color coding so  
2           that it's easy to figure out where somebody  
3           might fall. And that's something that could  
4           be used in the discussion with patients.

5           DR. POWE: Do we have any more  
6           comments? Yes.

7           MR. SWITZER: Hi, I'm Dave Switzer  
8           from the PKD Foundation. We've been talking a  
9           lot about very general kidney issues as a  
10          whole.

11          But there's a lot of organizations  
12          like ours that are out there that are focused  
13          specifically on one condition, such as PKD.  
14          But PKD is a CKD, and for roughly 50 to 60  
15          percent of the people with PKD chronic kidney  
16          disease, ESRD is where they're headed with  
17          that.

18          So, there's lots of other groups  
19          that are maybe a little bit more niched, if  
20          you want to call it that. But we also look to  
21          provide information along those lines as well.

22          So there are a number of other

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 groups that might not potentially be as broad  
2 based, but yet, who are trying to provide the  
3 education for a specific population of the CKD  
4 community.

5 MR. RETTIG: Dick Rettig, RAND. I  
6 don't know if this fits in this question or  
7 not, but I'm going to launch it anyhow.

8 I was once on the board of MEI, and  
9 I talked to Beth a moment ago about this. And  
10 that is, MEI was concerned with patient  
11 rehabilitation. How do you get at that?

12 So the Board devised a system of  
13 applications from individual dialysis  
14 programs, and awarding of prizes annually.  
15 And think about it for a moment. This is a  
16 very low cost thing. Dolph described the  
17 workgroup, and they're going to generate some  
18 recommendations on content I take it, and  
19 standards and so on and so forth.

20 But then, one could very easily  
21 invite from the renal community, applications  
22 for best CKD education prize of the year. You

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could do one in each region. You could do one  
2 in each network. You could have some sort  
3 Superbowl of the thing.

4 What's remarkable is people really  
5 move. they act because of the possibility of  
6 recognition for what they're doing and I just  
7 offer it as a consideration.

8 DR. POWE: Thank you. Seeing no  
9 more commentors. Let's move to question six.

10 So, question six really is an  
11 offshoot of this previous one. Are there  
12 organizations in existence that certify the  
13 content of the education services that are  
14 currently publicly available? And what is  
15 their sponsorship, or the funding for the  
16 certification entities?

17 And we have Dolph, who was here  
18 before, Beth, who was here before again, to  
19 comment.

20 MR. CHIANCHIANO: Thanks again.  
21 Unfortunately, the woman from Fresenius had to  
22 leave, but I'm going to at least in part

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 address her question.

2 And I should also state that I  
3 would be interested if anyone from CMS has any  
4 different take on the issues that I'm going to  
5 approach.

6 But I thought I would start with  
7 some legislative history. And section 152(b)  
8 of MIPPA, as you know, creates this new  
9 benefit.

10 Congress considered a number of  
11 alternatives to assure the quality of the  
12 education services that would be provided to  
13 Medicare beneficiaries with stage IV kidney  
14 disease.

15 In the Kidney Disease Education  
16 Benefits Act, and the Kidney Care Quality in  
17 Education Act, which were ultimately  
18 incorporated in MIPPA, there was a provision  
19 whereby the Secretary would have been required  
20 to develop requirements related to the  
21 experience and qualifications for the  
22 personnel furnishing the education services.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   This was the point of the question  
2 from the Fresenius representative.

3                   So obviously this was one approach  
4 that Congress had considered during the  
5 unfolding of the history of this legislation.

6                   However, that provision, that was  
7 in the earlier bills, does not appear in the  
8 Medicare Improvement for Patients and  
9 Providers Act. Instead, there's an entirely  
10 different provision in MIPPA, and that's the  
11 provision that we were asked to address in  
12 number six.

13                   The MIPPA provision states that the  
14 Secretary shall set standards for the content  
15 of the information provided under this new  
16 benefit. Rather than the qualifications of  
17 the educators.

18                   One could argue that there probably  
19 should be a regulatory framework that would  
20 cover both the qualifications of the educators  
21 and the content of the educational program.  
22 And I don't know whether that is being

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 entertained by CMS or not. But I thought I  
2 should put that in context when we're talking  
3 about certification.

4 In contrast to the language of that  
5 you see and that I just referred to in MIPPA,  
6 the Balanced Budget Act of 1997, which created  
7 the Diabetes Self Management Training Benefit,  
8 and I think Beth is going to talk a little bit  
9 more about DSMT, that legislation specifically  
10 stated that section 4105, that a physician or  
11 entity meets the quality standards described  
12 in this paragraph if the physician or  
13 individual or entity is recognized by an  
14 organization that represents individuals with  
15 diabetes as meeting standards for furnishing  
16 the services.

17 So there's no provision at all like  
18 that in MIPPA. But that doesn't mean that CMS  
19 cannot create a similar requirement on a  
20 regulatory basis. But this is the statutory  
21 basis for the accreditation of diabetes self  
22 management training programs.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Now the concern about accreditation  
2 has two faces. Accreditation, theoretically  
3 at least, provides some kind of guarantee of  
4 the quality of the individual educator or the  
5 individual program content.

6           On the other hand, by requiring  
7 accreditation, you are potentially decreasing  
8 the number of entities or individuals who  
9 could provide the service and therefore  
10 reducing access to the service.

11           So that, in the final rule for  
12 diabetes self management training, the  
13 Healthcare Financing Administration, now CMS,  
14 stated that the American Diabetes Association  
15 would probably apply, and would be quickly  
16 approved, as an accrediting agency, which it  
17 has, it did.

18           There are two deemed organizations  
19 by CMS, that's ADA and the Indian Health  
20 Service.

21           However, HCFA then went on to say,  
22 we recognize that some small entities may find

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that the American Diabetes Association's  
2 requirements would be a burden, and thereby  
3 suggesting that there might be a possibility  
4 of impaired access.

5 The agency went on to predict that  
6 there would be 819 approved entities, and that  
7 the number will increase about a hundred per  
8 year. Actually, the accreditation process has  
9 been somewhat successful, because we  
10 understand 2,921 diabetes self management  
11 training programs that have been approved by  
12 and recognized by the American Diabetic  
13 Association.

14 So, to wrap up, there is no  
15 comparable certification body for kidney  
16 disease patient education at the present time.

17 The National Kidney Foundation's Kidney  
18 Learning System, however, does have an  
19 editorial board, which is responsible for  
20 certifying that our own educational programs  
21 are accurate, unbiased, and consistent with  
22 the clinical practice guidelines of the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 National Kidney Foundation and peer  
2 organizations.

3 And that body has responsibility  
4 for overseeing all of our education resources  
5 development. It approves and reviews the  
6 content of all of our educational materials  
7 and facilitates pilot testing of those  
8 materials. It's multi-disciplinary, multi-  
9 specialty, including primary care  
10 practitioners, kidney specialists,  
11 endocrinologists, cardiologists, other  
12 specialists, as well as patients and family  
13 members.

14 There are 71 members of the  
15 National Kidney Foundation Kidney Learning  
16 System Editorial Board.

17 Thank you.

18 DR. POWE: Thank you. And Beth  
19 Witten, next.

20 MS. WITTEN: And last, but  
21 certainly not least.

22 Beth Witten again. I am

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 representing Medical Education on this one.

2           Okay, so, those are my disclosures  
3 again. I work with the National Kidney  
4 Foundation, and I consult with both Missouri  
5 Kidney Program and Medical Education  
6 Institute.

7           One of the things that the MIPPA  
8 law did was, it provided great value in  
9 certifying people who provided education, I  
10 believe.

11           I believe that we've all had good  
12 and bad education from the healthcare  
13 professionals that we've visited with. So  
14 even though the law doesn't say that there  
15 needs to be some kind of qualifications of  
16 health personnel, I believe that it's very  
17 important for there to be some kind of  
18 qualifications.

19           As I said earlier in my first  
20 presentation, patients that come to our  
21 Missouri Kidney Program classes miss over half  
22 of the questions. And they're pretty easy

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 questions, 24 questions. So they're not  
2 getting enough education.

3 Professionals, I believe, need to  
4 meet certain minimal education, and nephrology  
5 experience requirements, to be certified to  
6 provide education. That would also include  
7 licensure, or certification under state laws  
8 and their particular location or state  
9 regulations.

10 And they need to have sufficient  
11 education on the topics related to the CKD  
12 curriculum, and also adult learning theory.

13 I believe that they need to pass a  
14 standardized exam with questions that cover  
15 those topics, and that there needs to be  
16 recertification as a CKD educator, at least  
17 every two years. And there has to be  
18 continuing education as part of that.

19 Maybe CMS, if this were undertaken,  
20 could list the qualified CKD educators on  
21 their website, so that primary care physicians  
22 could refer to them.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           So far as the qualifications, the  
2 nephrologist, the nurse with the CNN,  
3 dietician and the masters prepared social  
4 worker, are all appropriate people to be  
5 involved in the education.

6           One of the questions that I have  
7 about the law is it says that it needs to be  
8 done by certain people. Could it be done by  
9 those people with other folks involved in that  
10 education? So, could it be billed under the  
11 physician's provider number, and even be  
12 provided by those other people in the  
13 physician's office, if they were employed by  
14 the physician? That would be a question that  
15 I would ask.

16           So, so far as the diabetes self  
17 management training, I thought that because of  
18 all the money that's gone into diabetes and  
19 research and education, we needed to really  
20 look at that benefit. It's been in existence  
21 since 1997, as Dolph said, the American  
22 Diabetes Association and the Indian Health

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Service are approved accrediting  
2 organizations.

3 Neither of these organizations  
4 offers the education themselves, so it's not  
5 like there's any kind of conflict of interest  
6 that you could find from an organization that  
7 does educate.

8 And there are, like Dolph said,  
9 about 3,000 certified DSME or T programs in  
10 the United States. By certifying education  
11 you're going to define the content, you're  
12 going to assure that the educator meets  
13 certain minimal criteria.

14 And you're going to be basing the  
15 information hopefully on research, not just on  
16 what people believe and their biases.

17 It's also going to also further the  
18 education goals so that people will be  
19 empowered and encouraged to do self  
20 management.

21 And it also will assure that CKD  
22 patients receive at least as good education as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 patients with diabetes.

2                   And one of the things that I looked  
3 up on the American Association of Diabetes  
4 Educators website, was how many people had  
5 sought diabetes education. And they had some  
6 statistics and a fact sheet that said, 54  
7 percent of patients with diabetes had self  
8 reported seeing an educator.

9                   But they said that only one percent  
10 of those diabetics that had Medicare had  
11 gotten diabetes self management training in  
12 the years of 2004 and 2005. So the question  
13 that I would ask is, why is this benefit so  
14 under-utilized among Medicare patients? Is it  
15 something related to how the people are  
16 referred? Is it something related to the  
17 reimbursement that they're getting?

18                   But that's something to consider  
19 when setting up this benefit, so that people  
20 do have access.

21                   So, facts alone don't change  
22 behavior. I think we all know that.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1                   Fundamental       goal       of       this  
2       educational benefit is to empower patients to  
3       make good healthcare decisions, and to be  
4       actively involved in their care. What we want  
5       is health behavior change. And how you get to  
6       health behavior change is by impacting  
7       patients motivation.

8                   One of the things that medical  
9       education believes is that this can be done  
10      through self determination theory, which has  
11      certain goals. And that is to increase  
12      patient's autonomy, which is the sense that  
13      you are the captain of your own ship.

14                  To improve competency or self  
15      efficacy, the feeling that you can achieve  
16      what you want to achieve.

17                  And the sense of relatedness, which  
18      is the sense that you can get support from  
19      your family, your friends, your community.  
20      Perhaps from a group education class. From  
21      your healthcare team.

22                  Beyond that, there are good data on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the value of behavioral and psycho-social  
2 strategies, so I don't think that you can  
3 leave out the social worker. I would say that  
4 to anyone.

5 And the group based education to  
6 support these three critical psycho-social  
7 needs will improve outcomes.

8 There is a literature basis for  
9 effective chronic kidney disease education,  
10 and this slide just shows a few of the  
11 research studies that have been performed on  
12 the benefits of that.

13 So patients' education interest  
14 varies over time. We talked about this  
15 benefit being for people that are in the GFR  
16 less than 30 range. But people that are in  
17 that higher range of GFR want to know, how can  
18 I prevent kidney failure? How can I keep my  
19 kidneys working as long as possible? What are  
20 the things that I can do to prevent  
21 comorbidities?

22 We need to tell them about things

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to do so far as preventing or avoiding  
2 nephrotoxic agents. How to live a good life  
3 with kidney disease. Things to do to take  
4 more responsibility for your health. How to  
5 keep working. How to report the symptoms to  
6 your doctor so that they can take care of the  
7 things that might make you have to quit your  
8 job.

9           And once they hit the GFR of 60 or  
10 below, then it's like, what's the impact of  
11 choosing a particular treatment on your  
12 lifestyle? How is that going to affect your  
13 finances? How is it going to affect your job?

14       How is it going to affect what you eat, what  
15 you drink, your sexuality, your fertility,  
16 hospital days, mortality risk?

17           People have talked about unbiased  
18 education, and I hope that what people are  
19 talking about is, don't put your mind-set on  
20 patients, but look at what the patient needs  
21 for his or her lifestyle.

22           And we need to be educating people

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about the survival statistics of different  
2 treatment options too. I mean, unbiased  
3 education would have us believe that every  
4 treatment is the same. And every treatment is  
5 not the same.

6 Patients need to learn about all  
7 the different treatment options. The in-  
8 center treatment but also all the home  
9 dialysis options. The option to do nocturnal  
10 in-center, or nocturnal or daily at home.

11 And then, transplant. Donor  
12 transplants. Living donor transplants. Other  
13 options when you don't have a potential living  
14 donor, so far as paired donor exchange.

15 And some of the newer modalities.  
16 Kidney pancreas transplants for those that are  
17 type I diabetics. Avoiding complications.  
18 Activity limitations related to transplant, or  
19 related to different modalities. Medications,  
20 costs and coverage, it goes on and on. And  
21 obviously, coping.

22 So, one of the things I think with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the diabetes education program that's made it  
2 burdensome is that you got to collect 12  
3 months worth of data before you can apply to  
4 be a provider.

5 So my suggestion would be to  
6 collect six months worth of data on such  
7 things as these that I listed here that are  
8 known contributors to progression of kidney  
9 disease. I'm sure that there are many others.

10 One of the common questions that  
11 patients do ask when they find out they have  
12 chronic kidney disease is, what can I do to  
13 avoid dialysis? Because they've all heard  
14 about dialysis, and the media doesn't portray  
15 it very well.

16 So sharing information about these  
17 topics and encouraging patients at every visit  
18 to share their progress and share what  
19 setbacks they've had is another way that we  
20 can help them postpone kidney failure.

21 And this is the way I'm going to  
22 end. This is a quote off the dialysis support

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 listserv. There's so much more money in  
2 diabetes education, so much more research on  
3 the value of education in improving outcomes,  
4 it seems really silly for us to start all over  
5 again. We might as well take advantage of  
6 what is out there and where they've evolved  
7 to.

8 The kidney community has accepted  
9 Medicare monies for decades now to treat a  
10 costly disease, and we promised that we were  
11 going to deliver on helping patients to work.

12 We've not done a very good job with that.

13 But I think that if we can provide  
14 chronic kidney disease education in a way that  
15 will help more patients keep their jobs, then  
16 we can return them to productive living, as  
17 well as keeping them healthier for longer.  
18 And hopefully saving money in Medicare in the  
19 process.

20 And I want to thank you for the  
21 opportunity to present Medical Education's  
22 rationale for standardizing and certifying

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 education programs and educators.

2 Thank you.

3 DR. POWE: Thank you. Let's open  
4 this question up for further comments.

5 MS. SINGER: Dale Singer, RPA. In  
6 our written comments, we're on record as being  
7 opposed to certification. You know, the value  
8 of the program and standardization would be in  
9 the content. And when you look at models, I  
10 think there are good things to look at with  
11 models, and there are things you need to be  
12 careful about looking at, with models.

13 If we were to create an entire  
14 industry around certification of this  
15 education benefit, we could be asking for a  
16 lot more trouble than we know. And I think we  
17 should just be very careful.

18 You know, nephrologists are already  
19 certified to deliver CKD care. And nurse  
20 practitioners working in those practices as  
21 well as physician assistants working in  
22 nephrology practices are certified to provide

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that care as well.

2 So, I think we really need to  
3 really think this through. And think about  
4 access, think about reimbursement.

5 One of the things Beth mentioned  
6 was why the diabetes education benefit was not  
7 being utilized by Medicare beneficiaries to  
8 the degree it should be. And reimbursement  
9 does guide practice. And I think that we are  
10 going to have to carefully structure the  
11 reimbursement for this benefit to be sure that  
12 it's properly utilized for the benefit of the  
13 patients.

14 MS. WILLIAMS: Hi, Deb Williams,  
15 Baxter Healthcare. One thing that hasn't been  
16 brought up today in talking about  
17 certification and who are the entities who do  
18 it, is the provision a lot to do with rural  
19 hospitals.

20 It's a really great thing in that  
21 there's not many nephrologists in Montana.  
22 And, you know, primary care physicians in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 rural areas can often be very overwhelmed with  
2 their patient base.

3           It's not clear to me how it's going  
4 to work. And I hope -- I just like to ask,  
5 I'm sorry I'm going to use this as a venue,  
6 but people reach out to the rural hospital and  
7 the hospital community to help them think  
8 about how this can be delivered in their  
9 setting, because the law does provide for it.

10           DR. POWE: Let me ask a question.  
11 You mentioned, Beth, you mentioned that  
12 there's 50 percent of patients, almost 50  
13 percent of patients have diabetes and CKD.

14           And so, if there was certification,  
15 wouldn't it be great if the patient could take  
16 advantage of having someone who is certified  
17 in both areas?

18           So my question is, do you think  
19 there are professionals who would want to get  
20 certified in both those areas?

21           MS. WITTEN: Well I think it comes  
22 back to money to a big degree. Because there

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are people that currently are CDEs that have  
2 had nursing training, and perhaps worked in  
3 nursing.

4 One of my real good friends moved  
5 from home training nurse to CDE.

6 I think that the environment has to  
7 be lucrative enough, and that's probably a  
8 terrible word to use. But it has to be, has  
9 to reimburse enough so that the people will  
10 want to do that.

11 But I think that would be great if  
12 that was available, because then you'd have  
13 the people that would have the nephrology  
14 background as well as the diabetes background.

15 And if I were -- if what I  
16 suggested came to be, then there would need to  
17 be some experience requirement. And they  
18 would have to pass a test.

19 MS. BASINGER: Karen Basinger, a  
20 member of the Renal Practice Group for the  
21 American Dietetic Association.

22 In regards to the question about

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 diabetes educator and a renal practitioner,  
2 it's been addressed numerous times in the  
3 nutrition area.

4 But the problem is a lot of these  
5 people that are out there, that are searching  
6 for these services, they are just not getting  
7 the message. And I don't know whether it's  
8 how the diabetes education programs are set  
9 up. But they're not getting those messages,  
10 and that's why they're coming to us.

11 They're coming to kidney failure  
12 much quicker because they aren't getting the  
13 messages. They may only go for one session,  
14 decide they don't like that educator, not  
15 realizing there's a rest of a team to come on  
16 and present this topic. But if they don't  
17 like the first presenter, they don't come  
18 back.

19 And if you're going to make them  
20 joint between diabetes and renal, I don't see  
21 it's going to work, because the message isn't  
22 going to get there. It's about finding the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 right provider to give the right message.

2 And it's not so much being  
3 certified in everything, but getting that  
4 right message out.

5 MS. WITTEN: Well the other thing I  
6 think that you kind of bring up, or that  
7 strikes in my mind, is that it's very hard,  
8 like Dolph said, to find anything, I did find  
9 it last night, medical nutrition therapy on  
10 the website. And then to try to find a  
11 dietician that does medical nutrition therapy  
12 is another roadblock.

13 So there needs to be better  
14 promotion, marketing, I don't know what the  
15 term is, of the people that do these services  
16 so that people can access it.

17 Like, I know that I can go onto the  
18 AMA website and go to their doctor finder, and  
19 I can find a doctor. You know, it needs to be  
20 that easy, so that people can do that.

21 The other thing is trying to find  
22 out what the criteria are for medical

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 nutrition therapy from the renal standpoint,  
2 is not easy to find. I did finally find it  
3 last night.

4 DR. HOSTETTER: Just a couple  
5 points of information. Did I understand right  
6 that the certification for the diabetes self  
7 management, took 12 months to get that for a  
8 person?

9 MS. WITTEN: What they have to do  
10 is they have to --

11 DR. HOSTETTER: If I started today,  
12 it'd be 12 months before I could get  
13 certified?

14 MS. WITTEN: They have to collect  
15 outcome data for 12 months before the program  
16 can apply.

17 DR. HOSTETTER: What's the cost?  
18 What does the ADA collect for my certificate  
19 for being a --

20 MS. WITTEN: Should have looked  
21 that up, I didn't look that up.

22 DR. HOSTETTER: Do you have a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 guess?

2 MS. WITTEN: I can find it and let  
3 them know so that it can go into the report.

4 DR. HOSTETTER: Thanks.

5 MS. WITTEN: I'd be happy to look  
6 that up.

7 MS. ORTIZ: I have a question on  
8 the ADA recognition. I think I remember that  
9 the certification is for the program and not  
10 so much for the staff, is that correct?

11 MS. WITTEN: The staff have to meet  
12 certain qualifications too. They have to have  
13 a CDE and it could be nurses and dieticians  
14 are typically the people that are involved in  
15 the diabetes education.

16 MS. ORTIZ: Okay. I do have one  
17 comment related to the under-utilization of  
18 the DSMT. In our state in Texas -- and this  
19 is Brenda Ortiz from TMF Health Quality  
20 Institute, the QIO in Texas.

21 One of the things we have found is  
22 that a lot of the programs, at least in Texas,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 are offered in hospital settings. And for  
2 many patients the location matters a lot in  
3 terms of whether they come back.

4 We also know that the delivery of  
5 the program has a lot to do with retaining  
6 those patients, especially when we look at  
7 Hispanic, African American patients, which are  
8 our largest groups in Texas.

9 We find that for many groups, they  
10 prefer the group instruction versus individual  
11 one-on-one. And the reason for that is, a lot  
12 of them trust their peers more so than the  
13 medical establishment. That's one.

14 Two, there's a lot of myths that  
15 they don't feel comfortable talking about with  
16 the practitioner one-on-one, but they might be  
17 more comfortable in a group setting where they  
18 know that others feel the same or think the  
19 same.

20 And so just a comment related to  
21 that, location matters a lot in the provision  
22 of these programs, and whether people attend

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 or not.

2 And the other comment I had made  
3 earlier about PCPs knowing about resources in  
4 their community, that is an ongoing issue. We  
5 have seen that in Texas for many years now.  
6 Continues to be an issue.

7 So I fully support having an easy  
8 website or something that a practitioner and  
9 patient can go into and look up resources in  
10 their community.

11 MS. WITTEN: Well I did look at  
12 this fact sheet on the AADE website, and it  
13 talked about how initially the diabetes self  
14 management training program started in  
15 hospitals. But they have learned that they  
16 needed to expand out into the community. And  
17 so now they're doing more community education,  
18 and educating as many people out in the  
19 community as they are in the hospitals.

20 MS. RUSSELL: Jen Russell, AKF. I  
21 just want to reinforce the point, Beth, that I  
22 think that you mentioned.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           And all the certification talk  
2 seems to focus on the content, whether the  
3 nephrology related content. But pulling back,  
4 I think we need to look at, again, are these  
5 folks trained as educators as well? And  
6 making sure that there is some understanding  
7 of adult learning principles and that sort of  
8 thing.

9           Perhaps it's not a certification,  
10 but some sort of CME requirement that just as  
11 ethics is required for social workers, for  
12 example. Something along those lines, because  
13 if we're going to turn clinicians into  
14 educators, they need to have a basic  
15 understanding of education theory.

16           MS. WITTEN: That's why I suggested  
17 on the exam that they would take, assuming  
18 that anybody thought that it was a good idea,  
19 that there would need to be some questions  
20 related to adult education theory.

21           DR. POWE: Okay, I want to thank  
22 our commenters, and the rest of you for those

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comments.

2 So this is the portion of the  
3 meeting we moved into, the last and final  
4 session, which is an opportunity for you to  
5 bring forth any other issues besides these six  
6 questions that you think may help the  
7 Secretary and CMS in implementing this.

8 So, if you have any comments, come  
9 to the microphone.

10 MS. ORTIZ: One other issue that I  
11 know exists in our state, and this is Brenda  
12 Ortiz from TMF Health Quality Institute, is  
13 the issue that, for example, DSMT is covered  
14 under Medicare part B, and so there is a  
15 copayment for the patient. And we find that  
16 that can be a barrier, even though I think in  
17 some areas it's a few dollars. But that  
18 presents an issue. So, just wondering if  
19 there was any thought into how this would be  
20 paid for, if it's under part B, is there going  
21 to be a copayment for the patient?

22 DR. POWE: Okay, good to get for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the record. Any other comments?

2 That easy?

3 MR. CHIANCHIANO: One of the issues  
4 in the medical nutrition therapy benefit  
5 reimbursement, as I understand it, initially  
6 CMS did not allow an office practice expense  
7 for the dietician who was providing that  
8 service, and that it took a couple of years  
9 before that was recognized in the physician  
10 fee schedule.

11 So I guess the question here is if  
12 a nurse practitioner or a physician assistant  
13 is attempting to direct bill CMS for their  
14 service, whether they would be allowed an  
15 office practice expense?

16 DR. POWE: Any other comments? Are  
17 there any more comments related as you thought  
18 of the six questions?

19 Gotten it all out? Okay.

20 Well, I want to thank everyone for  
21 providing these comments for our meeting  
22 today.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1           Just a couple more housekeeping  
2 things. If you have any further comments, you  
3 can submit them, as I said before, to  
4 CKDEducation@cms.hhs.gov after the meeting,  
5 CMS has said it will take comments for up to  
6 the next 30 days, at least, on these issues.

7           Also, if you're driving today, you  
8 need to exit from the Gaither Road exit, where  
9 the parking attendant is located, rather than  
10 the other exit-entrance.

11           So, thank you all, thank you all  
12 for your comments today. I think these will  
13 be very useful as they try to implement the  
14 intent of this law.

15           MS. WITTENBERG: One last thing,  
16 Maria Beitia and Brenda Ortiz, if you could  
17 actually please see me for a second? Thank  
18 you.

19           (Whereupon, the above-entitled  
20 matter concluded at 4:26 p.m.)

21  
22

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)