

DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR DRUG EVALUATION AND RESEARCH

CURRENT GOOD MANUFACTURING PRACTICES REGULATION  
AND GUIDANCE FOR PET DRUGS

Tuesday, May 21, 2002

9:00 a.m.

5630 Fishers Lane, Room 1066  
Rockville, Maryland

## PARTICIPANTS

## CDER PARTICIPANTS

Jane Axelrad, J.D.  
Nicholas Buhay  
Eric Duffy  
Florence Houn, M.D.  
Ravi Kasliwal, Ph.D.  
R.K. Leedham  
Eldon Leutzinger, Ph.D.  
Patricia Y. Love, M.D., M.B.A.  
Brian Pendleton, J.D.  
Brenda Uratani, Ph.D.

## OTHER PARTICIPANTS

Jorge Barrio, UCLA  
Jim Cooper, Medical University of South  
Carolina  
Peter Conti, USC  
Robert Ferris, TYCO, Mallinckrodt  
Joe Hung, Mayo & APhA  
Jennifer Keppler, AMI  
Dennis Swanson, Pittsburgh  
Steve Zigler, PET Net

## C O N T E N T S

Opening Remarks, Jane Axelrad	4
Opening Remarks from Interested Groups:	
Jorge Barrio	7
Joe Hung	9
Bob Caretta	12
FDA Approach to PET CGMP (Overview), Brian Pendleton	18
Discussion of Preliminary Draft Proposed Rule	40
Discussion of PET CGMP Draft Guidance	73

## 1 PROCEEDINGS

## 2 Opening Remarks

3 AXELRAD: I am Jane Axelrad. I am the  
4 Associate Director for Policy in the Center for  
5 Drug Evaluation and Research and the Chair of the  
6 PET Working Group that has been charged with  
7 implementing the Food and Drug Administration  
8 Modernization Act provisions on PET.

9 This is the latest in a series. We have  
10 had several meetings on implementation activities  
11 working with people in the community on developing  
12 the regulations that are required under the  
13 statutory provisions. It has been quite some time  
14 since we have gotten together on this, largely  
15 because of the logistics in terms of developing the  
16 document and getting it cleared.

17 We had a change in administration. A  
18 whole new set of people came in and, for a while  
19 the entire regulatory process was suspended while  
20 the new administration took over and it took a  
21 while before they began clearing documents again.

22 Anyway, we have now published the latest  
23 Preliminary Draft Proposed Rule on Good  
24 Manufacturing Practices and an accompanying  
25 guidance document. We are looking forward to

1 talking with you today and getting your comments  
2 and thoughts on how to improve the document so that  
3 we can go forward and actually issue a proposed  
4 rule and a draft guidance document.

5           The first thing I would like to do is have  
6 everybody at the table introduce themselves. Then  
7 I am going to invite people to give opening  
8 remarks. If anyone at the table or in the audience  
9 would like to make an opening statement, they are  
10 welcome to do that.

11           Then we are going to have a very short  
12 presentation from one of our staff who is going to  
13 describe how the rule has evolved, sort of the  
14 chronology and how we have gotten to where we are  
15 today, particularly how we have responded to some  
16 of the concerns that were raised at the last  
17 meeting that we had on good manufacturing  
18 practices.

19           Then, finally, we are going to start  
20 discussing. We will start with the rule and then  
21 with the guidance documents and the topics are  
22 listed at the second page of your agenda and we  
23 will try and sort of follow the outline through.

24           So, with that, I am going to turn to the  
25 people on this side of the table and ask them to

1 each introduce themselves.

2           URATANI: Brenda Uratani, Office of  
3 Compliance, FDA.

4           COOPER: Jim Cooper. I have been  
5 contracted to advise on the guidance. I am from  
6 the Medical University of South Carolina in  
7 Charleston.

8           PENDLETON: Brian Pendleton with CDER's  
9 Office of Regulatory Policy.

10           KASLIWAL: I am Ravi Kasliwal. I am  
11 Chemistry Reviewer in the Office of New Drug  
12 Chemistry located in the Division of Medical  
13 Imaging and Radiopharmaceutical Drug Products in  
14 FDA.

15           LEUTZINGER: I am Eldon Leutzinger. I am  
16 the Chemistry Team Leader in the Office of New Drug  
17 Chemistry and I serve in the Division of Medical  
18 Imaging and Radiopharmaceutical Drug Products.

19           LOVE: Patricia Love, Division Director,  
20 Medical Imaging and Radiopharmaceutical Drug  
21 Products.

22           BARRIO: I am George Barrio from UCLA and  
23 Chair of the committee representing the Academy of  
24 Molecular Imaging and Society of Nuclear Medicine.

25           KEPPLER: Jennifer Keppler with the

1 Academy of Molecular Imaging.

2 ZIGLER: Steve Zigler with PET Net.

3 FERRIS: Bob Ferris with Tyco Healthcare  
4 Mallinckrodt.

5 SWANSON: I am Dennis Swanson, University  
6 of Pittsburgh.

7 CONTI: Peter Conti, University of  
8 Southern California. I represent the Government  
9 Affairs Council for the Society of Nuclear  
10 Medicine.

11 HUNG: Joe Hung from Mayo Clinic. I am  
12 also representing the American Pharmaceutical  
13 Association.

14 AXELRAD: Let me turn to Dr. Barrio if he  
15 has some opening remarks.

16 Opening Remarks from Interested Groups

17 BARRIO: I would like to thank the agency  
18 again for giving us the opportunity to review this  
19 new set of CGMPs and guidance. I don't remember  
20 how many meetings we have had but we have really  
21 had many, and we have discussed this topic many  
22 times. In this particular case we have had the  
23 opportunity to have the documents on the web and,  
24 therefore, all of us really were able to read the  
25 document and criticize the document and certainly,

1 hopefully, make comments, constructive ones, in  
2 order to move forward.

3           We have consulted, of course, our own  
4 group. We have gone through many scientists,  
5 physicians, practitioners, pharmacists, and there  
6 is a unanimous feeling that this guidance and CGMP  
7 documents are clearly geared for  
8 radiopharmaceuticals in clinical use. I think  
9 there are issues that we will be discussing here,  
10 clearly, related to the practice of pharmacy,  
11 medicine and many other things, but I think one  
12 important issue that I would like to indicate that  
13 is pertinent to the future of the field is that  
14 this document, as such, contains significant  
15 elements that are, indeed, non-applicable to  
16 research situations. For example, we need to  
17 understand how RDRC protocols or IND protocols or  
18 even clinical trials and their INDs can be  
19 subjected to this kind of regulation. I think just  
20 looking at the document, it looks a little  
21 frightening from that perspective.

22           These requirements are not necessarily, of  
23 course, related to the quality of the  
24 radiopharmaceuticals that we will be injecting in  
25 humans. This is never an issue and will never be



1 an issue. We, in the community, are all in  
2 complete agreement that our patients or human  
3 subjects in research should receive the best  
4 radiopharmaceuticals that we can ever produce. But  
5 I think we are concerned about requirements of  
6 documentation, tracking, testing, preparation of  
7 synthesis system, many of these issues that can be  
8 appropriate for manufacturing in an industrial  
9 environment and, clearly, the opinion of the  
10 committee was that they are not really suited for a  
11 research environment in academia.

12           Then, I think we have had great success  
13 with the FDA in the past, working together with USP  
14 and the community to frame the U.S. Monograph and  
15 the general chapter. That was a very, very  
16 successful experience. We all feel that. I think  
17 that model, we would like to suggest, can be used  
18 effectively again to assist the agency to produce a  
19 more appropriate guidance to cover all the  
20 situations.

21           AXELRAD: Does anyone else at the table  
22 want to make an opening statement? Dr. Hung?

23           HUNG: Again, I am representing not only  
24 the Mayo Clinic but also APhA. I assume you know I  
25 have been pretty vocal about the issue on the

1 component PET drug, and I believe it should not be  
2 subject to CGMP and ANDA regulations.

3           But let's put that aside and just look at  
4 the current proposed guidance, and I have to  
5 congratulate the members of the PET steering  
6 committee of the FDA. You have done a wonderful  
7 job. I think you have shown some common sense and  
8 flexibility in dealing with so many difficult  
9 issues. I have realized that there is never going  
10 to be a guidance that is going to satisfy everyone,  
11 and I submitted my comments to the FDA on April  
12 29th.

13           I just want to mention a couple of issues  
14 that I mentioned in the letter. One is that I  
15 don't know how the FDA is going to deal with the  
16 issue in terms--I know the guidance tried to be  
17 very flexible but, on the other hand, it is pretty  
18 vague. So, I don't know how the agency is going to  
19 deal with the issue in terms of how to define size  
20 of PET centers, how do you define small versus  
21 large, and the air quality, that kind of stuff.  
22 So, are you going to be depending on the inspector  
23 to define those issues?

24           The other thing is I think in the guidance  
25 it mentions that the quality control unit should be

1 separate from the production unit. I don't know  
2 the agency's view on that. Should there be a group  
3 of people that is separate from the production  
4 group so that they can not be involved in the  
5 production function on a daily basis? If that is  
6 the case, I think it will create a lot of problems  
7 because, as you know, there is a shortage of  
8 qualified people in this particular field. So, if  
9 you are going to have an independent quality  
10 control unit to do that type of quality control  
11 function and cannot be involved in the production,  
12 I think we are going to have a problem there.

13           Also, there are a couple of issues about  
14 the new document such as NRC which is going to  
15 issue a new Part 35, and the USP already issued the  
16 25th edition. So, those kind of need to be updated  
17 in the guidance.

18           Those are some issues that I already  
19 addressed in my letter to the FDA. So, I don't  
20 want to take up too much time but overall I think  
21 it is a very good document, very flexible, but I  
22 think we need to be more specific. Unfortunately,  
23 for this kind of issue you want to be flexible and  
24 you want to be specific, so I think this will be  
25 kind of an important issue to be discussed at this

1 meeting today. Thank you very much.

2           AXELRAD: Is there anyone in the audience  
3 who would like to make an opening statement? Come  
4 on.

5           CARETTA: Good morning. I am Dr. Bob  
6 Caretta, and I am representing CORAR, the Counts on  
7 Radionuclides or Radiopharmaceuticals, and we would  
8 like to make an opening statement to the committee.

9           CORAR agrees with the FDA's conclusion  
10 that all PET centers should be subject to CGMP.  
11 Section 121 of the FDA Modernization Act provides  
12 that the FDA must take into account any relevant  
13 differences between not-for-profit institutions  
14 that compound PET drugs for their patients and  
15 commercial institutions. FDA has correctly  
16 determined that not-for-profit or commercial status  
17 is not relevant to the processes and controls that  
18 are necessary to produce safe and effective PET  
19 products. Many not-for-profit medical centers are  
20 producing PET drugs on a large scale, larger than  
21 many independent commercial PET centers. In  
22 certain cases, these academic centers are not only  
23 producing drugs for their own patients but selling  
24 to other institutions as well. There is no  
25 justification for exempting these large volume

1 not-for-profit producers from CGMP while commercial  
2 centers of similar, or smaller, size are required  
3 to comply.

4           Moreover, as FDA has recognized,  
5 not-for-profit medical centers are increasingly  
6 using for-profit commercial firms to operate their  
7 PET centers on site. This is a growing trend that  
8 blurs the distinction between for-profit and  
9 not-for-profit centers. There is no rational  
10 reason why a not-for-profit medical center that  
11 retains a commercial contractor to operate its PET  
12 center should be required to comply with CGMP while  
13 a neighboring not-for-profit institution that  
14 operates its own center should be exempt.

15           FDA's mandate is to ensure that all  
16 patients receive PET drugs of appropriate quantity,  
17 quality and potency, thus assuring safety and  
18 efficacy regardless of the commercial status of the  
19 PET center. The preliminary draft rule achieves  
20 this by defining the PET centers subject to CGMP to  
21 include all facilities engaged in the production of  
22 PET drugs. A patient should not be subject to the  
23 greater risk of product adulteration, instability,  
24 contamination or subpotency merely because he or  
25 she is being treated at a not-for-profit medical

1 center.

2           Although CGMP properly applies to all PET  
3 centers, CORAR believes that there should be  
4 flexibility that prevents small centers, with  
5 limited resources, from having to meet CGMP  
6 requirements that are unduly burdensome. We  
7 believe that the draft guidance provides this  
8 flexibility by taking into account the reduced  
9 staffing levels and space concerns of smaller PET  
10 centers. For example, with appropriate procedural  
11 controls small PET centers can combine production  
12 and quality control functions. A PET center that  
13 produces a few daily doses of a PET drug may use  
14 two persons or in some cases the same individual to  
15 accomplish all production of quality control  
16 functions. As another example, small centers can  
17 use self-checks instead of second person checks on  
18 production laboratory quality control steps. Also,  
19 in small PET centers the same area room can be used  
20 for multiple purposes, for example, production,  
21 laboratory operations and component storage.

22           In summary, CORAR believes the preliminary  
23 draft rule and draft CGMP guidance strikes a proper  
24 balance by requiring CGMP compliance for all PET  
25 centers, yet providing flexibility in the

1 application of the CGMPs to accommodate small  
2 centers.

3           I would like to make one other comment  
4 that is a concern of CORAR. The area of the draft  
5 guidance that needs to be clarified is the  
6 distinction between PET drug production and the  
7 practice of pharmacy. The draft guidance states  
8 that PET drug operations subject to CGMP would  
9 include all operations to the point of final  
10 release of a finished dose form, and subsequent use  
11 of a drug product is part of the practice of  
12 pharmacy or medicine. A parenthetical explains  
13 that finished dosage form includes unit dose  
14 containers, multiple dose containers and pharmacy  
15 bulk packages. In the frequent situation where a  
16 PET drug as finished bulk solution is released from  
17 a PET producer to a nuclear pharmacy, which then  
18 draws the solution up in calibrated unit dose  
19 syringes, it is unclear from the guidance whether  
20 the finished dosage form would be the bulk solution  
21 or the unit dose syringe. If the latter, a nuclear  
22 pharmacy would be subject to CGMP for engaging in  
23 activities that traditionally have been considered  
24 part of the practice of pharmacy. In the past FDA  
25 has not considered a finished dosage form necessary

1 to be packaged in the final container, but the  
2 guidance suggests otherwise. CORAR urges FDA to  
3 clarify how the PET CGMP would apply in this  
4 situation. Thank you for your time.

5           AXELRAD: Thank you very much. Is there  
6 anyone else who wants to say anything? Let me just  
7 say that certainly you will notice from the agenda  
8 that the issue of CGMP applicability to PET drug  
9 production and the practice of pharmacy and this  
10 issue of where you draw the line is the first thing  
11 on our agenda. So, what we would like to do now is  
12 have Brian Pendleton, from the Regulatory Policy  
13 staff, give a little bit of an overview of the  
14 regulation and the guidance, and how we have  
15 addressed some of the concerns that were brought up  
16 the last time. Then we will get right into the  
17 discussion of the rule and specifically the first  
18 item on the agenda is where do we draw the line.

19           I would also like to say that,  
20 unfortunately, we have grown. When we first  
21 started doing these meetings I think there were  
22 probably five people in the audience. So, we were  
23 able to have a very free-flowing dialogue. We have  
24 sort of gotten to the point where we now sort of  
25 have to have a formal table. I would like to try



1 and keep it as informal as possible. I would like  
2 people in the audience to be able to comment. I  
3 will have to sort of keep some control so that we  
4 can make sure that we keep on the schedule and  
5 cover the issues, but I would really like to give  
6 everybody in the audience who wants to speak an  
7 opportunity to do that. So, I think the way we  
8 will do it when we get into the documents is  
9 introduce a topic and maybe have someone here say a  
10 few things, and then open it up and let people at  
11 the table first and then anybody else who wants to  
12 comment on the issue make remarks because I think  
13 it is really important that we get everybody's  
14 views on the record. We will respond and have a  
15 dialogue as best we can and, of course, then we  
16 will go back and take a look at the transcript and  
17 determine where to go next.

18           Also, I wanted to point out that there is  
19 an opportunity for written comments. In addition  
20 to using the remarks at this meeting, we would  
21 really like people who have specific written  
22 comments to submit them for the record. I think  
23 June 5th is the due date for those. With that, I  
24 am going to turn it over to Brian.

25           FDA Approach to PET CGMP (Overview)

1                   PENDLETON: Thanks, Jane. Good morning.

2 I am pleased that there seems to be some support  
3 for our general approach, particularly with respect  
4 to clinical use. I was a little concerned that I  
5 might feel like I was serving roast beef to a group  
6 of vegetarians.

7                   PARTICIPANT: You are.

8                   [Laughter]

9                   PENDLETON: I am!

10                  [Slide]

11                  This is a brief summary of what I am going  
12 to be talking about, the overview of our approach  
13 at this point to PET CGMP. I am going to briefly  
14 talk about the chronology of events leading back to  
15 the Modernization Act in 1897.

16                  I am going to give a very brief overview  
17 of the draft proposed rule. I am going to talk  
18 about some of the differences between proposed Part  
19 212 for PET CGMP and the CGMP regulations in Part  
20 210 and 211 for conventional drugs. I am going to  
21 give a very brief overview of the draft guidance.  
22 I will let Brenda and Ravi and others handle most  
23 of those issues there. I am going to talk about  
24 our response to some of the issues that were raised  
25 in the 1999 preliminary draft regulations, and the

1 response that we issued last month along with the  
2 draft guidance and the draft proposed rule. I am  
3 going to touch on some of the other changes that we  
4 made to the 1999 regulations, and talk about some  
5 next steps from here.

6 [Slide]

7 As you know, the Modernization Act  
8 directed us to develop approach approval procedures  
9 and CGMP requirements for PET drugs, and we have  
10 had a number of public meetings to discuss them  
11 and, of course, last month we issued the draft  
12 proposed rule and the draft guidance on PET CGMP.

13 [Slide]

14 The preliminary draft proposed rule  
15 contains a revised version of the draft  
16 regulations, the codified form, and there is a  
17 preamble which explains some of those provisions in  
18 a little bit more depth and discusses some general  
19 issues. The draft guidance provides more details  
20 about some of those provisions and recommendations  
21 on how different PET centers can comply with the  
22 regulations once they become final. Of course, the  
23 guidance is not binding on either the PET community  
24 or the FDA, and any final guidance wouldn't be  
25 binding either. If you had a way which you felt

1 was consistent with the Act and the regulations you  
2 could institute that.

3 [Slide]

4 I just want to touch on some of the  
5 important principles that we tried to incorporate  
6 into the draft proposed rule. We tried to design  
7 to accommodate both not-for-profit academically  
8 oriented institutions as well as the larger  
9 commercial producers. We tried to incorporate some  
10 principles from the USP General Chapter 823 on  
11 compounding of radiopharmaceuticals for PET.

12 [Slide]

13 We think there are a number of important  
14 differences between the CGMP requirements in Parts  
15 210 and 211 and what we propose for Part 212.  
16 There are fewer required personnel, with fewer  
17 organizational restrictions. We are allowing for  
18 multiple operations or storage in the same area.  
19 There are streamlined requirements for aseptic  
20 processing. There are streamline quality control  
21 requirements for components, as well as specialized  
22 QC requirements for PET drugs that are produced in  
23 multiple sub-batches.

24 The draft proposed rule allows for  
25 self-verification of significant steps in PET drug

1 production. It allows for same person oversight of  
2 production, of batch record review and product  
3 release, and there are more simplified labeling  
4 requirements.

5 [Slide]

6 The draft guidance, as I mentioned,  
7 provides guidance to the PET community on what  
8 would be acceptable approaches to complying with  
9 the proposed regulations, and it makes different  
10 recommendations for PET centers for how to comply  
11 based on the size, scope and complexity of the  
12 operations at a particular PET center. It makes  
13 recommendations on pretty much all aspects of CGMP,  
14 including resources, controls and documentation.

15 It also provides examples of methods and  
16 procedures that different type of PET centers could  
17 use to meet the regulations once they are adopted.  
18 It discusses a variety of different kinds of  
19 equipment and how they can be controlled. It talks  
20 about how to test certain components that yield an  
21 active pharmaceutical ingredient. It makes  
22 recommendations for microbiological controls for  
23 aseptic processing. So, it provides a number of  
24 examples in these types of things.

25 [Slide]

1           As Jane mentioned, we issued a document  
2 and put it on the web last month. We tried to  
3 address some of the very significant issues that  
4 emerged from the discussions on the 1999  
5 preliminary draft regulations, as well as a big  
6 issue that emerged at the public meeting in March  
7 of 200.

8           [Slide]

9           One of the biggest was that the PET  
10 community did not like the designation of PET  
11 centers as manufacturers or industry. Generally  
12 you don't regard yourselves, for the most part, as  
13 manufacturers because of your location within  
14 academic institutions and the fact that you produce  
15 drugs in-house for patients, and we have tried to  
16 eliminate all references to manufacturers and  
17 industry and replace that with PET drug producers  
18 and PET drug production. So, if you see something  
19 there that is an inappropriate reference to a  
20 manufacturer, manufacturing or industry, please let  
21 us know.

22           [Slide]

23           Another of the biggest issues was the  
24 issue of not-for-profit institutions versus  
25 commercial manufacturers. The Modernization Act

1 directs FDA to take due account of any relevant  
2 differences between not-for-profit institutions and  
3 commercial manufacturers. Over the past year, year  
4 and a half and beyond that, we have examined  
5 several PET centers and we think that CGMPs are  
6 related primarily to the size, scope and complexity  
7 of a PET center's operations rather than a  
8 not-for-profit status per se.

9 [Slide]

10 We don't think that not-for-profit status  
11 appears to have a significant bearing on either the  
12 drugs that are administered to patients or the  
13 facilities and procedures that are needed to ensure  
14 the quality of those drugs. So, we tried to  
15 develop regulations that are flexible enough for  
16 all types of PET centers and the guidance, of  
17 course, as I mentioned, offers different  
18 recommendations depending on the size and scope of  
19 operations at PET centers.

20 For example, with respect to personnel,  
21 the draft guidance says that a PET center that only  
22 produces a few doses daily one to two people might  
23 be adequate for all production and quality control  
24 functions. Regarding facilities, it states that in  
25 centers with very complex operations separate areas

1 might be appropriate for different functions. Even  
2 though the regulation doesn't require it, in some  
3 cases it might be appropriate to actually use  
4 separate areas.

5 [Slide]

6 Another important issue, as was touched on  
7 earlier, is where PET drug production ends and the  
8 practice of pharmacy begins. We did address this  
9 in the draft guidance and our view is that  
10 FDA-regulated production ends at the final release  
11 of the finished drug product. After a drug is  
12 received at a facility for administration to  
13 patients, everything beyond that point becomes the  
14 practice of pharmacy and the practice of medicine  
15 that is subject to state and local, not federal,  
16 law. Distribution to the receiving facility is  
17 covered under CGMP but it would not normally be the  
18 focus of inspection unless we learned of a  
19 particular problem that was occurring during  
20 distribution.

21 [Slide]

22 Another important issue was that PET  
23 centers might have to conduct ID testing of all  
24 components. The draft proposed rule addresses this  
25 by stating that ID testing is only required on each



1 lot of a component that yields an API and each lot  
2 of an inactive ingredient. So, testing of reagents  
3 and solvents isn't mandatory under the draft  
4 proposed rule. If you are using as an inactive  
5 ingredient a product that is marketed as a finished  
6 drug product, intended for IV administration, then  
7 you don't have to conduct an ID test on that  
8 inactive ingredient.

9 [Slide]

10 A related issue are some of the conditions  
11 that we had proposed in the 1999 draft regulations  
12 on using a supplier certificate of analysis in lieu  
13 of identity testing. We have reconsidered that and  
14 in the draft proposed rule when you use a COA from  
15 a rival supplier you don't need to perform an ID  
16 test on each component lot or to conduct a visual  
17 ID of each lot of containers and closures. Those  
18 two provisions have been in the 1999 regs.

19 [Slide]

20 Regarding reserve samples, there was  
21 opposition to the requirement to keep a reserve  
22 sample from each batch for 30 days because  
23 sometimes the patient might require an entire  
24 batch. We recognize that and agree with that, and  
25 the proposed rule deletes the reserve sample

1 requirement.

2 [Slide]

3 Another issue relates to final release of  
4 a finished drug product when there is a temporary  
5 equipment breakdown. The concern was that release  
6 shouldn't be barred if there is an inability to  
7 complete a particular test in a certain  
8 circumstance. We still haven't resolved what our  
9 position is on this, and we are seeking comment on  
10 whether to allow such release and what the  
11 conditions might be. The draft proposed rule  
12 addresses questions and seeks information about the  
13 frequency of breakdowns, on the unavailability of  
14 alternate test methods, on the possibility that a  
15 different PET center might be able to provide a  
16 drug to the patient in such circumstances. If we  
17 are to permit release, what type of conditions  
18 there might be, and should the receiving facility  
19 be notified in such circumstances.

20 [Slide]

21 Another concern is process validation.  
22 There was one written comment that maintained that  
23 retrospective repeated end product testing ought to  
24 be sufficient at least for certain well-established  
25 drugs. We basically concur with that in the draft

1 proposed rule and we say that if a PET center has a  
2 history of producing a particular drug, then  
3 retrospective validation is adequate if there  
4 hasn't been any change in the process and there  
5 haven't been any process related failures.

6 [Slide]

7 I will briefly talk about some of the  
8 other changes that we made to the 1999 draft  
9 regulations. We replaced the concept of  
10 theoretical yield in the master production and  
11 control record with action limits on radiochemical  
12 yield. We clarified that for a drug that is  
13 produced in sub-batches that you only need to show  
14 that the initial sub-batch that is representative  
15 of the entire batch conforms to specification. We  
16 agree that, because of the short half-lives of  
17 these products, if we required the completion of  
18 testing of all sub-batches in a lot of cases there  
19 wouldn't be any usable product.

20 [Slide]

21 Some other changes, we deleted the  
22 requirement to notify the prescribing doctor of a  
23 sterility test failure. We agree that notification  
24 of the receiving facility is sufficient, and a lot  
25 of times the PET center isn't necessarily going to

1 know who the physician is anyway.

2 [Slide]

3 We deleted the requirement for specifying  
4 the contents of the drug product label. We agree  
5 that that is not a proper CGMP requirement. The  
6 contents of the labeling are going to be addressed  
7 in the approval. They are going to specify what  
8 goes on the label. So, that will be addressed in  
9 that context.

10 [Slide]

11 We deleted the requirement to confirm that  
12 prescriptions are reviewed to ensure that they have  
13 been properly filled. We agree this isn't the  
14 responsibility of the PET center. It is basically  
15 the practice of pharmacy. And, we have reduced the  
16 record retention requirement from three years to  
17 just one year.

18 [Slide]

19 So, where do we go from this point? We  
20 will, of course, consider all the comments that we  
21 receive today. We will consider the written  
22 comments that we have already receive and will  
23 receive. As Jane mentioned, the comment period  
24 runs through June 5th but, of course, we will  
25 consider comments we receive after that point as

1 long as we are working on it. We will make  
2 appropriate revisions to the draft proposed rule  
3 and issue a proposed rule. We will probably have a  
4 90-day comment period on that. I think Jane has  
5 mentioned the possibility of another public  
6 meeting, if necessary, to consider the proposed  
7 rule. And, we will review any comments we receive  
8 on the proposed rule, revise it as appropriate and  
9 then issue a final rule which, at this point in  
10 time, I think we would like to do sometime in 2003.

11 [Slide]

12 With respect to the draft guidance,  
13 depending on what happens today, we might need  
14 another public meeting to discuss some issues in  
15 the draft guidance, but we will need to revise the  
16 draft guidance to reflect any changes that we might  
17 make to the draft regulations and, of course, any  
18 comments we receive on the draft guidance itself.  
19 We will issue a new draft or a revised draft when  
20 the proposed rule is published. Of course, we  
21 would consider any comments we receive on that  
22 revised draft guidance and then issue a final  
23 guidance concurrent with the final rule.

24 I think now we are going to move to a  
25 discussion of particular issues of the draft

1 proposed rule.

2           AXELRAD: Thank you very much, Brian.

3 Before we get into the specifics, does anybody have  
4 any questions on the regulatory process or the  
5 different status of the documents, the relationship  
6 between the rule and the rule codified, the  
7 preamble and the guidance document? I think it is  
8 important that people pick up on what Brian said.  
9 The regulation itself, which is what we are  
10 required to do under the statute, is in two parts.  
11 There is the codified, which is actually what are  
12 the binding requirements on the PET producers, and  
13 then there is the preamble language, which is  
14 explanatory material that sort of explains how we  
15 got to the regulations and things that we  
16 considered in setting the requirements. It is sort  
17 of like the legislative history of the rule, like  
18 there is a legislative history for a law.

19           The guidance document is a non-binding  
20 document. It is put out because you can't put in  
21 the regulations a lot of detail of what kinds of  
22 things would be acceptable ways of complying with  
23 the regulations. So, we issue guidance documents  
24 that are not binding on either the agency or the  
25 industry, and we issue them in accordance with our

1 good guidance practice regulations that tell how we  
2 develop them, how we get input on them and what  
3 kind of wording we put out. We are very careful  
4 not to have any mandatory wording. As Brian said,  
5 if you have alternative ways of complying--what we  
6 put in the guidance document are some ideas of how  
7 we think people could legitimately comply with the  
8 regulation, but if people have other ways of doing  
9 it or they want to propose alternatives, they are  
10 absolutely free to do that. Our inspectors are not  
11 permitted, for example, to go out and inspect your  
12 facility with the guidance document in their hand  
13 and say, oh, you didn't do this; you are in  
14 violation. It is the regulation that is the part  
15 that is binding. The guidance document is simply  
16 explanatory material. Does anybody have any  
17 questions about that before we go forward?

18 PARTICIPANT: Could you give us some idea  
19 of the inspectors? Are they going to be local  
20 people? [Not at microphone; inaudible].

21 AXELRAD: I will let you get away with not  
22 using the mike this time, but everyone has to use  
23 the mike and identify themselves.

24 Anyway, the question was what about the  
25 inspectors? I think that our plan all along in

1 doing this has been to train a group of FDA  
2 inspectors. I mean, they are not going to be  
3 special people but there will be a group and I will  
4 let Brenda comment on this further, but we will  
5 have a group of trained people who will be trained  
6 to understand the regs and the guidance, and what  
7 we are looking for. Brenda, go ahead.

8           URATANI: I would also like to say that  
9 this regulation becomes final we plan to issue a  
10 special inspection guide for FDA investigators so  
11 they will know how to inspect a PET center. All  
12 the inspection reports, instead of going to the  
13 district for review, will come to the Center, to  
14 us, for review because we feel that we have the  
15 most experience with PET manufacturing or PET  
16 production. Also, during the initial period, when  
17 this will become finalized, we will also exercise  
18 regulatory discretion. So, I don't think you will  
19 have to worry about FDA coming to inspect you.

20           AXELRAD: Go ahead, Dennis.

21           SWANSON: Dennis Swanson, University of  
22 Pittsburgh. I would like some clarification about  
23 guidance documents. It has been my experience as  
24 part of the regulated community, it would be NRC  
25 regulations, FDA regulations, human subject



1 protection regulations, you name it. Guidance  
2 documents and guidance statements actually, in  
3 fact, become de factor regulations. They reflect  
4 the agency's policies as to what they consider to  
5 be acceptable to meet the requirements. I think a  
6 lot of people in the community would probably agree  
7 with that. You end up getting cited because you  
8 are not in compliance with some guidance document  
9 statement, or some interpretation of the  
10 regulations by the federal agency.

11           You know, I would really like some  
12 clarification of that because I think that is a  
13 critical issue that we have in front of us because  
14 I don't have a lot of major problems with the  
15 regulations but I think the guidance document goes  
16 into excessive details, excessive requirements in  
17 many areas that are going to be very difficult for  
18 some of us to comply with. So, we definitely need  
19 a clarification of that before we can go too much  
20 further in this process.

21           AXELRAD: As I said, the guidance document  
22 is not binding on FDA or the PET producers, and  
23 there is a statement in every guidance document,  
24 like a black box warning in a guidance document:  
25 this draft guidance document, when finalized, will

1 represent the Food and Drug Administration's  
2 current thinking on this topic. It does not create  
3 or confer any rights for or on any person, and does  
4 not operate to bind FDA or the public. An  
5 alternative approach may be used if such approach  
6 satisfies the requirements of the applicable  
7 statutes and regulations.

8           So, the purpose of this really is to  
9 explain what our current thinking is, how we  
10 interpret the regulations, and acceptable ways of  
11 complying with them. Can I tell you that a hundred  
12 percent of the time this is the way it is used and  
13 nobody ever views it and cites it? No, I can't  
14 control everybody but we certainly try to do that.  
15 And, I think we will be very interested in hearing  
16 from the community about whether they want more  
17 detail or less in the guidance document; where it  
18 does go into detail, what they find troubling or  
19 difficult; if one were to go and say that the  
20 regulation requires a certain thing and the  
21 guidance document explains what that means, where  
22 that is problematic for the community.

23           SWANSON: Since it is not binding on the  
24 FDA or the community, would you then be amenable to  
25 an approach where the community and the FDA would

1 work jointly to develop a guidance document that  
2 would perhaps go into greater detail, where we feel  
3 that those areas of greater detail are necessary  
4 and would eliminate some of the excessive  
5 requirements that are in the current guidance  
6 document?

7           AXELRAD: Well, I think this meeting and  
8 all the other meetings we have had is an attempt to  
9 do that jointly with the community.

10           SWANSON: In this meeting and the other  
11 meetings that we have had, we have given statements  
12 and many times those statements don't appear in the  
13 guidance document. I would propose a process that  
14 is similar to the USP process where we work very  
15 effectively with the FDA to jointly develop the  
16 chapter on compounding and expand that chapter. In  
17 other words, I think that the PET community would  
18 actually like a greater voice in the development of  
19 this guidance document because of some of our  
20 concerns.

21           AXELRAD: Well, I think that we have a  
22 mechanism for doing that. Unfortunately, the USP  
23 process isn't a public process. For the USP you  
24 get together in a room with whatever small group of  
25 people fit in a room and then you try and hash

1 things out. I think that the audience in this  
2 particular public meeting is indicative of the fact  
3 that our audience and the public interest in what  
4 we are doing here has grown considerably. Like I  
5 said, there used to be about five or ten people who  
6 would come to these meetings, other than the people  
7 who were at the table. Here, I think there are  
8 well over fifty people.

9 I think that under our good guidance  
10 practice regulations we have a process for  
11 developing guidance documents that includes  
12 extensive public input. This public meeting is a  
13 part of that. We believe that we have been very  
14 responsive to the concerns and have made changes.  
15 The guidance document has never been out there  
16 before so, you know, it isn't that people made  
17 comments and we weren't responsive. Previously we  
18 have only been talking about the regulation. So,  
19 we really want to get people's views here at the  
20 public meeting today, and in writing, and we will  
21 consider them and we will have another public  
22 meeting, or as many public meetings as it takes, to  
23 make sure that at least people understand where we  
24 are coming from.

25 I think the comments and the opening

1 remarks today indicate that there is a spectrum of  
2 views on what we ought to be doing in the  
3 regulation and the guidance document, and I think  
4 it is important that our process be an open one  
5 that takes into account everybody's views. So,  
6 that is sort of what we are proposing to do.

7 DR. SWANSON: You are in error, the USP  
8 process is definitely a public process. There was  
9 a working task force that included representatives  
10 of the FDA and the regulated community that worked  
11 on specific details of each requirement and  
12 discussed them at length, and debated them, and  
13 came to agreement on them. That produced a working  
14 document that was then put in front, with public  
15 notice, just like the FDA process. And, there is  
16 nothing to say that you can't make this a public  
17 process. What I am talking about is actually  
18 having a working task force that sits down and  
19 discusses and comes to agreement on each point  
20 within the guidance document. You can still submit  
21 that to a public process, just like what you are  
22 doing now.

23 The problem you have right now is you go  
24 back, your people work on a guidance document with  
25 no specific input on each point. I suppose we can

1 do that here if you are willing to take on the task  
2 of discussing each point, but I am not sure that  
3 that is going to be accomplishable with this large  
4 a group.

5           AXELRAD: Well, I would like to see what  
6 we can accomplish today. I think that it would be  
7 very difficult for us to develop the document in  
8 that kind of a closed setting, and I don't think  
9 that we are really allowed to do that under our  
10 good guidance regulations.

11           But I wanted to acknowledge Brenda and  
12 Tony who took this over from Tracy Roberts when she  
13 left the agency. Brenda has made a large effort to  
14 get out into the PET community. She has visited--I  
15 don't know how many?

16           URATANI: More than half a dozen PET  
17 centers.

18           AXELRAD: More than half a dozen PET  
19 centers. She has talked to people in the  
20 community; she has been out to the facilities.  
21 And, I think she has done an incredible job of  
22 trying to understand the concern out there in  
23 developing the guidance document. The document you  
24 have in front of you is our first effort to write  
25 down what we learned and how far we have actually

1 been able to go in terms of addressing the issues.  
2 I think in the discussions today we hope to get a  
3 lot more information from you, and Brenda is  
4 actually going to start now to lead the discussion.  
5 We are going to start with the regulation and then  
6 go into the guidance document, and see how far we  
7 actually can get in discussing the issues.

8           CONTI: I am sorry, but I just want one  
9 more clarification before you start. I suggest you  
10 go visit the University of Pittsburgh.

11           URATANI: Thank you very much for the  
12 invitation.

13           [Laughter]

14           AXELRAD: I would like to go visit the  
15 University of Pittsburgh.

16           CONTI: The other thing I would comment on  
17 is I would like to know at this point, and I know  
18 this will come up again later, the definition of a  
19 PET drug as appropriate for these regulations,  
20 whether these are NDA approved PET  
21 radiopharmaceuticals or are they investigational  
22 drugs? I need an answer to that because that will  
23 set the tone for the rest of the conversations.

24           AXELRAD: Well, as you can see, we very  
25 cleverly put that issue of what the CGMPs will be

1 for investigational new drugs and research drugs at  
2 the end of the day since we figured that if we put  
3 it at the beginning of the day we might never get  
4 off it.

5           In terms of the discussion today, I think  
6 we ought to look at these in terms of their  
7 applicability to approved drugs. I would like to  
8 hear, certainly, later on in the day what people's  
9 concerns are about applying them in a research or  
10 IND context. I think we will probably have to have  
11 another whole session to discuss that in more  
12 detail, but we have left time on the agenda later  
13 in the afternoon to talk about what the problems  
14 are with applying something like this to INDs or  
15 research drugs.

16           CONTI: I think that is a very good  
17 approach actually. Just from a position point of  
18 view, the Society of Nuclear Medicine will take the  
19 stance that there will be no agreement on  
20 regulations until there is also agreement on IND  
21 and RDRC approval processes.

22           Discussion of Preliminary Draft Proposed Rule

23           URATANI: I am a relatively new person in  
24 the committee and in order for me to have a better  
25 understanding of the PET drug production process



1 and the current practices and operations in  
2 different PET centers, as Jane has mentioned, I  
3 visited a number of PET centers in the last two  
4 years.

5           Among the PET centers I visited are large  
6 and small academic and hospital PET facilities and  
7 commercial facilities, which in my mind represent a  
8 full spectrum of the current PET production  
9 facilities. Actually, I was very pleased to find  
10 that most of the facilities are pretty much in  
11 substantial compliance with CGMP. I also  
12 appreciate the comments and concerns communicated  
13 to me during the visits and also after the visits.

14           All this helped us to prepare the guidance  
15 document which, in my mind, I think is more  
16 realistic for the PET drug production. Also, we  
17 revised our proposed regulation to address the  
18 concerns, as Brian has outlined in the  
19 introduction, and we published the companion draft  
20 guidance to give you examples of how CGMP can be  
21 achieved.

22           Please keep in mind as you go through the  
23 guidance that there is a difference between "must"  
24 and "should." "Must" refers to the requirements  
25 specified in the proposed regulation and "should"

1 is the recommendation and suggestion for how to  
2 achieve those requirements. There are many ways to  
3 achieve or satisfy those CGMP requirements.

4           In the guidance are examples and  
5 recommendations based on our experience in other  
6 drug manufacturing scenarios. However, we take  
7 into account the unique nature of PET drug  
8 manufacturing. Certainly, you can use alternative  
9 approaches to satisfy those CGMP requirements. I  
10 think while you are worried that the inspector will  
11 go out and said, well, you did not follow the  
12 guidance, I don't think you have to worry about  
13 that much because, first of all, they will be  
14 trained, and that is a guidance and inspectors do  
15 not cite a violation because you do not follow the  
16 guidance. At the end, the inspection report will  
17 come to us and we will make a determination of  
18 whether they are valid or not.

19           So, today I am looking forward to having  
20 an open discussion with you. First, I would like  
21 to open the discussion on the preliminary draft  
22 proposed rule, and I think foremost in your mind, a  
23 topic that you would like to discuss, is the  
24 distinction between PET drug production and the  
25 practice of pharmacy and medicine.

1           FDA has determined that CGMP applies all  
2 the way up to the finished dosage form, then for  
3 the dispensing and administration to the patients  
4 it will be under the practice of pharmacy and  
5 medicine. Of course, there are many different  
6 scenarios for how it is being dispensed. If you  
7 have any questions, we would like to hear comments.  
8 Dr. Barrio, would you like to make comments on  
9 those?

10           BARRIO: I think the document, from my  
11 interpretation and the interpretation of others, is  
12 rather unclear as to where the regulations will  
13 stop and the practice of medicine or pharmacy will  
14 start. I think also in relation to the issues of  
15 where the batches are produced versus where the  
16 doses are prepared, in some centers, for example--I  
17 am referring to academic centers mainly and our  
18 hospitals, it may happen that the cyclotron  
19 produces the batch and then the dose is prepared in  
20 the same site. Then the physician is there or a  
21 pharmacist could produce the dose. At the same  
22 site means maybe the same room or the room next  
23 door. That is what I am trying to say. In some  
24 others the cyclotron is very distant from where the  
25 scanner is. It may be 100 yards or 200 yards.

1 Then, big batches can be sent to nuclear medicine  
2 clinics where the study is performed, and the dose  
3 is prepared locally there, not necessarily at the  
4 site of production.

5 Another confusion is about the  
6 non-specific nature of the different situations in  
7 the different centers may confuse some people as to  
8 where the FDA will stop and where the practice or  
9 pharmacy will start, that kind of stuff. I think  
10 what is happening is different even for academic  
11 PET centers and I think some clarification is  
12 needed. I think that is probably the main comment  
13 I would like to make.

14 AXELRAD: We certainly recognize  
15 clarification is needed. Pretty much everybody we  
16 have heard from at all on this has said that it  
17 isn't clear. So, it certainly needs to be  
18 clarified.

19 I was wondering if you had any specific  
20 suggestions, I will ask people at the table first  
21 and then anyone else, as to how one would draw the  
22 line. I think Ravi has a couple of illustrations  
23 that he has done that sort of show, sort of  
24 characterizing in boxes, the different operations.  
25 I think the question is where do you draw that line

1 in saying that the federal jurisdiction in federal  
2 good manufacturing practices stop and the practice  
3 of pharmacy or medicine begins. I am going to ask  
4 Ravi to take us through his diagrams and then get  
5 some input from people about where we think it  
6 ought to be, and I would like to hear why you think  
7 it ought to be there too. I mean, there ought to  
8 be some rationale for where one would draw the  
9 line.

10 KASLIWAL: Good morning.

11 [Slide]

12 I have put together a scenario where the  
13 way currently a lot of the PET drug production has  
14 been manufactured and where the production  
15 operation will get transferred to the pharmacy  
16 operation.

17 [Slide]

18 Basically, PET drug products, the way we  
19 see it, just like any other radiopharmaceutical,  
20 could be packaged in different configurations.  
21 Basically, if you look in USP, general chapter 1  
22 and general notices, there are definitions for  
23 these configurations provided in there. So, you  
24 could have a pharmacy bulk pack, which is the most  
25 common it seems to me, and a single dose container

1 and a multiple dose container. I know in the  
2 community out there the multiple dose container  
3 term is used rather loosely, but the USP has a  
4 definition. To me, it appears that most of the PET  
5 drug products produced would fit into a pharmacy  
6 bulk pack scenario.

7           AXELRAD: Ravi, in terms of PET  
8 production, can you explain what you mean by  
9 pharmacy bulk pack and why you think that  
10 terminology would apply?

11           KASLIWAL: I will briefly read the  
12 definition of pharmacy bulk pack and why I think it  
13 fits the pharmacy bulk pack scenario. Basically, a  
14 pharmacy bulk pack is a container of sterile  
15 preparation for parenteral use that contains many  
16 single doses. The contents are intended for use in  
17 a pharmacy, in this case a nuclear pharmacy, as  
18 described in USP general chapter 1. The pharmacy  
19 bulk pack is exempt from multiple dose container  
20 volume limits. So a multiple dose container has a  
21 volume limit of 30 ml, but pharmacy bulk pack is  
22 exempt from that. The requirement is that they  
23 contain a suitable mixture of substances to prevent  
24 the growth of microorganisms. My understanding is  
25 that most of the PET drug that is produced out

1 there does not contain these substances to prevent  
2 the growth of microorganisms. Hence, they would  
3 tent to fall in pharmacy bulk pack category.

4           KEPPLER: Ravi, but the pharmacy bulk pack  
5 would have to go to a pharmacy, not to a clinic in  
6 the case of, like, Dr. Barrio's lab?

7           KASLIWAL: Yes, I was going to describe  
8 that next. So you can have different scenarios  
9 where basically a production site would release  
10 their package to the nuclear pharmacy. It could be  
11 within the same building. I am using the term  
12 final release, but in the regs we have defined that  
13 as long as you have control of the product you can  
14 send the product out to the facility while your  
15 testing is going on, but there has to be that  
16 control factor and we have defined that.

17           Once it is received in the nuclear  
18 pharmacy, the pharmacist will then prepare single  
19 doses following pharmacy practice USP or any other  
20 producer directions, and then dispense or practice  
21 pharmacy or medicine to the clinical site. So, we  
22 will not inspect that operation.

23           It is a different scenario. You could  
24 have a nuclear pharmacy in a different building, in  
25 a hospital, but basically the scenario remains the

1 same.

2 PARTICIPANT: [Not at microphone;  
3 inaudible].

4 KEPPLER: The question is if you are in a  
5 clinic you might not have a pharmacist. The  
6 technologist might do it under the auspices of  
7 practice of medicine.

8 KASLIWAL: Basically, if the practice of  
9 pharmacy and medicine allows that, that is a state  
10 regulation so that is how it would go.

11 ZIGLER: Ravi, on that slide, where does  
12 the FDA regulation stop?

13 KASLIWAL: At the point of final release.

14 AXELRAD: Show them where.

15 KASLIWAL: Well, if the production  
16 facility is releasing the pharmacy bulk pack to the  
17 pharmacy, in the case the nuclear pharmacy would  
18 then be the receiving facility. Okay? So, you are  
19 releasing it to the nuclear pharmacy.

20 ZIGLER: So, you can call the nuclear  
21 pharmacy the receiving facility?

22 KASLIWAL: Yes.

23 ZIGLER: The document doesn't say that  
24 though, the definition of receiving facility  
25 doesn't include the pharmacy in there.



1           KASLIWAL: Well, it says for example, but  
2 if you so wish, we could include that.

3           AXELRAD: May I ask you a question? My  
4 only concern with that is that if you define  
5 receiving facility as a nuclear pharmacy, is it  
6 likely that your entire operation is called a  
7 nuclear pharmacy, in which case, you know, if we  
8 say that the line stops at the receiving facility  
9 and you define the entire operation as a nuclear  
10 pharmacy, where then do CGMPs begin and end?

11          ZIGLER: Well, we can split that within  
12 one facility. We can have well-defined pharmacy  
13 practices in one room and well-defined GMP  
14 practices in that same room. So, the entire room  
15 wouldn't be a pharmacy.

16          HUNG: If you classify the entire facility  
17 as a nuclear pharmacy, can the state board of  
18 pharmacy come in and regulate the portion that you  
19 actually designate as manufacturing? I mean, who  
20 has the right to regulate that part?

21          ZIGLER: Well, the state board would.

22          HUNG: Who are we going to listen to, the  
23 state board pharmacy or the FDA for that  
24 manufacturing site portion of the nuclear pharmacy?

25          AXELRAD: Do you think there is going to

1 be a big conflict where we are coming in and saying  
2 the room ought to be clean? Do you think there is  
3 going there is going to be a conflict on that? I  
4 mean, cite to me one or two things that you think  
5 would really be examples of where there would be a  
6 conflict between the state and the federal  
7 requirement.

8 HUNG: Since you license the entire  
9 facility as a licensed nuclear pharmacy, I believe  
10 the state board pharmacy has the right to come in  
11 and regulate you, and that includes the portion  
12 that you designate as the manufacturing site. If  
13 that is the case, then who should we listen to, the  
14 FDA or the state board of pharmacy? There are  
15 going to be a lot of conflicts there.

16 ZIGLER: Well, you would have to clarify  
17 that with the state board as well. You have to  
18 fight them as well.

19 AXELRAD: Go ahead.

20 JACKSON: Mark Jackson with GE Medical  
21 Systems. We have fought this battle with several  
22 labs that I have set up with the state boards. As  
23 Steve says, it goes through the individual state  
24 but the clarification I think we need is let's say  
25 the synthesis box sends the FDG into the sterile

1 cabinet or dosing hood, or whatever, and we draw  
2 the quality control sample, at that point that is  
3 where we have perceived that the good manufacturing  
4 regulations will stop and dosing will begin, even  
5 though it is done in the same facility, in the same  
6 room, even in the same hood. Is that something  
7 that we could assume we are correct in? Because as  
8 Joe and Steve have mentioned, every time Jim Lamb  
9 and I have tried to go to the state board and say,  
10 hey, we've set up these two rooms as our pharmacy  
11 and the manufacturing area is out here in this  
12 other area, and we meet all the regulations for the  
13 pharmacy as far as square footage and what we have  
14 in those two rooms, and everything, we have not  
15 been able to get the state boards to sign off on it  
16 for the most part. Would you agree with that,  
17 Steve? I mean, you have done as many as I have.

18 ZIGLER: State boards can be troublesome,  
19 yes.

20 JACKSON: Yes. So, it is very hard to  
21 designate that this is the manufacturing area and  
22 this is the actual pharmacy per se. So, we do need  
23 more guidance, I believe, in exactly how we can  
24 regulate those two. Thank you.

25 AXELRAD: Well, if state boards are

1   troubling we would be happy to regulate the entire  
2   facility.

3                   [Laughter]

4                   JACKSON:  Touche.

5                   ZIGLER:  Jane, one point, let me clarify  
6   just for a second, what happens is when you do this  
7   all in one room, the most efficient way for it to  
8   happen is to some of your final manufacturing steps  
9   in the same hot cell.  This is what Mark was  
10  saying, the same hot cell where you are going to do  
11  your pharmacy business.  So, that is where the line  
12  gets blurred and we just have to be careful.  We  
13  recognize that we have to do this on a state by  
14  state basis with the boards, but we have to be  
15  careful in this audience today to make sure that  
16  that is okay.

17                  AXELRAD:  I think it is clear that we  
18  believe that you certainly need to follow CGMPs  
19  through the sterile filtration into the vial.  That  
20  is clearly part of producing the pharmacy bulk pack  
21  that Ravi was talking about.

22                  Again, I would like some specific examples  
23  of cases in which you think that the state  
24  requirements, that the state pharmacy board is  
25  going to come in and impose a requirement on you

1 that is directly in conflict with something that we  
2 are doing. I think that the CGMP regulations are  
3 very broad and not very specific. So, I have a  
4 hard time understanding what kinds of conflicts you  
5 are talking about.

6           We have been talking about this in, like,  
7 four or five meetings now where we have had sort of  
8 concerns raised. I would like to hear some  
9 specific examples of a conflict so that we can take  
10 it back and sort of get a better understanding. We  
11 can also certainly be talking to the state boards  
12 and NABP, the National Association of Boards of  
13 Pharmacy, about this problem. But I would like  
14 whatever specifics we can get here on this.

15           CONTI: One example is having a pharmacist  
16 on site. You may be doing the manufacturing in the  
17 same room and actually have the pharmacist there to  
18 do the dispensing in that particular room and, yet,  
19 there is no one supervising the licensed  
20 radiopharmacy. So, that could be a violation in  
21 certain states. Otherwise, you would have to have  
22 a pharmacist there present around the clock for any  
23 activity that goes on in that facility if you are  
24 in this configuration where you are doing  
25 everything in the same hood.

1           AXELRAD: Isn't that a problem more with  
2 the state pharmacy defining the facility as part of  
3 the practice of pharmacy, the whole facility,  
4 rather than anything we would be doing in terms of  
5 GMP requirements?

6           CONTI: That is exactly it but you wanted  
7 a tangible example and that is exactly one of them.

8           AXELRAD: But how does that influence us?  
9 I mean, how could we change? We can't change how  
10 the state defines a facility. We would just say  
11 that we want you to follow GMPs for that facility,  
12 keep the ceiling clean, you know, do it under a  
13 hood--

14          CONTI: I am not saying you could solve  
15 that issue. I am just saying that that is an  
16 example of the conflict. Whether it is a state  
17 issue that they need to resolve, that may be the  
18 case. Ultimately you may have to physically  
19 separate the two in order to get through the  
20 system, or practice under medicine or some other  
21 process in order to get to the next step. But I am  
22 not saying that FDA has to resolve that or should  
23 resolve it. It is an issue though.

24          ZIGLER: May I make another comment on  
25 that? It is possible to separate the two

1 facilities. Even when you do that though you still  
2 find yourself in the situation, and this is where  
3 you can make a difference, where you are doing some  
4 of your final manufacturing steps in the pharmacy.  
5 So, ultimately, the inspector is going to want to  
6 come into your separated pharmacy area and that is  
7 where you can do something.

8 CHALY: Thomas Chaly, from Northshore  
9 University Hospital. Most make the product and the  
10 dose in the same room. There is no separate  
11 nuclear pharmacy, and when they make the doses we  
12 are using the nuclear medicine technologists to  
13 make the doses. The pharmacist is not there all  
14 the time. So, if it has to be drawn by a  
15 pharmacist there are going to be a lot of problems  
16 for people like us because in most cases the dose  
17 is drawn by a nuclear medicine technologist and  
18 nothing has happened so far.

19 CONTI: I don't think that is what they  
20 are saying. They are just trying to define the  
21 scheme here. You could practice under medicine and  
22 have a technologist draw the dose.

23 CHALY: That is true.

24 CONTI: So, that is really not the issue  
25 here.

1 CHALY: But your state determines that.

2 BARRIO: I agree with the comment, I think  
3 it should be clarified that, of course, many PET  
4 centers don't have pharmacists, and what do we do  
5 in this case? Well, I think the situation is that  
6 the square at the top that is defined as pharmacy  
7 bulk package I think is the batch that we produce  
8 on a larger scale, and that batch that we produce  
9 on a larger scale can be transferred to the clinic  
10 where the physician will dispense the dose to the  
11 patient. I think that could be a situation that  
12 may apply to many PET centers without pharmacists.

13 CONTI: It should also be the same  
14 scenario whether it is a nuclear pharmacy receiving  
15 it or a physician receiving it. It should be the  
16 same scenario, the final release should be the  
17 cut-off point.

18 KASLIWAL: From our point of view, from  
19 the inspection point of view, the final release is  
20 the cut-off. I am just presenting a scenario here  
21 to you, beyond final release how you use it.

22 FERRIS: I don't know of a nuclear  
23 pharmacy law that would prohibit the manufacture of  
24 FDG, for example, according to CGMP within the  
25 framework of a nuclear pharmacy. I think the issue



1 goes to whether or not a state board of pharmacy  
2 interprets the drug manufacturing process as being  
3 within the regulatory authority of FDA rather than  
4 their regulatory authority. That is where the  
5 conflict happens until you get it to this point  
6 because in a significant number of state boards  
7 they ask for descriptions of issues involving a  
8 cyclotron, which have been talked about here for  
9 four years and are relatively resolved I think, but  
10 not necessarily resolved with the state board.

11           AXELRAD: I would like to see if someone  
12 could articulate what one would like us to say. I  
13 am having a little trouble understanding which way  
14 we want to go here. Do you want us to say that  
15 federal jurisdiction in GMPs applies up to the  
16 point of final release, which would be, you know,  
17 sterile filter into the vial, and saying, okay, we  
18 have done our testing and it is finished for the  
19 sterility test? And, essentially the federal  
20 jurisdiction preempts state law up until that  
21 point, and then at that point the state comes in  
22 and regulates it? Is that what you are asking us  
23 to say?

24           [Several participants answer "yes"].

25           CHALY: There are many centers now that

1 are not manufacturing FDG; they are just buying  
2 FDG. So, it should end at the filtration and the  
3 final vial. There are private companies  
4 manufacturing and shipping into the facilities.  
5 So, there is no point in putting any restrictions  
6 there after that.

7 FERRIS: As long as the scenario that you  
8 present doesn't preclude the opportunity for a PET  
9 center that doesn't have a nuclear pharmacist  
10 pulling patient-specific doses, that they have the  
11 opportunity to take a finished drug, multi-dose  
12 vial, and send it up to the clinic whereby, under  
13 the practice of medicine, doses can be drawn.

14 SWANSON: But understand that you still  
15 need to comply with your state board of pharmacy  
16 requirements. Nothing within these FDA regulations  
17 is going to relieve you from complying with  
18 whatever your state boards say with regard to  
19 dispensing drugs. Now, that can be done under a  
20 pharmacists or, in many states, it can be done  
21 under the authority of a physician. But you need  
22 to go find out what your state boards say with  
23 regard to that. You can't label this part of your  
24 facility a "pharmacy" and not have a pharmacist  
25 there because that is a direct violation of your

1 state pharmacy laws. Okay?

2 AXELRAD: I don't think we are trying to  
3 affect that at all.

4 SWANSON: You can't.

5 AXELRAD: No, we are not trying to in any  
6 way affect your relations. I think I have a better  
7 understanding now of where people are coming from,  
8 actually probably for the first time in all the  
9 times we have discussed it. Do you have one more  
10 comment?

11 MATTMULLER: I am Steve Mattmuller, from  
12 the Kettering Medical Center, in Kettering, Ohio.  
13 I think you are on the right track with stopping at  
14 final release. I think what you really need here  
15 is someone from NABP because I think the experience  
16 that Mark has had, and other people have, is that  
17 states board of pharmacy are clueless as to what is  
18 going on in this room. They don't understand what  
19 a cyclotron is. They don't understand making a PET  
20 radiopharmaceutical in the matter of half an hour  
21 or so and dispensing it to a patient. So, I think  
22 we are having a lot of our troubles with this issue  
23 specifically with the individual state boards who  
24 need help in education to be brought into this  
25 process and, hopefully, in future meetings you will

1 do that.

2           AXELRAD: We will certainly do that. We  
3 have a good working relationship with NABP on a  
4 number of issues, including pharmacy compounding,  
5 other parts of it, from the FDA Modernization Act  
6 and we will certainly talk to them about that. We  
7 have not had a specific discussion with them about  
8 PET and we will certainly do that.

9           SWANSON: Before we are off this topic, it  
10 is noted that Part 212.1 of your proposed  
11 regulations defining production means the  
12 manufacturing, compounding, processing, packaging,  
13 etc. There may well be situations in the future  
14 where a pharmacist needs to compound a PET drug  
15 product to meet the specific needs of an individual  
16 patient, and I would hate to see you legislate that  
17 ability out of existence by including compounding  
18 in this definition. So, it actually gets back to  
19 the same compounding issues that you are dealing  
20 with under Section 124.

21           AXELRAD: I don't think it has any  
22 connection with 124 because even though they use  
23 the word "compounding" in Section 121 on PET, they  
24 specifically excluded PET drugs from compounding  
25 under 124.

1           SWANSON: I understand that but your  
2 definition of production under this proposed  
3 regulation includes the term "compounding."

4           AXELRAD: Well, that is because the  
5 statute used it. It says it applies to the  
6 compounding of PET pharmaceuticals.

7           SWANSON: All I am saying is if it does  
8 that, then in the future if there is a need for a  
9 PET drug to be compounded to meet the specific  
10 needs of a given patient, then that is going to  
11 have to be subjected to all of these same  
12 requirements under your proposed regulations.

13          FERRIS: On this same point, the guidance  
14 document, line 174, talks about--where we sort of  
15 clarify here the ability to dispense under the  
16 practice of pharmacy in medicine, the guidance  
17 document at that point also includes distribution.  
18 Typically, under the practice of pharmacy is  
19 dispensing and distribution of patient-specific  
20 doses, but the guidance document extends the CGMP  
21 to distribution. Are you intending to include as  
22 the practice of pharmacy as well?

23          URATANI: The distribution that we stated  
24 in the guidance document refers to commercial  
25 distribution.

1           KASLIWAL: Remember that you can have a  
2 single-dose container or a multi-dose vial in  
3 addition to pharmacy bulk pack. Besides, the  
4 pharmacy bulk pack itself could be in the  
5 distribution system, let's say, to a central  
6 radiopharmacy.

7           ZIGLER: But the pharmacy bulk pack may  
8 also just be in the same room.

9           KASLIWAL: It could be, yes. Then, you  
10 would have limited distribution.

11           ZIGLER: it would be very limited, yes.  
12 Jane, if I could make one more comment? To me, I  
13 think one of the things that needs to be  
14 clarified--I think Bob's comment on line 174 is  
15 very important. I think line 166, that sentence,  
16 hopefully, is poorly written. Also, I think  
17 throughout the GMPs there doesn't seem to be--I  
18 like Ravi's slide here; I think this is a big step  
19 in the right direction, but I don't think it was  
20 written with this in mind. The wording in a few  
21 places, like when you talk about distribution, when  
22 you talk about records, when you talk about patient  
23 names and things like that, that would be something  
24 through pharmacy you would get that kind of  
25 information from the pharmacy element, not from the

1 manufacturing element.

2           AXELRAD: We would welcome specific  
3 suggestions as to how to rewrite these sentences to  
4 make them clear. We can certainly include a chart  
5 like Ravi's chart in the guidance document and then  
6 try and describe it in text, if that would be  
7 helpful.

8           ZIGLER: I think that would be a good  
9 idea, with a big red dotted line between FDA  
10 regulation and pharmacy regulation. I think it is  
11 also an excellent idea to include NABP. If you do  
12 that, we would welcome the opportunity to  
13 participate.

14           BARRIO: I would like to also stress the  
15 necessity to make sure everyone understands that  
16 those centers not having pharmacies are covered. I  
17 think the way this discussion is moving, it seems  
18 to define very clearly where the FDA regulations  
19 will stop, and I think we call it batch, defining  
20 the opportunity for both, the practice of pharmacy  
21 and the practice of medicine to proceed from there.  
22 It will be very important to make sure that there  
23 are no issues in regards to those who don't have  
24 pharmacies in their facilities and still comply  
25 with the practice of medicine.

1           Then, my comment is related to the fact  
2   that we just include this graph alone in the  
3   guidance, I think we will have a set of questions  
4   coming from a lot of PET centers not having  
5   pharmacists, and then we are going to add to the  
6   confusion rather than to clarify and solve the  
7   problem.

8           CONTI: One of the things that could be  
9   done to articulate that better would be to have a  
10  box that describes an appropriate facility, and  
11  maybe give some examples in the text. So, it could  
12  be a physician appropriately licensed or facility  
13  or a nuclear pharmacy, etc., etc. So, there needs  
14  to be a bit more articulation of what the  
15  appropriate facilities are in the text, but the box  
16  could be more generic.

17          INNIS: Bob Innis, from NIH. I was going  
18  to say exactly that. Would it be easier to just  
19  say that the CGMP applies up to the final release,  
20  at which point it could be transferred either to a  
21  nuclear pharmacy or the control of a physician and,  
22  thereby, under the authority of pharmacy or  
23  physician control. So, if it is just specified, I  
24  think that would be helpful if there are any  
25  problems which occurred with state boards, the



1 orientation of the FDA would be clear.

2 URATANI: That was our original intent.  
3 We might have written it in a confusing way and we  
4 will revise it.

5 WEINBERG: Hi. I am Larry Weinberg. I  
6 have a question specifically about the paragraph  
7 starting at 174 through 177 concerning  
8 distribution. In this meeting there are  
9 stakeholders involved in the production as well as  
10 in the use of PET tracers. I am not sure that  
11 there are many stakeholders involved in the  
12 distribution; it is not a very mature industry at  
13 this point but potentially it may have its own  
14 needs such that it might at some point become a  
15 mature industry. Is this typical, that the pure  
16 distribution of PET tracers would be subject to  
17 CGMP requirements? If it is or isn't, does it make  
18 sense that it should be subject to requirements and  
19 yet not really subject to inspection?

20 URATANI: My understanding is that right  
21 now the radioactive tracers are under RDRC, and  
22 these are research type of drugs. This is a thing  
23 that we are going to discuss later one, at the end  
24 of the day.

25 WEINBERG: You are talking about the

1 distribution? If you have a commercial  
2 distribution, that wouldn't necessarily be under  
3 ROC.

4 PARTICIPANT: He is talking about the  
5 truck that carries that final release to the  
6 nuclear pharmacy, whatever that is.

7 WEINBERG: If X delivers a drug from Bayer  
8 to a hospital that is FedEx required to be under  
9 CGMP requirements?

10 KASLIWAL: I think there are requirements  
11 for distribution control, but not necessarily  
12 manufacturing requirements. They are not  
13 manufacturing anything.

14 WEINBERG: Right. That is why I don't  
15 understand why that should be subject to CGMP  
16 requirements if we are talking about a pure  
17 distribution of the drug, which is what it seems to  
18 be saying under 174 and 175.

19 AXELRAD: Brenda, what we need to explain  
20 is why did we put the statement in here that the  
21 distribution--what is meant by the statement that  
22 the distribution of PET drug products will be  
23 subject to GMPs? What specific distribution  
24 activities? In what way would GMPs apply to that?

25 WEINBERG: And is there a need for that at

1 all?

2           URATANI: Well, CGMP applies to the life  
3 of the drug. I cannot give you an answer right  
4 now.

5           WEINBERG: Right. If we were to draw the  
6 parallel to the drug that would be distributed by  
7 any drug manufacturer, the Bayer drugs might have  
8 lifetimes of years and, yet, the pure distribution  
9 may not need to be regulated over the lifetime of  
10 that drug.

11           AXELRAD: I think distributor in the sense  
12 of a regular pharmaceutical is a term and there are  
13 people who actually pick up, for example,  
14 commercial products and then distribute them. They  
15 relabel them and repackage them in some cases. I  
16 think that that concept has sort of crept in here  
17 and I think that we need to talk among ourselves  
18 and see to what extent we were being driven by that  
19 concept of distributor, and whether there is any  
20 role for that concept here. For example, in the  
21 commercial context where it is shipped all over the  
22 country, I think you would want to make sure that  
23 there weren't mix-ups and that the right product  
24 got where it was going, and that it didn't get  
25 delayed in flight for so long that by the time it

1 got there it was decayed to the point where it  
2 didn't give an image. Those kinds of things I  
3 think might play a role here, but I think that we  
4 hear you that this needs to be clarified, and we  
5 will look at that.

6 WEINBERG: Thank you for your  
7 consideration.

8 HUNG: Under 21 CFR, Part 211 there is the  
9 section called distribution records under the  
10 current CGMP for finished drug products. So, in a  
11 way I agree with the FDA that there should be a  
12 distribution record for the PET drug distributions  
13 because PET drugs are currently under the CGMP  
14 requirements.

15 CALLAHAN: Ron Callahan, from Mass.  
16 General Hospital. I would like to address again  
17 the distribution issues because this is something  
18 that I think causes us great concern. For example,  
19 there are the comments about the distribution  
20 process not affecting the drug properties or  
21 quality. I could see a validation statement  
22 somewhere, in somebody's mind, that says how do you  
23 know that the trip in the truck across the highway  
24 to your clinic or your customers doesn't adversely  
25 affect that? Does that mean that we have to do

1 testing at both ends of the pipeline, so to speak?  
2 So, the implications of distribution CGMP I think  
3 are far-reaching. Traditionally, in all other  
4 aspects of radiopharmaceuticals and limited  
5 knowledge of other pharmaceuticals says that the  
6 FDA and CGMP really doesn't get into that process  
7 because it should be distribution comes after final  
8 release. So, I think we are getting to a consensus  
9 point here that the FDA and the CGMPs will end at  
10 final release, but the kicker here is the  
11 distribution controls. Certainly, you need to know  
12 where you send your product and how to get it back  
13 should you need to, but beyond that I think we have  
14 a possible problem.

15 BUHAY: Part 211 is finished  
16 pharmaceutical regulation. Of course, the most  
17 effective comment on this might be from the  
18 lawyers, but the Act establishes the application of  
19 the CGMP requirement itself, not the regulation but  
20 the CGMP requirement to activities. It doesn't  
21 address the places or categories of establishments.  
22 It just says things like compounding, wherever a  
23 drug is compounded, processed, packed or held.

24 So, in the case of the distribution,  
25 wherever a drug is held, it has to be held in a

1 sensible way, a careful way so that its quality is  
2 not affected. If it is held by the carrier,  
3 whoever the carrier might be, that, of course, has  
4 no bearing on the producer; the carrier is  
5 responsible to observe that common sense or even  
6 requirement, but programmatically we don't have the  
7 resources to address that because we don't find  
8 that it develops into a problem. However, as has  
9 been pointed out, should there be some sort of  
10 lapse in the progress of the shipment whereby,  
11 let's just say, it is held for a week, I mean, if  
12 the expiration period is an hour, obviously the  
13 quality has been affected but it wasn't the  
14 producer that caused that to happen; it was the  
15 person who held it. So, the drug's quality would  
16 be affected and I guess you wouldn't get an image.  
17 Right? That might or might not be important in  
18 terms of I guess the time sequence. It might be  
19 self-correcting or self-regulating in terms of  
20 practice, but the quality was affected by the  
21 holding.

22 Part of the process would have to be to  
23 establish the distribution concerns that the  
24 producer would need to take care of, and then stop  
25 there. That would apply just to that business

1 establishment, the person doing that.

2           PENDLETON: I just want to point out that  
3 this is addressed in the draft regulations in  
4 212.90. So, if you have a concern about whether we  
5 should apply any kind of CGMP, we have two  
6 paragraphs which affect distribution. So, if you  
7 have a concern about those paragraphs in  
8 particular, that would definitely be the place to  
9 comment, in addition to the draft guidance. But  
10 the requirement is set forth there in 212.90.

11           BARRIO: But I feel that these issues in  
12 regard to distribution, from what I understood in  
13 your comments, mainly relate to the fact that when  
14 the radiopharmaceutical arrives to the place it is  
15 still effective. Right? That is really the basic  
16 question. This basic question can be addressed  
17 very easily with studies of stability of the  
18 radiopharmaceutical. The issue is, is FDG for  
19 example with 10 curies per micromolar specific  
20 activity going to be effective after five hours?  
21 Not the decay, but if the chemical integrity of the  
22 radiopharmaceutical is maintained. Well, if it  
23 isn't a drug or unless we put it in the oven and  
24 cook it, we are talking about room temperature,  
25 then these kind of studies can be done in the

1 laboratory to demonstrate if the stability  
2 requirements are kept. Therefore, when we do these  
3 studies and the stability is understood, then we  
4 can qualify the distribution requirements in terms  
5 of regulations. As indicated, this is confusing  
6 because it gives the impression that it may have  
7 more far-reaching effects beyond the large batch as  
8 we discussed. I think that would be very helpful.

9           AXELRAD: I think we will look into that.  
10 Again, I would welcome if people have comments on  
11 this, address them to the regulations because the  
12 regulations themselves have fairly simple  
13 requirements in 212.90. So, if you have specific  
14 suggestions as to how to word that differently or  
15 difficulties with the wording that is there, I  
16 would suggest that you address yourself to that.

17           Let's move on then to other comments on  
18 the regulations. What I would like to try and do,  
19 can I get a feel for what comments, just general  
20 topics on the regulations themselves, as opposed to  
21 the guidance? Can people just throw out topics and  
22 we can sort of figure whether we want to take a  
23 brief break and then pick them up, or what. Go  
24 ahead. We can just sort of go off the record to  
25 get an idea of what we are going to talk about.



1 [Off the record discussion]

2 AXELRAD: I suggest we take a break, and  
3 if you have topics on the regulations that you want  
4 to discuss, in the break why don't you come and see  
5 me and we will try to organize them into some  
6 discussion? Thanks.

7 [Brief recess]

8 Discussion of PET CGMP Draft Guidance

9 AXELRAD: I think we will work until  
10 probably around 12:15 and then take maybe a  
11 30-minute break for lunch. Are people going out?  
12 Do I need to make it a longer break for lunch or  
13 can we do it in 30 minutes? The rest of you who  
14 didn't bring in sandwiches, maybe you will look  
15 hungry and people will share, or something. So, we  
16 will go to 12:15 and break for half an hour so  
17 people can eat and then we will resume at 12:45.

18 In terms of the issues that people told me  
19 about, what I am proposing is to discuss them in  
20 this order, staffing, quality control, quality  
21 assurance, sterility and pyrogenicity, process  
22 validation, in-process controls, test procedures,  
23 software and appeal process. I think I covered  
24 pretty much everything that I heard people tell me  
25 that they wanted to address.

1           PARTICIPANT: Did you get facilities down  
2 there?

3           AXELRAD: Why don't we cover staffing and  
4 facilities at the same time? In a way, this sort  
5 of follows the topics that were on the agenda, and  
6 I think I am going to give up trying to distinguish  
7 between the regulation and the guidance, otherwise  
8 we will just be having the same discussion when we  
9 get to the guidance. So, what I propose is to have  
10 a discussion--if you have a problem with the  
11 specific language in the regulation it would be  
12 appropriate if you would try and explain that as  
13 opposed to difficulty with the language of the  
14 guidance. Then, at the end we can cover any other  
15 topics on the guidance that we didn't address in  
16 this list. If that is okay with everybody, we will  
17 turn to Brenda and we can start with staffing and  
18 facilities.

19           URATANI: I just want to make a few  
20 remarks with regard to staffing. Basically, in our  
21 guidance as well as in the regulation we said that  
22 you should have a sufficient number of personnel,  
23 and we also take into account that if you are a  
24 small PET center there might be only one or two  
25 persons doing both the production and quality

1 control functions. However, we do recommend that  
2 in larger production facilities there may be a need  
3 to have an independent unit for quality control so  
4 that the decision for whether to release a product  
5 can be made independent of production, and also to  
6 oversee the entire operation.

7 I think we will start with staffing first  
8 and later on we will go on to facilities. Any  
9 comments on staffing?

10 EMRAN: About the selection of the  
11 organization--

12 AXELRAD: Could you please come to the  
13 mike and identify yourself because otherwise the  
14 transcriber will have you by name in the  
15 transcript?

16 EMRAN: Ali Emran. This is regarding--

17 AXELRAD: Where are you from?

18 EMRAN: RNP. This is regarding the  
19 definition of the organizational element that will  
20 be assigned the QC responsibility. This is going  
21 to be a very hard thing to come up with because it  
22 will create some sensitivities within each  
23 organization. Also, it will put a burden to assign  
24 one person a separate task. We all do the  
25 production and quality control at the same time.

1 But how can we comply with that without creating  
2 any kind of over-burden on the staff and the  
3 sensitivities that may be created because of that?

4 URATANI: Are you talking about a  
5 situation where you have only two persons?

6 EMRAN: Yes.

7 URATANI: I think in such a situation the  
8 two persons can both be trained in production as  
9 well as quality control so that if one person is  
10 doing the production and testing, he or she can  
11 review the records and sign off or the second  
12 person can do the signing off.

13 EMRAN: That sounds reasonable.

14 BARRIO: Brenda, a comment in regards to  
15 this. In the document there are several references  
16 about small PET centers and large PET centers. Of  
17 course, the first question is what is a large PET  
18 center. I mean, we understand we have a large  
19 number of people versus one or two. That is very  
20 easy, but if you are in between you never know  
21 whether you are small or large. That is an issue  
22 that needs clarification.

23 The other one is coming from a large PET  
24 center, I can see that the intent here is that if  
25 there is a large PET center you have to be in a

1 specific quality control unit, and I don't see the  
2 necessity of that really, realistically, because  
3 different people are normally doing different  
4 things. Just for the sake of making better use of  
5 our budget, everyone has the ability to essentially  
6 do everything and, therefore, to assign a specific  
7 responsibility--I can see that what this will do in  
8 academic PET centers is it will increase the burden  
9 and will require more personnel. In research  
10 operations, it means that we will be having to pay  
11 for that mainly from research resources and this  
12 may be an obstacle to the necessity of having in  
13 large PET centers a quality control unit. Then, at  
14 least in our opinion, it would be best to have more  
15 flexibility in that particular area.

16 URATANI: We will take that into account.  
17 I think as long as you can demonstrate that you are  
18 able to perform the quality control functions in QA  
19 well, as well as production, and also that you are  
20 not producing a large amount of PET drugs, it will  
21 be taken into consideration that you do not need  
22 independent quality control.

23 CONTI: I think a lot of PET centers  
24 probably have one person doing most of this. That  
25 is the reality of the situation across the country,

1 particularly in small centers.

2           AXELRAD: I don't think that is the case  
3 anymore, unfortunately.

4           CONTI: Well, I am not sure if that is  
5 true.

6           AXELRAD: Well, that is a factual question  
7 that would be interesting to address.

8           CONTI: But just even in the case of a  
9 situation where you have a single person doing an  
10 operation, there may be multiple staff but one  
11 person actually doing FDG production. There may be  
12 other things going on, but that person actually  
13 does both the production and QC before the product  
14 is released.

15           What I would propose is that instead of  
16 having the requirement of multiple personnel,  
17 because it has been demonstrated to be very safe  
18 and we have never had problems specifically with  
19 this type of thing from sort of a tenure  
20 perspective, that perhaps some of these could be  
21 done retrospectively by that same person in terms  
22 of reviewing records and things like that, as  
23 opposed to having more than one person being  
24 involved in the release.

25           URATANI: I think our guidance document

1 did address that and we said that if you have only  
2 one principal person you can do self-checks.

3           AXELRAD: But we really do need to get  
4 some data. I am not sure how we do that about how  
5 many PET centers only have one person doing it.

6           CONTI: You may have more than one person,  
7 but I am saying they may be doing other tasks. If  
8 you have a facility with three qualified personnel,  
9 two may be working on other issues or may not even  
10 be in the facility at that time. Yet, the one  
11 person doing production is there. The point is, is  
12 there a need to bring in a second person in to do  
13 the specific tasks in order to release the product,  
14 and I don't think the answer is yes; I think it is  
15 no.

16           AXELRAD: Well, that is the answer. We  
17 have said no. It is clear, and we will make sure  
18 that it is clear enough, that we explain that when  
19 that is the case you can do a self-check. I think  
20 we say that explicitly in the guidance document.

21           Again, I think that the PET industry has  
22 changed since we started regulating this. When  
23 FDAMA was past, we understood that there were  
24 basically 70 PET centers and they were largely  
25 small academic operations. Now we know that there

1 are over 300 PET centers in the country, and many  
2 of them are new and more commercial, and have  
3 multiple personnel. And, we are trying to write a  
4 guidance document that will fit both situations.  
5 So, you know, we are not going to change to the  
6 sort of lowest common denominator because there may  
7 be a few facilities that have problems complying.

8           So, I think we have to try and figure  
9 out--we have our economic staff person, John Lenish  
10 is here. John, raise your hand. We are trying to  
11 get some information because in the proposed rule  
12 we have to have it supported by an economic  
13 analysis as to what the economic impact of the  
14 regulations would be on the PET community, and we  
15 want to try and get a better feel for how many  
16 people really are out there that would have  
17 problems, and whether there is perhaps a minority,  
18 a small number of facilities who have specific  
19 problems with specific sets of requirements, and we  
20 could look at what the impacts are on those  
21 facilities and then see if there is something we  
22 can do. But I think it is really important that we  
23 try and get data. So, if anyone, in their  
24 comments, either wants to talk to John personally  
25 about it or provide written data in any of their



1 comments on the preliminary draft proposed rule  
2 that we could use in developing that analysis, I  
3 think it would be very helpful.

4 CHALY: I am Thomas Chaly, from Northshore  
5 University Hospital. It is really confusing to us  
6 when you say large production centers and small  
7 production centers. A facility can produce two  
8 curies in one batch, four curies in one batch. Do  
9 you mean by the amount produced or the number of  
10 syntheses you are getting out? It is not very  
11 clear from your wording.

12 URATANI: Well, at least in my mind, my  
13 thinking, my current thinking about the small PET  
14 centers is a production facility in which you have  
15 very limited personnel, maybe one or two people  
16 working at a PET center doing all the production  
17 and QC control, and you are producing a very  
18 limited amount of a single PET drug, one at a time,  
19 very few doses for your own patients' use and not  
20 for distribution outside of the facility.

21 CHALY: It is still not clear. What you  
22 are saying is that if I produce two batches of FDG  
23 in my center and I use one person to produce that,  
24 one after another, we will do the quality control  
25 on the first one, and the same person is used for

1 the production of the second batch. Do you  
2 consider that as a large production area or a small  
3 production area? I don't understand.

4 URATANI: Small.

5 CHALY: So, you can use one person to do  
6 that.

7 URATANI: Well, do you think that person  
8 is capable of doing quality production for two  
9 batches?

10 CHALY: Yes.

11 URATANI: Okay.

12 KASLIWAL: Brenda, can I clarify here?  
13 One thing is that the way you are looking--I am  
14 sensing some confusion. The way quality control is  
15 written in the document really is the QA function.  
16 The quality control, the way you are looking at it  
17 is as part of testing, which is in the definition  
18 of production. When you read the document, read it  
19 from that point of view. It will clarify a number  
20 of issues.

21 The second is, you know, this gentleman  
22 pointed out that obviously we will be looking at,  
23 given the resources, whether you can complete your  
24 given task in a satisfactory manner, in a timely  
25 and satisfactory manner. So, both timely and

1 acceptable manner. If you can't do that, then  
2 obviously you need to have more people.

3 HUNG: Since Larry mentioned the quality  
4 control unit, and as I mentioned in my opening  
5 remarks, it seems to me from the guidance that the  
6 quality control unit should be independent from the  
7 production unit. So, it doesn't mean that we have  
8 to hire a group of people or maybe one or two doing  
9 nothing but performing that quality control  
10 function.

11 KASLIWAL: It is true, you should  
12 definitely avoid a conflict of interest between  
13 production and QA function.

14 AXELRAD: I think Ravi is talking sort of  
15 in the general context where we are talking about a  
16 large commercial facility. I think the guidance  
17 recognizes that we can have the same person  
18 checking their own work in a small facility with  
19 limited production, that we don't expect the  
20 traditional complete independence of the QC unit  
21 from production in a case where you are not in a  
22 large commercial facility.

23 ZIGLER: Jane, can I make a comment on  
24 that, coming from a commercial operation? I think  
25 we need to look at a couple of things here. One is

1 the size. In the preamble it mentions that a small  
2 facility would be one or two doses per day or per  
3 week. That is exceptionally small in my opinion.  
4 That is a very small operation. I can't speak for  
5 anyone else in the audience, but there aren't a lot  
6 of places that are that small.

7           AXELRAD: Where do you think we should  
8 draw the line? How do you think we should define  
9 small?

10           ZIGLER: I think it depends upon whether  
11 you are regulating the number of batches a facility  
12 produces or the number of doses a facility  
13 produces. It doesn't take any more work to produce  
14 one batch of a multi-dose vial. Correct me if I am  
15 using the wrong terminology, Ravi, but it doesn't  
16 take any more work to make a one millicurie batch  
17 or a one curie batch. So, the complexity of it is  
18 basically the same. It is just how long you are  
19 going to leave the cyclotron on for.

20           HUNG: If I can follow-up on your  
21 comments, in the quality control section you are  
22 actually talking about a small PET center, one or  
23 two persons doing the production. You have to  
24 invite outside consultants or independent people to  
25 come in an audit your quality control performance.

1 I am saying that if you already have self-check  
2 built in, second check, it is really not necessary  
3 to have an independent quality control unit to do  
4 that.

5 URATANI: Those are independent outside  
6 consultants, their recommendations. I mean, if you  
7 can do it other ways, you are welcome to use other  
8 ways to achieve the same purpose.

9 HUNG: I am saying if you already have a  
10 second check system built in there is really no  
11 need to have another person or group to come in and  
12 audit your performance. It is just unnecessary.

13 ZIGLER: Can I make a comment on that,  
14 Brenda?

15 URATANI: Yes, sure.

16 ZIGLER: I think it is important also to  
17 differentiate, and I think this gets at what Ravi  
18 was saying a second ago in terms of the difference  
19 between quality control and quality assurance, it  
20 is important to differentiate between the execution  
21 of quality control procedures and the oversight of  
22 quality control procedures. Typically, the  
23 oversight is a quality assurance function. That  
24 function should reside outside. That should be an  
25 independent role, that outside oversight. That can

1 either come, in a corporate environment such as  
2 mine, from a corporate QA. It could be a  
3 consultant like what Joe was saying. But in terms  
4 of the execution of those quality control  
5 procedures, regardless of the size of the facility,  
6 we have to be able to do that with one person.

7           You know, the execution of those quality  
8 control procedures, we have to be able to do that  
9 with one person who also does the production. And,  
10 it doesn't matter whether it is a large commercial  
11 facility or a small non-for-profit facility because  
12 there are commercial facilities out there that may  
13 only produce a handful of doses a day from a single  
14 batch.

15           So, I think the thing to consider here is  
16 how you define size, and I think you need to  
17 consider batches. I think you also need to maybe  
18 clearly differentiate between the execution of  
19 quality control functions and the oversight of  
20 quality control functions.

21           URATANI: We hear you.

22           MATTMULLER: I have a question and a  
23 comment. One suggestion for the audience, it is  
24 probably not a good idea to come up here on the  
25 public record and call your state board of pharmacy

1 clueless.

2 [Laughter]

3 For the FDA, to comment on something that  
4 Dennis Swanson touched on earlier as far as what  
5 the regulations say versus what the guidance  
6 document says, I was real happy to see that in the  
7 regulations it says that for small PET centers,  
8 such as ours that Kettering has, 1.5 FTEs doing  
9 everything, one person can do production and  
10 quality. But then in the guidance it says if you  
11 are small like that you ought to send it out to an  
12 independent auditing firm which, frankly, we can't  
13 afford. So, I would also ask if you could write  
14 down the name and address of your economic analysis  
15 individual because, clearly, we would have comments  
16 for him.

17 But my concern would be that the  
18 regulations say I can do it all, but then my fear  
19 is the inspector comes in and says the guidance  
20 says you ought to have some independent firm  
21 auditing this on a regular basis, which I can't  
22 afford to do, and I don't know how I could convince  
23 him that my alternative means is okay.

24 URATANI: Well, you can be assured that  
25 our inspectors will be trained not to follow every

1 word of the guidance because the guidance is  
2 recommendations. We think it might be nice to have  
3 somebody outside take a fresh look but if you  
4 cannot afford it and you can demonstrate that you  
5 will be able to fulfill the same overseeing  
6 function, then you don't need an outside  
7 consultant.

8           MATTMULLER: I guess it has come to the  
9 point where if you can't afford it you shouldn't be  
10 in the business. To be more clear, I guess I  
11 should say we have an established record of doing  
12 it in a proper and safe way.

13           URATANI: And if you see that you don't  
14 have a need for it, then you don't need it.

15           INNIS: I know that the question at hand  
16 here is determining what large and small is. If it  
17 is large and small, then you would have varying  
18 amounts of staffing requirements. My suggestion  
19 would be that maybe you should make the staffing  
20 requirements based upon the staff available.

21           Let me explain, given the difficulties of  
22 trying to define how many batches or how many doses  
23 you can get one from one batch, I don't think that  
24 it is really going to be possible or really even  
25 useful to try to use a definition there in terms of



1 productivity of number of radiopharmaceuticals or  
2 millicuries of radiopharmaceuticals produced.  
3 Instead, the idea of having separate QA and  
4 synthesis really applies in a situation when you  
5 have many staff and you have staff available to be  
6 able to do it, and could those multiple staff be  
7 confusing each other or providing conflict of  
8 interest in having that done? So, really it seems  
9 that having separate staff and separate utility is  
10 based upon how many people are working there. I  
11 suggest that if you have something, I don't know  
12 but for argument's sake, ten to start off with, if  
13 you have more than ten staff in the production then  
14 you should have a separate QC and production. In  
15 that way, it is not the total number of production  
16 but the total number of people who are there who  
17 would determine that separation.

18           BARRIO: The question is always the same.  
19 Why would you need to have, after you have ten  
20 people, a separate unit? And, is that going to  
21 ensure a better performance in the center? I mean,  
22 you may have ten people because you have 15, 20  
23 preparations a day and maybe those different people  
24 may be doing different things, and that is the way  
25 you organize your things. For example, in the

1 preparation of FDG not necessarily would everybody  
2 be involved. Practically, when you are in the  
3 process of applying CGMPs perhaps a small PET  
4 center and a large PET center may be in the same  
5 situation because you may have ten people, but  
6 eight or seven of them may be doing something else.  
7 That is always the question.

8           INNIS: I hear your point and it seems  
9 very valid. So, basically, I guess I probably  
10 agree. If you looked at an extreme situation I  
11 think you would agree that if you had a thousand  
12 radiochemists in a PET center, at some point you  
13 would have to separate out the QC from the QA. So,  
14 in the extreme situation my argument would work.  
15 In the other extreme, if you had only one person,  
16 then it becomes clear that you would not have to do  
17 that. So, if you had some liberal way of doing  
18 that--my suggestion was that it would help to  
19 address small PET centers which only have one, two  
20 or three FTEs because it would be very clear that  
21 they don't have to. If I increased it to fifty,  
22 you might be happy but I still hear your point that  
23 it would have an arbitrariness to it and may not  
24 enhance safety necessarily.

25           CALLAHAN: Ron Callahan, Mass. General

1 Hospital. Just one other comment on the  
2 definitions or the parameters that define a small  
3 versus large facility. I think the number of  
4 products, as you say, large number of PET drugs  
5 being produced is also irrelevant because, first of  
6 all, it is very unlikely that any of these other  
7 compounds, other than let's say FDG at this moment  
8 for the sake of argument, would be covered under  
9 the NDA GMP process. If there was a large variety  
10 of drugs being produced, 99.99 percent of them are  
11 done under research, which we haven't discussed yet  
12 and how that applies, so in fact, probably for my  
13 lifetime, there is one drug that will be produced  
14 under NDA CGMPs and distributed commercially, and  
15 that is FDG. So, if you employ the multiple drug  
16 product argument, then everybody might be a huge,  
17 large facility but these drugs are done  
18 sporadically, under different controls, under  
19 different regulations. So, that arbitrarily would  
20 put probably every university into the large  
21 category regardless of what they do. So, I think  
22 that is also a point to consider.

23 COOPER: Steve, could I follow your cue  
24 about execution and oversight? Would you envision  
25 that the way the guidance is written now it would

1 allow for you to appropriately describe your  
2 staffing, and would you need one specifically  
3 identified person to serve as a QC unit? Could  
4 you describe this in your procedures, to have the  
5 QC unit a function that might be served on one day  
6 or another by different people, provided they are  
7 qualified in their job?

8           ZIGLER: Let me make sure I understood  
9 your question correctly first. I thought you were  
10 addressing Steve Mattmuller for a second there. I  
11 think it is important that on any given day, even  
12 for a large commercial operation, patient doses may  
13 dictate the production of a single batch and a few  
14 doses. So, in that situation we need to be able to  
15 do the entire production and the quality control  
16 cycle with one person. Does that answer your  
17 question?

18           COOPER: No, my scenario is this is a  
19 large commercial center and you have three more  
20 people on the staff. Is the QC unit one specific  
21 person, or could that be a role that is filled by  
22 different qualified individuals?

23           ZIGLER: Well, this gets to the question  
24 that was coming up a few seconds ago, at some point  
25 it does make sense to have different people do it,

1 but to have that become codified I think it really  
2 needs to be done more from a work flow scenario  
3 rather than codifying it up front where it says it  
4 has to be separate.

5           AXELRAD: The question is whether there is  
6 a need for it at all. I mean, the theory is that  
7 for things that are really critical and important  
8 steps in the process, this is sort of a theme that  
9 flows through this whole thing, like making sure  
10 that you don't get mixed up when you compound or  
11 that you make sure that you set up your synthesis  
12 box with the right ingredients in it; that you have  
13 checked to make sure that the room is adequately  
14 clean and sterile, for the things that are critical  
15 for ensuring the safety of the patient, the  
16 question is, is it better to have one person do it  
17 and another person check it, or is it okay to just  
18 say, well, we would rather do it with one because  
19 it is cheaper to do it with one and, therefore, we  
20 should be allowed to do it with one. I mean,  
21 nobody here is discussing what the merits are, and  
22 there are many merits obviously built in across the  
23 industry in other situations for having critical  
24 steps be checked by a second person.

25           We are willing to give some allowances for

1 small facilities because we have been asked to do  
2 that, and to allow self-checks, but I think that  
3 there should be some recognition that there is  
4 validity to the theory that it is better to have an  
5 independent person who isn't going to just say, oh  
6 well, I just did that step so obviously it must  
7 have been done fine, and sort of gloss over it and  
8 not catch a mistake. That is sort of the thinking  
9 behind the whole idea.

10           ZIGLER: Certainly execution of the  
11 elements of QC are important. There is no doubt  
12 about that for controlling the safety of the  
13 product. There is no doubt.

14           AXELRAD: But I heard you say when we only  
15 have one we should only have to have one because  
16 that is all we have.

17           ZIGLER: No, that should be dictated by  
18 the complexity of the operation.

19           CONTI: And also by the track record  
20 because, again, we go back to the issue of the  
21 tenure of the whole history of this technology,  
22 which is that we haven't had the need to have  
23 independent quality control procedures. We have  
24 been able to do this over the years, in many cases,  
25 with a single person in a safe environment. So,

1 from the patient safety point of view, there hasn't  
2 been demonstrable evidence that there is a need for  
3 an additional person to do this.

4           So, what I suggested earlier is that if  
5 you want to compromise we could potentially do this  
6 either in a retrospective fashion, or you could do  
7 it with a quality assurance team. If there are  
8 multiple people there you could do performance  
9 checks of the individual components of the whole  
10 process. In many hospitals they have quality  
11 assurance programs where they look at focal areas  
12 of investigation. They follow that for a period of  
13 time and then they drop it and look at something  
14 else and you meet thresholds. You could set all  
15 kinds of parameters up, keeping in mind that the  
16 history is that there is not a need for this.

17           Now, if you can tell me that there is  
18 evidence that there is a need, I will put it back  
19 into your position and I will be willing to listen  
20 to that. But from our perspective, I haven't heard  
21 of a need for it yet.

22           AXELRAD: What would I have to show to  
23 have a need? Dead bodies? Would I have to have  
24 dead bodies to have a need? I mean, these are not  
25 approved drugs. There is no adverse event

1 reporting. How would you know if there had been a  
2 problem, other than somebody dying? And, how would  
3 you necessarily even attribute it to the PET scan?

4 CONTI: Other than the traditional ways of  
5 finding out?

6 AXELRAD: Well, the traditional ways we  
7 find out is through adverse event reporting and  
8 people report adverse events. Sometimes they might  
9 report adverse events short of a death. It is  
10 difficult in terms of adverse event reporting to  
11 even attribute any adverse event to the actual  
12 drug. I mean, you have sick people; they are  
13 having a lot of diagnostic tests. It would be, you  
14 know, unlikely that somebody would even necessarily  
15 connect it to that, especially in what is basically  
16 a completely unregulated system.

17 So, I am just saying, you know, we are not  
18 going to be able to show you that people are dying  
19 in the streets from this. On the other hand, you  
20 can't demonstrate that things are perfectly safe  
21 either. So, I think that somehow we have to come  
22 to some agreement on what are reasonable controls  
23 to assure the quality of these drug products,  
24 particularly as the industry grows way beyond what  
25 it started out as, sort of small research uses in



1 hospitals, to what is basically a much more  
2 standardized diagnostic procedure that many  
3 patients are getting and is actually being  
4 commercialized.

5           CONTI: Again, I will go back to the point  
6 that you keep forgetting, that we do test every  
7 single batch. We have said this over, and over,  
8 and over again.

9           AXELRAD: But you don't get the results  
10 until two weeks after the patient has been  
11 injected, sterility test.

12           BROWNLEE: I am January Brownlee, with  
13 SYNCOR. I guess my point is that I don't know that  
14 it should be dependent on the size, large or small.  
15 I think the goal of all quality control activities  
16 and quality assurance is the same, and that is to  
17 ensure objectivity and to ensure that whoever is  
18 making that release decision has clearly been  
19 granted the authority to stop shipment, to not  
20 release it. So, it would seem that we would want  
21 the regulation to talk in terms of what is the  
22 actual outcome we are looking for, rather than base  
23 it on some arbitrary determination of small, with  
24 one or two people, versus large, which might be  
25 three or four. That is what I think the reality

1 is.

2           So, maybe we should have the actual  
3 regulation say must be able to demonstrate  
4 objectivity and clearly be able to show evidence  
5 that they have been granted the authority to  
6 withhold shipment.

7           HUNG: January, rather than hiring  
8 independent quality control people to audit your  
9 performance, can we just designate that to the FDA  
10 inspector, just like NRC? We don't hire  
11 independent consultants to check our record for how  
12 we utilized the radioactive material. They have an  
13 inspector come to our facility to check every now  
14 and then. That is how they confirm the use of  
15 radioactive drugs.

16           CROFT: I am Barbara Croft from NCI.  
17 Actually, some centers do hire independent  
18 physicists, but they are the little, tiny people  
19 and if you don't have a physicist in-house, state  
20 regs. and NRC regs. require that you have a  
21 physicist for your nuclear medicine laboratory.  
22 That person can check this stuff too as long as  
23 they know what they are looking for. I am not a  
24 radiopharmacist but I have been trained in  
25 radiopharmacy as well as in physics and always

1 check every record--check, check on all sides, not  
2 only was it dispensed, but what did the QC look  
3 like. So, it is possible to get people. But we  
4 are not talking about every day, every hour, every  
5 FDG dose. And, that is what the thing says, it  
6 says "can get people to come in at intervals."  
7 Periodically is not every hour. Periodically is  
8 once every three months; once every six months;  
9 once a year, something like that.

10 CONTI: That is fine, Barbara, but I think  
11 we are crossing over into release of the product.  
12 If you have a single person responsible for  
13 releasing that product, production and release and  
14 doing the QC tests I think that is still a viable  
15 pathway. The question is, and I think we are in  
16 agreement, whether it would be reasonable to have  
17 this retrospectively reviewed or periodically  
18 reviewed. I don't think people would have too much  
19 of a problem with that provided that it wasn't  
20 overly burdensome.

21 URATANI: Wasn't this stated very clearly  
22 in the guidance document, that one person can do  
23 both functions as long as you can demonstrate that  
24 you are able to consistently produced a quality PET  
25 drug in a timely manner? I mean, that is basically

1 what we say in agreement to what you are saying.

2           AXELRAD: I think we have gotten a lot of  
3 ideas. I want to hear what you have to say. We  
4 were sort of making the distinction of large versus  
5 small. I think there have been a lot of different  
6 thoughts expressed about other ways that one could  
7 do that and certainly the need to make clear  
8 whether we are talking about--I don't want to get  
9 things confused--quality control of the execution  
10 of procedures versus quality assurance of the  
11 overall operation and I think we need to go back  
12 and look at that.

13           MOSLEY: Good morning. David Mosley, Eli  
14 Lilly. We could certainly agree that the decision  
15 as to who does the quality control should be based  
16 almost exclusively on merit. What we find as we  
17 commission PET studies around the globe is that  
18 generally it is the chief radiochemist who is best  
19 qualified to both produce the radiochemical and to  
20 do the quality control, and for the sake of subject  
21 safety, we would like that one person to do both.

22           Secondly, unfortunately, I am not sure  
23 that I can disclose the actual numbers but I can  
24 assure you that the economic impact of our audit  
25 procedures at these PET centers is quite

1 substantial, and is beyond what would have been  
2 within my reach when I was at the University of  
3 Pennsylvania. That is, we are paying something  
4 quite significant and the services are not being  
5 performed by physicists but by people with doctoral  
6 degrees in radiochemistry, in radiopharmacy and  
7 nuclear medicine. I hope there will be some  
8 opportunity to discuss the economic impact later on  
9 in this forum.

10           AXELRAD: How many facilities do they do  
11 QA for? I mean, are you talking about the combined  
12 cost of having a group that goes around to a bunch  
13 of different facilities? How many do they look at.

14           MOSLEY: I am not sure I understand the  
15 nature of your question, but Eli Lilly's standard  
16 is to do quality assurance of every PET center that  
17 we work with.

18           AXELRAD: So, that is a lot.

19           MOSLEY: Currently, that is about thirty.

20           ZIGLER: David, what is the nature of your  
21 audits? Could you describe that a little bit? Is  
22 it clinically oriented or is it CMC oriented?

23           MOSLEY: We are introducing good  
24 manufacturing practices. That is the fly in the  
25 ointment, and we have at least two doctoral level

1 outside consultants that accompany about four to  
2 six specialists from within Eli Lilly but, of  
3 course, we don't stop there. We also do GCP, which  
4 historically has been the main focus of our audits,  
5 and, when appropriate, GLP.

6           WALTZ: Hi. Deborah Waltz, from the  
7 University of Pennsylvania. It is great that Eli  
8 Lilly does that, but I think in terms of putting  
9 standards in place. I am glad that you do that;  
10 that is great, but it doesn't meet the needs for  
11 [inaudible].

12           CHALY: Thomas Chaly, from Northshore. I  
13 think when you think about one person producing  
14 this, there is a possibility to do that. People  
15 will worry that that one person can do multiple  
16 syntheses at the same time. That should not be  
17 allowed. But one person should be able to produce  
18 a batch of FDG and he will be able to finish all  
19 the quality control and he will be able to certify  
20 that before he can release that. That is the way  
21 it was done before. But he should not be allowed  
22 to do multiple syntheses at the same time because  
23 that will be confusing and he can create problems.  
24 So, the guidelines should be based on that rather  
25 than the amount of FDG produced in the center.

1           URATANI: Shall we move to facilities, if  
2 there are no more comments on this, before the  
3 lunch break? With regard to facilities, in our  
4 regulation and guidance we said that facilities  
5 should be of a suitable design and should have  
6 adequate space to prevent mix-up and  
7 cross-contaminations. Any comments?

8           INNIS: Bob Innis again. Some of the  
9 earlier comments about whether there is really a  
10 problem with the existing facilities, maybe there  
11 are no serious problems with the existing  
12 facilities and maybe they don't need to be fixed.  
13 Maybe we are trying to fix something that is  
14 already working okay. But, I have some specific  
15 questions with regard to the facilities because the  
16 cost of the renovation of facilities will be one of  
17 the major, major barriers for PET centers which try  
18 to come in compliance with these guidelines.

19           Being involved currently with the design  
20 of a CGMP facility, there are many aspects of it  
21 that are very costly but one that comes up is the  
22 amount of air required to actually service the  
23 area. In this regard, I just want to make sure I  
24 have read the regulations and the guidance  
25 correctly. To my knowledge, there is no

1 specification of the air quality in the  
2 regulations, but the guidelines specifies only one  
3 air quality, and that is that the final filtration  
4 needs to be done in a Class 100 environment. Am I  
5 correct in understanding that there is no specific  
6 requirement then, outside the laminar flow hood,  
7 for the air class in the laboratory or in the hot  
8 cell?

9           URATANI: Your question is in two parts.  
10 For the first part I want to clarify the statement  
11 you said with regard to sterile filtration. If it  
12 is done in a closed system, it does not need to be  
13 done under Class 100 environment. With regard to  
14 the surrounding area, surrounding processing area,  
15 for example, in the PET centers that I visited,  
16 both of them just have a laminar flow hood. Of  
17 course, there are some which are state-of-the-art  
18 barrier isolator, but if you have a laminar flow  
19 hood there is no specific requirement for the  
20 surrounding area provided that is clean and is not  
21 going to compromise the laminar flow hood air  
22 cleanliness.

23           INNIS: Thanks. The final  
24 filtration--when you say in a closed system, it  
25 could be in a syringe?



1           URATANI: No, my understanding from  
2 looking at, say, the FDG, final filtration is that  
3 you first assemble your sterile filtration with a  
4 sterile vial with stoppers and then you put your  
5 syringe and your filters there. That assembly  
6 should be done under the laminar flow hood in the  
7 Class 100 environment. That is considered a closed  
8 system. So, when you bring it to the black box to  
9 collect your final FDG, we have no specific  
10 requirement for air cleanliness.

11           INNIS: Well, I think complying with that  
12 would be relatively easy. Could I just clarify  
13 that you can get a Class 100 laminar flow hood in  
14 general laboratory air?

15           URATANI: Pardon me? What did you say?

16           INNIS: You don't have to have pre-cleaned  
17 air outside of the laminar flow hood in order for  
18 the laminar flow hood to be Class 100. So, that  
19 makes it much easier to accomplish.

20           As we are talking about open versus closed  
21 systems, there is no specific requirement that the  
22 synthesis has to be done, like for novel  
23 radiopharmaceuticals, in a closed system. It can  
24 be done in an open system. Correct?

25           URATANI: Well, it will be dealt with on a

1 case by case basis. I mean, my limited knowledge  
2 with PET manufacturing--I mean, I know quite a bit  
3 about FDG production, but if you are talking about  
4 other open synthesis, we will have to look at  
5 individual cases and determine from there.

6 BARRIO: The question about how you define  
7 a closed system, if you define a closed system as  
8 an automatic system which is inside a box, that is  
9 one way of looking at this. The other way, I think  
10 the most appropriate way for making it more  
11 flexible, is that the system may be semiautomatic.  
12 Automation doesn't necessarily mean better.  
13 Automation simply means better radiation protection  
14 but the system, with regard to synthesis, is  
15 equally or even better sometimes because you have  
16 to interact with the system. But the system that  
17 you can see when you operate it may be still  
18 closed. Closed means that you can transfer liquid,  
19 or whatever, in a sealed environment.

20 The question that I don't know how to  
21 address is if you have open parts in that system  
22 when you are transferring. You can transfer and  
23 have an open system, of course, but that is a  
24 differential.

25 KASLIWAL: I would just like to point out

1 that from our point of view, from a microbiologic  
2 point of view, a closed system would be where the  
3 fluid path is closed.

4 ZIGLER: Brenda, I have a question. You  
5 mentioned that the area outside the laminar flow  
6 hood needed to be clean.

7 URATANI: Yes.

8 ZIGLER: But you are not placing specific  
9 air quality requirements on it?

10 URATANI: That is right.

11 ZIGLER: Okay, thank you.

12 JACKSON: To follow-up on Steve's  
13 question, that will not keep you from having to  
14 monitor the air quality in some way, shape or form  
15 to prove that the air within the laminar flow  
16 system is clean or sterile.

17 URATANI: Well, we have no specific  
18 requirement about the monitoring of the surrounding  
19 area. Is that what you are asking?

20 JACKSON: You would still have to show  
21 monitoring of the laminar flow hood environment  
22 though to show that the outside air did not  
23 contaminate the laminar flow environment. Correct?

24 URATANI: That is true, but we are  
25 flexible in such a way that are not requiring you

1 to do the monitoring every day.

2 JACKSON: Right.

3 URATANI: So, it is periodically,  
4 consistent with the USP. The thing is that right  
5 now, it is our understanding that the current  
6 manufacturing of FDG is in a closed system so we  
7 think maybe the risk is minimum. So, as long as  
8 you can show periodically with the monitoring that  
9 you are achieving the air quality, as well as  
10 microbial limits, that will be acceptable.

11 JACKSON: My question is a procedural one,  
12 as well as a question as to at which point we have  
13 to assemble the final sterile product vial. If we  
14 are delivering from the FDG box into a sterile  
15 laminar flow hood, in other words, the pathway is  
16 closed, it goes into a shielded laminar flow hood  
17 or similar sterile environment, do we have to  
18 pre-assemble a vial or are we allowed to do  
19 everything there since we are in a sterile  
20 environment post-synthesis?

21 KASLIWAL: If you are doing the whole  
22 thing inside the sterile environment, you can do  
23 the whole thing. You don't have to re-assemble.

24 JACKSON: That allows us to not have the  
25 radiopharmacist come into the facility until a

1 later time in the day, and I just want to make sure  
2 that is on the record.

3           This is another question that many people  
4 ask me, I don't know whether it is in the confines  
5 of the FDA but if there is anyone who can clarify  
6 this, is 100 percent outside air required within  
7 the manufacturing and cyclotron facility rooms per  
8 se, or is it not? Can anyone answer that question  
9 as far as facility controls?

10           KASLIWAL: In the cyclotron room?

11           JACKSON: Yes.

12           KASLIWAL: There is no specific  
13 requirement. Should we have one? That is my  
14 question.

15           JACKSON: It would end a lot of arguments  
16 with a lot of mechanical engineers if we could  
17 clarify whether or not the radiation area, be it  
18 the quality control area, the manufacturing area  
19 and the cyclotron room, whether 100 percent air is  
20 something that is a requirement or not, or if the  
21 FDA can give us any guidance on that, because the  
22 NRC has as vague a statement as what you just made.

23           ZIGLER: Are you talking about 100 percent  
24 outside air coming into the facility as opposed to  
25 recirculating within the facility?

1           JACKSON: Right. I mean, it has been a  
2 constant battle with the mechanical engineers and  
3 facilities people. The radioactive areas, be it a  
4 cyclotron room or be it the QC area, or  
5 manufacturing area, the air handler should be a  
6 separate air handler and should have 100 percent  
7 outside air being brought in for those facilities  
8 into those three particular rooms, or is it allowed  
9 to have recirculation within the cyclotron room?  
10 Which way is correct? Is there a correct way?

11           KASLIWAL: We are concerned with the air  
12 quality, if it poses a contamination issue to the  
13 product. To me, it seems like currently the way  
14 are configured it is unlikely. So, your concern  
15 seems more like a radiation issue.

16           JACKSON: It is more a radiation issue,  
17 yes.

18           LAR: Yale and NCI. I wanted to follow-up  
19 on Bob's and Steve's question of open system versus  
20 closed system. Is it my correct understanding that  
21 as far as you assemble your final product vial  
22 under a Class 100 hood, you can have any kind of  
23 synthesis module or system, open vessel versus  
24 closed vessel, and it does not matter as long as we  
25 deliver that product through a 0.2 micron filter

1 into a pre-closed vial which was assembled in a  
2 sterile environment?

3 URATANI: That is right, because when you  
4 do the final filtration, I mean the sterility is  
5 achieved through the filter.

6 LAR: Correct.

7 KASLIWAL: Let me clarify, what do you  
8 mean by open system?

9 LAR: Well, FDG, in my understanding, is  
10 an open synthesis unit.

11 ZIGLER: No. What Ravi is getting at is  
12 the closed system is from downstream of the filter,  
13 not upstream of the filter.

14 LAR: Correct.

15 ZIGLER: So, upstream of the filter an  
16 open system is okay, but from downstream of the  
17 filter, as Brenda was saying, a closed system is  
18 necessary.

19 URATANI: We are talking about the  
20 filtration part. That part is closed. We are not  
21 talking about prior to the filtration.

22 KASLIWAL: Steve, you are correct in part.  
23 Closed system is where your vessels, your columns  
24 and all that is closed. That is why I asked what  
25 is an open system. I mean, do you have beakers

1 sitting on the hot plate, or what?

2 JACKSON: Well, the reaction is done in an  
3 open vessel and then it goes to a closed system.

4 KASLIWAL: Open vessel but after it gets  
5 closed, right?

6 JACKSON: Once the product passes through  
7 a resin column and passes through a 0.2 micron  
8 filter.

9 URATANI: That is right. That is the  
10 current understanding.

11 HUNG: I have a question about the laminar  
12 flow hood or the isolator. On page 12, under  
13 section (b), aseptic work station, it seems to me  
14 that the agency only focused on the verification of  
15 the particulate matter and not so much to the  
16 microbial contamination certification. Am I  
17 correct to say that in terms of the certification  
18 of the hood you don't need to worry about microbial  
19 contamination check?

20 URATANI: Well, the current situation is  
21 that we are dealing with a closed system, and there  
22 should be certain aseptic practices exercised  
23 daily. For example, when you go into you laminar  
24 flow hood, every day you should wipe it down and  
25 anything that you bring into the laminar flow hood



1 you should wipe down too, and you should make sure  
2 that your laminar flow hood will not be so  
3 cluttered that it is going to obstruct laminar  
4 flow. Periodically you do the monitoring for the  
5 microbial, like active air sampling, and that is  
6 all we are requiring right now.

7 HUNG: Under section (b) there is no  
8 mention of microbial contamination check. It is  
9 all focused on the particulate matter, the particle  
10 count but there is nothing said about microbial  
11 contamination control.

12 URATANI: We will definitely look at that.

13 INNIS: Let me ask another question  
14 specifically about the FDG synthesis box. I have  
15 heard from some people who are claimed to be expert  
16 on this that the two common commercially available  
17 FDG synthesis boxes would not be able to meet CGMP  
18 requirements. I am not asking you to endorse  
19 either one or endorse any particular product, but  
20 is there any reason, from the knowledge that you  
21 have about the performance of those boxes to date,  
22 to think that they would be excluded from  
23 fulfilling the CGMP requirements?

24 URATANI: In which regard to you think  
25 they are not complying?

1           INNIS: In any regard. I mean, is there  
2 any reason to think we are going to have to revise  
3 all the FDG boxes in order to come into compliance?  
4 Is that true?

5           KASLIWAL: I don't know what the question  
6 would be here.

7           INNIS: The question would be with the  
8 currently available FDG synthesis boxes, do you  
9 expect any changes to have to be made to them  
10 physically in order to come into compliance with  
11 the CGMP guidelines?

12          KASLIWAL: I mean, I guess we will have to  
13 look at the box.

14          ZIGLER: Bob, correct me if I am wrong,  
15 but I think this comes from the fact that some  
16 suppliers of boxes claim the boxes to be CGMP  
17 compliant. Is that what you are saying?

18          INNIS: My question is are the PET  
19 departments going to have to buy all new boxes or  
20 are the current ones okay.

21          URATANI: We consider the black box as a  
22 piece of equipment, and you are using this piece of  
23 equipment to do your production run. So,  
24 essentially, if you have a new black box you have  
25 to do certain qualification, and the qualification

1 will include insulation qualification, operational  
2 qualification and performance qualification. If  
3 you have an old box then, of course, you don't need  
4 to do the insulation qualification. With the  
5 operator qualification you will have to make sure  
6 you can operate correctly to establish limits and  
7 specifications. That means that you probably will  
8 have to identify the critical parameters and check  
9 the upper and lower limit to make sure that your  
10 equipment will function within that range, and that  
11 with the performance qualification there is  
12 documented verification that your equipment will be  
13 able to operate and that the production parameters  
14 will produce results that will meet the established  
15 qualifications. So, this is considered as a piece  
16 of equipment so I don't exactly know what you meant  
17 by the black box that currently in the PET center  
18 is not GMP compliant.

19 KASLIWAL: Let me take a shot at what I  
20 think might be the issue. I think in part it might  
21 have to do with the in-process controls that some  
22 of the boxes may or may not have. Really, your  
23 in-process controls are defined in your  
24 applications, and if you are able to meet those  
25 in-process controls that equipment should be

1 acceptable as part of the approved application.

2 HUNG: Ravi, I think the other possible  
3 consideration is that in the document is required  
4 the so-called time stamp of the system to be built  
5 into the record keeping system. So, I don't think  
6 that any black box, so-called black box has that  
7 kind of capability to do that kind of function.  
8 So, if this is going to be a requirement I think  
9 there is going to be a major problem there.

10 URATANI: With regard to the compliance  
11 with Part 11, we will exercise regulatory  
12 discretion. We understand that it will take time  
13 for the PET center to come into compliance with  
14 regard to this. However, we do expect that when  
15 new technology, new equipment and new programs  
16 become available that the PET centers should pursue  
17 that and buy those new programs so that they will  
18 comply.

19 SWANSON: Before we leave it, certain  
20 specific comments regarding facility sections of  
21 the guidelines document, again, I think this points  
22 out problems with going into excessive detail.  
23 Statements such as the lead-based radiation  
24 shielding should be properly covered to prevent  
25 lead contamination of the product, I understand the

1 need for that. If you want to discuss these in  
2 greater detail, we can.

3           There is another statement, phases of  
4 production with the potential for microbiological  
5 contamination should be performed under appropriate  
6 environmental conditions to prevent the possibility  
7 of such contamination. In reality, all phases of  
8 the production have the potential for  
9 microbiological contamination. So that, in fact,  
10 creates confusion as to what you want done under a  
11 laminar flow hood and what you don't want done  
12 under a laminar flow hood, and that is where some  
13 of this discussion is evolving from.

14           You have in here that the aseptic work  
15 area should be suitable for the preparation of a  
16 sterile PET drug product. In fact, we don't  
17 prepare PET drug products in an aseptic work area  
18 in most facilities. Rather, we prepare the final  
19 container closure system in an aseptic work area.  
20 So, again, that statement leads to confusion and it  
21 could be easily misinterpreted by anybody who came  
22 along and looked at my PET facility.

23           You have in here examples of activities  
24 that need be done in laminar flow area include  
25 storage and sterility samples.

1                   URATANI: I think that one will be taken  
2 out.

3                   SWANSON: I am worried about the other  
4 ones too, Brenda. Container assembly should be  
5 prepared at the beginning of the day before other  
6 daily activities begin, and before additional  
7 personnel have entered the room. That is an  
8 excessive requirement. You can easily say that  
9 preparation of materials in the laminar flow hood  
10 need to be done separate from traffic flow. But  
11 these are all examples of where your guidance  
12 document is very faulted with excessive  
13 requirements.

14                   URATANI: Well, you know, I have to  
15 correct one thing that you just said. You said  
16 that it is a requirement that you should do it at  
17 the beginning of the day. It is not a requirement;  
18 it is a recommendation. You can do it in any other  
19 ways you want. If you feel that you can do it as  
20 the cyclotron is running, that will be okay too.  
21 That is the reason why in the guidance document we  
22 have "should" and "must." "Should" is a  
23 recommendation only. It doesn't mean that you have  
24 to do it that way.

25                   SWANSON: Well, this is supposed to be

1 effective guidance for the commercial and I would  
2 like it to be effective guidance for the  
3 commercial. Okay?

4 URATANI: However, you also want not to  
5 have FDA to be too prescriptive. So, we just give  
6 examples and recommendations based on our  
7 experience. But there are other ways to accomplish  
8 the same goal.

9 ZIGLER: While we are on that, Brenda, on  
10 line 413 you state that the surfaces of walls,  
11 floors and ceilings in the aseptic work areas  
12 should be easily sanitized. Is that aseptic work  
13 area defined as the room or the laminar flow hood  
14 itself?

15 URATANI: Do you mean 413? The surface of  
16 the walls and floors?

17 ZIGLER: Yes.

18 URATANI: We don't mean sanitized; we  
19 meant cleaned.

20 ZIGLER: Okay, but the aseptic work area  
21 would be defined as the room where the laminar flow  
22 hood is?

23 URATANI: Yes.

24 KASLIWAL: Yes, if you go to the  
25 beginning, the first paragraph of that section, it

1 describes the aseptic work station.

2           ZIGLER: So, "sanitized" should be changed  
3 to "easily cleaned" then?

4           URATANI: Right.

5           ZIGLER: Thank you.

6           CHALY: I am Thomas Chaly, from  
7 Northshore. I do not understand why they wrote  
8 that synthesizer thing. Many people are using  
9 different synthesizers. Some people are using  
10 semi-automated synthesizers. I think it should be  
11 based on the validation of the FDG that is produced  
12 by the machine that is used, not based on a brand  
13 name or that some people say that this box is no  
14 good or that box is no good. But I don't know why  
15 this black box came into the picture. It was never  
16 restricted by FDA. I don't know why.

17           CONTI: Just to move away from sterility  
18 and back to quality control units, I happened to  
19 bring a 1994 version of the draft guidance for PET  
20 manufacturing. I suggest you take a look at those  
21 two sections in there. They are actually very  
22 concise and deal with some of the language in the  
23 sections very appropriately for some of the  
24 discussion that we have had for both staffing and  
25 for quality control units.



1           URATANI: Is that an FDA document?

2           KASLIWAL: Right, the guidance doesn't  
3 exist on paper. It was revoked.

4           CONTI: I have a copy if you need it.

5           [Laughter]

6           LOVE: Excuse me, I think I am getting a  
7 signal that we are ready to break.

8           Whereupon, at 12:14 p.m., the proceedings  
9 were recessed, to resume at 12:45 p.m.]

1                   A F T E R N O O N   P R O C E E D I N G S

2                   LEEDHAM:  Have we finished our discussion  
3 on facilities and equipment?

4                   SWANSON:  I don't believe that we have  
5 discussed equipment, have we?

6                   LEEDHAM:  Okay, let's discuss equipment.

7                   URATANI:  With the equipment, basically we  
8 are talking about qualification of the equipment,  
9 maintenance and documentation.  Any comments?

10                  ZIGLER:  Brenda, I have one comment.  In  
11 the guidance, in the section on the gas  
12 chromatograph, I know this is a give and take where  
13 there is even more detailed required or less detail  
14 required, I know you are faced with that, but I  
15 think it would be nice to have more detail on the  
16 gas chromatograph portion because some of the  
17 details in the chromatography chapter of the USP  
18 are pretty heavy for us.  I would just like to  
19 offer to take a look at that and maybe come up with  
20 some details.  I would be willing to, in our  
21 written comments, offer something along those  
22 lines.

23                  URATANI:  Okay.

24                  CROFT:  This kind of crosses over into  
25 process validation but since it is related to the

1 equipment, is this a good time for that or did you  
2 want to deal with that separately?

3 URATANI: We will discuss process  
4 validation later, but basically this is more for  
5 qualification of equipment.

6 CROFT: Okay, I will come back.

7 CALLAHAN: Ron Callahan, Mass. General.  
8 During the earlier discussion about which boxes  
9 might GMPs or something, you were referring to a  
10 lot of language that I wasn't familiar with on  
11 qualification of equipment. I think in discussing  
12 this, a lot of this comes out of 210 and 211 type  
13 of qualifications for equipment. Could you speak  
14 to us a little bit about that? Suppose we brought  
15 in a new piece of equipment like a synthesizer and  
16 all these IQs, OQs and other Qs that you were  
17 discussing, could you tell us what that means?

18 URATANI: Suppose you bought a new piece  
19 of synthesizer, first of all you need to do your  
20 installation qualification. Installation  
21 qualification in short is IQ. It means that you  
22 should document the verification that this piece of  
23 equipment has been installed properly to the  
24 established specifications. Normally, the  
25 specifications will be defined by the vendor who

1 makes that equipment.

2           After the installation, the second stage  
3 is the OQ, which is the operational qualification,  
4 and that will have to do with verification that the  
5 piece of the equipment that you have operates  
6 within the parameters and the limits. So, for the  
7 synthesizer you will have to define some of the  
8 critical parameters. It could be temperature; it  
9 could be pressure. There will be certain limits,  
10 like upper and lower limit, in operational  
11 qualification. We expect that you will challenge  
12 the system to make sure that it will operate within  
13 the upper and lower limits. Operational  
14 qualification only needs to be done initially and  
15 periodically. You don't need to do it every day  
16 when you use the equipment.

17           As far as performance qualification, PQ,  
18 it is to verify that when you use this piece of  
19 equipment it operates under the actual production  
20 parameters to produce results which will meet the  
21 specifications. So, it is a process.

22           CALLAHAN: So, where in this document is  
23 all this spelled out for us? Or, are we being  
24 referred to some section of 210 or 211?

25           URATANI: No, documentation means that,

1 for example, you have it on a piece of paper saying  
2 that on this date you have installed and, if it is  
3 installed by the vendor, the vendor certifies that  
4 it has been installed.

5 CALLAHAN: A lot of this we would be doing  
6 anyway under just good practices.

7 URATANI: Yes.

8 CALLAHAN: But it sounds like this is very  
9 codified and very spelled out, and someone is going  
10 to come in and ask us for our OQs or PQs. I just  
11 wonder where it says we have to do this if we were  
12 following this document. I just don't see it in  
13 there.

14 URATANI: You mean that it is not spelled  
15 out?

16 CALLAHAN: You sort of go into this lingo  
17 that very much relates to, you know, enforcement of  
18 GMPs but I just don't see the transition to the PET  
19 GMPs that we are talking about today. See, I just  
20 don't see where it is spelled out, where it would  
21 be known to us that we have to provide all this  
22 documentation as you defined it.

23 URATANI: So, maybe we should clarify it  
24 in the guidance document.

25 CALLAHAN: I don't come from a GMP

1 background so this sounded like a lot of new  
2 language and abbreviations.

3 URATANI: I am sorry that I confused or  
4 maybe scared you with a lot of Qs, but basically  
5 the bottom line is that your equipment should be  
6 qualified to make sure that the result you are  
7 getting is reproducible and meets specifications  
8 and is reliable.

9 HUNG: This is Joe Hung. I just want to  
10 make a simple comment on page 13 with regard to the  
11 dose calibrator. The current NRC new regulation,  
12 under Part 35.60, they only required the  
13 calibration to be followed--you have to follow  
14 nationally recognized standards or the manufacturer  
15 instructions. So, all the description has been  
16 removed from the current NRC regulations.

17 URATANI: Ravi, would you like to comment  
18 on that?

19 KASLIWAL: So, what are you saying we  
20 should do? We should delete the reference to NRC?

21 HUNG: I think the new NRC regulation on  
22 this particular issue is 10 CFR 35.60.

23 KASLIWAL: Okay, we will take a look at  
24 it.

25 FERRIS: To get back to the previous

1 question with regard to equipment qualification,  
2 what you are asking for then is a validation  
3 protocol for new equipment prior to the time you  
4 initiate IQ, OQ and PQ. That would be for new  
5 equipment. Would you require retrospective  
6 validation protocols for equipment that you  
7 currently use?

8           URATANI: Well, the equipment that you  
9 already have in your PET facilities, of course you  
10 do not need to do the installation. All you need  
11 to do is operational qualification to challenge the  
12 limits to make sure that your equipment is  
13 operating, and also when you do your process that  
14 is your performance qualification. We don't call  
15 that validation. We call it qualification because  
16 validation would be more like--

17           FERRIS: What is the difference?

18           ZIGLER: Brenda, what I think Bob is  
19 highlighting is and what Ron was saying is that we  
20 need definitions for qualification but they need to  
21 be in the context of other items that are discussed  
22 in the guidance document, for example,  
23 verification, system suitability and validation.  
24 One definition shouldn't exist outside the other  
25 one, and somehow we need to know how they all fit

1 together. Maybe it is not appropriate to use some  
2 of those terms.

3 URATANI: We will make a clarification,  
4 sure.

5 KASLIWAL: I might want to point out that  
6 on page 11 of the guidance it does indicate  
7 provision for existing equipment. It is the second  
8 paragraph of page 11, 462 to 466 I think.

9 SWANSON: Brenda, can I ask another  
10 question? On page 11, under automated  
11 radiochemical synthesis apparatus, you say that  
12 prior to production of a PET drug product batch,  
13 the operator should conduct a performance check to  
14 ensure the following, and you have the monitoring  
15 and/or recording devices, temperature, pressure and  
16 functioning properly. How would you see us doing  
17 that?

18 URATANI: Ravi, you want to take that?

19 KASLIWAL: I guess it would depend on the  
20 device.

21 SWANSON: Okay, an automated synthesis  
22 device. You are familiar with them, Ravi. How  
23 would we do that? I mean, I think right now, sure,  
24 we go check and see that the temperature recording  
25 devices have the right temperatures for a synthesis



1 process, but what steps would you have us do to  
2 make sure that those temperature recording devices  
3 are, in fact, functioning properly? A second  
4 measurement? Is there a temperature standard?

5 KASLIWAL: No, whatever your manufacturer  
6 recommends for that.

7 SWANSON: Whatever the manufacturer  
8 recommends? I doubt they have any recommendations  
9 for that, Ravi.

10 KASLIWAL: Then I guess for your facility,  
11 whatever works for you, you make those up and you  
12 follow them. You establish those procedures.

13 SWANSON: That is what I am asking, what  
14 would an example of those procedures be?

15 KASLIWAL: Well, it depends on what you  
16 are doing.

17 SWANSON: I am trying to  
18 make sure it is functioning properly.

19 EMRAN: I think one of the questions was  
20 asked already by Bob regarding retrofitting the  
21 existing equipment. But it doesn't spell out here  
22 how we are going to do that, maintained and  
23 calibrated according to written procedures. How  
24 are you going to inspect us regarding this?

25 URATANI: Which line number is that?

EMRAN: This is on page 11, 462 to 466.

1           URATANI: What is the question again?

2           EMRAN: How are you going to handle this?  
3 What kind of documentation do you need to see in  
4 order for us to prove that we have been maintaining  
5 performance qualifications?

6           URATANI: Normally, you would have a  
7 procedure in place in which you say that for this  
8 piece of equipment, especially a major piece of  
9 equipment, for example, you will do the maintenance  
10 like once every six months. You know, you specify  
11 the frequency, and then you also have a procedure  
12 saying what will be checked, and the documentation  
13 will be another record to show that you actually  
14 did it and what has been done, what has been  
15 checked.

16          EMRAN: This whole process that we are  
17 discussing now applies only to commercial products  
18 and equipment that we purchase. What about  
19 equipment that we develop in-house? Most of us are  
20 doing that right now.

21          URATANI: You mean the synthesizer?

22          EMRAN: Yes, we built our own synthesizer,  
23 our own analyzers, and so forth. So, how are you  
24 going to handle those?

25          URATANI: Are they automated?

1           EMRAN: Not necessarily. Some are  
2 automated.

3           LEUTZINGER: Ali, I would imagine you  
4 would probably try to have some standard operating  
5 procedures for any person, technician or someone  
6 who is going to run that equipment.

7           EMRAN: Absolutely. When we develop  
8 anything we write up the history for that  
9 equipment, how we developed it and so forth, and  
10 then how we are going to operate it.

11          LEUTZINGER: I would imagine an inspector  
12 who is going to come in and look at your facility  
13 probably would want to see what you have in place  
14 for standard operating procedures.

15          EMRAN: So, you would look at the history  
16 that we have and that would be satisfactory?

17          LEUTZINGER: I don't know if it is  
18 satisfactory but I would imagine they would  
19 probably want to see that.

20          URATANI: I don't know exactly the  
21 specifics. I think we need to think about the  
22 specific situation that you are talking about. I  
23 am not quite clear.

24          EMRAN: Well, the PET industry is in its  
25 infancy. We are not stopping with FDG. We are

1 developing a lot more radiotracers and equipment to  
2 go with these radiotracers. They could basically  
3 be the same as an FDG unit or modified a little  
4 bit, and so forth. So, because of the dynamic  
5 nature of the industry, how are we going to handle  
6 that, how the FDA will look at that favorably  
7 because we can't spend that much time and effort  
8 with equipment that is not commercially available  
9 or commercially invested for. 1

10 LEUTZINGER: But, Ali, part of that is up  
11 to you. If you are saying that you have a facility  
12 that is under control where you are making a  
13 product, it is up to you to have whatever you need  
14 to have in place, standard operating procedure or  
15 some kind of procedures that are written down,  
16 documented, for how you are running the operation  
17 to show that it is in control. So, part of this is  
18 really your responsibility and inspectors then will  
19 probably look at that sort of thing and see what  
20 you have and whether you do have something in place  
21 to show that you can maintain control of an entire  
22 production. That would include analytical  
23 equipment too for QC.

24 KASLIWAL: One other thing that I want to  
25 point out when you are saying home-made equipment,

1 you can make equipment and I don't particularly see  
2 anything wrong with that, but obviously equipment  
3 performs a synthesis operation in a given fashion  
4 for which you have a standard procedure which you  
5 have submitted in the NDA and you got an approval  
6 for. That is what is what you are going to be  
7 inspected against, whether your procedures do the  
8 intended job and whether that is what you have been  
9 following. You can't make any ad hoc changes, if  
10 that is what you are asking.

11           EMRAN: I agree with that but we need to  
12 make this clear because we will agree on everything  
13 here but the inspectors will come in, and they  
14 don't have the background that we have with such a  
15 meeting, and they will come up with personal  
16 interpretations. So, the language needs to be  
17 clear regarding that so the inspectors will  
18 understand where we are coming from.

19           CONTI: I have a comment on the  
20 calibration issues also. Many of these pieces of  
21 equipment have calibration parameters provided by  
22 the manufacturer when they are put into operation.  
23 Certainly, they are not appropriate to do each time  
24 one uses the equipment. How do you make that  
25 distinction?

1           KASLIWAL:  If the manufacturer is  
2 recommending that you do perform the calibration  
3 check after you turn the equipment on, if you are  
4 turning the equipment off and turning it on in the  
5 morning, I think you need to follow the  
6 manufacturer's directions.

7           CONTI:  But in the installation could be  
8 when you turn it on, you need to do this.  Does  
9 that mean that automatically when you turn it on  
10 you need to do it?

11          KASLIWAL:  It depends how the manufacturer  
12 is recommending it, whether on installation--I  
13 mean, for example, you do daily calibration checks.

14          CONTI:  Yes, there are some things that we  
15 have to do by other requirements on the basis of  
16 our state--

17          KASLIWAL:  Right, and if you think your  
18 equipment functions without a check, you know, you  
19 need to assure us of that and, you know, we  
20 obviously will look at what supporting evidence you  
21 have for that.  Certainly, if the manufacturer is  
22 recommending something, you need to follow that.

23          CONTI:  I would think it would be focused  
24 primarily on preventive maintenance issues or  
25 routine checking of equipment.

1           KASLIWAL: Right. See, routine--it  
2 depends on what equipment. You know, I don't want  
3 to make blank--it depends on what equipment. You  
4 could set up your procedures to do periodic--but,  
5 you know, you have to have that in the written  
6 document and follow that but it is up to you how  
7 you set it up. I mean, there is guidance available  
8 from USP, from manufacturers, from FDA guidances in  
9 general. I mean, in the literature there are  
10 guidances available.

11           WATKINS: Len Watkins, from the University  
12 of Iowa. Could you give us some examples of your  
13 high and low limits, for example, in the synthesis  
14 module? What sort of parameters are you expecting  
15 us go look at there? Most of the pressures and  
16 temperatures are controlled. We don't have limits  
17 per se.

18           URATANI: So, you are saying that you  
19 don't have limits for high and low temperature?

20           WATKINS: If we are doing hydrolysis and  
21 we do it at 130 degrees; we don't do it at 100 or  
22 150.

23           KASLIWAL: I mean, I don't think anyone  
24 would intentionally do it. The intent here is if  
25 your heater goes wrong, and you are doing it at 170

1 degrees or 180 you ought to be able to capture  
2 that.

3 WATSON: Well, I know you have a problem  
4 with final product analysis. It seems to me that  
5 that is the important issue, does the product you  
6 get at the end have any differences? Whether the  
7 temperature is 125 or 135 the product you get is  
8 still the same.

9 KASLIWAL: Right, and what I am saying is,  
10 I mean, there are in-process controls that are  
11 meaningful and there are in-process controls that  
12 may not be meaningful and you will define that in  
13 your application and you follow that.

14 WATSON: I just bring it up because you  
15 say high and low limits--

16 KASLIWAL: In sugar molecule, I mean, if  
17 you wait too high for long, I mean, you will form  
18 caramel. Right?

19 WATSON: Absolutely, and you won't get  
20 your product.

21 LEUTZINGER: I just wanted to comment that  
22 we are not suggesting that every single operating  
23 parameter needs to be controlled and adjusted on a  
24 daily basis. You need to identify the critical  
25 operating in-process and process controls, and



1 those are the ones for which limits need to be set.

2 JACKSON: Mark Jackson, GE Medical  
3 Systems. As long as we are talking about  
4 equipment, I would like to ask about the software  
5 aspect of the quality control equipment and what  
6 type of validation will be required on the software  
7 compared to normal pharmaceutical requirements for  
8 something like chromatography software. Will you  
9 hold us to the same standard? We will have a three  
10 to five year grace period to bring the software  
11 validations up to normal pharmaceutical specs, or  
12 what will be the FDA's intention on that?

13 URATANI: Well, as I stated at the very  
14 beginning, if that software is available right now,  
15 if it is common software, I expect you to have it  
16 but we do understand that there will be a delay in  
17 compliance with respect to the software validation,  
18 and we will exercise our regulatory discretion on  
19 that and will allow you some time to come up to  
20 speed.

21 JACKSON: Thank you.

22 KASLIWAL: Can I also comment? I mean,  
23 you may have three to five years anyway to become  
24 compliant--

25 [Laughter]

1                   MOSLEY: I am a little confused. David  
2 Mosley, Eli Lilly. My understanding was that  
3 radiology software was exempt by the 1978 Act of  
4 Congress. Could you please specify what software  
5 you will regulate and what you won't?

6                   KASLIWAL: You mean radiology imaging?

7                   MOSLEY: Yes, and related software.

8                   KASLIWAL: I don't think so.

9                   LEEDHAM: Dr. Mosley, I think what you are  
10 talking about here is radiology software that was  
11 used with cameras and devices on the market for  
12 medical devices prior to 1976. What we are talking  
13 about here is not classified as a medical device;  
14 it is classified as part of the manufacturing  
15 process, manufacturing equipment. Therefore, it is  
16 a different issue.

17                   HUNG: So, is it fair to say that as long  
18 as we follow the manufacturer's instruction in  
19 terms of the usage, in terms of the calibration of  
20 the equipment that will be okay with the FDA? It  
21 may not be the same as what you say in the  
22 guidance. In what way does it differ? Could you  
23 point to it? Like you say, it depends on the  
24 equipment?

25                   CHALY: I am Thomas Chaly, from Northshore

1 University Hospital. This is regarding the black  
2 box and the validation that you are talking about.  
3 Most of the black boxes that are available are  
4 completely controlled and the only thing you can do  
5 there is a validation of your own, saying that you  
6 can take the temperature, whatever it is, using  
7 separate control once in a while. The main thing  
8 is the quality of the product that is produced. We  
9 can validate the machine on the basis of the  
10 quality of the product that is produced.

11           URATANI: Basically, we are saying that  
12 you qualify the process of producing the FDG, let's  
13 say, and it will be a production process  
14 validation.

15           CHALY: Because it is very difficult for  
16 us to check at each step what pressure is there and  
17 what temperature is there. It will be hard to do  
18 that. The only thing that we can do is we can  
19 write up a validation procedure in our center based  
20 on the equipment that we have, and then we can  
21 follow that one in our center, and the main  
22 validation should be based on the product that is  
23 produced by the instrument.

24           URATANI: Yes, and this is going to be  
25 discussed later on.

1           BARRIO: We have a common denominator here  
2 in regards to the previous discussion. You know,  
3 we would love to see some sort of SOP for whatever  
4 instrument, production process or whatever will  
5 suffice, and the validation based on the quality  
6 control of the final product, and yields being  
7 reasonable could be the other side of the coin of  
8 course.

9           I appreciate the comment in regards to  
10 critical elements of quality control. The  
11 synthesis procedure has to be controlled and  
12 understood, you know, who wants to operate with an  
13 HPLC that doesn't work? We need to make sure, you  
14 know, that the apparatus is working. However, the  
15 word "critical" I think may have different  
16 interpretations because the language in this  
17 document may be contradicting that description of  
18 "critical." For example, you wonder, in line 550,  
19 what the temperature and humidity of the dry heat  
20 oven refrigerator/freezing and incubator would do  
21 for synthesis of FDG. You know, clearly, it may be  
22 important if you have your precursor standing in  
23 that freezer and the freezer is not working anymore  
24 but you clearly will now. It will be obvious to  
25 anyone. But the thing that scares everyone is if

1 one doesn't have written records about the  
2 temperature, the freezer or the refrigerator, what  
3 is going to happen? Those are the kinds of issues  
4 that are important. I think if we define very  
5 clearly in writing that we are talking about  
6 standard operating procedures, certain performance  
7 for analytical equipment or synthesis will suffice,  
8 I think we will understand that language very well.  
9 I think it would be helpful to have these  
10 statements in and remove anything that appears to  
11 be essentially superficial or unnecessary because  
12 that produces some discomfort in all of us who read  
13 this.

14 LEUTZINGER: We are only interested in  
15 those parameters that, like for an HPLC or GC or  
16 other analytical equipment--we are only interested  
17 in the fact that your machine works. First of all,  
18 you really should have some sort of maintenance  
19 program to make sure that you keep all that  
20 equipment working. I am an analytical chemist, and  
21 it is very easy to tell, for example, weeks before  
22 that your instrument is starting to degrade and you  
23 should have some sort of track record, keeping  
24 track of the results on your instrument.

25 The advantage of having a standard

1 operating procedure partly satisfies that, those  
2 particular needs, and you should follow that. But  
3 the GMPs, as far as I am concerned, have to do with  
4 you document what you do and you do what you  
5 document. I think that is what the whole business  
6 of good manufacturing practice is in my estimation.

7           So, standard operating procedures are  
8 really important because it gives you a road map  
9 through the use of your equipment and it really  
10 helps you to prevent surprises. Nobody is  
11 interested in surprises. You don't want a  
12 surprise. As long as you follow those procedures  
13 and are diligent about maintenance, then I think  
14 you will minimize those procedures and I think that  
15 is all really that we care about in the FDA, that  
16 you have something in place that shows that you  
17 have a production process that is under control,  
18 and I think that is really what it comes down to,  
19 and I think that is what any inspector who is  
20 looking at your facility is going to be interested  
21 in.

22           BARRIO: Yes, I appreciate that. I think  
23 that is exactly what logic will indicate and that  
24 is what we already do. Essentially, we all know,  
25 whatever system we have, whether the systems are

1 working or not; the quality control equipment is  
2 working or not. I am talking about some extra  
3 documentation that appears to be required in some  
4 circumstances that may not really necessarily  
5 conform to your general discussion.

6 CHALY: Thomas Chaly, from Northshore. I  
7 don't think that the temperature and humidity and  
8 dry heat validating is necessary on a daily basis  
9 for a dry oven. I don't know whether that is  
10 essential. If you validate once in a while and you  
11 check the performance of that, that should be more  
12 than enough.

13 Another thing I don't understand is prior  
14 to use the analysis should make sure that the GC  
15 system is functioning correctly. I don't know what  
16 you mean by that. What do we have to do for that?

17 URATANI: Which line?

18 CHALY: It is on page 13, 563, prior to  
19 its use, the analyst should make sure that the GC  
20 system is functioning correctly. What do you mean  
21 by that? I don't understand that? We validate the  
22 GC.

23 KASLIWAL: You validate the method.

24 CHALY: The method, right.

25 KASLIWAL: And then when you perform, what

1 if the GC is not working? Where does that  
2 validation go then?

3 CHALY: No, what we do is we validate GC  
4 and every day we perform the analysis of the  
5 sample, and if you see something abnormal, then we  
6 will validate again. If we see the same thing and  
7 we are getting an expected result, I don't see the  
8 need--I don't understand why the equipment has to  
9 be verified.

10 LEEDHAM: Do you have a starter procedure  
11 for when you start the equipment in the morning or  
12 when you are using the equipment?

13 CHALY: If it is turned on, there is a  
14 procedure.

15 LEEDHAM: And are there any parameters you  
16 need to check before performing--

17 CHALY: We have to make sure that all the  
18 gases are flowing. We have flow gauges on each gas  
19 tank. Those things we can check, but you are  
20 stating here with this sentence, prior to its use,  
21 the analyst should make sure that the GC system is  
22 functioning. So, we have to do something to  
23 perform that?

24 LEUTZINGER: How do you know that it is  
25 working properly from day to day?



1           CHALY: By inserting a sample, we can see  
2 that.

3           LEUTZINGER: Maybe that in itself, some  
4 sort of an initial sample, can serve as a means. I  
5 mean, we usually ask for a system suitability test  
6 which means there is a standard that you would  
7 inject into the GC, HPLC or whatever--

8           CHALY: You are saying that we ought to  
9 insert a standard--

10          LEUTZINGER: We generally ask that. That  
11 could be at the beginning of the day. See, the  
12 whole idea is it gets back to how do you know. I  
13 guess you know because the peak has the same  
14 retention time--

15          CHALY: Yes--

16          LEUTZINGER: So, what happens if it  
17 doesn't have the same retention time? Then what do  
18 you do?

19          CHALY: If it doesn't have the same  
20 retention time, then we will go back and validate  
21 again before we do the analysis.

22          LEUTZINGER: Well, the idea of having a  
23 system suitability test is partially tied to this  
24 idea of maintenance of the chromatograph.

25          CHALY: The problem is that we are not

1 just doing GC alone; we are doing HPLC, we are  
2 doing TLC, we are doing ten different tests and if  
3 you are insisting that we have to do testing for  
4 each individual equipment like this, there will be  
5 a pile of documents that we have to submit every  
6 day.

7 LEUTZINGER: Yes, I understand the  
8 problem. Possibly you can work it out. I don't  
9 think I have any problem with having sort of a  
10 suitability test built into the actual test run  
11 that you do.

12 CHALY: We have an operating procedure and  
13 we have a validation procedure. We do all these  
14 things, and we are testing this equipment like our  
15 operating procedure, once in six months or  
16 something like that. If we see something abnormal,  
17 we do it right away.

18 LEUTZINGER: Well, I am glad you do that.

19 CHALY: That is why I am saying this kind  
20 of sentence will be confusing.

21 LEUTZINGER: Well, it is because in the  
22 analytical field we generally ask people to have a  
23 system suitability test. Most analytical  
24 laboratories do, in fact, have some kind of a  
25 system suitability test for whatever equipment they

1 have. It is part of maintenance. It is a  
2 maintenance kind of program, but there is  
3 flexibility in this thing so you can work it  
4 through, say, you have records every day of runs  
5 that you make and you can somehow work your system  
6 suitability testing all within the same business of  
7 running the sample.

8           ZIGLER: Eldon, what would you expect to  
9 see in a system suitability for a gas  
10 chromatograph?

11           LEUTZINGER: For example, you might see  
12 the intensity of the peak at a certain standard of  
13 expectation. You might see, say, the peak width--

14           ZIGLER: You mean in terms of the number  
15 of injections, that sort of thing. Would you  
16 expect more from an injection?

17           LEUTZINGER: Well, this is always a  
18 problem. If you run an analytical laboratory day  
19 by day it is easier because you see its performance  
20 day by day, keep a log book of it. You keep a log  
21 book of it. You see how the instrument is  
22 performing day by day. You can recognize right  
23 away if there is some kind of a problem. At the  
24 beginning of the day, usually a good analytical  
25 laboratory will have some sort of a system

1 suitability test, a very simple test where you are  
2 just looking at, say, intensity of a peak that is  
3 coming out or the peak width, shape, you know, do  
4 you see some irregularities in the shape of things?  
5 I would think you would want to know that before  
6 you put a sample through there and all of a sudden,  
7 hey, my machine isn't working anymore, so what am I  
8 going to do with the sample? Maybe your product is  
9 perfectly good but you wouldn't know it from the  
10 chromatogram. The chromatogram looks bad. Is that  
11 because the product is bad or is that because the  
12 analytical run is bad? So, you have some idea of  
13 what that is before you go in there and can avoid  
14 all this problem of, well, what am I going to do  
15 with this product now? I have to release it but  
16 the chromatogram says there is something wrong with  
17 it.

18           CONTI: Another way to potentially handle  
19 some of these issues is to work it into a QA  
20 program that we talked about earlier, as opposed to  
21 doing it on a daily basis for a very infrequent  
22 occurrence, again going back to track record issues  
23 on the pieces of equipment that you have.

24           LEUTZINGER: Yes, very definitely there  
25 should be a track record. Any good analytical

1 laboratory is going to keep a good track record, a  
2 book of chromatograms. That is what I do, a bunch  
3 of chromatograms day by day so you know exactly how  
4 it is performing.

5           CONTI: Going back to something tangible,  
6 and maybe some of us remember in hospitals, if you  
7 look at a parameter of hospital performance  
8 activity, let's say number of x-ray films that are  
9 replicated, and if you gather the data and show  
10 that the frequency is X and you set a threshold,  
11 and if it goes above that you then look at that as  
12 a performance criteria and you follow that until  
13 the problems are corrected, but you don't  
14 necessarily have to do it all the time for every  
15 single run. You look at it, in the event that it  
16 does happen, whether it exceeds a threshold that  
17 you expect.

18           LEUTZINGER: Right. I wouldn't recommend  
19 that necessarily you have to do it every time you  
20 run a chromatograph but you can do it at the  
21 beginning of the day if you know that you are going  
22 to have a whole bunch of analytical work to do on  
23 that particular day. You could do it early so if  
24 you knew that there was something wrong with your  
25 instrument you could avoid having to go through the

1 whole day making these things and then wind up not  
2 knowing what to do with them. It might give you  
3 some lead time to get the instruments fixed. So,  
4 you wouldn't have to do it every time you run the  
5 chromatograph but you could do it at the beginning  
6 of the day, for example, on a heavy day.

7           CONTI: In large measure, the regulation  
8 should sort of say that the facility should adopt  
9 an appropriate quality assurance program that meets  
10 the needs and expectations of the equipment being  
11 used in that facility, and the known track record  
12 of activities in that facility.

13           LEUTZINGER: I think that really would be  
14 a great idea, yes.

15           CONTI: But you would have a lot of  
16 flexibility to set up your own program, and you  
17 don't have to deal with whether I connected the  
18 tubes properly if you don't feel that is  
19 appropriate.

20           LEUTZINGER: Yes, whatever it takes, and  
21 that is all a part of showing the inspector that,  
22 say, your facility is working under control.

23           KASLIWAL: I just want to point out that  
24 generally most quality control procedures would be  
25 approved as part of your application, and you need

1 to describe exactly what you need to do for the  
2 quality control, and you need to follow that.

3 DUFFY: I think I am hearing that you are  
4 suggesting--by the way, I am getting some dirty  
5 looks from over there; I didn't identify myself. I  
6 am Eric Duffy, with the chemistry division. We  
7 might revise the guidance to specifically say that  
8 an appropriate program should be established that  
9 on a periodic basis this should be done. Is that  
10 what you are suggesting?

11 CONTI: I am suggesting that a facility  
12 should define what should be done.

13 DUFFY: Right, we will take that under  
14 advisement and consider some revisions here. I did  
15 hear one specific one under temperature control  
16 recording devices, that it be done on every work  
17 day and the suggestion, I believe, was that maybe  
18 less frequently than that would be appropriate.

19 CONTI: But if you don't have a  
20 temperature control problem in ten years, whether  
21 you need to monitor that every day or once a year  
22 or once every six months, you should have some  
23 reasonable period.

24 DUFFY: We will consider some alternative  
25 wording I think.

1           CHALY: Thomas Chaly again, from  
2 Northshore. I think there is no problem in taking  
3 GC. I agree with you that GC should perform very  
4 well, and we are doing the best we can to do that  
5 one. But the problem is that we don't have just  
6 the GC; we have HPLC, we have TLC, we have  
7 osmolarity. All of this, if we have to validate,  
8 that is too much for one person to do.

9           LEUTZINGER: We are not asking you to do  
10 that every time you do--

11          CHALY: No, to test the machine on a daily  
12 basis is too much to ask.

13          LEUTZINGER: Like I said, do you have to  
14 use all those particular instruments on the same  
15 day?

16          CHALY: Yes.

17          LEUTZINGER: Maybe the idea of the QA  
18 program is something that is applicable in a  
19 situation like this.

20          CHALY: Because then we have to check--

21          LEUTZINGER: We are not asking you to do  
22 anything unreasonable.

23          CHALY: No, no, no, I completely agree  
24 with you that the machine should be working. The  
25 GC should be working, but it is quite unnecessary



1 to check every day. Now, if we see that one peak  
2 is not coming in the right place, then we will go  
3 back and definitely check what is wrong with the  
4 machine. We will repeat that.

5 LEUTZINGER: Yes, I believe you.

6 CHALY: Thank you.

7 ZIGLER: Brenda, I have one question on  
8 line 581, under dose calibrators. It mentions the  
9 use of the word "printout." Do you mean literally  
10 that the machine must make a printout?

11 URATANI: Well, I don't think there is  
12 such a requirement.

13 KASLIWAL: Can you repeat that?

14 ZIGLER: On line 581, under dose  
15 calibrators, you mention that the device must be  
16 capable of a printout. Do you really mean a  
17 printout?

18 KASLIWAL: Some kind of automated--

19 ZIGLER: Can we just read the display and  
20 write it down?

21 DUFFY: We are talking about output.

22 ZIGLER: Thank you.

23 JACKSON: Mark Jackson, GE Medical  
24 Systems. Can I just ask, Eldon, the suitability  
25 tests for each one of these instruments are in the

1 USP for each one of these quality control tests,  
2 and in the chromatography section is the  
3 suitability and reliability check a way of doing  
4 it, and the procedure is already written for most  
5 of these things, and those will be good enough if  
6 we follow those, do you think?

7 LEUTZINGER: Possibly. It depends on what  
8 the test is intended for. All right?

9 JACKSON: Exactly for these instruments?  
10 The quality check for each daily test, or whatever,  
11 in the USP and the chromatography guidance--

12 LEUTZINGER: Yes.

13 JACKSON: --suitability for a TLC scanner,  
14 a GC, an HPLC, and I just think they should refer  
15 to that in writing their standard operating  
16 procedures.

17 LEUTZINGER: I am glad that you have noted  
18 the GC chapter of the USP, that is very good.

19 URATANI: Moving on in the interest of  
20 time, let's go to production and process  
21 validation. So, basically, for process validation  
22 what we are requiring is that you establish  
23 procedures and specifications, and with regard to  
24 production, we give some detail about the master  
25 production record and batch production records.

1 For production process validation, you can do it  
2 either retrospective, prospective or concurrent.  
3 Is there any question on that? I think it is  
4 explained pretty well in the guidance.

5           BROWNLEE: January Brownlee, from SYNCOR.  
6 Most of the current documents on process  
7 validation--I am referring to ones like from CDRH,  
8 international documents from ISO, the global  
9 harmonization test document on process validation  
10 which, by the way, is the one that talks about IQ,  
11 OQ and PQ quite extensively, all of these documents  
12 go on to define process validation as something  
13 that needs to be done when you cannot 100 percent  
14 test and inspect the finished product to verify  
15 that the process was valid. Here, we have a  
16 product where we are getting one vial, we are  
17 testing it every time and so, in essence, every  
18 time we make a batch we are validating that that  
19 process is effective. It leaves me wondering why  
20 we need to go back and do a retrospective  
21 validation when, in fact, we have validated the  
22 process with every single batch because we are  
23 doing 100 percent test inspection on every batch.

24           URATANI: Well, the principle of GMP is to  
25 build quality into the process. I think a lot of

1 times with the end product testing you might not be  
2 able to see everything. Plus, another thing that I  
3 would like to point out is that you some of you  
4 have requests for release of the product, some of  
5 those PET products with very short half-life, like  
6 C-11, and you want to be able to inject it into the  
7 patients without finishing all the end product  
8 testing. For this, I think that you really need to  
9 have a validation process.

10 BROWNLEE: What kinds of things will you  
11 be looking for then in a retrospective validation?

12 URATANI: In a retrospective validation,  
13 basically, we are looking at the established  
14 history of your manufacturing process. So, you  
15 will have to do a comprehensive review of your  
16 accumulated data--

17 BROWNLEE: Which would still be the final  
18 test results.

19 URATANI: --and show that that particular  
20 process that you have cumulative data on is capable  
21 of producing results that meet the specification  
22 and produce a quality product, but then it should  
23 have a written procedure so that we know what you  
24 are validating against because whatever data you  
25 accumulate over, say, the last two years, you might

1 have changed the procedure several times, and  
2 whatever procedure you are using currently, that is  
3 the retrospective validation that we are looking  
4 at.

5 KASLIWAL: Brenda, maybe if I can clarify  
6 a little bit here. Whether you want to do  
7 prospective validation or this other validation is  
8 really up to you. It is a provision provided to  
9 you in case you want to do that. But if you want  
10 to make three batches and do that kind of approach,  
11 that is fine. In terms of what you are testing,  
12 validation should incorporate complete testing  
13 because not every test is a finished product test.  
14 You are not completing every test prior to release,  
15 not necessarily. Okay?

16 BROWNLEE: What other kinds of tests then  
17 would you be looking for?

18 KASLIWAL: For example, let's say in FDG,  
19 like a chloroxyglucose test so validation batches  
20 should have data on those which you are not  
21 necessarily doing.

22 CONTI: Again, those things could be done  
23 under a quality assurance program where you  
24 periodically check these types of parameters, not  
25 necessarily to validate process control but to

1 assure that you are going to produce the product  
2 given the fact that you are testing each end  
3 product. So, if your track record demonstrates  
4 that it is below a certain threshold established in  
5 your QA program, there is no need to do this very  
6 frequently and then one can skip and look at  
7 different portions of the process on a periodic  
8 basis, again, integrated into the same quality  
9 assurance program.

10 KASLIWAL: After the initial, yes.

11 SWANSON: I think one of the areas that  
12 the guidance document is deficient in, and we could  
13 use some guidance on, is that it doesn't clearly  
14 address those types of things that we ought to be  
15 looking at in validation studies versus what we  
16 ought to be looking at on routine batch quality  
17 control. It is something that we went into a fair  
18 amount of detail on in the USP chapter and it just  
19 did not get brought forth into this document. I  
20 think that, in fact, is some pretty good guidance  
21 that the community could benefit from.

22 HUNG: I have a question about the batch  
23 record. If you decided to use the computer to keep  
24 the records, how much do we have to stick to the 21  
25 CFR Part 11, talking about electronic records? Do

1 we need to verify the computer system to make sure  
2 that it can perform the job, and also the issue I  
3 brought up this morning about the time stamp and  
4 audit trail system?

5 URATANI: The batch records, I think right  
6 now there is commercial software available which  
7 you can add on to the existing computer program,  
8 which has an audit trail capability.

9 HUNG: But do we need to verify the  
10 commercially available software to make sure that  
11 it can do the job?

12 URATANI: Well, I guess you do. I think  
13 you do have to verify anything you buy. You want  
14 to make sure that it is doing the job.

15 HUNG: So, any commercially available  
16 system that would be recommended by the FDA? If  
17 so, could you be more specific and mention those  
18 names?

19 URATANI: I don't think we are in a  
20 position to recommend any brand name. But I know  
21 there are commercial programs available.

22 BUHAY: Nick Buhay, from the GMP Division.  
23 Part 11 addresses the preparation of electronic  
24 records. So, wherever an electronic record is used  
25 in complying with the requirement, the Part 11

1 applies. There are a lot of these specific  
2 requirements within Part 11 for what a system that  
3 produces electronic record ought to have, and one  
4 of them is use of an audit trail. This is a new  
5 regulation. In terms of finding out the kinds of  
6 systems that are in use, the industry that prepares  
7 these programs is very much in the mode of  
8 investigating how they can comply and produce  
9 products that they can supply that will comply. We  
10 have a program and a person is dedicated full-time  
11 to working with that industry and working with the  
12 user industry to come up with rational judgments on  
13 meeting those requirements.

14           In the meantime, we are in a situation of  
15 recognizing the status of the new regulation,  
16 legacy systems and also responses that are going to  
17 be--the technology that will respond in developing  
18 programs that will produce and meet the  
19 requirements. So, we are just suspending  
20 enforcement in a very bureaucratic way. We are  
21 very much tolerating and looking at what efforts  
22 are being made, and just simply looking for the  
23 industry to respond to this new requirement while  
24 we, ourselves, are assessing it.

25           There is a program for generating guidance



1 in this area that is going on and separately you  
2 should be seeing those in terms of these  
3 requirements, time stamping and should it be in  
4 Greenwich time or should it be in local time, and  
5 all the other issues, validation if you transmit a  
6 record through the internet, how does that impact  
7 on the validation of that program because of the  
8 way the internet operates, and all those other  
9 issues. We are trying to face those one by one as  
10 they come up.

11           MATTMULLER: Steve Mattmuller, from  
12 Kettering Clinical Center. This is for Brenda. In  
13 regards to software systems, as a small lab, as you  
14 can well imagine, we haven't spent \$30,000 for HP  
15 software for GC that is validated. I am  
16 encouraged, in the guidance document, that you talk  
17 about if your computer system can operate your FDG  
18 synthesis box three times the same way in a row,  
19 then that is considered validation. Would that  
20 same test then be applicable to, say, my GC or my  
21 TLC unit?

22           URATANI: Yes, except the computer--well,  
23 there are two parts to it. You know, you are  
24 talking about computer validation of the process  
25 using a GC or the black box or the HPLC, but there

1 is also another part which has to do with computer  
2 validation of Part 11 compliance of record keeping,  
3 which is a separate issue.

4           As far as record keeping is concerned, we  
5 want the software to have the capability that the  
6 records cannot be deleted so that corrections made  
7 to records have history of what is being changed.  
8 That is different than the other computer  
9 validation that you are talking about which has to  
10 do with the process. Whether the process is able  
11 to produce a result is different.

12           ZIGLER: Brenda, can I make a comment  
13 about process validation in general again?

14           URATANI: Yes.

15           ZIGLER: I wanted to reemphasize a point  
16 that was made at the microphone a second ago, and  
17 this is one of the key things that separates PET  
18 from traditional pharmaceuticals, and that is we  
19 make one vial and we test 100 percent our products.  
20 That brings a level of control that is higher than  
21 where you only test a certain portion of multiple  
22 vials. All I want to say is that the process  
23 validation expectation should be reduced  
24 accordingly because of that. We need to build  
25 quality into our products. There is no doubt about

1 that. It just needs to be commensurate with the  
2 fact that we are testing 100 percent of our end  
3 products.

4 I also wanted to repeat my comment earlier  
5 about when we deal with these definitions of  
6 validation, verification and qualification,  
7 suitability, they all need to be done in one  
8 context; they can't be separately defined, they  
9 have to be defined together.

10 KASLIWAL: Just a comment on that, you  
11 know, for the most part I agree with what you are  
12 saying but understand also there are instances, for  
13 example, in sterility you don't finish that,  
14 although there is an alternate method but let's say  
15 with C-13 you may not be able to finish. So, there  
16 is some level of previous control and validation.  
17 It may be a different kind.

18 MATTMULLER: I have a follow-up question  
19 to my previous one. For a specific example of our  
20 GC, we run a standard three times and get the same  
21 result each time and we print it out three times.  
22 Would that then be sufficient as far as satisfying  
23 your concerns for having a record that wasn't  
24 modified, corrected or changed afterwards? If we  
25 have a hard copy printed record of everything that

1 the software system collected, analyzed and printed  
2 out?

3 URATANI: I think so.

4 KASLIWAL: If you maintain a hard copy,  
5 then your electronic trail issues go away.

6 SWANSON: I have a question related to a  
7 statement on lines 880 to 887, dealing with batch  
8 record. It says the batch record should be a check  
9 list documenting that all processing steps and  
10 their controls were carried out, timed events  
11 occurred within specifications, heating steps  
12 occurred at the specified temperatures, and  
13 ingredients were properly transferred into the  
14 reaction vessel. In order to document that certain  
15 of these things occurred, like ingredients did in  
16 fact transfer into the different reaction vessels,  
17 would require that we actually observe the process,  
18 which is typically not possible for many of the  
19 synthesis units and would certainly create a  
20 radiation exposure concern.

21 URATANI: I think you do the production  
22 every time and you know the process intimately, so  
23 you should be the one who determines whether it is  
24 feasible or not because what we are putting in here  
25 could be general, and maybe we did not take into

1 account the radiation concern. You should be the  
2 one to tell us whether that is feasible.

3 SWANSON: In essence, that is what we are  
4 telling you.

5 URATANI: Okay, I got it.

6 HUNG: Some of the commercially available  
7 black boxes actually have that kind of in-progress  
8 control so you should be able to observe that  
9 progress without even having to open up the black  
10 box. It is all documented in a real-time manner.

11 URATANI: Right. I guess it depends on  
12 the equipment that you use. Some is more  
13 sophisticated than other. Some may be able to tell  
14 you right away and for some, I don't know if you  
15 have to do something else to know what is going on.  
16 So, it is on a case by case basis and you know your  
17 process better than us, so you are the one to tell  
18 us.

19 KEPPLER: I think one of the issues though  
20 is that it is not as if we are going to stop the  
21 production process and continue on if one of the  
22 transfers didn't occur. So, to watch it and to  
23 observe a transfer occurring isn't going to change  
24 anything. It either finishes and has a product at  
25 the end, or it doesn't. I think that is what is

1 missing here. We have all these, you know, step by  
2 step checks that we are supposed to sign off on  
3 throughout the process when whether or not it  
4 happens won't matter because we will either have  
5 the dose at the end or not. So, why have all these  
6 interim checks that this occurred at this time and  
7 that it transferred appropriately when you can't  
8 change it if it didn't?

9 KASLIWAL: Again it comes back to you, you  
10 make the decision whether it is a critical  
11 parameter to control or not.

12 KEPPLER: But they are specified in here  
13 as critical parameters.

14 CONTI: Again, it gets back to the issue  
15 of if you are going to test every batch you need to  
16 identify if there are additional parameters that  
17 would not be tested in that final batch that are  
18 critical to the process, and only do process  
19 control in those areas. I think that is a  
20 reasonable alternative to the complete list of  
21 process controls that are cited here as examples.  
22 If you test every batch and the only other thing  
23 you are ever worried about is chlorinated glucose  
24 analog, then if you do an appropriate quality  
25 assurance procedure and show by track record that

1 you don't have that occurring, that is it; we are  
2 done. We don't need any other process control if  
3 that is the only critical step. So, it should be  
4 written generically enough that it takes into  
5 account other PET radiotracers. So, simply state  
6 if you test each batch and you take into account  
7 any other critical factors with the given  
8 pharmaceutical that need to be evaluated with  
9 process control, have the cite and put it in. End  
10 of story.

11           KEPPLER: Can I ask for a definition of  
12 critical step?

13           URATANI: I think critical step is for you  
14 to define, not for us to define.

15           KASLIWAL: I think anything that might  
16 affect the identity, purity, quality and strength  
17 of your drug product.

18           KEPPLER: But that would be everything.

19           CONTI: That would not be achievable  
20 through end testing, you could not get that through  
21 end testing of the final product. That is the key.

22           KASLIWAL: Certain quality parameter you  
23 are not testing.

24           CONTI: Then those are the ones you use  
25 the process control for. That is my point. I am

1 trying to identify which things that you are  
2 concerned about that are going to affect patient  
3 safety, that need to have the appropriate process  
4 control, that are not achieved through end product  
5 testing.

6 KASLIWAL: So, I don't think we have an  
7 argument.

8 LEUTZINGER: That is what you have to do.  
9 I mean, you have to identify what those critical  
10 points are. That is part of your responsibility.  
11 These are just examples. I mean, it is a guidance  
12 document and, after all, it is not telling you, you  
13 have to do exactly this.

14 KASLIWAL: Basically, when you are  
15 deciding that, these are the sorts of things you  
16 may want to consider, and you may reach a  
17 conclusion that that is not important.

18 CONTI: Unfortunately, it is really  
19 written towards FDG and not generically enough to  
20 give us enough flexibility to do what we see is  
21 necessary, and it does still beg the question that  
22 Dennis Swanson brought up earlier about what  
23 becomes de facto regulations when you are dealing  
24 with this on an inspection. What are they looking  
25 for? The guidance is primarily focused on FDG.



1 LEUTZINGER: This is a guidance document.

2 CONTI: I understand that, but it depends  
3 on how it is interpreted.

4 AXELRAD: But I think it really does  
5 depend, and I think that we need to go back and  
6 look at this. We know a fair amount about FDG and  
7 how it is produced. We have written a  
8 sample--whatever it is called, a template  
9 application and a guidance document on that. So, I  
10 think that we need to clarify where we are talking  
11 about FDG. Maybe we can give examples of what we  
12 consider to be the critical steps so that you can  
13 have a better understanding of how we are  
14 determining what a critical step is. Obviously,  
15 this will have to evolve so the inspectors are  
16 given guidance or examples of what we think are  
17 critical steps that we think need to be documented.

18 CHALY: This is Thomas Chaly from  
19 Northshore. There are many critical steps in the  
20 synthesis, but if one of the critical steps fails  
21 you won't get the product. For example, I can tell  
22 you that in one of the synthesizers that we are  
23 using, if the sodium hydroxide cylinder doesn't  
24 come down there won't be any hydrolysis.

25 CONTI: But those that affect patient

1 safety, not whether you have a final product or  
2 not. That is the difference.

3 CHALY: No, what I am saying is there  
4 won't be any product.

5 CONTI: That is not necessary to monitor  
6 per se. So, it may be critical in terms of  
7 actually producing the drug--

8 CHALY: Then what is critical?

9 CONTI: The safety of the final product--

10 CHALY: What is the critical point of the  
11 synthesis then?

12 CONTI: Efficacy of the final product that  
13 is not satisfied by the end testing.

14 CHALY: No, what I am saying is what is  
15 the critical point in the synthesis then?

16 CONTI: I gave you an example of a  
17 chlorinated species and the fact that if it doesn't  
18 exist--

19 CHALY: Chlorinated things are not found  
20 in all FDGs.

21 CONTI: Well, if you have documented that  
22 and you have a track record, you don't necessarily  
23 have to check that routinely. You may check it  
24 periodically, once a year or maybe once every ten  
25 years. The point is that whatever is not achieved

1 through end testing of the final product that you  
2 are concerned about, that would affect potentially  
3 patient safety, you can design a process control to  
4 look at.

5 CHALY: Can you give some examples of what  
6 are the particular steps in that synthesis?

7 CONTI: I just gave you on, chlorinated--

8 CHALY: That is what I am saying, there  
9 are many synthesizers that are not using hydrolysis  
10 with HCL. When you use that you are expecting a  
11 chlorinated compound.

12 PARTICIPANT: You have to worry about  
13 mannose.

14 CHALY: You have to devise a different  
15 test for that.

16 CONTI: Then your facility deals with  
17 mannose.

18 CHALY: No, what I am saying is there are  
19 not that many particular steps that you can say--

20 CONTI: That is the point, there aren't  
21 that many. That is why we feel end testing is the  
22 way to go.

23 CHALY: Okay.

24 CONTI: Unfortunately, that is not the  
25 consensus. What I am trying to say is that if

1 there are other areas that are important we need to  
2 identify them and focus any process control on  
3 them.

4 DUFFY: I just wanted to comment on the  
5 notion that the only way of defining a critical  
6 process parameter is that which might affect  
7 patient safety. I don't think that is really the  
8 consensus view. Those controls that are necessary  
9 to have the process operate as is intended is  
10 really more to the point, and it is verified  
11 through end product testing. That is the notion of  
12 validation and GMP controls.

13 CONTI: So, is that any different really  
14 than what we are saying? If the end product  
15 testing achieves the same goal, what other  
16 parameters do we have to look at to show that the  
17 process is working?

18 DUFFY: Well, that is something that you  
19 need to determine for your particular process.  
20 This gentleman feels that, for example, the rate of  
21 hydrolysis--the addition of hydroxide is a critical  
22 step to effect the process properly. For your  
23 particular processes you may feel that, through the  
24 validation of your black box for example, you have  
25 covered your bases and you need not then have

1 routine in-process controls. This is something  
2 that you need to determine on your own and have  
3 data to substantiate. That is really all.

4           KEPPLER: But that gets back to my  
5 question. You know, I think we have come full  
6 circle here because it gets back to my question,  
7 why have a process control that looks at a critical  
8 step, which is the temperature of your vial, when  
9 it is not going to change anything? At that point  
10 it is too late to salvage the batch.

11           DUFFY: Well, if you make the  
12 determination that it is not, in fact, important to  
13 observe that, then apparently it is not a critical  
14 operating parameter for in-process control.

15           AXELRAD: I feel like we are going around.  
16 I am sorry I was gone for some period of time, but  
17 somehow we seem to be going in circles here. I  
18 think that we need to get comments. I think we  
19 have been hearing a bunch, and I assume there were  
20 many more while I was gone, on the specific things  
21 in here that we may have identified as critical  
22 parameters or as examples of critical parameters  
23 that people don't believe are critical parameters.

24           I think that, because different PET  
25 centers use different processes, we can't identify

1 for every single process exactly what the critical  
2 parameters are. But we ought to certainly not put  
3 in here something that really is never a critical  
4 parameter, and we ought to be able to give examples  
5 of things that are critical parameters for certain  
6 kinds of processes and maybe explain them in  
7 context of whatever process. If you are using a  
8 chlorination process, this is a critical step; if  
9 you are using hydrolysis, then this is a critical  
10 step; if you are using this other process, we can  
11 give some other examples. Anyway, I think we  
12 should move on because I think we have gone around  
13 and around on this.

14           DUFFY: I would make just one comment just  
15 to close on that, in the approval process you  
16 interact with the FDA staff and it is through that  
17 interaction that an agreement is reached on what  
18 critical process controls are appropriate and what  
19 the batch record is going to look like. So, there  
20 is an opportunity for dialogue and presenting  
21 justification for establishing a particular batch  
22 record and process controls.

23           ZIGLER: Jane, could I ask a question  
24 about batch records? As I read the guidance, it  
25 looks to me like what the intention was is that the

1 master formulary, master control record would be a  
2 very detailed document that would basically  
3 describe everything, whereas the batch process and  
4 control record would be something that would be,  
5 you know, basically the information pertinent to  
6 that particular batch, much shorter and more  
7 concise. Is that the intention? Am I reading that  
8 correctly?

9           URATANI: No, the master production record  
10 actually is a template for the batch production  
11 record. So, essentially they are the same, except  
12 that the batch record will have the executed steps  
13 in when you are actually doing the production of  
14 the batch. Plus, also the batch record normally  
15 includes the testing data, the complete production  
16 of that batch.

17           ZIGLER: So, they would look the same  
18 except for blanks that you would fill out.

19           URATANI: Yes.

20           KASLIWAL: And your batch record will also  
21 contain the results of finished product testing.

22           ZIGLER: Sure. I have another question on  
23 line 1013 where it talks about the sterilizing  
24 filtration. It is on page 23, line 1013. It notes  
25 that you should conduct an integrity test on the

1 incoming filters before release for use, if I read  
2 that correctly. Those filters very rarely fail. I  
3 mean, there are cases of them when they fail but it  
4 would be very difficult to pick one out beforehand.  
5 So, I don't think it would really add anything to  
6 require us to do an integrity test on them prior to  
7 use. Now, once we use them, of course, we test  
8 every one of them and that is where we pick up  
9 problems and undergo reprocessing as necessary.  
10 But I think testing from that lot ahead of time to  
11 release it for use, if I am reading that  
12 correctly--

13 KASLIWAL: I think part of this, if I  
14 remember--I wish Dr. Hussong was here, but in part  
15 it is because you are making sub-batches where, in  
16 order to release the sub-batch, you may not be  
17 doing filter integrity testing to control the lot  
18 of those filters.

19 ZIGLER: I guess I am more thinking along  
20 the lines of an FDG, but that may be the case and  
21 that may be why it came up.

22 URATANI: I think even though in the USP  
23 Dr. Hussong has written, basically that is what we  
24 have incorporated, his draft into our document.  
25 But, for example for FDG, just do the testing after



1 the production because the integrity bubble points  
2 should be able to tell you whether your filter is  
3 integral or not.

4 ZIGLER: Thank you.

5 URATANI: Dr. Barrio, do you have any  
6 comments?

7 BARRIO: Only one, the paragraph that we  
8 have been discussing extensively, you monitor the  
9 process and, therefore, you will ensure that your  
10 product is going to be okay. In fact, what we  
11 normally do is we have a standard operating  
12 procedure and we know how the system works, and  
13 then we do the synthesis and if it doesn't work,  
14 then we go and check the process in order to see  
15 where the problem comes from. In the way it is  
16 written, I mean it is perfectly all right to  
17 monitor all these processes but not necessarily  
18 during the process of synthesis but, rather, if the  
19 synthesis really does not give you what you want.  
20 That is when you go back and find out what your  
21 problem is or came from. Of course, you will be  
22 going through all these processes to find out. For  
23 that, your standard operating procedure is that you  
24 know your system works and everything else and you  
25 don't monitor the system during the reaction; you

1 do it afterwards.

2           URATANI: We hear you. I think the next  
3 one we should go to is the laboratory control.  
4 With regard to laboratory control, we have end  
5 product release test.

6           KEPPLER: Brenda, we skipped control of  
7 components.

8           URATANI: Oh, control of components?

9           KEPPLER: We skipped that section.

10          URATANI: All right, we can do control of  
11 components first. With our new regulation,  
12 proposed regulation testing of solvents and  
13 reagents is not required. Testing of any  
14 commercial sterile pyrogen-free container closure  
15 is not required. We only require visual  
16 examination. Testing of inactive ingredients, if  
17 they are commercially approved drug products,  
18 testing is not required. However, for testing of  
19 components yielding API only specific ID test is  
20 required provided that you have established the  
21 reliability of your supplier. Other tests are not  
22 required provided that you have established  
23 reliability of your supplier. Any questions about  
24 that?

25          HUNG: I have a question about the API.

1 Do you consider fluorinating fluoride as one of the  
2 components that may yield API? If so, on page  
3 17--first of all, we believe it shouldn't be  
4 considered as a component that can yield API but  
5 the example that you give on page 17, lines 728 to  
6 741, in this example you only talk about using COA  
7 without mentioning the identity test. So, I just  
8 want you to clarify the inconsistency there.

9           There are two questions. Number one, do  
10 you consider F18 fluoride as a component that will  
11 yield API?

12           KASLIWAL: In which drug?

13           HUNG: I am sorry?

14           KASLIWAL: Which drug product?

15           HUNG: FDG for example.

16           KASLIWAL: FDG? If you are making sodium  
17 chloride, yes, we will consider that as API. If  
18 you are making FDG, fluoride will be considered as  
19 an intermediate.

20           HUNG: So, if you are talking about making  
21 an FDG you will not consider it as--

22           KASLIWAL: That is an intermediate.

23           HUNG: Okay. Then, on page 17 you  
24 actually list that as one of the examples.

25           KASLIWAL: I guess this is in case you are

1 receiving, not making your own F18 but are buying  
2 it, so you are bringing in a raw material. In that  
3 scenario, because it does yield API it is a  
4 component which gets incorporated structurally into  
5 API, so what we are saying is, as part of the  
6 identity testing, you can use your reaction base as  
7 inactivation of the first batch as the ID test.

8 HUNG: It is my understanding that a  
9 component that would yield API, in addition to the  
10 COA verification, you have to perform an identity  
11 test.

12 KASLIWAL: That is right.

13 HUNG: But in the example there it is  
14 simply talking about examination of COA but there  
15 is no mention of an identity test, on page 17,  
16 lines 738 through 741.

17 KASLIWAL: In the guidance document?

18 HUNG: Yes.

19 KASLIWAL: I think we do write that in the  
20 preamble so maybe we have to look at that.

21 URATANI: Yes, we will look at that. Any  
22 further comments?

23 SWANSON: Yes, I have a comment. Part of  
24 the relief offered by this, and I certainly think  
25 we appreciate it, is the ability to rely on

1 certificates of analyses for many of these things,  
2 yet we do have a problem from many vendors getting  
3 certificates of analyses because of their concerns  
4 that the FDA will actually come back and inspect  
5 them as providing the substance for potential use  
6 in humans. Is there any way that we can get the  
7 FDA's assistance in providing some kind of  
8 notification to these manufacturers to try to allow  
9 us to get more certificates of analyses?

10           DUFFY: Well, it is possible that we could  
11 add some things to the guidance here that provides  
12 some definitions. For example, definition of a raw  
13 material, definition of a starting material, and a  
14 statement that they need not be manufactured under  
15 GMPs. That would probably help.

16           SWANSON: Yes, something that we could  
17 demonstrate to them that would help us get  
18 certificates of analyses and, you know, a certain  
19 statement that that center should obtain assurance  
20 from a vendor that the vendor will report any major  
21 changes in the manufactured item. I mean, we are  
22 not going to get any kind of compliance from the  
23 vendors in that kind of a requirement unless we got  
24 some kind of a relief type of statement.

25           KASLIWAL: Yes. I mean, that was put in

1 there to help you choose a vendor. Understand, we  
2 can't force a requirement if the vendor doesn't do  
3 it.

4 ZIGLER: Brenda, I have a question on line  
5 660. It is on page 15. It says the PET center  
6 should have full accountability and traceability of  
7 each lot. Does that mean that we have to maintain  
8 an inventory of our raw materials as we use them?

9 URATANI: No, you don't need to maintain  
10 an inventory. That is a difference from the 211.

11 ZIGLER: What does accountability mean  
12 there?

13 URATANI: Accountability means that you  
14 have a history of the incoming lot, that you  
15 document which day it is received, where, who is  
16 the supplier, the lot number. Maybe you give your  
17 own lot number, and whether you have done any  
18 examination or testing, and after the examination  
19 or testing you have reviewed those testing results  
20 and say that it is approved for use, that kind of  
21 record. That is all.

22 SWANSON: Another question relating to  
23 establishing reliability of a supplier. This is on  
24 page 16 to 17, lines 722 and 723. You say that the  
25 reliability of the supplier's test results can be

1 established by conducting independent testing and  
2 confirmation of the testing results of the first  
3 three lots of the components received, and at  
4 appropriate intervals thereafter. Does that mean  
5 that we would confirm testing results for all the  
6 specifications listed on the certificate of  
7 acceptance, or only critical ones? Would there be  
8 a different way to establish reliability of a  
9 supplier? For example, if we use that supplier's  
10 materials that routinely resulted in an end product  
11 of appropriate specifications, is that not another  
12 way to establish the reliability?

13 LEUTZINGER: Dennis, I think you are going  
14 to have to determine for yourself what the  
15 particular parameters are that are listed in the  
16 COA that are important. You need to have some sort  
17 of list of specifications or acceptance criteria  
18 that you would use to accept somebody's COA. I  
19 think that is the sort of thing you have to do.

20 SWANSON: So, basically then we would only  
21 test the product for those specific specifications  
22 that we outlined as being the important  
23 specifications?

24 LEUTZINGER: Yes, you need to determine  
25 what that is and that is what you would want to

1 follow, and that would be your standard set of  
2 acceptance criteria, testing acceptance criteria  
3 that you would then go about accepting that  
4 particular lot of material.

5           AXELRAD: Could we give them any guidance  
6 for the materials that we think are critical, what  
7 parts of it we think need to be tested?

8           DUFFY: Well, I am afraid we would have to  
9 have quite a large document to do that. I think we  
10 could possibly add some language that discusses  
11 what Eldon just expressed, which would be simply  
12 that for your particular process the acceptance  
13 criteria can be justified, and that those would  
14 then be verified for acceptance of the COA.

15           CONTI: Another point on that, just to  
16 repeat myself, the end product testing actually  
17 could be used as another way to confirm the COA.

18           LEUTZINGER: No, not necessarily.

19           CONTI: Could be.

20           LEUTZINGER: I mean, that might only do  
21 identity, but there might be something else that is  
22 in that particular lot of material. Maybe it is  
23 not in the COA but you might not be able to detect  
24 it or estimate it.

25           CONTI: You might not even know about it



1 or be able to test it.

2 LEUTZINGER: It is likely not going to be  
3 one of your specifications that you came up with  
4 either.

5 DUFFY: Let me just make it clear that we  
6 don't necessarily agree with you that it meets the  
7 end specifications verifies that all the components  
8 would meet their individual specifications. We do  
9 think that acceptance testing is necessary.

10 SWANSON: That is not true. I mean, you  
11 are saying you need to do identity testing. This  
12 only relates to establishing your reliability in  
13 manufacture.

14 DUFFY: Right, right. Exactly. What I  
15 mean by acceptance testing is the testing that you  
16 do yourself or the COA results that you accept from  
17 your supplier. Do you think we need to clarify the  
18 language here on this point?

19 FERRIS: Aren't you saying that in an  
20 acceptance protocol a COA is, in fact, an  
21 acceptance test?

22 DUFFY: Yes.

23 LEUTZINGER: It is only part of it.

24 CONTI: Identity?

25 LEUTZINGER: Yes. You are talking about

1 establishing the reliability of the supplier. I  
2 mean, once they have been established as reliable  
3 you wouldn't have to go through full testing every  
4 time you accepted that lot. You would only do  
5 identity testing and use the COA because then you  
6 would have confidence in that particular supplier  
7 to deliver a product that you knew would meet the  
8 acceptance criteria that you were expecting.

9           FERRIS: So then the next lot that came in  
10 from that supplier, if you just did three runs and  
11 everything was peachy, that is not acceptable as  
12 independent confirmation?

13           LEUTZINGER: Sure. I mean, that is the  
14 beginning. We are talking about establishing the  
15 reliability of the supplier to begin with, and once  
16 they have been established as reliable, then you  
17 are not going to have to go through full testing  
18 every time that you accept that lot. All you are  
19 going to have to do is use the COA and do an ID  
20 test.

21           SIMPSON: Norm Simpson, Columbia  
22 University. Since we have the internet, could not  
23 a facility do the testing and then broadcast that  
24 across the net that we can all accept and refer to  
25 that certificate of analysis?

1           KASLIWAL: Absolutely, it can be done  
2 centrally. We have said that all along.

3           URATANI: If there are no more questions  
4 with regard to this for the time being, we would  
5 like to move on and then we will take a short break  
6 after.

7           FEINMAN: I just want to make one point  
8 about the raw material. My name is Nate Feinman.  
9 I am with NF Chemical. We supply a COA that  
10 includes the isotopic analysis and a chemical  
11 analysis. Really, the only item that is going to  
12 be of immediate interest is the O-18 in content,  
13 which is normally 95 percent minimum. Beyond that,  
14 I mean it could be 80 percent minimum because it is  
15 really a function of the PET center. But we do  
16 provide it as 95 percent and that is the only  
17 criteria that is required today, and nothing more.

18           URATANI: With regard to the O-18 model,  
19 we think that the concurrent identification with  
20 product is acceptable.

21           KASLIWAL: He is talking about the content  
22 of COA. He is saying the only thing that they are  
23 reporting is--correct me, is enrichment?

24           FEINMAN: No, we are reporting the  
25 complete isotopic analysis and a complete chemical

1 analysis. But the only point that would be of  
2 interest in today's world is the 0-18 content,  
3 nothing more. You are not going to come to me,  
4 most likely, and say, gee, what about the fluoride  
5 content or the iron content because it is really  
6 not of any interest at this point. I am not saying  
7 it couldn't be down the road as we are looking at  
8 all these parameters, but the most important  
9 parameter or the only parameter that is the 0-18  
10 content and the fact that it makes FDG is the  
11 validation.

12 DUFFY: That is really a point that was  
13 discussed earlier, having to do with establishing  
14 those acceptance criteria which are critical for  
15 your particular process. Yes, you are right that a  
16 more limited set of acceptance criteria would be  
17 important for the particular PET manufacturer, but  
18 you apparently choose to have a more comprehensive  
19 COA for some of your customers that may need it.  
20 Each individual needs to establish those criteria  
21 that are important.

22 CHALY: Thomas Chaly from Northshore. On  
23 page 23, 1022, environmental and personal  
24 monitoring, environmental monitoring is crucial to  
25 maintaining aseptic conditions. Microbiological

1 testing of the aseptic workstation should be  
2 performed periodically. Can you clarify that more?  
3 Can you explain that, elaborate that?

4 AXELRAD: Sorry, what lines?

5 CHALY: Page 23, 1024.

6 URATANI: Do you want to clarify?

7 CHALY: How often do you have to do it and  
8 what do you expect from us?

9 URATANI: I think you should make your  
10 determination. You know, I would say maybe once  
11 every two weeks at least.

12 CHALY: Once every two weeks?

13 URATANI: Yes. Also, depending--yes, I  
14 think once every two weeks.

15 CHALY: Because you certify every six  
16 months the laminar flow hood from outside vendor  
17 and, you know, if we have to do this--

18 URATANI: No, I am talking about the  
19 microbiological monitoring.

20 CHALY: No, I am talking about the  
21 environment. I am talking about the laminar flow  
22 hood. You want to do that on a regular basis? Is  
23 that what you are saying?

24 URATANI: Not regular basis, periodically.

25 CHALY: How often?

1                   KASLIWAL: I think you might want to refer  
2 to the USP chapter and that actually says weekly,  
3 believe it or not.

4                   CHALY: I think that is a little too much.  
5 Weekly testing--

6                   KASLIWAL: But I think the bottom line is  
7 you design your program that works for you. If you  
8 have established procedures--

9                   CHALY: Can we have it established  
10 according to our SOP?

11                   PARTICIPANT: [Not at microphone;  
12 inaudible].

13                   AXELRAD: If that is all on contents and  
14 composition, we are going to take a very short, I  
15 hope five-minute break and then reconvene.

16                   [Brief recess]

17                   AXELRAD: I understand that some people  
18 have flights to catch, and what I think I would  
19 like to do is spend a few minutes on sterility and  
20 pyrogenicity which, I gather, hasn't been covered  
21 yet. Then I want to cover the IND research issue.  
22 Then we can go back and pick up any of the other  
23 issues in the guidance that haven't been covered  
24 yet, but I know that some of the people from AMI  
25 have flights to catch and so they would like to be

1 here for the IND and research discussion. So, if  
2 that is an okay plan, why don't we do sterility and  
3 pyrogenicity testing?

4 URATANI: Any comments on sterility and  
5 endotoxin tests?

6 AXELRAD: Somebody identified that but  
7 maybe they left.

8 BROWNLEE: I am Jan Brownlee, from SYNCOR.  
9 In the USP it says that if you are doing multiple  
10 runs in one day you can do one sterility test on,  
11 say, the first batch and that that would then  
12 indicate the sterility of the other batches done on  
13 that same day, assuming that all of the parts and  
14 all of the other conditions stay the same. Would  
15 it be possible then to do that with the production  
16 of PET drugs, like FDG? If you are doing three  
17 runs, to just do sterility testing on the first run  
18 that was done that day?

19 URATANI: I think you will have to submit  
20 it in your application and if it is approved, then  
21 that will be okay.

22 KASLIWAL: I think the key is it is  
23 possible to do that; I think we did write it that  
24 way, but which batch you will test has to be  
25 defined in your application. So, that batch has to

1 be defined, that you are going to do the very first  
2 batch or you are going to do the last batch. What  
3 you cannot do is today you are going to do the  
4 second batch; today you are going to--you can't do  
5 that kind of thing.

6 BROWNLEE: As long as we are consistent.

7 KASLIWAL: Right, and that has to be  
8 defined in your application.

9 BROWNLEE: The second question is that in  
10 the current proposed regulation you are saying that  
11 the sterility test has to be initiated within 24  
12 hours of the run. Sometimes when you are doing a  
13 run on Fridays, this is a little bit impractical  
14 and in some cases puts the operators at risk  
15 because then they are going to have to handle very  
16 hot material within those 24 hours, whereas if they  
17 could wait--you know, they would have to come in on  
18 Saturday and do it too, but if they could wait  
19 until Monday since this is really a test that you  
20 are not going to have results for 14 days anyway,  
21 it is already in the patient, is there really any  
22 harm in waiting till, say, Monday to initiate that  
23 sterility test for the batch that was made on  
24 Friday? Could you wait until the next work day?

25 KASLIWAL: We were asking how long can you



1 wait.

2 BROWNLEE: It is not going to change the  
3 outcome. You can't recall it in either case.

4 KASLIWAL: Right. The schedule is  
5 something that is going to be approved as part of  
6 your application, but I understand your comment and  
7 I think we can look at that.

8 AXELRAD: We are going to have to talk to  
9 David Hussong, the sterility expert who was  
10 involved in writing this part of it, but we can  
11 look into that.

12 BROWNLEE: Okay. Another question we had  
13 is that it said that if the sterility testing fails  
14 you have to do immediate notification to the  
15 receiving facility. It has been our experience  
16 that rarely is it the product that is not sterile,  
17 usually it has been something in an operator's  
18 technique and, therefore, we would wonder if you  
19 couldn't do notification following investigation  
20 and determination of whether or not it is really  
21 the product that is not sterile or was it something  
22 else before you did notification. Could you wait  
23 until you have done your investigation?

24 URATANI: No, I think you should notify  
25 them right away. It might take you a while to do

1 the investigation to find out what is wrong, or you  
2 might never find out what is wrong.

3           BROWNLEE: Yes, but you have already  
4 probably got seven days. In either case, again,  
5 the outcome is going to be pretty much the same.

6           URATANI: We still think it is prudent for  
7 you to notify the receiving facility right away.  
8 Of course, maybe nothing can be done because it is  
9 already administered into the patient.

10           AXELRAD: You might be able to be on the  
11 lookout earlier for problems that might have  
12 arisen. I mean, the longer you wait the harder it  
13 is to follow-up.

14           BROWNLEE: You don't know at that point  
15 whether or not the product was not sterile.

16           DUFFY: Typically, when a sterility test  
17 comes out positive, usually specification is  
18 accomplished and that might be useful for the  
19 physician in looking for signs and symptoms and  
20 possibly prescribing an appropriate treatment.

21           BROWNLEE: Right. We do those things but,  
22 again, you are not going to get those results  
23 immediately and so you are going to notify the  
24 receiving facility but you are not going to have  
25 any real information for them until after you have

1 done your investigation.

2 DUFFY: You are correct on that point.

3 Think of it just as limitations of the particular  
4 test.

5 BROWNLEE: Okay.

6 HUNG: I have a question about the pyrogen  
7 test on page 27. The guidance mentions the USP  
8 General Chapter 85, the bacterial endotoxin test,  
9 and I know that a 20-minute test is not mentioned  
10 in that particular chapter, although the 20-minute  
11 test is mentioned in chapter A23, the compounding  
12 of PET drugs. So, I am wondering whether chapter  
13 A23, specifically talking about the 20-minute  
14 pyrogen test, would be recognized by the FDA.

15 KASLIWAL: Yes, I recall a long discussion  
16 on that USP. I think our view is that the USP  
17 chapter 85, the full-fledged pyrogen testing, is  
18 the regulatory method. Now, if you want to do a  
19 20-minute test for your own assurance, it is fine  
20 to do it but I am not sure what the regulatory  
21 significance is here.

22 DUFFY: Let me add a little bit of insight  
23 to that, you would establish in your application a  
24 set of acceptance tests, acceptance criteria  
25 procedures that describe the specification. That

1 constitutes what we refer to as the regulatory  
2 specification. Now, you may choose to use what we  
3 refer to as alternate tests provided that you have  
4 some demonstration that the test is essentially  
5 equivalent or better. So, if you have another  
6 method which might be more amenable to automation,  
7 is faster, possibly there is a cost implication but  
8 it is equivalent in terms of its capability, then  
9 it is appropriate to use that alternate method.

10           AXELRAD: You are asking us about a  
11 specific USP--

12           HUNG: Yes, I know.

13           AXELRAD: --and the question is what do we  
14 think about that in the context of what we know  
15 about PET.

16           DUFFY: I was trying to give it a more  
17 general spin. For A23 quick test, if you  
18 demonstrate it to be equivalent to the 85 test,  
19 that is fine.

20           AXELRAD: We can ask David about  
21 mentioning it in the guidance based on his  
22 knowledge of it too.

23           ZIGLER: Also, in the approved application  
24 for FDG, that includes a 60-minute test but also  
25 releases the product prior to the completion of

1 that test. So, is that another example of a place  
2 where you would put that in your application and  
3 then demonstrate it at that point?

4 DUFFY: That is correct. We will consider  
5 adding some wording.

6 ZIGLER: Thank you.

7 WATKINS: Len Watkins, from the University  
8 of Iowa. The levels that we test are 0.6 EU/ml,  
9 which approximates two orders of magnitude below  
10 the acceptable limit of 175. In a 20-minute test  
11 we are dealing with an exponential--if you can see  
12 it in 20 minutes it is still going to be well  
13 within acceptable limits. I think the 20-minute  
14 test should be accepted.

15 KASLIWAL: So, you think a 20-minute test  
16 while you have your 60-minute test is still going  
17 on?

18 WATKINS: Correct.

19 KASLIWAL: Okay.

20 WATKINS: We use this as release criteria.  
21 Twenty minutes is the normal time it takes to do  
22 most of the tests for FDG and you can do all the  
23 tests. You are just adding on 40 minutes totally  
24 unnecessarily because if the thing is going to be  
25 positive, it is going to show up in 20 minutes.

1 KASLIWAL: Yes, I think that is fine.

2 WATKINS: It doesn't make an unacceptable  
3 product; it may not be as good as you would like.

4 KASLIWAL: Right. I mean, you have two  
5 provisions not to do 20 minutes at all, and since  
6 you want to do 20 minutes, that is better than not  
7 doing it.

8 WATKINS: Several years ago we did some  
9 work, and I have mentioned this in previous  
10 meetings, particularly in FDG where you have  
11 laminar columns. These are very efficient at  
12 pulling out endotoxism. So, unless you have a huge  
13 amount it is not very likely you will have  
14 endotoxin contamination.

15 COOPER: On this subject, let us not  
16 forget that chapter 85 also has photometric tests  
17 that can be completed in 15 to 20 minutes.

18 WATKINS: I would like to make a comment  
19 on sterility. I have a paper where I have looked  
20 at 30 consecutive batches and have done bioburden  
21 studies, and in 28 out of the 30 I think there is  
22 absolutely nothing that shows up, and a minor  
23 amount in the other two. So, the amount of  
24 bacteria that are being exposed to the final filter  
25 is negligible. Then we are doing bubble point

1 tests to prove that the filter is okay. With the  
2 sterility tests afterwards we are concerned about  
3 times. Unless we do it the very second after we  
4 get the batch to make sure we get the  
5 shortest-lived species, this test doesn't really  
6 mean very much. We can still do it but I think  
7 testing it within 36 hours would be perfectly  
8 acceptable.

9           URATANI: I just want to make one  
10 clarification to the previous question with regard  
11 to sterility and whether you can just test the  
12 first batch. I neglected to say on your track  
13 record for sterility tests, if you have a good  
14 track record and what we are allowing here is also  
15 subject to review and approval. This is in  
16 agreement with what is in the USP.

17           SWANSON: Just a comment, you know, a lot  
18 of your release requirements address the things  
19 that need to be done prior to release, and they all  
20 say with exception of sterility. Certainly, since  
21 these requirements are going to be written for all  
22 PET drugs and we don't know what is going to happen  
23 down the line, it is going to be very difficult to  
24 complete the full official one-hour pyrogen test  
25 for many of the PET drugs, especially C-11 etc.

1 So, it is something again that our guidance  
2 document may want to get into and certainly  
3 consider looking back at some kind of shorter test  
4 methods, a photometric method or 20-minute release  
5 test, or something in there.

6           AXELRAD: Our guidance document can  
7 certainly recognize that there are other things out  
8 there that may, for various reasons, like shorter  
9 half-life, require a completely different system  
10 here, and we can certainly do that. One of the  
11 nice things about a guidance document is that it  
12 can be revised more quickly if one of these other  
13 drugs comes into more wide use, or when we identify  
14 issues associated with it the guidance document can  
15 be relatively easily changed to reflect that. We  
16 don't have to go through the whole rule-making  
17 process which takes a lot longer.

18           SWANSON: If you consider this easy.

19           AXELRAD: Yes, well, once we get it out  
20 there, changing it, hopefully, will not be quite as  
21 difficult as getting it out there in the first  
22 place.

23           BUDINGER: I am Tom Budinger, from  
24 Berkeley in San Francisco. I am worried about the  
25 last comment because there are some generators that



1 are even far more of a problem in terms of  
2 sterility. We haven't been using them recently.  
3 The likelihood is that we might start using them  
4 pretty soon. I-122 generator. So, now we are  
5 talking about a three-minute half-life from a  
6 20-hour precursor. The 20-hour precursor is  
7 xenon-122, shipped from Canada, shipped across the  
8 country and it could even be shipped from Europe.  
9 We are familiar with the copper zinc generator.  
10 That is nine hours and--what?--ten minutes. Do I  
11 have that backwards? Anyway, I am making my point  
12 that there are generators other than the old  
13 rubidium generator that are likely to come into  
14 use. There are about 20 generators that one could  
15 conceive of using. So, I would hope that in the  
16 rules we could address that forthwith and not wait  
17 around until we have some problem with these  
18 generators because we know that they are there. We  
19 have all used them. They just aren't being used  
20 that much.

21           AXELRAD: What a perfect segue into the  
22 issue of research and INDs. I think we can address  
23 it forthwith by segue-ing right now into a  
24 discussion. This is written, as everybody has sort  
25 of recognized, with the understanding of FDG and

1 the few other more widely used--M13 and sodium  
2 fluoride that are more widely used PET drugs now.

3 I think there is the whole other question  
4 about whether these kinds of requirements should be  
5 the same requirements that are imposed on drugs  
6 that are just in research versus drugs that are  
7 going to be studied in humans under an IND. We are  
8 not really prepared to discuss here the whole issue  
9 of which drugs are going to be done under RDRC and  
10 which drugs need to be done under IND. For  
11 application requirements that is a separate  
12 discussion, but what I would like is to hear from  
13 you all about the problems that applying this to  
14 research drugs or drugs under an IND would present  
15 to you, that are different or unique and different  
16 from the problems that we have already discussed  
17 this morning and earlier this afternoon about the  
18 guidance as it applies to FDG and other drugs that  
19 we can perceive being approved for relatively  
20 widespread use in the near future.

21 CONTI: I made a comment earlier and I  
22 want to just repeat myself a little bit, and also  
23 expand on it. I think the CGMPs, as such and  
24 probably with subsequent modifications, are going  
25 to be applicable to drugs that have a fairly

1 established track record of production across  
2 facilities, with widespread familiarity with  
3 intimate details of synthesis and many publications  
4 on the clinical utilization.

5 I think that scenario is very different  
6 than the new radiopharmaceutical that may be under  
7 an IND, being investigated at one or maybe two or  
8 three institutions who all use a different  
9 synthetic procedure; the control systems and  
10 suppliers are all different, and things like this,  
11 where conducting those clinical trials under any  
12 type of rigorous CGMP protocol would just not work  
13 and, frankly, has not been necessary over the many  
14 years that we have been doing these types of  
15 radiotracers under IND.

16 I think we more or less feel, as a  
17 committee at least and certainly the audience can  
18 participate, but I think the committee basically  
19 has a consensus that when we are at the point where  
20 we are going to write an NDA for a new  
21 pharmaceutical it would be reasonable for us to be  
22 coming into some sort of CGMP compliance in order  
23 to achieve that NDA goal, and that may be in a late  
24 Phase III trial with a new radiopharmaceutical or  
25 something of that nature where you are now dealing

1 with multiple institutional trials and there needs  
2 to be some standardization in terms of the chemical  
3 process, how the material is handled etc., etc.

4 But before that, there really is no need,  
5 at least in our opinion, to have a CGMP type  
6 process when, in fact, you have so many variables.  
7 That is a rather general statement but I think it  
8 is pretty much true across the board.

9 AXELRAD: Let me just turn that around and  
10 say perhaps when you know so little about the  
11 process and you have so many variables, that would  
12 be a more appropriate time to have some sort of  
13 CGMP because you don't know what is happening; you  
14 don't know how the product is going to behave; you  
15 don't know whether there are problems that could be  
16 associated with getting mix-ups in components and  
17 things like that.

18 Also, let me sort of turn it around and  
19 say, okay, are you proposing nothing, that there  
20 would be no GMPs for research INDs, or could you  
21 foresee some modified form of GMPs, that there  
22 ought to be perhaps some kind of controls on  
23 research and INDs, maybe not here but something?  
24 If so, what would that look like?

25 CONTI: I think, again, we are in

1 agreement that we have some sort of control. There  
2 are some sort of criteria that we would have to be  
3 able to provide this for human use. As I said, we  
4 have a very long track record of using  
5 radiopharmaceuticals under IND for many, many years  
6 in a relatively safe environment, I would think,  
7 and FDA has participated, clearly, in approving  
8 those INDs and has done a fairly good job in terms  
9 of weeding out those that are not necessarily safe  
10 over the years.

11 I think, again, we are also looking at it  
12 in a very focused fashion when we are doing this  
13 new drug development. We are looking more  
14 carefully at processes in order to improve  
15 production capability. We are trying to optimize.  
16 We are trying to determine whether there are side  
17 effects of these drugs under these types of  
18 scenarios and under an IND.

19 So, I think we have a little bit different  
20 focus compared to the routine clinical use of a  
21 radiopharmaceutical that is an approved drug. So,  
22 I think we agree that there needs to be some level,  
23 but certainly not to the level that we are talking  
24 about for these approved pharmaceuticals.

25 DUFFY: Let me offer just a little bit of

1 explanation. Go ahead.

2 CROFT: I am Barbara Croft, from NCI. I  
3 wanted to say we are starting a virtual drug  
4 company. So, we are quite concerned about this  
5 because we will be paying, the U.S. taxpayer will  
6 be paying for toxicology, pharm. tox., things of  
7 this kind for these drugs. Now, at what stage do  
8 we say this is the drug that will actually given--I  
9 hate the word drug, by the way, in connection with  
10 these things, but this is the drug that will  
11 actually be given to the patients, and step across  
12 that line from whatever this is that is not CGMP  
13 into the CGMP world and still have the proof that  
14 what we just spent our money on and your money on  
15 actually is the material that is going to go in the  
16 vial in the real process down the line in Phase I  
17 and Phase II testing? It worries me a lot to say  
18 sure, it is fine; you can go non-CGMP up to a  
19 certain point, but how do we know it is the same  
20 stuff, and how will you know, and how will you  
21 assure us that you know that it is the same stuff?  
22 If we can't prove it is the same stuff, we have to  
23 start over. And, I would rather start from the  
24 first correctly than starting over because starting  
25 over costs twice as much money, maybe three times.

1           BARRIO: Barbara, the point is not related  
2 to the quality of the product you will be injecting  
3 into humans. Definitely--

4           AXELRAD: Why isn't it? I don't  
5 understand why you say that it has nothing to do  
6 with the quality of the drug.

7           BARRIO: Well, let me raise some points.  
8 For example, RDRC and IND requirements at this  
9 point indicate that you have to describe your  
10 process well. You have to indicate your quality  
11 control, chemical purity, radiochemical purity and  
12 all the variables that are necessary, and they are  
13 normally described in USP monographs, for example.  
14 But, for the most part, the synthesis of these  
15 radiopharmaceuticals under RDRC or, perhaps less  
16 likely, under IND or advanced INDs are not  
17 optimized yet.

18           I don't know of anybody who will  
19 synthesize a compound for the first time and  
20 produce two curies of it. We only need ten  
21 millicuries to do a few studies. And, we can  
22 discover after, you know, a few human studies that  
23 this is not a compound we would like to use. Then  
24 we have to jump to a different one, and so on and  
25 so forth, until in this family there will be one or

1 two that we may eventually use. Then, these are  
2 the ones that will progress into the IND system.  
3 Then appropriate clinical trials are conducted and  
4 the synthesis is optimized then, at that stage, we  
5 apply the CGMPs in full as they are applied here.

6           We are not saying that CGMPs shouldn't be  
7 applicable. What we are saying is that CGMPs  
8 should not be applicable in the same way that they  
9 are applicable to drugs in the clinical domain. I  
10 think that is the only thing I am saying. In no  
11 way, absolutely no way, would we want to compromise  
12 the quality of a compound. I think that these  
13 CGMPs should, absolutely should assure the quality  
14 of the compound to be the highest possible.

15           HUNG: To take the same kind of approach  
16 to a non-PET radiopharmaceutical in terms of IND  
17 applications, as long as we just follow the IND  
18 application package, the requirements and that kind  
19 of stuff, there is really no need to requirement  
20 the CGMP for that.

21           AXELRAD: There are people in the audience  
22 I think, radiopharmaceutical manufacturers who  
23 could speak to this and I would appreciate it if  
24 anybody would be willing to talk to it. But CGMPs  
25 do apply to INDs for commercial



1 radiopharmaceuticals. You don't have a master  
2 production record and a batch--you don't have all  
3 the elaborate kinds of things that you have when  
4 you get an approved drug product with a finished  
5 dosage form, but there is a modified form of CGMP  
6 that is put in place for those radiopharmaceuticals  
7 and that is what we are trying to get at here, what  
8 is the modified form of CGMPs.

9           I think we felt that a lot of language  
10 that we put in the regulations and in the guidance  
11 document that says it depends on what you are  
12 doing; it depends on the process; you have to  
13 identify the criteria parameters yourself--all of  
14 those things are things that are specifically  
15 designed to build in enough flexibility that could  
16 apply so you can decide what kinds of controls are  
17 necessary if you are doing a bunch of different  
18 small batches of research drugs, or you are doing  
19 an IND batch, or whatever. It gives you the sort  
20 of flexibility in the regulations and the guidance  
21 that allows you to scale down the GMPs to the kind  
22 of operation that you are doing.

23           But I would really like to hear from  
24 anybody in the audience who would like to speak to  
25 how they do it for a commercial radiopharmaceutical

1 manufacturer.

2 CLANTON: Jeff Clanton, Vanderbilt  
3 University. One thing that seems to be  
4 disconnected here is that they are talking about  
5 physician-sponsored INDs and you are talking about  
6 commercial-sponsored INDs, a really different ball  
7 game.

8 AXELRAD: But I am talking about a PET  
9 production facility and I don't understand what  
10 that has to do with the quality of the product.  
11 The IND GMP requirements are designed to ensure  
12 that there is a good quality product that is  
13 injected into the patient, the first time it is  
14 injected into people. Commercial manufacturers may  
15 be making very small amounts of  
16 radiopharmaceuticals or experimenting with many  
17 different kinds of radiopharmaceuticals to decide  
18 which one they want to go forward with and get an  
19 approved application. They put certain controls in  
20 place to ensure the quality of the product that  
21 they are producing, and the question is what are  
22 those controls, and why are they not applicable if  
23 it is a PET production facility that is doing the  
24 same thing, regardless of who is sponsoring the  
25 IND? We are not really talking about who sponsors

1 it.

2           Again, I am not talking about whether you  
3 can or whether it can be, the question is what  
4 kinds of controls are necessary to ensure the  
5 quality of that product regardless of who is  
6 sponsoring the application.

7           BARRIO: This problem doesn't apply to us,  
8 from what I know. I think it would be the first  
9 time that academia would be subject to a situation  
10 like this.

11           AXELRAD: All drugs are under this  
12 provision. In fact, drugs used for other purposes,  
13 not just diagnostics, things like for urologic, if  
14 you even have a physician-sponsored IND using an  
15 already marketed drug, the marketed drug has its  
16 GMPs covered by the manufacturing, but if you are  
17 going to make it yourself you have to provide those  
18 controls in your laboratory. It doesn't have to be  
19 for an imaging agent.

20           CLANTON: [Not at microphone; inaudible].

21           BARRIO: Not through CGMP in an academic  
22 environment. That is a different situation. But  
23 let me point to one issue. For example, rarely, if  
24 at all, do we use automatic systems when we start a  
25 process of development of PET pharmaceuticals.

1 Number one. We use manual, semiautomatic systems  
2 that we can adapt to improve or change completely  
3 soon enough. It is not an established process many  
4 times. We are trying to improve the yield or  
5 modify it, or whatever, but we absolutely always  
6 check--we always did that, check the quality of the  
7 final product before this is injected in humans.

8 I think what makes it difficult, Jane, to  
9 apply it in a way that we do it with established  
10 radiopharmaceuticals is that we may be also in the  
11 process of modifying something after it goes into  
12 operation, going from semiautomatic to automatic  
13 and certain things like this that, you know, will  
14 need to be changed or may need to be changed or  
15 will be changed. Yields will be lower initially  
16 and we will try to speed it up, depending on what  
17 kind of compound we have. Then the process is not  
18 necessarily stable in the way we design; the  
19 quality doesn't change. I think it is an issue of  
20 process more than of quality of the product.

21 WALTZ: Debbie Waltz, from the University  
22 of Pennsylvania. I think I speak a lot from my  
23 background in the pharmaceutical industry where I  
24 spent 17 years in quality assurance, and I have  
25 been at Penn for a relatively short period of time,

1 about eight or nine months now. So, it is  
2 interesting to me, the difference between an IND if  
3 it is investigator sponsored or pharmaceutical  
4 sponsored because the whole point of the IND, the  
5 main components of the IND are sufficient animal  
6 model characterization for the toxicity to show  
7 that it is safe to go into humans, and the CMC  
8 section, which is your manufacturing controls. The  
9 CMC section, those requirements are to adhere to  
10 the spirit of GMPs, manufactured under GMP  
11 conditions in a GMP, you know, facility that is  
12 sort of honoring the cleanliness, the  
13 characterization of the drug, the ability to  
14 reproduce the drug, the same drug. You know, you  
15 need to have the stability well characterized of  
16 the drug before you go into man. So, the fact that  
17 you are adjusting your process to produce the same  
18 compound twice, you are still working those aspects  
19 out, at that point you are probably not ready for  
20 the IND yet. I mean, until you can do it twice.

21           Then, you know, the whole point of the IND  
22 is to have your standards put down, assembled into  
23 the document to give the FDA the opportunity to  
24 comment back, yes, we agree that this is robust or,  
25 no, it is not.

1           BARRIO: I think this has nothing to do  
2 with how robust the system is, it is the fact that  
3 you don't care to go and increase your yield to  
4 limits that are not necessary at the time, and you  
5 don't care about having an automatic system for the  
6 synthesis for a radiopharmaceutical for you don't  
7 know how long you are going to study.

8           WALTZ: I mean, it comes down to you are  
9 trying to produce a drug that is safe to put in  
10 humans.

11          BARRIO: Right, and it is.

12          WALTZ: To the extent that you are able to  
13 do that, those are the elements that go into making  
14 [not at microphone; inaudible].

15          BARRIO: That is absolutely right.

16          CONTI: One of the things we have to learn  
17 around here is that we do things a little bit  
18 differently than traditional pharmacy. That is why  
19 for years we have been arguing that we are not  
20 really drugs; we are different. That is why we  
21 test all of our drugs at the end. That is  
22 different than lot or batch testing. We are  
23 different. When that sinks in we will be able to  
24 move ahead.

25          WALTZ: I understand that we don't have a

1 pharmacologic effect.

2           CONTI: You need to spend more than eight  
3 months at Penn.

4           KEPPLER: I do want to make one comment,  
5 and I think Jane may have missed it earlier, and I  
6 haven't seen this but if the ICH document for GMP  
7 really has this statement that--the woman from  
8 SYNCOR may be able to help me, that process  
9 validation is not necessary when you are able to  
10 test the full output. Certainly, with these  
11 compounds that is the issue. You are running an  
12 HPLC on the full output. So, to validate changes  
13 in your process before you know whether or not they  
14 are going to work, that is where the problem comes  
15 in, especially when you are doing full output  
16 testing.

17           HARTIG: My name is Per Hartig. I come  
18 from the Uppsala University Pet center. To me, I  
19 think this discussion about different regulations  
20 for big and small, company or routine is a little  
21 bit confusing because, of course, when you are  
22 starting you will have a strategy for how to  
23 validate your tracer, and that is if it is  
24 endogenous compound, a new drug or whatever it is.  
25 You have to have some knowledge about what it is

1 doing. You have to test it in animals. Of course,  
2 you have to put up all the quality procedures so  
3 that you will have the same safety as when you are  
4 giving FDG for the thousandth time as when you are  
5 giving this new drug for the first time. I think  
6 it is absolutely our responsibility to put up the  
7 same demands on the new compound as we do for one  
8 that we have done for ten years.

9 CHALY: Thomas Chaly, from Northshore  
10 University Hospital. There are a lot of problems  
11 with research compounds. First of all, the  
12 materials are not standardized. I can take an  
13 example. We have been making fluoro for a long  
14 time. There are people who use different  
15 methodologies and there are probably nucleic  
16 substitutions. There are no established black  
17 boxes available to make these compounds.

18 So, this is not standardized. We are  
19 still trying to improve the yield. If you write  
20 something right now, tomorrow you are going to  
21 change that. So, having CGMP for these kinds of  
22 compounds will be very difficult.

23 AXELRAD: I think one of the problems with  
24 this seems to be semantics. To you, you seem to  
25 think--collectively, everybody who is commenting on



1 this, that CGMPs means final release  
2 specifications, in-process specifications, process  
3 validation of every step, process validation of the  
4 software, process validation of the box. For IND  
5 drugs, obviously, since you don't have a synthesis  
6 box; since you don't have a final product, we mean  
7 something other than that. The question is, is  
8 there a way of closing in? I mean, I am sure we  
9 will have to have at least one more meeting and  
10 maybe several, but I need you all to come and  
11 suggest to us something short of nothing, short of  
12 just trust us; it has been working fine all along.  
13 What is there, somewhere in the middle, that you  
14 would be willing to agree that everybody should be  
15 held to in terms of GMPs for research and INDs?

16 CHALY: Suppose a lot of people are using  
17 chloral hydrate and we should establish what can be  
18 done for that particular compound. Maybe another  
19 compound not many people are using, maybe one or  
20 two institutions are using this. So, what are we  
21 going to do with those kinds of compounds?

22 AXELRAD: Well, the patients who are  
23 taking those compounds are just as entitled to  
24 getting a quality product as the people who are  
25 taking FDG.

1           CONTI: And the physicians who are giving  
2 it believe it is a quality product.

3           AXELRAD: Drug companies who are making  
4 their drugs and testing them under INDs, the deal  
5 is that we don't just trust everybody to do the  
6 right thing and make sure there is a quality  
7 product. We make sure through regulations that  
8 everybody is making something that is a quality  
9 product.

10          CHALY: I think FDA has to trust these  
11 institutions like the way you trusted us for FDG  
12 for the last so many years. So, we are coming out  
13 with new things for these compounds and we will  
14 improve it, and at that time we should have a CGMP.

15          BARRIO: Jane, you are absolutely right.  
16 I think in great measure what is going on here is  
17 semantics. I don't like the implication coming  
18 from the fact that if we don't do certain things  
19 the quality of our products is going to be poor or  
20 low. This is absolutely, completely not the  
21 argument.

22          I remember that when we started all these  
23 discussions about CGMPs, the agency got the  
24 impression that the PET community was just mixing  
25 and injecting people without having any quality

1 control simply because we rejected the notion of  
2 CGMPs that was so foreign to us. I think the same  
3 implication exists here. Like, you know, you don't  
4 want to subject yourself to CGMPs, therefore, you  
5 want to inject anything. But that is not true;  
6 absolutely not true. There is not a single  
7 researcher I know, and I am going to mention this  
8 with great passion because we have done this for  
9 the last 25 years, there is not a single researcher  
10 I know that will inject second-class compounds of  
11 radiopharmaceuticals to people. Certainly, we are  
12 not lawyers. We are bad lawyers, if anything. We  
13 don't want to subject ourselves to certain kind of  
14 things, but this is not the danger; the danger is  
15 not to say that we don't know what we are  
16 injecting, and this is something that should be  
17 clear.

18 I think Jane is absolutely right. I think  
19 some implication of my comments and ones made over  
20 here is that, well, then the quality of the product  
21 can be compromised. Please, please be assured that  
22 this is not the case. The only thing we are saying  
23 is that if we are going to apply CGMPs during the  
24 process of development, we have to have the  
25 flexibility you just mentioned. We don't have an

1 automatic system. We don't have a bunch of things  
2 that probably would be inappropriate to validate,  
3 or to check, or monitor in comparison to something  
4 we have seen for 25 years and is everywhere for  
5 clinical use. I guess that is what the point is.

6           AXELRAD: But maybe if you could share  
7 with us what you do have, I mean, what do you have  
8 and what do you use to assure yourself of what you  
9 just said, which is that products are of high  
10 quality and you are not just mixing up anything?  
11 What do you use to assure yourself of that?  
12 Perhaps if we could get a better understanding of  
13 what you, yourself, are relying on to make the  
14 statement that in, and of itself, is the GMP.

15           BARRIO: Anything you do for FDG, any  
16 quality control you perform for FDG you perform  
17 with any radiopharmaceutical that you will inject  
18 into people under RDRC, IND or whatever. Those are  
19 exactly the same requirements, exactly the same  
20 idea.

21           PARTICIPANT: On 100 percent of what you  
22 make.

23           KEPPLER: Yes, I think that is the issue,  
24 Jane. I don't think we have, especially on this  
25 issue, moved any further than we were at the very

1 start. I think that the community, certainly the  
2 folks that are taking this position, don't feel  
3 that all of the process validation and the process  
4 control steps are going to impact the quality of  
5 the drug. So, that is why we are resistant to  
6 those things because they wouldn't allow us to  
7 develop the drugs.

8           You know, on a large scale we can  
9 understand the need for process controls, and that  
10 is why we have gotten as far as we have gotten, but  
11 through this process we are testing the full output  
12 of every batch for sterility, pyrogenicity, drug  
13 quality, purity through HPLCs. I mean, everything  
14 is tested on every ounce before it goes into a  
15 patient.

16           AXELRAD: What about component control?  
17 Forget about process validation for now and set  
18 that aside. If you are making 10 or 12 different  
19 drugs--I have heard many, many times over the years  
20 that if you don't put in the right stuff you don't  
21 get FDG and you do a test at the end to make sure  
22 that you have FDG and that there is a certain kind  
23 of purity, and all that. So, that is not a  
24 problem. But what about some of these other drugs  
25 and tracers, not just the radioactive part of it

1 but also the ligand that you are hooking it to,  
2 when you are building one of these things, isn't  
3 there more of a need, especially if you are doing a  
4 bunch of different ones, to control the compound  
5 and make sure that you are getting what you think  
6 you are getting because in some of these cases it  
7 might be that you don't produce the same thing, and  
8 it could have an adverse effect on the patient, or  
9 the ligand doesn't take the drug to where you want,  
10 and either it has a safety effect or it doesn't  
11 work the way you expected? Do you do a little more  
12 compound control when you are making a whole bunch  
13 of other drugs?

14 SWANSON: I will tell you what we do. We  
15 follow the USP chapter, and in there, there is a  
16 control component. It is not nearly to the extent  
17 of what you require for CGMPs but, basically, if  
18 you look at the USP chapter, it has adopted the  
19 principles of CGMPs and I think we would all agree  
20 with that. It just does not go to the extent of  
21 validation that you have outlined in the CGMP  
22 process. But that is how we have been doing it.  
23 That is how RDRC approves it. We need three  
24 validation runs and have to demonstrate that we are  
25 able to produce this compound before we are allowed

1 to go into human use. They have the appropriate  
2 radiochemical purity, everything is outlined in the  
3 USP chapter. That is why we wrote the chapter to  
4 begin with.

5           URATANI: Well, FDA does recognize that  
6 for investigational drugs, the drug product, the  
7 production process has not been fully developed and  
8 is not established yet. So, with regard to the  
9 CGMP requirement for investigational drugs, it is  
10 much less than for an NDA or ANDA. Basically, we  
11 are asking that your investigational drug is  
12 produced in a qualified facility, using qualified  
13 equipment, and we also realize that at the early  
14 stage of an IND you will have very little data on  
15 validation. We understand that. However, towards  
16 the later stage of a clinical trial, like the later  
17 stage of an IND, you might have accumulated enough  
18 data and maybe enough batches so that you will be  
19 able to have a procedure to validate your process.  
20 So, we are not asking for the full manual that is  
21 required in an NDA and ANDA.

22           BARRIO: I think we are saying the same  
23 thing.

24           CALLAHAN: It goes a little further than  
25 that, to where we will never get to an IND. There

1 is no plan to use this as a diagnostic agent. We  
2 may study six or a dozen or thirty human volunteers  
3 on a PET drug in conjunction with some other  
4 protocol. So, we will never get to that point.  
5 So, we have to rely almost entirely on the end  
6 product testing and USP chapter as described. I  
7 mean, that is what we submit. We will never get  
8 the fully validated production because by the time  
9 we have done half a dozen subjects that protocol is  
10 done; we have answered the question; we have  
11 provided the data and we will go on to the next  
12 one. So, it gets worse than just the IND, early  
13 stages of IND versus late stages. These projects  
14 have a half-life of a few months to a year, and  
15 then they are gone. And, those are PET drugs so  
16 they come under this broad discussion. So, that is  
17 another level of scrutiny. I support, as Dennis  
18 and I have discussed already, using the USP chapter  
19 model for research applications. End product  
20 testing as outlined, addressing the other issues of  
21 components and environment I think are valid almost  
22 as written.

23           AXELRAD: I think we have to explore the  
24 differences of what we put in the guidance or what  
25 we are contemplating for GMPs and how it is



1 different from the USP. I think that in some cases  
2 we felt that the USP chapter was so vague as to  
3 allow anybody to do just about anything.

4           So, the question is whether when you say  
5 we are all following the USP chapter, does that  
6 mean you are all doing all different things, or is  
7 there some minimum level of quality that you are  
8 being held to by following the chapter?

9           SWANSON: It goes into a fair amount of  
10 detail as to the kinds of testing that is required  
11 in validation studies, and routine batch quality  
12 control. It also goes into a fair amount of detail  
13 as to what is required for testing of components.

14           AXELRAD: I think we need to look at that  
15 more carefully again and try and see where we went  
16 beyond the USP and where it is causing problems in  
17 two different worlds really, on the one hand, in  
18 the sense of what we expect to be the widely used  
19 NDA approved drugs, and also then for the other  
20 drugs that are used under RDRC.

21           CALLAHAN: It is a balance issue. I think  
22 the GMPs, as discussed today, are very front-end  
23 loaded, and I think the USP and how most of us  
24 practice is very back-end loaded. That is the  
25 problem. Until we find a balance.

1                   URATANI: I guess I also have a question.  
2 Can you tell me how many of those IND drugs will  
3 actually become an NDA or ANDA?

4                   PARTICIPANTS: Zero.

5                   URATANI: They have no commercial  
6 application?

7                   PARTICIPANTS: Zero.

8                   URATANI: And what is the difference  
9 between research conducted under RDRC and IND PET  
10 drug?

11                  CALLAHAN: Well, our understanding of that  
12 is what we know about the ligand itself. If we  
13 know human pharmacology of the molecule that we are  
14 labeling with a PET tracer, and a few other things  
15 regarding dosimetry, then that is suitable for  
16 certain types of initial human investigations under  
17 RDRC. If we were to have a completely new  
18 molecular entity for which we do not know the human  
19 pharmacology, for which there is no human  
20 experience of the non-radioactive form, then we are  
21 probably going to be squeezed into the IND mode,  
22 kicking and screaming all the way. But that is how  
23 I understand it. Our RDRC essentially only deals  
24 with PET protocols. In this day and age, I think  
25 RDRCs are really only amenable to PET types of

1 studies and maybe a few other, you know,  
2 radioactive water or radioactive titrated water, or  
3 something. It is really ideally suited to PET  
4 research.

5 SWANSON: To expand on that, under an RDRC  
6 we are only allowed to approve research studies  
7 where a radioactive drug is being used to evaluate  
8 physiology, pathophysiology, metabolism. We are  
9 specifically not permitted to conduct a clinical  
10 trial under an RDRC approval, a clinical trial  
11 being a study to determine the safety and  
12 effectiveness of that radioactive drug for the  
13 diagnosis of a specific disease or condition. So,  
14 if you go back and look at the RDRC requirements,  
15 they are very specific as to the type of research  
16 that we can conduct under an RDRC approval.

17 But it is also very important--you know,  
18 what I am hearing is you are saying, well, we will  
19 address this through an IND application but those  
20 of us who are in charge of RDRCs have to have some  
21 understanding for what basis do you want us to  
22 allow these radioactive drugs to be used in those  
23 types of studies. Please do not take that away  
24 from us because that is a particularly important  
25 area of research and, as pointed out, those drugs

1 never-ever get developed for commercial use.

2 URATANI: The RDRC drugs or IND?

3 SWANSON: The RDRC drugs.

4 URATANI: So, all the RDRC drugs will  
5 never become an IND--

6 BARRIO: No, no, no.

7 PARTICIPANTS: No.

8 SWANSON: They could, but as per the RDRC  
9 regulations, if we wanted to pursue them for the  
10 diagnosis of a disease or a condition, then we  
11 would have to go the traditional IND route.

12 CROFT: One in a hundred or one in five  
13 hundred may pass to an IND. It is going to be a  
14 very small number.

15 HUNG: You have a very short half-life.  
16 Oxygen-15 is two minutes. There is no way you are  
17 going to make it commercially available.

18 AXELRAD: Let's have one last comment on  
19 this subject and then we will see if we can pick up  
20 on anything else that anybody wants to comment on.

21 CHALY: I just want to say that we are not  
22 picking up any drugs on the street to do this  
23 research. We are looking into established carbons  
24 and then we label it and we look at the toxicity  
25 and we do animal studies before we do anything

1 else. So, it is going through a lot of process  
2 before we inject it into a patient. We are not  
3 just labeling any compound and taking it to the  
4 patient. So, there is a lot of process going on  
5 behind this. So, there is a lot of safety  
6 consideration before we inject it into the patient.

7 AXELRAD: Let's pick up on any other  
8 topics that we haven't addressed before we close  
9 here.

10 SWANSON: If I may comment, one that I did  
11 want to get out there deals with laboratory  
12 controls, and there is a part of the CGMPs and the  
13 regulation, actually, that specifies that PET  
14 centers must establish and document the  
15 sensitivity, specificity, reproducibility and  
16 accuracy of all test procedures. You know, I guess  
17 I get real concerned about, for example, we use  
18 narrow range pH paper to measure the pH of a  
19 product. Do I have to establish sensitivity,  
20 specificity, reproducibility and accuracy of that  
21 procedure? All I am saying is the guidance I think  
22 is very deficient in that area at this point.

23 LEUTZINGER: I agree; I agree.

24 URATANI: I guess you also should know  
25 that there is a difference between verification and

1 validation. If you are using a USP method, you  
2 only need to verify that it works under the  
3 conditions of actual use. Validation will be a  
4 much more involved process. It could be some  
5 methods that you develop and you have to go through  
6 the whole program to demonstrate specificity,  
7 linearity and other stuff specified in USP.

8           MOSLEY: Another topic, please, training.  
9 Can you give us some guidance on what constitutes  
10 training for personnel in a PET production  
11 facility?

12           URATANI: Well, I guess if you have  
13 personnel who is trained to do aseptic processing,  
14 in our guidance we did say that you will have to  
15 document what type of training has been given. It  
16 doesn't have to be a class that he or she has to  
17 take in a university or some professional  
18 organization. You can train that person in-house  
19 and document it. As far as aseptic processing is  
20 concerned, there may certainly be a requirement for  
21 the filtration process and assembly set up, using  
22 media instead of using the product to demonstrate  
23 that that person who is handling it is able to do  
24 it sterilely.

25           MOSLEY: Specifically, is there a written

1 text that I can cite for my senior management when  
2 trying to suggest that faculty or people in an  
3 academic PET center are adequately trained? Is  
4 there a checklist of criteria that are written that  
5 I can use?

6 KASLIWAL: I think one is production  
7 operation. You know, if the person is performing  
8 production, if you are training them, that is one  
9 aspect. Testing is another aspect, quality  
10 control. So, those two and some of the training  
11 that Brenda described would be part of production.

12 AXELRAD: I don't think we have a  
13 checklist, in answer to your question.

14 MOSLEY: Is there another guidance  
15 document that you can refer me to?

16 AXELRAD: I don't even know of much in the  
17 way of guidance on training. I mean, even GMPs for  
18 regular drugs say make sure your people are  
19 adequately trained to do whatever it is they are  
20 going to be doing.

21 MOSLEY: I just need something concrete so  
22 that when I go into an academic site I can say to  
23 my management that, yes, indeed, the staff is  
24 trained and here is why.

25 AXELRAD: It would be really good if you

1 would develop it and we could adopt it. You are  
2 going around to 30 PET centers. You would be in a  
3 good position to be able to help us define what you  
4 think is adequately trained.

5           SIMPSON: Norm Simpson, Columbia  
6 University. The difficulty is, and we are getting  
7 into some of that now, who trains the trainer? Who  
8 is qualified to train the people that are being  
9 trained? So, at some point there has to be some  
10 delineation.

11           URATANI: I guess, for example, if you  
12 have a technician carrying out the FDG production,  
13 maybe the radiochemist or nuclear chemist will be  
14 the one who is training that person.

15           SIMPSON: And that is the problem. That  
16 is what I am getting into. My technicians actually  
17 do the routine production on a day to day basis,  
18 and when I have the senior faculty come in my  
19 technicians have to train them how to use that  
20 equipment and how to do those productions. So, in  
21 a classical sense, it is actually just the opposite  
22 of what is going on. So, who is really qualified  
23 to do the training? The people doing it on a day  
24 to day basis, who know the system inside and out?  
25 Or, the educated people that are at the M.D. or



1 Ph.D. level?

2 URATANI: Well, I would think it would be  
3 the person who does it on a day to day basis and be  
4 able to produce quality results.

5 AXELRAD: And also the vendors. The  
6 vendors ought to be able to train to some extent,  
7 or may offer training classes on the equipment that  
8 they are giving you.

9 CHALY: Thomas Chaly, Northshore. I think  
10 we have established chemists in this country who  
11 have undergone post-doctoral training in many  
12 educational centers, and they have trained a lot of  
13 junior chemists and technicians to do this in the  
14 last 20, 25 years. There are plenty of people  
15 trained to make these radiopharmaceuticals out  
16 there. I don't think there is any problem for  
17 training new ones.

18 I have another question. You are asking  
19 us to keep samples for 30 days after testing.

20 URATANI: What samples?

21 CHALY: FDG samples for 30 days.

22 URATANI: No, there are no reserve  
23 samples. It was taken out.

24 CHALY: I saw it in one of the notes  
25 there.

