

DEPARTMENT OF HEALTH AND HUMAN SERVICES

FOOD AND DRUG ADMINISTRATION

IDSA/PhRMA/FDA WORKSHOP

Wednesday, November 20, 2002

9:00 a.m.

Advisors and Consultants Staff Conference Room
5630 Fishers Lane
Rockville, Maryland

PARTICIPANTS

MODERATOR: John Edwards, M.D.

FDA

Renata Albrecht, M.D.
Samy Beidas, M.D.
Erica Brittain, Ph.D.
Ed Cox, M.D., M.P.H.
Mark Goldberger, M.D., M.P.H.
Karen Higgins, Sc.D.
Ekopimo Ibia, M.D.
Daphne Lin, Ph.D.
John Powers, M.D.
Janice Soreth, M.D.
Susan Thompson, M.D.

IDSA

John Bradley, M.D.
William Craig, M.D.
Don Craven, M.D.
Stanley Deresinski, M.D.
David Gilbert, M.D.
Jan Hirschmann, M.D.
Michael Scheld, M.D.
George Talbot, M.D.

PhRMA

Will Bushnell
Cristy Chuang-Stein, Ph.D.
David Cocchetto, Ph.D.
Roger Echols, M.D.
Richard Gesser, M.D.
Alan Goldhammer, M.D.
Donald Jaffe, Ph.D.
George Miller, M.D.
James Poupard, Ph.D.
Frank Tally, M.D.

CDC

Todd Weber, M.D.

NIH

Marissa Miller

C O N T E N T S

Call to Order: John Edwards, M.D.	4
Opening Remarks: Mark Goldberger, M.D., M.P.H.	5
Issues in Clinical Trials of Acute Bacterial Meningitis	
IDSA Speaker: John Bradley, M.D.	11
PhRMA Speaker: Roger Echols, M.D.	25
FDA Speaker: Ekopimo Ibia, M.D., M.P.H.	34
Discussions	50
Issues in Clinical Trials of Acute Exacerbations of Chronic Bronchitis	
IDSA Speaker: Jan Hirschmann, M.D.	97
PhRMA Speaker: Roger Echols, M.D.	111
FDA Speaker: Susan Thompson, M.D.	123
Discussions	132
Issues in Clinical Trials of Hospital-Acquired Pneumonia	
IDSA Speaker: Don Craven, M.D.	173
PhRMA Speaker: Richard Gesser, M.D.	193
FDA Speaker: Sary Beidas, M.D.	212
Discussions	218
Summary of Meeting: John Edwards, M.D.	256

1 P R O C E E D I N G S

2 Call to Order

3 DR. EDWARDS: Welcome to our second day.

4 Today, we are going to discuss some topics where
5 there, I believe, will be some more intense focus
6 than yesterday and we are going to wind up bringing
7 back into the discussions many of the points that
8 we discussed yesterday.

9 I wanted to just make a very brief comment
10 and that is to remind you that this is not an
11 advisory board meeting. It is just a forum for
12 scientific exchange. During the evening last
13 evening and this morning, I have been searching for
14 ways to sort of try to loosen up the conversation,
15 if you will, and just diminish the formality.

16 One of the strategies I entertained was
17 telling you my absolutely favorite biostatistical
18 researcher joke. Then, the thought occurred to me
19 that some of the biostatisticians here might not
20 think it was funny.

21 DR. CHUANG-STEIN: We will survive.

22 DR. EDWARDS: It is the one about the
23 three hunters who hunt with a bow and arrow. Has
24 everyone heard that in this room? Under pressure,
25 I cave in, but, it is so wonderful. Not only is it

1 my favorite biostatistician joke, it is one of my
2 favorite jokes in any category.

3 So, to try to just reemphasize the fact
4 that we really want to just encourage free-flowing
5 exchange of ideas here without concern for--some of
6 us might even express a bad idea on purpose just to
7 see what the response is.

8 With those comments, the structure today
9 will be similar to yesterday with our lunch break.
10 We are going to try to summarize, towards the end
11 of the meeting. I am anticipating, as usually
12 happens in a meeting like this, that there are
13 going to be some people who have to leave a little
14 bit early. So we are going to try to structure the
15 crux of the summary in such a way that we will be
16 able to adjust for the fact that there may be some
17 people who need to leave early.

18 So, with those comments, I would like to
19 ask Dr. Goldberger to complete a thought that he
20 developed last night related to our discussions and
21 then we will move into our three points for
22 discussion.

23 Mark?

24 Opening Remarks

25 DR. GOLDBERGER: Thank you. We were

1 talking a couple of times yesterday about using
2 meningitis as an example about that issue of how
3 could we get information in labeling that showed a
4 relatively small study with a favorable
5 microbiologic profile but clinical data that was
6 harder to interpret perhaps as a result of the
7 amount of data that was actually available or the
8 amount of patients studied.

9 So there were several approaches floated
10 in terms of just being able to put some information
11 in the labeling, sort of leaving it then to
12 clinicians to use this information as they thought
13 best.

14 I proposed one alternative which was
15 ultimately you would get some kind of what we call
16 second-line indication. The reason I proposed that
17 and the reason I am about to make another proposal
18 is the idea of just putting it into the labeling in
19 some section poses certain problems for FDA for the
20 reasons we talked yesterday about promotional
21 issues.

22 Therefore, it would not be an easy thing
23 to achieve. One of the goals is always how can you
24 take an idea and harmonize it in some way with the
25 existing regulatory approaches so it fits in more

1 neatly and perhaps causes less problems and also,
2 hopefully, provides its own longer-term solution.

3 I think, realistically, again thinking
4 that such a clinical trial would have to go before
5 an advisory committee for formal discussion to see
6 what people thought about it is this probably best
7 fits the model that you have heard talked about
8 intermittently yesterday of an accelerated
9 approval.

10 In spite of the concerns that were raised
11 about what we mean by surrogates, et cetera, at the
12 end of the day, I believe, what we were talking
13 about, using meningitis as an example, is we have
14 got the microbiologic data. The microbiologic data
15 is very good of the experimental drug versus
16 control.

17 What we are really saying is, even though
18 we don't have that much clinical data, we believe
19 that that high a level of microbiologic data really
20 means that those patients ultimately would do well,
21 although we don't have enough patients to fully
22 demonstrate that.

23 If that is the case, then what we are
24 saying is that that response in the spinal fluid
25 would be predictive of a favorable clinical

1 response. Under those circumstances, that is
2 something that is appropriate for an accelerated
3 approval. That allows us to potentially take this
4 information and fit it in to an existing regulatory
5 structure instead of having to create something
6 different.

7 It also, however, does, then, require
8 something else. It requires the firm in question
9 to do some type of additional study or studies or
10 complete a study to confirm that this is the case.
11 Ultimately, although this can be interpreted
12 flexibly, it would require the submission of
13 additional data of some type to confirm that the
14 belief that people had that this good microbiologic
15 result meant patients would do well to help
16 strengthen that and show a better demonstration of
17 it.

18 However, there is the opportunity to
19 negotiate that with the company in question as part
20 of the development process. I think that, if
21 people think that this idea has merit, and I think
22 it actually is the best way to achieve what Dr.
23 Talbot had suggested yesterday.

24 One of the things I would like you to
25 think about is, during at least the meningitis

1 discussion, probably because that may be the best
2 place, is if were in such a situation, we had this
3 good microbiologic result, we had come clinical
4 data we thought was encouraging but, by no means,
5 definitive, what would be the next step, what would
6 you want to see next, even knowing you could get
7 the information into the labeling, get an actual
8 indication but what else would you want to finally
9 sort of close the loop that you were satisfied
10 about the performance of this product, what other
11 information could be collected either preclinical,
12 smaller clinical trial, more definitive clinical
13 trial, or some blend of that to successfully
14 accomplish that, that you thought would be useful
15 and is something that, within some reasonable time
16 frame which certainly can be several years, could
17 actually be achieved by a commercial sponsor
18 without it being overwhelmingly burdensome.

19 I would like to give you that thought to
20 think about and consider. We can talk about it a
21 little more with the meningitis discussion but I
22 believe that that may be the best way to achieve
23 some of the stated desires with regards to a
24 difficult situation like meningitis.

25 I think it is worth some more discussion

1 and it does fit into the framework that already
2 exists.

3 DR. ECHOLS: I would like to ask a
4 question. I am familiar with accelerated approval
5 for new chemical entities, but we might well be
6 talking about what would be otherwise a
7 supplemental NDA to a drug that is already approved
8 for other indications

9 DR. GOLDBERGER: You want to know if that
10 is a problem.

11 DR. ECHOLS: Is that a problem

12 DR. GOLDBERGER: The first accelerated
13 approval ever technically granted after the
14 regulation was put into place was actually one that
15 I worked on personally and that was clarithromycin
16 for the treatment of disseminated MAC in patients
17 with HIV. Clarithromycin was already an approved
18 product being dispensed, being available under a
19 normal approval.

20 Yet this was an accelerated approval. In
21 preparation for that, I asked more senior
22 management in the Center to think about this issue
23 and see whether it posed a problem and the answer,
24 basically, was no. So there is no problem with
25 that at all.

1 DR. EDWARDS: Very good. During the
2 meningitis discussion, if it doesn't come up, I
3 might as well warn both the IDSA and PhRMA people
4 that I would like to ask for comments regarding Dr.
5 Goldberger's suggestion during the discussion.

6 At this point, we will move on to the
7 meningitis issue. I will call on John Bradley from
8 IDSA to begin the discussion.

9 DR. GILBERT: I asked John, and he
10 complied, to provide handouts of his slides because
11 I think they will be useful as we get into the
12 discussion portion.

13 Issues in Clinical Trials of Acute Bacterial
14 Meningitis - IDSA Speaker

15 DR. BRADLEY: Dave saw how much
16 information was on the slides and decided that it
17 would be difficult if I was to keep within the time
18 limit for people to read the slides and listen to
19 me at the same time. So I took his advice
20 seriously.

21 [Slide.]

22 It is a real privilege to be here to talk
23 about bacterial meningitis on behalf of the IDSA.
24 It is an area of great interest to me since I
25 started my pediatric residency. Certainly, the

1 clinical field of IDE, with respect to meningitis,
2 has changed dramatically since I started in the
3 mid-70's with the change in organisms that we see
4 and the development of critical-care specialty and
5 the development of agents which are not antibiotics
6 but antiinflammatory mediators which now have some
7 role in the treatment of kids of meningitis and
8 adults, I guess, as well.

9 I would like to thank both George
10 McCracken and Dave Gilbert for going over these
11 slides. Many of the concepts that are in the
12 slides this morning have come from George
13 McCracken's earlier presentation in February of
14 this year.

15 [Slide.]

16 There are certainly a number of problems
17 in performing studies in meningitis. There are a
18 decreasing number of kids with invasive disease,
19 pneumococcal disease. Certainly, we have not seen
20 any Hemophilus influenzae Type B disease for the
21 past eight years or so. With the increasing use of
22 conjugate vaccine, we are seeing much less invasive
23 pneumococcal disease. The CDC presented some data
24 at the IDSA Meeting in Chicago just a few months
25 ago regarding decrease in the incidence of disease.

1 So, given this fact, meningococcal
2 meningitis is going to be the most prevalence
3 bacterial meningitis that we see so the ability to
4 do large-scale trials in the United States is going
5 to be increasingly difficult. As I mentioned
6 yesterday, even in the past couple of trials that
7 we have done, most of the patients have come from
8 non-U.S. sites.

9 The fact that there is increasing
10 resistance in pneumococcus is something that we are
11 all aware of and, in February, Dr. Soreth presented
12 information on increasing resistance in
13 pneumococcus. I had the opportunity to attend the
14 Antiinfectives Advisory Committee Meeting in 1998
15 in which the committee felt that fluoroquinolones
16 were an important class of drugs to use for
17 meningitis should pneumococcus develop vancomycin
18 resistance and standard therapy with a
19 third-generation cephalosporin and vancomycin would
20 no longer be considered effective for children.

21 [Slide.]

22 Bacterial meningitis is a serious
23 infection and ineffective antibiotic therapy is not
24 acceptable so we keep talking about the seriousness
25 of infections and what the delta is. This is one

1 situation where you really can't afford to miss.
2 There is a lot of preliminary work that is done
3 before any drug has ever gone into the treatment of
4 meningitis to try and assure that there will be no
5 failures, extensive in vitro testing, extensive
6 animal-model testing.

7 So I think that, as we go into a
8 meningitis trial, we have more answers than we do
9 if we are going into a skin-and-skin-structure
10 trial with antibiotics.

11 [Slide.]

12 Clinical assessment in bacterial
13 meningitis is largely a function of CNS
14 inflammation and the resultant vascular
15 insufficiency that results in CNS damage or
16 inflammation. This is the first of a number of
17 points talking about which is more important and
18 easier to assess clinical or microbiologic
19 endpoints in evaluation of drug therapy of
20 meningitis.

21 It is certainly generally agreed that
22 inflammation correlates with the presence of
23 organisms in the subarachnoid space and the whole
24 discussion of surrogate markers and whether
25 microbiology can be used as a surrogate marker

1 again was discussed yesterday. It seems obvious to
2 me that, if you don't have bacterial in the spinal
3 fluid, there is no evidence of inflammation. When
4 you get them there, there is. Once you treat
5 someone effectively, the inflammation goes away.

6 But, in terms of doing a prospective
7 trial, placebo-controlled, to prove that, I don't
8 think that we are going to be embarking on that.
9 At least, I wouldn't do that at our hospital.

10 [Slide.]

11 There are some data, though, that suggest
12 that delayed sterilization may lead to increased
13 neurologic sequelae. In the studies in which
14 cefuroxime was used as a study drug compared to
15 cefataxine, in Lebel's study out of Dallas, Texas
16 with George McCracken, or cefuroxime compared with
17 ceftriaxone in Schaad's study in Switzerland, there
18 was an increased rate of hearing defects in
19 children that had delayed sterilization in CSF. So
20 there is one nice connection.

21 In addition, adjunctive therapy, which
22 targets inflammation, like dexamethasone, may lead
23 to improved outcomes with respect to hearing loss
24 in H. flu which has been in our literature for a
25 long time and, as of last week, the New England

1 Journal article which was a quoted multicenter
2 study in Europe, improved neurologic outcomes in
3 adults.

4 [Slide.]

5 The clinical outcomes in kids vary by
6 country using the same protocol to treat the same
7 organisms at all study sites. I had the
8 opportunity to write up the meropenem meningitis
9 trial that was done in North America and Central
10 America with Carla Odio. The sponsor allowed us to
11 go back into the database when the first pass of
12 analysis showed that our clinical outcomes were
13 worse than any other meningitis trial that had ever
14 been done and it wasn't our experience in San Diego
15 that we had poor outcomes.

16 In looking at the analysis by study site,
17 post hoc, it was clear that, in the Dominican
18 Republic, the outcomes were horrible. In Costa
19 Rica and the U.S., they were actually comparable to
20 all of the other previously published studies.

21 So the ability to use clinical outcome as
22 an indicator of the drug's ability to cure
23 meningitis became rather fuzzy because of all of
24 these other factors that lead to differences in
25 clinical outcomes became very apparent; access to

1 medical care, time to presentation, critical-care
2 resources available to kids.

3 Many children in our institution are
4 intubated and given mannitol to decrease brain
5 swelling and, perhaps, prevent some of the
6 complications attendant to that. So all of these
7 clinical assessments may have nothing to do with
8 the ability of the antibiotic to sterilize the CSF.
9 Yet, it has traditionally been the primary endpoint
10 for evaluation.

11 [Slide.]

12 The clinical endpoints, including
13 neurologic, audiologic and developmental are
14 global, all the way from death to complete cure.
15 The clinical endpoints are vague and, in one of the
16 earlier guidance documents, "The criteria for
17 judging severity of neurological sequelae should be
18 provided in the protocol," so it leaves each
19 protocol, each person, to decide what the
20 neurologic sequelae would be.

21 In my comparing our study with all the
22 others, it is tough to compare apples and oranges
23 if everyone uses a different yardstick for
24 neurologic outcomes. The vague clinical-outcome
25 endpoints may lead to differences in interpretation

1 in each study site, by each country. There are
2 differences in the qualifications of the evaluators
3 in worldwide studies.

4 The background of neurologists,
5 developmental specialists and audiologists are not
6 all standardized. When I was asking about
7 qualifications in some of the other countries, I
8 was reassured that everyone was well qualified.
9 But there were no documents to standardize that.

10 In addition, when you do studies in many
11 different countries, there are no standardized
12 cross-cultural multilingual developmental scoring
13 systems that can be used for children. So, using
14 some of the adult scoring systems needs to be
15 validated in pediatrics as well. They are not
16 going to their jobs, and the infants are not going
17 to schools.

18 [Slide.]

19 So the solution is a microbiologic
20 endpoint which is defined at 24 to 48 hours. I
21 know, in the handout, it is 36 to 48, but this is
22 the most recent version. One can look at 24 to 36,
23 36 to 48, or 24 to 48, but the idea is to have a
24 defined micro-endpoint.

25 These rates are clearly higher than the

1 clinical efficacy rates. They can be standardized
2 across all multinational study sites. I know there
3 has been discussion before this meeting on the
4 value of quantitative cultures. I looking at those
5 children who don't have sterilization by 36 hours,
6 on average, there are two subsets, one in which
7 there is a huge decrease, several-logs decrease, in
8 the number of organisms present, so the drug is
9 actually doing an excellent job of what it is
10 supposed to do.

11 But a few children come in with extremely
12 high bacterial loads and it just takes longer for
13 them to sterilize compared to other drugs which
14 work more slowly and the sterilization rate may be
15 significantly less quick, which may give some
16 insights into some deficits in drug activity.

17 [Slide.]

18 We now have greater sophistication in
19 prediction of micro endpoints based on PK/PD data.
20 I won't elaborate on that today. That certainly
21 was well discussed yesterday and there are
22 animal-model studies that Dr. Scheld has done and
23 Dr. McCracken has done which are in the literature
24 which give credibility to the fact that, if you can
25 achieve drug in CSF and attain a certain drug

1 exposure, you are likely to have a good
2 microbiologic outcome.

3 [Slide.]

4 The disadvantages of the micro outcome are
5 that not all children who have classically been
6 entered into studies have had positive CSF
7 cultures. Some will have positive bloods but a
8 negative CSF culture, but a CSF pleocytosis of a
9 few thousand cells.

10 In the meropenem study, only 50 percent of
11 the kids who are enrolled actually had positive CSF
12 cultures. So it will mean fewer evaluable kids, if
13 that is our primary endpoint, and the concept that
14 might an early micro endpoint favor antibiotics
15 which have concentration-dependent killing, as
16 opposed to time above MIC. Again, Dr. Scheld went
17 back to a concept that was floated ten to fifteen
18 years ago when he and Dr. McCracken came out with
19 data on CSF inflammatory markers and maybe you did
20 more poorly if you killed all of the organisms very
21 quickly and released tremendous antigen into the
22 CSF.

23 The whole idea is rapid killing. The most
24 desirable antibiotic effect is one which is
25 discussed occasionally, however, with the use of

1 dexamethasone to blunt the inflammatory response,
2 especially as we now use it, concurrent with
3 antibiotic administration. Fortunately, this point
4 is much less important now.

5 [Slide.]

6 Having made the case that micro endpoints
7 are preferable, I still have some interest in
8 clinical endpoints. In order to be able to take
9 the current study with gatifloxacin or whatever new
10 drug is coming along, I would like to be able to
11 correlate what I am finding in the current study
12 with what has been published in the literature
13 previously which is largely clinically oriented.

14 So the rates of neurologic sequelae in
15 developmental delay I would like to be able to
16 correlate with previous publications. It gives me
17 insight into the pathogenesis of meningitis by
18 organism, study site, level of care provided and
19 adjunctive therapy.

20 The blinding of the treatment arms in
21 evaluating clinical outcomes, I think, is very
22 important because there are soft neurologic
23 outcomes, mild developmental delay and mild motor
24 dysfunction which may or may not interfere with
25 normal daily activities which is the catchword for

1 assessment of mild and moderate, which, if you know
2 what treatment arm the patient was assigned to, may
3 influence your evaluation.

4 Then safety assessments; if we have fifty
5 kids in each arm, it gives us less ability to look
6 at the safety of the drug and, as again mentioned
7 yesterday, the doses of drugs used for meningitis
8 are generally larger than those used for other
9 systemic infections. So, I would like some number
10 of patients that would be considered reasonable to
11 evaluate safety data and to follow up on what Dr.
12 Goldberger said, a study post approval which looks
13 at defined data once the drug is out can actually
14 fulfill some of these requirements, I believe.

15 [Slide.]

16 There are ways to strengthen clinical
17 endpoints and these came up in a discussion between
18 Dr. Powers and Echols and myself regarding,
19 perhaps, tightening up the inclusion criteria,
20 tightening up the clinical endpoint criteria.

21 [Slide.]

22 The delta we talked about extensively
23 yesterday. I think, for serious infections, the 10
24 percent delta is appropriate, especially when the
25 efficacy is not even 95 percent. That is just when

1 you do the tap. If you waited 72 hours, you should
2 get virtually 100 percent micro efficacy.

3 [Slide.]

4 For the clinical endpoints, treatment
5 success is defined currently as cure plus minor
6 sequelae, as it was in the European study published
7 last week in the New England Journal. A 10 percent
8 delta would be unrealistic in terms of patient
9 enrollment. Only 50 percent of the children who
10 were treated actually had cure without any sequelae
11 in both the meropenem-cefataxine paper and the
12 trova-ceftriaxone papers.

13 An additional 20, 25 percent had minor
14 sequelae which would lead to a clinical assessment
15 of success. Biocreep, which hasn't been mentioned
16 so far in this particular session, is less likely
17 if you use a micro endpoint compared to clinical
18 endpoints.

19 Dr. Powers, in our phone conversation a
20 week ago, had actually mentioned the idea of using
21 different deltas for different endpoints, 10
22 percent for micro and 15 percent, perhaps, for
23 clinical.

24 [Slide.]

25 The clinical endpoints to be defined.

1 This is a difficult area, given all of the problems
2 I have already mentioned. How do you define the
3 neurologic deficits in children, which systems?
4 The are motor, cognitive, hearing deficits. How
5 profound? How to score them, especially in a
6 six-month-old infant.

7 Developmental delay; we need standardized
8 tests. We need qualified people to administer
9 these tests because, oftentimes, it is just the
10 subtleties of response of an infant to the
11 investigator. And functional assessments; do the
12 deficits interfere with activities at home, if the
13 child isn't old enough to go to school, at school,
14 if they are at school, and then how to assess the
15 different degrees of functional disabilities.

16 It was very nice to see a Glasgow Outcome
17 Scale that was the clinical outcome parameter for
18 the study published in the New England Journal last
19 week. But I don't know if the outcome scale has
20 been validated for children. It is just a
21 five-point scale with death on one end and cure
22 with minor sequelae on the other and everything in
23 between.

24 So I think that there is a chance that a
25 group of people can come together and help decide

1 on exactly what the clinical outcomes would be.
2 But I think if micro endpoints are the primary
3 endpoints, that the importance that we have
4 previously placed on these clinical endpoints is
5 not nearly so great.

6 Thank you very, very much for your
7 attention.

8 DR. EDWARDS: Thank you very much, John.

9 We will move now to Roger Echols from
10 PhRMA. Roger?

11 PhRMA Speaker

12 DR. ECHOLS: Good morning.

13 [Slide.]

14 We have touched on meningitis several
15 times this morning, or the last day and this
16 morning, but I want to sort of back up a little bit
17 away from some of the details of clinical
18 microbiologics and, again, sort of provide a little
19 perspective about how the three parties at the
20 table might approach meningitis with a somewhat
21 different perspective yet, at the same time, I
22 think we are coming very nicely together with sort
23 of a resolution which will be, hopefully, to the
24 advantage of our patients.

25 George McCracken, John Bradley and others

1 have often talked about the need for options, for
2 treatment options, for the treatment of meningitis
3 whether it is bacterial resistance that is
4 currently present or may be present in the future.
5 There are always the odd-ball organisms and it is
6 important to know that there is a certain number of
7 drugs out there that do work in treating a
8 specialized space such as the CSF.

9 As John has mentioned, from sort of the
10 clinician's point of view is eradication of the
11 causative pathogen is paramount. I am not
12 unsympathetic to the FDA's point of view. They are
13 the guardians of a very high standard which I think
14 everyone in this room relies upon. As mentioned
15 yesterday, if it in the label and it is approved by
16 the FDA, people believe it and that level of
17 confidence is very important to secure and
18 maintain.

19 So proving what is safe and effective,
20 intuitively, we think we know certain things but
21 when you put the question, really, to the test, it
22 can be much more difficult to prove beyond a
23 reasonable doubt. That is why we have talked about
24 noninferiority studies. Obviously, we can't use
25 placebo control in this situation, and we all want

1 a high degree of confidence that we are not having
2 biocreep, that we are not providing information
3 that is not true.

4 Yet, at the same time, if we go for a
5 surrogate marker, if microbiologic endpoint is a
6 surrogate marker, the need or the test to really
7 validate that may be a difficult one to also
8 succeed in. That is why I think, somewhat
9 mistakenly, I used the term "leap of faith"
10 yesterday. But you still have to have some trust
11 sometimes if you can't prove beyond a shadow of a
12 doubt that a certain surrogate is valid.

13 From the pharmaceutical sponsor point of
14 view, I would say, as much as we want to provide
15 meaningful answers, because we have had failures as
16 well as successes, we also want to know what is
17 feasible. We are risk-averse, not risk-adverse.

18 [Slide.]

19 I think if you look at what studies have
20 been conducted over the last decade, there is a
21 relative lack of clinical trials and even those
22 that I am going to present here, very briefly, are
23 really to sort of demonstrate the scope and the
24 degree of difficulty of conducting meningitis
25 trials.

1 It has only been made more difficult
2 through the success of vaccine programs for
3 Hemophilus and Streptococcus pneumoniae.

4 There are three programs that I am
5 familiar with over the last decade. The cefepime
6 program, which was really two consecutive trials
7 that took sixty-seven months to enroll a little
8 over 350 patients. You can see that none of these
9 patients were enrolled in North America, or at
10 least within the United States.

11 The meropenem program was really four
12 different studies conducted sequentially over
13 fifty-six months. Three were European studies.
14 One was a U.S. study. Then the trovafloxacin
15 study, which was, as all of these were, an
16 open-label study, was conducted in eleven countries
17 as a global trial in fifty sites over fifteen
18 months.

19 They all were roughly in the 300, 400
20 patients. These really represent tremendous
21 efforts on the part of the companies to enroll
22 these number of patients.

23 The top line there shows the evaluability
24 rate of between 60 and 80-some percent. I will
25 use, in some of my additional calculations, a 75

1 percent evaluability rate so not every patient that
2 you enroll is evaluable for the primary endpoint.
3 The clinical response tends to be generally in the
4 70 percent range, so, using 80 percent is really
5 sort of the high end of what has been the
6 experience.

7 As you can see, when you use clinical
8 response as a primary endpoint, the confidence
9 intervals are not as tight as we might like so the
10 lower boundary, even with these pooled databases,
11 these are not necessarily single studies, the lower
12 boundary falls below -10 percent.

13 The other point I want to make is that our
14 primary interest in terms of a pathogen is
15 experience in the treatment of Streptococcus
16 pneumoniae. There is a less of a need for new
17 therapies for meningococcal meningitis even though
18 it still can be a devastating disease and
19 Hemophilus has been much less of a concern with the
20 vaccine that is really being widely used, not just
21 in the United States but in developing countries as
22 well.

23 But the isolation rate in these trials of
24 Streptococcus pneumoniae still was not 40 or 50
25 percent of the overall population. Again, the

1 experience with microbiologic eradication is
2 generally around 95 percent if repeat tap is
3 performed between 24 and 48 hours after the initial
4 tap p.

5 So we have talked, in general, about two
6 different paradigms. One is a clinical-endpoint
7 study. Again, I support a high degree of
8 confidence that the results we are seeing are true.
9 I also--to comment on the power question that has
10 arisen, it has generally been our feeling that, if
11 you are going to risk your resources to do a study,
12 you don't want to miss a positive result.

13 So we generally power things at a 90
14 percent level rather than an 80 percent which I
15 know is acceptable but generally not acceptable
16 within the industry. We want greater expectation
17 of not missing something if it was there.

18 So these are actually fairly optimistic
19 numbers. Expected response, 80 percent, as I
20 mentioned, is on the high side. Evaluability is 75
21 percent, on the high side. But you still would end
22 up with a total enrollment of nearly 900 patients
23 to have a 10 percent delta and a 90 percent power
24 whereas, with the microbiologic endpoint of sterile
25 CSF or at least organisms not growing at 24 to 48

1 hours, you can achieve--with a sample size of
2 around 270 patients with an expected sterile or
3 nongrowing spinal fluid of around 95 percent, you
4 can achieve a very tight confidence interval around
5 a success rate of 95 percent.

6 [Slide.]

7 I do want to just throw out one
8 alternative just to be complete, and that would be
9 a noncomparative, basically observational study,
10 prospective study using a very strict protocol
11 criteria but, nevertheless, without a comparative
12 arm.

13 You can achieve a very tight 95 percent
14 confidence interval with a similar sample size.
15 The advantage of this, besides being a less complex
16 protocol to conduct--but the advantage is that all
17 the organisms, particularly if you are interested
18 in numbers of Strep pneumo, all the organisms would
19 be receiving the investigational drug so you
20 wouldn't be diluting your organism sample size by
21 half with the organisms that presumably, in a
22 randomized fashion, would fall out in the
23 comparative arm.

24 Obviously, the cons are significant. You
25 don't have directly comparative data. We know that

1 geography and many other factors will ultimately
2 influence the overall success rate. Safety events,
3 you can't balance against a comparator and so there
4 are many problems with that.

5 But, again, just to be complete, it is an
6 alternative that one might try.

7 [Slide.]

8 To summarize, there are sort of three
9 options. The clinical option, which has been the
10 traditional option, I don't believe is feasible.
11 The sample size, and this is an 80 percent power
12 rather than 90 percent that I showed you, but an
13 enrollment of 700 to 900 patients is just not
14 feasible today even with a very global trial with a
15 tremendous effort.

16 The microbiologic endpoint with roughly
17 250, maybe 300, patients I think is about the
18 maximum that can be achieved. But the number of
19 *Streptococcus pneumoniae* that you might have
20 experience with is probably going to be less than
21 25 for the investigational arm. So the
22 noncomparative approach with an expected success
23 rate, again using a microbiologic endpoint of 95
24 percent, you can have, with a sample size of around
25 290 subjects, you can have a plus or minus 3

1 percent level of confidence around a 95 percent
2 success rate and you approximately double, then,
3 the number of Streptococcus pneumoniae that you
4 would have an experience with.

5 [Slide.]

6 So I do think we have several options but
7 I think, again, from a feasibility point of view, I
8 think sample size exceeding 300 subjects is
9 unlikely. Again, I think we all want to have a
10 high degree of confidence that we are seeing
11 something that is correct in terms of microbiologic
12 response.

13 As John mentioned, there are lots of
14 problems with clinical response. I really would
15 not do this study as an open-label study because of
16 the soft subjectiveness of some of the responses,
17 but even trying to do audiometry and certainly any
18 kind of standardized developmental process in very
19 young children in a global trial is very
20 problematic.

21 So, if we are going to use clinical
22 endpoints as a secondary, they need to be, I think,
23 major clinical endpoints. Obviously, mortality
24 would be one but, if we get into the minor
25 neurologic sequelae and even how we define major

1 neurologic sequelae I think needs to be very
2 objective.

3 Then, in a randomized study, our
4 experience with Streptococcus pneumoniae would be
5 about twenty subjects.

6 Thank you very much.

7 DR. EDWARDS: Thank you very much.

8 Now, Dr. Ibia from the FDA will proceed.

9 FDA Speaker

10 DR. IBIA: Thank you very much. I really
11 thought you were going to try to pronounce my first
12 name.

13 DR. EDWARDS: I thought about it
14 seriously.

15 DR. IBIA: Because one of the first tests
16 I give to people is really to get them to try to
17 pronounce my first name. I try to simplify it,
18 really, by shortening it to I-m-o.

19 [Slide.]

20 One of the great advantages of speaking
21 after giants like John Bradley and Roger Echols is
22 really that they do the hard job. They laid a very
23 solid foundation that even some of us can
24 essentially summarize, sort of bring out the
25 issues.

1 Another point is that I would say almost
2 the entire workshop has virtually been on
3 meningitis. Given that, I thought it would
4 probably very efficient if I just present two
5 slides.

6 [Slide.]

7 That is my title slide.

8 [Slide.]

9 And my summary slide. Then we can get on
10 with meningitis, spend a lot more time on
11 meningitis and talk about it. But the meeting has
12 been so structured and I don't think, in the spirit
13 of the structure of the meeting, that that would be
14 allowed.

15 [Slide.]

16 So I thought we should raise the issues
17 again at the risk of redundancy. Also, what are
18 the current issues in drug development for the
19 treatment of meningitis. Let me just refocus us
20 here by saying that we are referring to acute
21 bacterial meningitis due to the usual organisms,
22 Strep pneumoniae, maybe Hemophilus, given that a
23 lot of the data come from outside the country.

24 Group B Strep, meningococcus, Listeria.

25 Again, we are not really talking about meningitis

1 in a unique situation. For example, if you have
2 craniofacial trauma or craniofacial surgery or
3 people with intracranial devices, that is not the
4 kind of meningitis that we are talking about.

5 [Slide.]

6 As an outline, my talk is going to touch
7 on entry criteria, treatment, timing of assessment,
8 endpoints as well as, to some extent, population as
9 well as statistics. Given the fact that not all
10 these carry the same amount of weight, I will
11 probably focus again on endpoints and statistical
12 considerations.

13 [Slide.]

14 John Bradley and Roger Echols did mention
15 things about changing epidemiology in meningitis.
16 But I just thought I should bring up this issue of
17 concomitant medication in clinical trials as well
18 as in treatment of meningitis.

19 Here I present you recent data from the
20 Canadian Surveillance Unit that looked at
21 meningitis over a period of time in Canada. The
22 point here is adjunctive dexamethasone and empiric
23 vancomycin treatment. The red line is for
24 vancomycin while the green bars represent
25 adjunctive dexamethasone. What the graph

1 illustrates is the fact that there has been a
2 significant decline in use of adjunctive
3 dexamethasone as well as a tremendous increase in
4 the use of empiric vancomycin certainly since about
5 1996.

6 In fact, in this data by Kellner and
7 colleagues in 1999, 100 percent of the meningitis
8 they wrote in that study were actually on empiric
9 vancomycin at the very beginning. I know John
10 Bradley did say that, in their institution, they
11 still use adjunctive dexamethasone. I wonder how
12 many practitioners here still use adjunctive
13 dexamethasone.

14 I have also read the paper that was
15 recently published by DeGans and colleagues from
16 Europe. What one is not shown, indeed, whether
17 that paper will have a significant impact on the
18 practice of clinical care of meningitis in terms of
19 use of adjunctive dexamethasone.

20 [Slide.]

21 On protocol entry criteria. The 1998
22 Draft Guidance Document does recommend a separate
23 protocol for neonates and young infants because of
24 the specific differences in etiology and clinical
25 manifestation of meningitis in that age group. But

1 the question really is should older children and
2 adults be enrolled in a single or a separate
3 protocol, in particular, given the decline in the
4 incidence of meningitis in this country and other
5 nations that vaccination has been used.

6 Again, let's also think about clinical
7 care of patients with meningitis and the fact that
8 often, when these kids come in, some of them may
9 have been on some antibiotics for maybe otitis
10 media or maybe something else that was not very
11 clear to the practitioner. So the question is what
12 role, if any, should antigen testing, Gram stain
13 and all other non-culture-based tests play in
14 enrollment, especially given the decline in
15 incidence of meningitis and also the fact that a
16 lot of these kids could have been on antibiotics
17 prior to the time that they have been seen for
18 possible enrollment in meningitis trials.

19 I guess the question that I should also
20 bring in at this point is the fact that, even what
21 I just referred to in Bullet No. 2, whether we
22 should place a certain rank order in certainty of
23 diagnosis of meningitis, for example, as we do in
24 fungal infections like candidiasis or invasive
25 aspergillosis to say possible, probable and

1 definite bacterial meningitis as you enroll
2 patients into the study.

3 [Slide.]

4 There has been a lot of talk on choice of
5 comparator. Since yesterday and even this morning
6 there have also been talks about it, but one thing
7 that comes up frequently is the fact that blinding
8 could be a major challenge in meningitis trials.
9 Here I present an example of a trial that enrolls
10 two drugs. On one arm, for example, vancomycin and
11 ceftriaxone on one arm against a single agent that
12 is also given intravenously but has the potential
13 to be stepped down to oral therapy maybe after
14 seven or ten days of treatment and the patient is
15 doing very well.

16 The question then has to do with the
17 impact that sham infusion that might have to be
18 used under that scenario, sham infusion on patients
19 who may have cerebral edema. Is this a big
20 problem? Could this be a big problem?

21 I guess the other question that one needs
22 to ask is, given this kind of scenario that I have
23 presented, which could be a challenge in a clinical
24 trial, is that kind of trial trying to ask too many
25 questions all at once? The point I am making here

1 is that why don't you just see whether the drug is
2 effective as against looking for something else in
3 terms of the potential for the drug to be stepped
4 down to oral treatment.

5 [Slide.]

6 On evaluations, at the agency, we have
7 grappled with quite a few things as we think about
8 meningitis. One of those things that we constantly
9 think about is what is the best time to repeat
10 lumbar puncture in meningitis trials? Is there
11 data to establish that best time? This morning,
12 that has been alluded to. Is it 24 to 48 hours?
13 Is it 18 to 36 hours, 24 to 36 hours? Is it 30
14 hours?

15 Is was interesting that I believe it was
16 Mike Scheld that mentioned an earlier study that
17 when they added beta lactamase to what was
18 considered to be eradication, a lot of the children
19 actually had positive growth. It also reminds me
20 of the trial done by Lebel McCracken that was
21 mentioned earlier in 1989 published in the Journal
22 of Pediatrics that, even though ceftriaxone had
23 clearance at about 24 to 36 hours, when they added
24 a beta lactamase, 7 percent of those that were
25 eradicated actually had positive growth.

1 But the interesting thing is that when
2 that lumbar puncture was repeated at 48 hours and
3 beta lactamase was added again, all of them,
4 including those on the ceftriaxone arm had
5 eradication. So is 48 hours the best time to
6 repeat lumbar puncture?

7 What other factors could impact the time
8 and how should they be factored in when assessing
9 patients in meningitis trials? We think of the
10 organism, itself, the baseline quantity that has
11 been mentioned earlier, the drug, itself and other
12 host factors; for example, the age of the patient
13 involved.

14 This is another issue that comes up quite
15 frequently and that is what really is delayed
16 sterilization and how should we use it in
17 evaluation of meningitis trials? I know that the
18 IDSA Guideline of 1992 said something like, if
19 there are few organisms and you repeat lumbar
20 puncture at 24 to 36 hours, and the patient is
21 doing well, that should be considered a delayed
22 sterilization because usually these patients do not
23 require additional antibiotic therapy.

24 Now, how comfortable are we with that
25 definition from ten years ago? Should that still

1 be the standard? The other thing, the Guidelines
2 said, in 1992, was the fact that quantification of
3 baseline pathogens should be considered. It is
4 relevant, but it should be considered optional.

5 The point that John Bradley did say
6 something about the fact that microbiologic tests
7 can be standardized across all multinational sites.
8 But the point is in terms of quantification of
9 baseline pathogens, how feasible and how consistent
10 could that be across sites even in this country,
11 not to talk of across sites in other countries that
12 may be involved in enrollment of patients with
13 meningitis.

14 [Slide.]

15 Still on evaluation, the next question is
16 when should follow-up evaluations be done and
17 should all patients come for all visits. Here, I
18 will refer us back to the 1992 IDSA Guideline that
19 recommended five to seven weeks for the first early
20 visit to be followed by six to twelve months for
21 all patients.

22 It is interesting to recall that at the
23 1998 Advisory Committee Meeting, two weeks was sort
24 of--it wasn't a consensus, that the majority of
25 opinion at that 1998 Advisory Committee Meeting did

1 say that the test-of-cure visit should be at two
2 weeks and that there should be a six-month follow
3 up of a subset of patients that "were abnormal at
4 the two-week follow up."

5 The question is, then, is there data on
6 the long-term outcome of patients that are "normal"
7 at the early visit of two weeks or five to seven
8 weeks as the case may be and what do late
9 neurologic sequelae tell us about differences, or
10 potential differences, in drug efficacy in
11 meningitis.

12 [Slide.]

13 Here, I present, for illustrative
14 purposes, a hypothetical two-drug, Drug X versus
15 Drug Y, trial where the bacteriologic and clinical
16 outcomes are shown. Drug X had--you know, both of
17 them had a fairly good bacteriologic outcome, 95
18 percent versus 94.6 percent. The clinical outcome
19 was a little bit different, not too different, a
20 little bit different, 72 percent and 80 percent.

21 As you can see, the difference in outcome
22 between Drug X and Y is -8 with a 95 percent
23 confidence interval around the difference of -16.3
24 to 2.5. We have a lot of issues with these and I
25 believe this is not an uncommon kind of finding.

1 John Bradley alluded to it in the
2 meropenem trial and I believe other trials have
3 failed in a similar scenario. The question arises,
4 in my opinion, how do we explain these. I know
5 there are lots of issues with the subtlety or the
6 subjectivity of clinical evaluation that could
7 potentially explain a finding like this.

8 But let me ask the question, because
9 inflammatory response in the subarachnoid space has
10 come up quite frequently. Could Drug X--indeed, it
11 is a good drug. It caused rapid eradication but,
12 indeed, in doing so, it generated a lot of
13 inflammatory markers that resulted in poorer
14 clinical outcome.

15 Or the flip side is could Drug Y also have
16 had a good response but it was not as rapid as Drug
17 X and so the clinical outcome for Drug Y did come
18 out better than the clinical outcome for Drug X.

19 The other question is could Drug X have
20 only suppressed and not really clearly eradicated
21 the organism from the subarachnoid space and that
22 is why we have a poorer clinical outcome.

23 I don't know. I don't have answers to
24 these. We are just bringing up this illustration
25 for discussion purposes.

1 [Slide.]

2 Here I present some of the strengths of
3 clinical endpoints as well as limitations of
4 bacteriologic endpoint. I know this has been
5 discussed at length yesterday and this morning, but
6 let's also look at the fact that clinical endpoint
7 is what really is relevant to practitioners and to
8 patients. Drug traces disease and not necessarily
9 just the organism. It also enables us to compare
10 differences in host effects on cure rates as well
11 as allows a measure of safety which had been
12 mentioned earlier.

13 Limitations of bacteriologic endpoints are
14 the potential for misleading appraisal of drug
15 benefit in a serial disease like bacterial
16 meningitis. Often--and this is a point that I
17 really have to emphasize--often, in clinical trials
18 of meningitis and many other conditions, that
19 repeat lumbar puncture that we talked about may not
20 be available and so we use clinical outcome to
21 presume eradication.

22 If you look back at almost all the trials
23 of meningitis in the past, there have been a lot of
24 patients that have had no repeat lumbar puncture
25 and so eradication had to be presumed.

1 I know it is possible to standardize. I
2 know it is possible to insist on having that done,
3 but I am just talking of the practicality, in the
4 clinical setting, of having a repeat lumbar
5 puncture always.

6 In addition, bacterial endpoint only lacks
7 the ability to estimate the impact of drug on
8 inflammatory response as I brought up in my
9 illustration. Again, it is completely
10 uninformative when it comes to the safety of the
11 drug being tested. As we alluded to earlier, there
12 is no individual-level data that correlates
13 bacteriologic endpoints with clinical response.

14 I know it has a lot of advantages, too,
15 and those have been mentioned in earlier
16 presentations including the fact that a
17 bacteriologic endpoint will certainly make the
18 trial a lot easier to perform

19 [Slide.]

20 Still on outcomes, I did data to show that
21 bacteriologic outcome is a good surrogate for
22 clinical outcome. We have been talking about that
23 the whole of last evening and today we have been
24 saying the same thing. With bacterial endpoint
25 alone means the potential differential effect of

1 drugs on inflammatory response and how should
2 clinical success/failure be defined, and what
3 should constitute the primary efficacy population?

4 That issue has not been emphasized. The
5 fact that some trials could use intention-to-treat
6 or modified intention-to-treat while other trials
7 could use the protocol or evaluable patient
8 population to assess primary outcome.

9 Finally, how best can preclinical and
10 early first-clinical trial data be used in
11 meningitis trials to help address some of the
12 issues that I have highlighted? I think Dr.
13 Goldberger's earlier suggestion comes in directly
14 here.

15 [Slide.]

16 Now, on study design, sample size and
17 statistics, the relevant question here rests on the
18 amount of evidence that is needed to show efficacy
19 in meningitis trials. Should pivotal trials be
20 randomized, active controlled and blinded? From
21 our end, that is the kind of trial that we would
22 like to do.

23 From the end of the investigators and the
24 sponsors, how feasible is that? How practical is
25 that and what role, if any, should noncomparative

1 studies play? That certainly dovetails into the
2 alternative trial design that Dr. Echols mentioned
3 and Mark also mentioned earlier.

4 What are the appropriate noninferiority
5 margins and sample sizes that we should use in
6 meningitis trials?

7 [Slide.]

8 Here, all I have done is to try and bring
9 what I thought I heard yesterday into one single
10 slide, and that is if we look at bacteriologic
11 outcome and clinical outcome and also consider a 90
12 percent power, the numbers I present there are for
13 5 percent delta and 15 percent delta, 5 percent
14 delta for bacteriologic outcome and 15 percent
15 delta for clinical outcome are numbers that we
16 think are not necessarily unfeasible.

17 If you look at the bacteriologic outcome
18 and if you recall the meropenem trial that Dr.
19 Echols presented, the bacteriologic outcome was 98
20 percent. If we look at the trovafloxacin trial
21 that he presented, the bacteriologic outcome for
22 the control arm was 96 percent. However, if you
23 add the input delayed sterilization to the 96
24 percent outcome for most of the trials, you get a
25 bacteriologic outcome of about 98 percent for most

1 trials.

2 So, 5 percent delta is not unachievable in
3 terms of bacteriologic outcome rather than the 10
4 percent delta that has been thrown out and 15
5 percent delta for the clinical outcome is probably
6 a fair balance between the 10 percent delta and 20
7 percent delta.

8 But these are just facts that I am
9 throwing out for consideration at this discussion.

10 [Slide.]

11 Finally, there was a recent publication
12 that came out of the University of Michigan that
13 looked at clinical trials in meningitis that have
14 been done, I believe, since 1980 to the Year 2000.
15 I think what was very interesting in that clinical
16 trial was that if the delta and clinical outcome
17 was defined as 10 percent, it was only one of
18 sixteen studies that were done in this country and
19 Western Europe that could meet a delta of 10
20 percent in terms of sample size.

21 Fifteen of the sixteen studies could meet
22 a delta of 20 percent but only one out of sixteen
23 could meet a delta of 10 percent. The point I am
24 making here is that meningitis trials in the past
25 have had sample sizes that have tended to be on the

1 small side. So this is nothing new, generally, in
2 terms of looking at all the trials that have been
3 done as reviewed by the investigators from the
4 University of Michigan.

5 [Slide.]

6 Finally, I just want to summarize my
7 presentation by asking the questions again so that
8 it will lead us into discussion. What are the
9 strengths and limitations of bacteriologic and
10 microbiologic endpoints? I guess we can spend the
11 whole day talking about this point alone; what is
12 an acceptable loss of clinical efficacy related to
13 the control arm for meningitis trials and what are
14 the issues in study design that deserve
15 consideration when designing a trial in meningitis.

16 Thank you.

17 DR. EDWARDS: Thank you very much.

18 Discussions

19 DR. EDWARDS: Before we actually begin the
20 discussion, the points that we have been provided
21 for discussion are brief enough that I would like
22 to read them. Much of this Dr. Ibia has just
23 already described, but let me just go through them.

24 What are the strengths and limitations of
25 bacteriologic and microbiologic endpoints in

1 clinical trials of acute bacterial meningitis?
2 Please include in your discussion how one would
3 measure differences between drugs and other
4 parameters such as release of inflammatory
5 mediators which may affect clinical outcome. This
6 would be a bit of an extension of a nonclinical
7 outcome and, perhaps, in addition to the
8 bacteriologic outcome.

9 The appropriateness of using surrogate
10 markers for clinical efficacy when the clinical
11 endpoint is measurable, the practicalities of
12 performing meningitis trials, we have really very
13 beautifully heard discussed already. Given the
14 benefit of drug therapy over placebo, delta 1 is
15 presumed to be large. What is an acceptable loss
16 of clinical efficacy relative to control? Delta 2
17 for meningitis trials balancing the serious nature
18 of the illness with the practicalities of
19 performing clinical trials in this disease entity?

20 What other issues of study design deserve
21 consideration when designing a trial of meningitis,
22 issues relating to blinding of the trials,
23 standardization of concomitant therapies and issues
24 related to oral stepdown therapy?

25 We have in this room the absolute highest

1 level of expertise to discuss the issues of trials
2 of meningitis and a golden opportunity of
3 approximately an hour where we can do that in great
4 detail.

5 Reflecting back on the comments that Dr.
6 Powers made yesterday regarding balance, this would
7 be an opportunity to really explore issues related
8 to balance in these trial designs now.

9 So, Bill?

10 DR. CRAIG: I would ask Roger, or I guess
11 even the FDA, has anyone ever taken all the studies
12 there and looked at the patients that did not have
13 eradication at the time period compared to those
14 that did have eradication and see if the clinical
15 outcome was statistically different? It is not
16 enough in any one of the single studies but if you
17 added them all up, one might get enough in the
18 nonelimination group that you would have enough
19 patients to see if there is any impact on the
20 clinical outcome.

21 DR. POWERS: That is a really good thought
22 and that is why, a couple of months ago, we asked a
23 lot of the companies around this table to provide
24 us with all the information they had down to the
25 patient level because what you see in the clinical

1 trials, you will see these totals of percent
2 eradicated.

3 What we want to see is that the people who
4 are eradicated, what happened to them, and the
5 people who didn't eradicate, what happened to them,
6 at the patient level. So we are in the process of
7 collecting that data but, as you can imagine, it
8 takes a long time and we are really grateful to the
9 companies for sending us this information and we
10 are going to pool it altogether and look at that
11 over time.

12 DR. EDWARDS: Yes, George?

13 DR. TALBOT: I was very interested by the
14 presentations and specifically two points that Dr.
15 Bradley mentioned. One is that it appears that
16 assessment of the clinical endpoints in meningitis
17 trials is fraught with difficulty. So I think one
18 has to ask, looking at any of the data such as Dr.
19 Powers was mentioning, whether the endpoints were
20 assessed properly.

21 It sounds like there are a lot of issues
22 there which does speak to considering a
23 microbiologic endpoint although that has some
24 problems, too. So that is a potential weakness of
25 clinical outcome.

1 A potential strength that Dr. Bradley
2 mentioned of the microbiologic outcome is the
3 ability to control biocreep. It is not clear to me
4 that the clinical outcomes have that ability so
5 much given that methods of assessment, methods of
6 supportive care and so forth change over time. So
7 controlling biocreep with a microbiologic endpoint
8 seems to me an important consideration.

9 DR. EDWARDS: Roger, did you want to
10 comment?

11 DR. ECHOLS: Just to comment on Bill's
12 question, I think the FDA is in a unique advantage
13 to be able to request that detailed information. I
14 am not sure I would get the same response from my
15 competitors. Unfortunately, the publications which
16 I have tried to go through don't provide that level
17 of detail.

18 Certainly, to me the toughest question
19 right now is the one that Imo's has mentioned and
20 we have talked about, the whole issue of whether
21 rapid sterilization necessarily translates into
22 clinical response benefit--not relative benefit but
23 not a problem, a negative, in terms of inflammatory
24 mediators.

25 As much as I would like to even think

1 about designing a clinical trial to prove that one
2 way or another, many have tried that long before
3 and I am not going to tread there. The only thing
4 I can think of is really the animal model, or the
5 various animal models, where you can better measure
6 these things, a more appropriate place to answer
7 that question.

8 I think Mike and others might have the
9 answer to that.

10 DR. SCHELD: I don't think we have the
11 answer to that question even in animal models, as
12 Roger has alluded to. We know, at the present
13 time, which inflammatory mediators are most
14 responsible for the development of meningitis, per
15 se. In other words, if you use tumor-necrosis
16 factor alpha or IL1 beta, you can induce meningitis
17 with those cytokines by themselves.

18 There are other cytokines and chemokines
19 which do not do this. We also know that there are
20 chemokines and cytokines that appear to be rather
21 specific for bacterial versus viral disease and
22 some of them have actually been entertained as a
23 diagnostic test.

24 We also know that they are released in an
25 orchestrated pattern over time, just like they are

1 in sepsis or septic shock and some are gone by the
2 time, say, a patient would be arriving at your
3 doorstep. So I am not enthusiastic about trying to
4 measure a particular cytokine response, say, in CSF
5 that would predict outcome in patients with
6 meningitis because I have a feeling that that would
7 be very difficult and would take a lot of patience
8 in order to show that.

9 I think it probably could be done in
10 animal models. The problem there has always been
11 that most of the studies that I am aware have been
12 done in rabbits. The endpoint is usually a
13 microbiologic endpoint and not a clinical endpoint
14 and we don't let the animal survive for days and
15 follow them neurologically or audiotically to
16 understand what those endpoints are.

17 I think the evidence is very strong that
18 TNF alpha causes apoptosis of hippocampal neurons
19 which causes memory loss and other issues related
20 to the neurologic sequelae of meningitis. I
21 suppose that if you had a small animal model and
22 you studied the inflammatory response, you could
23 answer this question of whether rapid bacteriolysis
24 or rapid bactericidal activity without
25 bacteriolysis and, therefore, the attendant

1 inflammatory response led to a change in neurologic
2 or--well, that probably--neurologic sequelae or
3 death.

4 Like Roger mentioned, in clinical trials,
5 which I wholly support, it should either be death
6 or something easily measured as major and lumped
7 together and everything else is over in another
8 category.

9 So I think it is feasible to do those
10 experiments. I am just not aware of any that have
11 been done. Mouse models in meningitis are
12 difficult. They abrogate all of the natural
13 pathogenesis because the organisms are either
14 directly instilled into the cerebral cortex or
15 hyaluronidase or some other enzyme is put in the
16 internasal cavity and that is followed by the
17 bacteria and they get bacteremia and they get
18 meningitis, but only a proportion get meningitis.

19 So it won't be easy to get this answer
20 from an animal model is my main point.

21 DR. EDWARDS: Let me ask you to comment
22 further in this context regarding the issue of
23 other additives to bacteriologic sterilization such
24 as a Gram stain or antigen detection which might
25 strengthen the use of a non-clinical endpoint.

1 DR. SCHELD: I would support the Gram
2 stain as an entry criteria. It is only going to be
3 positive in about 80 percent of patients, but if I
4 had a patient who had pneumococci or meningococci
5 in the blood stream and had a positive Gram stain
6 in the CSF, that patient would be entered in as a
7 definite meningitis case.

8 We don't even do antigen testing in our
9 hospital anymore. Most hospital laboratories
10 either have stopped offering it or the sensitivity
11 and specificity is so poor, or the cross-reactivity
12 with some of the organisms is so bad, that I
13 couldn't recommend it.

14 I would throw out an idea which is
15 probably not going to have any validity but there
16 is a pretty strong growing literature using
17 inflammatory markers which are nonspecific to try
18 and separate bacterial from viral meningitis. This
19 is very important to clinicians, as you know,
20 because if you have got partially treated bacterial
21 meningitis, the spinal-fluid formula can look a lot
22 like viral and patients with viral meningitis can
23 have a high CSF pleocytosis due to neutrophils.

24 They are things like CRP and
25 procalcitonin. NPR, last week, was talking about

1 CRP but it was mainly in heart disease. But you
2 can show that there is a fairly good separation,
3 especially for procalcitonin, between proven
4 bacterial meningitis and viral meningitis. You can
5 separate these groups out.

6 What I don't know is if you followed the
7 response to how the patient did over time with
8 serial procalcitonin measurements whether that
9 would be predictive of how they would do. Somebody
10 ought to do that experiment.

11 DR. DERESINSKI: I know in the U.K., PCR
12 for meningococcal diagnosis is widely available. I
13 am not suggesting that this would be done at point
14 of service but, in terms of deciding post hoc which
15 of the patients enrolled in the trial actually had
16 bacterial meningitis, if PCR were available for the
17 array of pathogens that were of interest, then that
18 would possibly be useful.

19 Can you comment on that?

20 DR. SCHELD: PCR is useful. It is
21 sensitive. It is highly specific. The problems in
22 some of the assays in the past have been that they
23 are too high a false-positive rate. But
24 meningococcal PCR, I think, is very valuable. We
25 don't have one that is as good for pneumococci at

1 present.

2 While we are on this subject, the data is
3 very old. It is back in the 1960s by Roger Feldman
4 and a number of others. But there is, in human
5 beings, a correlation between the height of the
6 bacterial concentration in the spinal fluid and
7 ultimate outcome. The higher that number, the
8 worse the patient is going to do.

9 There is one exception and that is
10 Listeria. For reasons that are not completely
11 clear to me, Listeria tends to have a lower
12 concentration of bacterial in the spinal fluid than
13 do the other three major meningeal pathogens. Yet,
14 the outcome of Listeria meningitis in the United
15 States is quite poor. 25 percent mortality rate is
16 not unheard of.

17 But, for the other pathogens, it holds
18 pretty well.

19 DR. GILBERT: It is more intracellular.

20 DR. SCHELD: That is another good
21 interesting question. At least in animals, that
22 is not the explanation. We did an experiment a
23 number of years ago, or my idea was use a drug that
24 had intracellular penetration and, therefore, it
25 would eradicate Listeria more rapidly from the

1 spinal fluid in an animal model so it shows
2 rifampin which is highly active against Listeria.

3 It didn't work. The reason it doesn't
4 work is because over 95 percent of the Listeria in
5 your spinal fluid are actually in extracellular
6 location. So can't explain it.

7 DR. DERESINSKI: Actually, in a way,
8 related to the issue of the prognostic implications
9 of large numbers of organisms, is it possible,
10 John, that the difference, the inter-country
11 difference, in outcomes in the study, the meropenem
12 study, might be related to the frequency with which
13 children get antibiotics prior to admission to the
14 hospital in the different countries? Was that
15 checked? Were urines looked at for antibiotic?

16 All the studies that have looked at
17 self-reporting or parent reporting of antibiotic
18 administration suggests that it is highly
19 inaccurate and you really need to check the urine.

20 DR. BRADLEY: The urines weren't checked
21 in that study. The one thing that correlated with
22 poor outcomes was time from the onset of symptoms
23 to hospitalization.

24 DR. SCHELD: That is a critical variable
25 in resource-limited settings because you can show,

1 time and time again, that the time from onset of
2 symptoms to the initiation of the first dose of
3 antimicrobial agents in a society such as ours is
4 far shorter than it is in a resource-limited
5 setting.

6 Another thing that we have been interested
7 in very much recently has been the impact of
8 micronutrient deficiency in bacterial infections,
9 in particular. Malnutrition is very common in a
10 setting like the Dominican Republic, which you
11 mentioned earlier. If you look at the data, for
12 example, in West Africa, which is published in
13 Lancet, pneumococcal meningitis in West Africa,
14 both children and adults, has overall death or
15 severe neurologic sequelae in 78 percent of
16 patients.

17 So 22 percent of patients escape
18 unscathed, which is horrible. But it is mainly
19 related to the poor comorbid conditions,
20 malnutrition, et cetera. What we have just shown
21 recently, if you take animals and you make them
22 zinc deficient or glutamine deficient, that not
23 only do they have more bacterial in their spinal
24 fluid, they have more bacteremia and the mortality
25 is twice as high as if they have a normal zinc

1 concentration.

2 So just that one variable affects the
3 animal model profoundly. I can't imagine what it
4 must be doing in human beings.

5 DR. EDWARDS: John?

6 DR. BRADLEY: First, I would like to say
7 that George McCracken would have been here today
8 except he is presenting a talk in meningitis at the
9 International Pediatric Infectious Disease Meetings
10 in Santiago, Chile today. So he couldn't make it.

11 In addressing the issue of dexamethasone
12 use empirically in meningitis, there isn't
13 unanimity in the pediatric ID community. There are
14 two schools of thought. One is led by George
15 McCracken where his retrospective data with
16 pneumococcus suggested a benefit. There weren't
17 enough cases of pneumococcus in his clinical trials
18 in contrast to Hemophilus to show a statistical
19 benefit.

20 So people wanted proof that it worked
21 before they used it. There are two papers, one
22 from Egypt and one from Turkey, which are
23 prospective which show benefit but the disease that
24 is present in those countries is a little bit more
25 severe, a little bit different, so some pediatric

1 ID people here say, well, that is not relevant to
2 our population.

3 Now, with this new paper from Europe in
4 adults, I would think it would give more impetus to
5 the use of dexamethasone but I can just hear my
6 colleagues saying, "Well, that is in adults. That
7 doesn't apply to children." So we still have the
8 issue.

9 In terms of markers of inflammation in the
10 central nervous system, the CSF, the kids that come
11 in already have significant inflammation present,
12 many of them, and with damaged
13 central-nervous-system tissue, you are going to
14 have markers of inflammation being produced just
15 based on damage.

16 To be able to control at the 36- to
17 48-hour point, how many of those inflammatory
18 mediators are a function of death of organisms
19 stimulating white cells or death of cells
20 stimulating white cells I think will be very
21 difficult to separate out.

22 It is a very good question, a very tough
23 question. But I don't know that we can get at it
24 necessarily in these human models. So, in terms of
25 trying to take a clinical outcome parameter and

1 make it more scientific by measuring inflammatory
2 mediators, I think, I think will be very difficult.
3 There is such a huge background in clinical
4 presentations from CNS inflammation and damage that
5 I think it will overshadow the signal from killing
6 of organisms.

7 Again, ten, fifteen years ago, we were
8 looking--when the data on IL-1 and TNF came out, we
9 were looking at drugs, perhaps, which wouldn't
10 cause as rapid an inflammation and everyone was
11 thinking, "Gee, ceftriaxone and cefataxine may not
12 be the drugs of choice anymore." But, again, with
13 the use of drugs like dexamethasone to minimize the
14 impact of exploding organisms, I think that those
15 concerns are a bit less appropriate now, especially
16 if we can standardize dexamethasone use
17 prospectively.

18 Now, we also have the issue of
19 dexamethasone effect in meningococcal meningitis
20 which still is not well characterized. In some
21 Brazilian studies that remain unpublished,
22 dexamethasone decreased hearing loss in a
23 prospective controlled trial. I wish they would
24 publish that information.

25 So I think there are a number of

1 unanswerd questions but I think the micro
2 endpoints are the most defined endpoints that are
3 most likely to be correlated with clinical
4 outcomes. It is important to raise all of these
5 other issues, but I think focusing on what we can
6 do is still very, very important.

7 DR. EDWARDS: To just sort of clarify--I
8 want to address this question to you, Mike, but I
9 will invite anyone to respond. If our goal were to
10 design a study, to create a study design, that
11 would maximize using an endpoint like
12 microbiologic cure and allow flexibility in
13 clinical outcome, so making the study feasible, at
14 this point in time, what would be your selection of
15 the nonclinical outcome parameters to be measured.

16 Can you add things other than just
17 sterilization of the CSF?

18 DR. SCHELD: Without any other prospective
19 or retrospective information from animal models as
20 to whether other inflammatory mediators would
21 predict outcome, I don't think so. I think the
22 microbiologic response, preferably quantitative,
23 assay would be the best defining method.

24 DR. TALBOT: Just along that line, I think
25 that is a question that is important because the

1 sample-size considerations that have been presented
2 are based on dichotomous outcomes. So the question
3 I was going to pose is similar to yours. Is it
4 feasible, clinically, and in the clinical-trial
5 setting, and meaningful to, for example, look at
6 time to reach a certain colony-count threshold or
7 to look at a two- or three-long drop at a certain
8 time.

9 So, for example, could you get a cohort of
10 75 patients, would it be reasonable to randomly
11 assign a third, a third, a third to have a tap at,
12 say, 24, 36, 48 hours or 36, 48 to determine
13 whether or not there is a difference in the profile
14 of drop of counts or time to get to a certain
15 count?

16 DR. EDWARDS: Dave and then Roger.

17 DR. GILBERT: You have convinced me that
18 it is incredibly difficult to do a proper
19 controlled statistically valid study of purulent
20 meningitis. Even if the microbiologic endpoint is
21 accepted as a valid marker, it is still going to
22 take, if I read these numbers right, hundreds of
23 patients, many years, many different sites and the
24 like.

25 So, it strikes me, if our goal in this

1 free, open-flowing discussion is to push the
2 envelope a little bit to see how we can help the
3 clinician help the public that our thinking has to
4 go a bit farther.

5 Dr. Goldberger started out this session
6 suggesting that we could use microbiologic
7 endpoints to, perhaps, get into the accelerated
8 approval sort of format. Again, I think that is
9 doomed just because of the numbers. Yet, what is
10 clinically relevant is that
11 clinicians--academicians, as well, of course--but
12 clinicians want whatever data can be easily
13 accrued.

14 We need to know as well--clinicians also
15 need to know that it is unlikely, it seems to me,
16 that they are going to quickly get a prospective,
17 randomized, double-blind trial. The image of the
18 FDA is wonderful. As somebody said earlier, it is
19 the regulatory agency with respect to drugs that is
20 the envy of the world. It is stamped as safe and
21 effective by the FDA, everybody responds to that.

22 On the other hand, it could be a bad image
23 if the current regulations or the interpretation of
24 the regulations block the flow of pertinent
25 information to the users, to the clinicians. So I

1 would like to, at the risk of having criticism rain
2 down upon my head, suggest that maybe there ought
3 to be a new section in approved package inserts.

4 We have got black boxes with adverse-event
5 warnings and so forth. Could we have a grey box,
6 Pertinent Data of Import for Unlicensed
7 Indications. Now, that is just sitting here
8 dreaming up a name, but so that it is absolutely
9 clear that this data is not data that has been part
10 of the usual prospective, randomized, controlled
11 double-blind study.

12 But, in the process of evaluating new drug
13 X against drug Y, we enrolled 50 patients with
14 pneumococcal meningitis, either comparative or
15 noncomparative, but we only got 50. But we don't
16 want that to be buried in a vault somewhere. We
17 feel like we ought to share that information.

18 I don't like the word "surrogate" because
19 it means so much to different people. So, again,
20 and I have been scratching around here, bacterial
21 eradication does not necessarily correlate with
22 survival or residual organ or tissue injury. Since
23 it is not feasible to promptly assess clinical
24 outcomes in a large number of patients, bacterial
25 eradication is postulated or presumed to provide

1 clinical benefit or words to that effect.

2 This is my postulated grey box. And then
3 the data. Then I don't see how we lose. It
4 doesn't fit within the paradigm of existing
5 regulations and that, of course, always creates
6 angst. But to have pertinent data buried doesn't
7 make sense to me.

8 To wait, the study that we are all quoting
9 in the New England Journal took eight years to do.
10 How many different countries and investigators in
11 five different countries? I mean, that is not
12 prompt service to the American public.

13 DR. EDWARDS: Roger, I have got to ask you
14 to just relax for a moment.

15 DR. GILBERT: You wanted this to be
16 provocative and free-flowing, Mr. Chairman.

17 DR. EDWARDS: Well, you have really
18 introduced a whole conceptual idea here which I
19 really think we need to turn to for a moment before
20 we come back to Roger.

21 Mark?

22 DR. GOLDBERGER: I thought those comments
23 were very interesting. My actual response sort of
24 started yesterday and I think it continues now as I
25 have for, for instance, been on any number of USPHS

1 working groups to look at issues related to therapy
2 of PCP, therapy of Mycobacterium avium, therapy of
3 opportunistic infection in AIDS. There have been
4 many of these groups over the years.

5 The purpose of those groups, in fact, has
6 often been to take information both that is in the
7 product label, information from clinical trials,
8 information from clinical experience of experienced
9 clinicians, et cetera, et cetera, and formulated
10 into recommendations by an authoritative body.

11 More recently those recommendations carry
12 with them some information about where the data was
13 derived from, how strong the recommendation is and
14 that those recommendations are then made available
15 publicly and are available, obviously, on websites,
16 et cetera.

17 It seems to me that the approach that you
18 are outlining fits very well into that type of
19 strategy for making information available. It is,
20 on one hand, very encouraging to now hear that
21 people believe that the product label is the
22 greatest source of information from which all
23 practicing physicians obtain everything they know
24 and, if it is not there, nobody will know anything.

25 Experience has suggested that,

1 regrettably, that is not always the case and that,
2 in fact, if the working group of the IDSA, other
3 major organizations, a combination of one of the
4 neurologic organizations in IDSA were to have a
5 working group and develop such guidelines, they
6 could be made freely available and they would
7 provide enormous help to practicing clinicians and
8 would include, in fact, the kind of information,
9 the strength of the recommendations, et cetera.

10 Truthfully, it seems to me that, actually,
11 is a more effective way of getting information out
12 there than trying to talk about developing a new
13 section of the product label. So that would
14 actually be my simple response.

15 DR. EDWARDS: John?

16 DR. POWERS: Could I add something to that
17 as well? There are two different issues here. One
18 is getting by the regulatory hurdle of getting your
19 drug approved for a specific disease. The second
20 one is how clinicians view that information once it
21 gets out there. There is actually a fair body of
22 information that says what makes clinicians change
23 their practice patterns to use a new drug or an old
24 drug in a new way is a randomized, controlled
25 trial.

1 I can give an example in the recent past
2 where we have looked at things. Caspofungin, an
3 antifungal, was approved for admittedly a different
4 indication, namely as a secondary treatment for
5 invasive aspergillosis based on 60 patients in a
6 historically controlled trial.

7 Voriconazol was approved as primary
8 therapy for invasive aspergillosis based on a
9 400-patient trial that was randomized and
10 prospective. Both of those drugs were approved by
11 us. However, in talking to practicing clinicians,
12 they view the strength of that data very
13 differently. So it is not just getting by us. It
14 is, then, what would clinicians do with information
15 based on twenty pneumococci eradicated out of the
16 spinal fluid and would that give them the
17 information they needed to actually make a change
18 in their clinical practice.

19 DR. EDWARDS: I interpreted that response
20 as a negative.

21 DR. GILBERT: You are very astute. Nobody
22 will argue about the value of prospective,
23 randomized, comparative trials. However, what we
24 are hearing is that, for this very, very serious
25 disease, it is not feasible. If I was

1 industry--and industry is sitting over there like
2 they are deaf and dumb here. I know neither is
3 true, but I am not going to invest money in a trial
4 that is going to take me eight years to accomplish,
5 to get even to minimal statistical power.

6 We have got to come up with something
7 creative.

8 DR. POWERS: Let me ask the flip side.
9 When we had this discussion at the BAMSG, we said,
10 oh, nobody is going to put anybody on the spot.
11 Jack Edwards turned to me and said, "John, let me
12 put you on the spot." So I am going to do the same
13 thing to Roger at this point.

14 DR. EDWARDS: I was going to do the same
15 thing.

16 DR. POWERS: He has been waiting to talk
17 anyway. When Imo showed his last slide, what we
18 are talking about--I am just looking at these
19 numbers. This is 80 percent power, so I got the
20 numbers wrong, I will admit.

21 When one looks at a 90 percent bacterial
22 eradication rate for a 10 percent delta, that is
23 141 patients. When we look at an 80 percent
24 clinical rate--I'm sorry; that is a 90
25 percent--yes; 90 percent bacteriologic cure rate at

1 a 10 percent delta with 80 percent power is 141
2 patients per arm; correct? Did I say that right?

3 If we look at an 80 percent clinical
4 success rate, and I am basing that on the
5 trovafloxacin trial that was published in January,
6 an 80 percent clinical success rate for 80 percent
7 power with a 15 percent delta is 112 patients per
8 arm, less than the microbiologic part of the thing
9 would be.

10 So I guess the question is are those
11 numbers unfeasible to do.

12 DR. ECHOLS: Feasibility--no one has a
13 crystal ball. Certainly, judging from what Trovan
14 or the Pfizer folks were able to do in a relatively
15 short period of time, relatively being a 15-month
16 enrollment period--so I certainly would not even
17 embark on a study that I thought was going to take
18 five, six, seven years.

19 So whether it is 15 months or it is 18
20 months, I am certainly looking at an enrollment
21 time of less than two years. You would have to put
22 the resources behind it but that is our expectation
23 in terms of number of sites, number of countries.

24 So I think we can come up with some
25 meaningful prospective, randomized data with about

1 a 300-patient sample size which I think will
2 satisfy both a tight confidence interval for
3 microbiologic endpoint and a somewhat less tight
4 but still not uncomfortable, a lower boundary of
5 15 percent or something like that, for clinical
6 endpoints as long as the clinical endpoints are
7 hard or relatively hard, or relatively hard.

8 If you start getting into soft clinical
9 endpoints, and you end up with an efficacy rate of
10 70 percent, then the numbers change again. But
11 just to answer, I think, a couple of the other--not
12 to diverge, but just to give you my real idea of
13 what needs to be done.

14 I am convinced, looking at the data, that
15 blinding is really critical here. As much as we
16 would like to demonstrate the option of being able
17 to step down to oral therapy, I think that
18 complicates the study to such an extent that we
19 wouldn't be able to maintain a blind in a global
20 program.

21 So I think the step-down issue should wait
22 for another study or other experience. So I think
23 we can do a double-blinded trial which will then
24 help in some of the clinical evaluations that are
25 not then biased.

1 But my other real concern in as much as we
2 love to quantitate things no matter what it is,
3 quantitating the microbiology in a study conducted
4 in ten different countries is, I think, going to be
5 very, very difficult if not impossible. We can't
6 use a central lab. We have to depend on the local
7 labs. The techniques are--just even trying to
8 train people how to do it, I think, would be a
9 problem.

10 I am also envisioning that many of these
11 cases, they will have already taken the spinal
12 fluid, spun it down and then seen that they have a
13 positive Gram stain. Then they enroll the patient,
14 so you can't go back and even quantitate in an
15 unspun sample the original isolate, the original
16 spinal fluid.

17 DR. TALBOT: What about time, somehow
18 incorporating time, to--

19 DR. ECHOLS: Again, it is going to be very
20 difficult, I think, to even get people to do the
21 second tap within a specific window, to try to then
22 break that out into three different cohorts. I
23 think it just, again, gets a level of difficulty
24 that--the most important thing, in some ways, is
25 almost whether the patient is enrolled on a Friday

1 and then the 48 hours falls on a Sunday, depending
2 on what country and religion you are in, that that
3 may create a bigger problem than anything else,
4 just having the staff available at a specific
5 window to do it. It would be tough enough even
6 with everyone doing it the same way.

7 The only other thought I had is that to
8 get information sooner. We will have a safety
9 board. We will be doing an interim analysis
10 probably after the first hundred cases, or
11 something. If the agency felt that that
12 information would somehow be useful, and they were
13 willing not to penalize us, obviously, for breaking
14 a blind in an interim analysis, somehow that
15 information could be available sooner than the
16 whole study. The whole study would still be
17 running. It wouldn't be that we would stop the
18 study prematurely. It is just that information
19 could be available a little sooner.

20 But it probably wouldn't be available that
21 much sooner. We are not talking years sooner.

22 DR. EDWARDS: This conversation seems to
23 be heading towards a zone of balance, in my
24 opinion. I think that it would be very valuable if
25 we tried to fine-tune the balance issues. So,

1 John, I am now going to put you on the spot. I
2 would like to have you all respond to the comments
3 that Roger has made regarding quantitative
4 bacteriology and what would be the hard clinical
5 endpoints that you would use.

6 DR. POWERS: I think that is actually, to
7 answer your second question first, quantitative
8 microbiology, I think--I guess what we are coming
9 to, the balance I see, is that both clinical and
10 microbiologic endpoints lend something to
11 determining the drug's efficacy, both in a little
12 different way. So they are complementary but
13 different.

14 The quantitative microbiology would add
15 something to the microbiologic endpoint in terms
16 of--as Mike said, there is some prognostic
17 significance to it. However, if it is not
18 practical, then we are back to the feasibility
19 issue. I agree. I think it would be very
20 difficult to get fifty centers, like the
21 trovafloxacin study, and get all that information
22 sent to a central lab and get the quantitative
23 information.

24 It would be helpful, but we don't require
25 it currently. So that gets to the practicality

1 issue of actually doing that.

2 The second question is those hard
3 endpoints, I look to this group here to help us to
4 actually design what those hard endpoints would be,
5 what do clinicians find relevant and can we do this
6 in a way that is more dichotomous of, yes, the
7 person is cured or no, they are not, instead of
8 getting very fuzzy in between.

9 The blinding would help tremendously
10 because, as Roger said, then we don't have this
11 issue of was there any potential bias involved in
12 determining those outcomes, both clinically and
13 from the safety point of view. So I think all
14 those things would help us in the long run.

15 DR. ECHOLS: In terms of the clinical
16 endpoints in the evaluation of previous studies,
17 the major neurologic sequelae is certainly
18 mortality but the one other variable that is, I
19 think, soft is if someone gets an additional
20 antibiotic or has their antibiotic treatment
21 changed, you can, really, at any point--in some
22 protocols, they are automatically considered a
23 failure whereas, in another way, you might consider
24 them nonevaluable.

25 I think, by double-blinding, you can get

1 away from some of that but I think, clearly, in the
2 Trovan study, because people knew they were on
3 either the standard of care and maybe not doing as
4 well as they might like, but, since they were on
5 standard of care, they didn't change therapy
6 whereas, if they were on trovafloxacin, they were a
7 little less sure, they changed therapy even though
8 they were getting better.

9 We just need to avoid that kind of
10 confusion. I think blinding will help, but I still
11 think, unless a patient is getting worse or having
12 a clear outcome, maybe nonevaluability or not
13 including them in the analysis rather than
14 automatically calling them a failure.

15 DR. POWERS: I think a lot of what would
16 help with this, too, would be to define in the
17 protocols ahead of time what actually is a success
18 and what actually is a failure. Dr. Bradley and I
19 talked about this on the phone. One of the issues
20 in the trovafloxacin trial was certain
21 investigators called subdural effusions a failure.

22 If it was specified in the protocol, that
23 is not a failure. That might actually help the
24 clinicians to decide. Having done these trials,
25 myself, before, if the CRO comes out and tells you,

1 why did you put this down there on there, and
2 actually questioned the physicians about why they
3 are putting these things down, it would be helpful.

4 The question still remains, why did that
5 happen in one arm of the trial and not the other.
6 But part of the reason might be, as you said, it
7 wasn't blinded.

8 DR. EDWARDS: John?

9 DR. BRADLEY: I agree exactly with what
10 you have said. I think we can put together hard
11 clinical outcomes rather than going into all of the
12 subtleties of developmental delay and degree of
13 disability. We can define outcomes which would be
14 easier to measure, something along the terms of the
15 Glasgow Outcome Scale.

16 With respect to the blinding, we talked
17 about this as well. In the trovafloxacin study, we
18 were less comfortable with the safety of the drug
19 and any child who was on trovafloxacin who had
20 joint problems during treatment, we wanted to be
21 able to do an MRI on and the company said, "Any
22 time any of you want to do an MRI because of joint
23 concerns, do it."

24 So the safety of quinolones, in general,
25 is far better understood at this point. Far more

1 patients have been treated, kids, so I am no longer
2 interested in identifying the safety issues. So
3 the double-blinding, now, I think is far more
4 important.

5 Getting back even further to the micro
6 versus clinical endpoints, this whole discussion
7 about micro not being a good endpoint is a nice
8 intellectual discussion but I don't think any of us
9 at this table doubt that a micro endpoint works.

10 We all have subtle concerns that there may
11 be situations in which it might not work,
12 inflammatory mediators, this sort of rapidity of
13 sterilization. But none of us feel that micro is
14 not going to be the appropriate indicator, so using
15 micro as the primary endpoint and then putting
16 whatever little qualifications you want to say,
17 "This may not be the end-all and be-all," I am
18 happy with.

19 But I don't want to get away from the fact
20 that we all feel that the micro endpoint is valid.

21 DR. EDWARDS: Mike, I would like to ask
22 you to contribute to the issue of the hard clinical
23 endpoint since we have really got a golden
24 opportunity to discuss that here.

25 DR. SCHELD: I am not familiar with all of

1 the subtleties of the Glasgow Outcome Score that
2 was described in the paper last week in the New
3 England Journal, but what attracts me about it is
4 that they define a group that clearly did very
5 well, could return to work, return to school, was
6 functioning, had no definable neurologic sequelae,
7 and were obviously alive.

8 That was one group. Everybody else was in
9 the other group which is one hard outpost that you
10 could use. I know it is in there. I haven't
11 looked at it in a couple of days. They gave us all
12 of seven days to write the editorial, by the way,
13 and they took out part of the good stuff.

14 So I think these things can be measured
15 better than they have been in the past. I think it
16 is a little bit easier in adults than it is in
17 children because they have a lot of the
18 developmental milestones that they have to meet. I
19 would not wish to speak to that. Maybe John could
20 say a word about it.

21 But I think it should be blinded. I
22 support going to a PO in phase IV type of
23 environment, although I want to ask Roger one quick
24 question. The numbers you presented for trova, did
25 that include the meningococcal experience in

1 Nigeria?

2 DR. ECHOLS: No. This was their single
3 trial which did get published.

4 DR. SCHELD: They did do a separate trial
5 which you may or may not know about.

6 DR. ECHOLS: Yes. You can read about it
7 in The New York Times.

8 DR. SCHELD: It has gotten some flack in
9 the lay press; yes. Nevertheless, what they found
10 in Nigeria, which was the response rate between
11 trovafloxacin and ceftriaxone was roughly
12 identical. 75 percent of those children received
13 all of their trovafloxacin by the oral route.

14 To have an oral drug that would be
15 inexpensive and in a resource-limited setting where
16 you don't have a cold chain for injectable
17 antibiotics would be a major advance. I think that
18 would be nice to have down the road, but I would
19 not encourage you to incorporate that into a
20 phase III trial now.

21 DR. EDWARDS: Stan?

22 DR. DERESINSKI: Roger, I would like to
23 take what--you discussed the issue of changing
24 therapy being counted as a failure, et cetera. I
25 would like to take it a step further than you did

1 and that is I think if you demonstrated that the
2 spinal fluid had, in fact, been sterilized at the
3 point when the antibiotics were changed, that that
4 ought to be counted a success for the assigned
5 therapy, certainly a microbiological success.

6 Maybe we can talk about that.

7 The other is it was brought up the issue
8 of the noncomparative study and how that influences
9 clinicians' management of patients. It is
10 certainly a valid point, but what it speaks to is
11 the same sort of thing that we deal with when we
12 develop guidelines and that is the strength of the
13 evidence.

14 If the alternative to having some
15 noncomparative data is to have no data at all, then
16 I think everybody would agree to the fact that
17 having the non-comparative data, perhaps with an
18 appropriate historical control, as was done with
19 the Caspofungin work, would be better.

20 DR. EDWARDS: Stan, those comments really
21 bring the opportunity for us to discuss this
22 noncomparative issue. Before we do that, George,
23 go ahead.

24 DR. TALBOT: That is exactly what I want
25 to comment on because I think that is a very

1 important consideration. To preface that, I would
2 say that the conversation has flowed despite the
3 comments from Dr. Bradley and Dr. Gilbert again
4 towards the clinical endpoint, the delta for
5 clinical endpoint and so forth.

6 I am not convinced at all that, with the
7 sample size of 300, any companies are going to
8 study acute bacterial meningitis. I am just not
9 convinced of that so correct me if I am wrong.
10 But, given though we are hearing about people
11 exiting this business, I am just afraid that people
12 are going to feel good in leaving the meeting that
13 we have gotten it down to 300 from 700. But I am
14 not convinced that is going to make any difference
15 at all.

16 So what about the noncomparative design?
17 I think that there are some merits there to
18 consider. I would add one little tweak to that
19 which is I would do two things. I would have an
20 endpoint that is microbiologic with a sample size
21 that allows a fairly narrow confidence interval
22 around that and pick that by using historical data,
23 as, say, 95 percent is your target or what have
24 you.

25 But I would include a control group, not

1 for the purposes of performing a statistical
2 comparison but to allow two things. One is
3 blinding to address all the potential errors of
4 ascertainment, of adverse events, treatment
5 decisions that could be biased because of the
6 standard therapy versus not issue.

7 Second of all would be to provide some
8 internal anchor for the study which tells you
9 whether the study has somehow gone grossly wrong,
10 that, for some reason, the study was not conducted
11 according to the standards you would think.

12 Your power to detect that with a
13 small--not one-to-one, but, say, a three-to-one
14 randomization--your power to detect it with a small
15 comparative group is, admittedly, low but all I
16 would be looking for would be some gross difference
17 in the point estimate of those results,
18 microbiologically and clinically.

19 So, with that variation, I would come back
20 to I would really like to make it possible to have
21 a microbiologic endpoint. I would pick it a
22 priori, as has been done for some other
23 indications. But I would include a small
24 comparative group as an internal anchor.

25 DR. ECHOLS: One of the figures I showed,

1 again, just to reiterate some of those numbers, if
2 you have a microbiologic response of 95 percent,
3 and if you are comfortable with a plus-or-minus 5
4 percent around that, sample size, then, for a
5 single arm, is only about 100 enrolled. Evaluable,
6 is only about 75.

7 The problem is, then, your experience with
8 Strep pneumo is small, estimate of around fifteen
9 cases of Strep pneumo. If you throw in another 25
10 percent for some sort of gauge for clinical
11 response, again, obviously, or confidence intervals
12 would then sort of go pretty wide but you could do
13 it for 150 subjects.

14 Just to come back to your question,
15 George, about what other companies might want to
16 do. This is a study we have talked about doing
17 within our company for some time, with the agency
18 for some time. I know it is in our budget and we
19 are ready to roll with this 300-patient study. We
20 were not willing to undertake a 700-patient study,
21 not so much the resources but we just didn't think
22 we could do it.

23 So I still think we can do a 300-patient
24 study. It is not going to be easy, but whether
25 that same hurdle would be something other companies

1 would accept I think is a reasonable question.

2 Doing meningitis trials, pediatric
3 meningitis or meningitis, period, it is not for a
4 market that anyone wants to go after. It is very
5 much of a secondary gain and it may be different
6 for different programs. But it is never because
7 there is money to be made in the treatment of
8 meningitis. So it is a difficult question for
9 companies to answer. There are motivations for
10 doing the trial that are not directly necessarily
11 obvious in terms of what the market size is.

12 DR. EDWARDS: Could I ask for comment from
13 others regarding Roger's comments?

14 DR. GESSER: I guess the question is
15 whether we would consider that feasible or whether
16 Merck would consider that feasible. I think there
17 are just too many factors to consider to give
18 blanket statement what is feasible and not
19 feasible. But I think Roger has expressed the
20 difficulties and the salient features and the
21 hesitancy and issues that will come up going
22 forward.

23 So it is really hard to give you a flat
24 answer. It depends on the agent. It depends on
25 the program. It depends on the status of vaccine,

1 so many things. Possibly, it depends on Roger's
2 experience if he is the first one going forward.

3 DR. TALBOT: Everybody else is going to
4 wait two or three years to see how Roger does?

5 DR. GESSER: It takes a while to--it
6 sounds like Roger is in a position to make a
7 decision.

8 DR. TALBOT: I guess I am sort of putting
9 you on the spot because what IDSA is saying is we
10 need more data. I don't sense that there is
11 unbridled enthusiasm here about the feasibility of
12 even a 300-patient trial.

13 DR. COCCHETTO: Although, George, my
14 common sense tells me I would probably be better
15 off to remain silent, I think your statement is
16 more correct than incorrect. Certainly, if we
17 looked at this with a drug in hand, I can say, and
18 I suspect Richard would agree, inside the company,
19 it would be a very energetic and animated
20 discussion.

21 This is a tough one. The study that Roger
22 is talking about conducting gives me chills,
23 frankly. I think, from a regulatory perspective,
24 you have got a pretty substantial probability of
25 losing on that study--I think. If I were your

1 regulatory affairs professional, we would have some
2 tough one-on-one discussions about whether to
3 undertake that trial.

4 I think those outcomes are very demanding
5 on your drug and, obviously, it is going to depend
6 on the drug. So I tend to agree with you, George.
7 I think it is a tough one to persuade an
8 organization to undertake. I would want to be
9 focused on, really, exactly the right drug and have
10 very tight agreement on the clinical definitions
11 particularly

12 DR. GOLDBERGER: Could I make a comment?

13 DR. EDWARDS: Yes, Mark

14 DR. GOLDBERGER: A couple of things.

15 First, about a noncomparative trial; I think that
16 one concern which I think came up in some of the
17 discussion is that, from situation to situation and
18 over time and at different clinical study sites,
19 people do things differently. So, when you try to
20 figure out what is the target I am looking for, you
21 take into assumptions of what has been in the
22 literature.

23 One of the problems is the literature
24 doesn't always completely report, well, certain
25 patients dropped out, certain patients were

1 nonevaluable, how were they really counted. You
2 make your assumptions about how you want to see
3 performance. You don't really know everything that
4 was necessarily done.

5 As a result, when you do the
6 noncomparative trial, you may end up with something
7 different than what you anticipated which really
8 wasn't bad but, based on what your plan was going
9 in, it leaves you with a problem.

10 One example that comes up is when we were
11 involved, for instance, with Adventis a few years
12 ago with the development of rifapentine for
13 pulmonary tuberculosis, one of the interesting
14 things that came out of it was if you looked at the
15 rifampin arm, and, again, these studies were done
16 largely in rural South African farm workers--the
17 rifampin arm, which was better than rifapentine,
18 but the rifampin arm's failure rate was higher than
19 what most people would have expected.

20 If you were using some kind of historical
21 control, you might have been fooled. The fact is
22 that some of the data in the literature either
23 didn't take into account all of what we knew about
24 failures, et cetera. It didn't take into account
25 the kind of severity of patients that you might be

1 enrolling in a contemporary trial. It was probably
2 somewhere between 50 and 100 percent higher than
3 what you would have expected.

4 As a result, the rifapentine was higher
5 than that. But you might have been misled if you
6 ended up doing a noncomparative trial. That is my
7 first comment.

8 My other comment is, and I don't know
9 whether Roger--I don't want to put him on the spot
10 about this, but, in truth, when we talk about,
11 well, what is the incentive for a company to be
12 doing something like this. There are a lot of
13 reasons for doing it. It can project a very
14 favorable image for the company. It makes their
15 product overall look better.

16 But, remember one thing with regards, for
17 instance, to the meningitis indication, depending
18 on the molecule you have one hand, one of the
19 things is, it is a lot easier to justify this if
20 you have got a product out there already that is
21 doing fairly well as opposed to something that you
22 are in early phases of development because then you
23 have the option, is the indication in question, et
24 cetera, going to be something that doing a study
25 like this might, for instance, qualify for six

1 months of additional pediatric exclusivity.

2 Keep in mind that that is a pretty
3 significant financial payback. If you have got a
4 product earning hundreds of millions of dollars,
5 six months of extra exclusivity does give you a
6 more meaningful financial return and can be an
7 incentive where, for a company who is developing
8 the product doesn't have it out there yet, that
9 calculation may be very different.

10 The other thing to keep in mind, that for
11 pediatric exclusivity, you need to perform the
12 study. The fact that the product, for instance,
13 does not work as well as performed may mean you
14 don't get it in the label--you get some statement
15 in the label about how it performed, if there is a
16 concern. But you also get the exclusivity.

17 You do not have to be successful in how
18 the product performed. You have to be successful
19 in performing the study. So there is that
20 incentive.

21 Now, that doesn't apply, obviously, for
22 indications that are going to be used exclusively
23 in adults, et cetera. But meningitis is a little
24 different. For the right product, that currently
25 does exist. We do not require any additional

1 legislation. So you might keep that in mind; in
2 some circumstances, that is a useful tool.

3 The last comment I make is people are
4 familiar with what products, for instance, Roger's
5 company, may have available. But one thing no one
6 actually has talked about--everyone has talked
7 about additional trials to look at new products in
8 meningitis. Actually, I don't think anybody has
9 mentioned to date what products they want studied
10 in those new trials. We would certainly be
11 interested in hearing that, what people would like
12 to see in terms of, say, a larger trial to assess
13 efficacy, what other products there are that people
14 are interested in, particularly products that are a
15 little further along.

16 But we haven't heard any product named, I
17 don't think, at all in this discussion.

18 DR. EDWARDS: I think we are going to take
19 a break now. Let me just, if I may, briefly
20 summarize this discussion by saying that, with the
21 introduction of a balance, there is at least one
22 major pharmaceutical company strongly considering
23 embarking on a trial within the confines of a
24 balance analysis strategy and others who are
25 noncommittal at this point.

1 One can look at that either positively or
2 negatively. For some of us, that is very
3 optimistic, realizing the difficulties studying
4 this particular entity. For others, it might drive
5 even a stronger interest in trying to do some of
6 the fine tuning, on the balance, to entice others.

7 So, let me leave it at that. If we could
8 come back at just a little after 11:15, that would
9 be great so we can move on. Thank you.

10 [Break.]

11 DR. EDWARDS: We are now going to turn to
12 the issue of acute exacerbation of chronic
13 bronchitis. We are sort of leaving one extremely
14 difficult topic and moving to one of, perhaps, even
15 greater complexity.

16 We will use the same format and have three
17 speakers and then begin moving through the
18 questions. I would like to ask Jan Hirschmann to
19 begin. Jan?

20 Issues in Clinical Trials of Acute Exacerbations
21 of Acute Bronchitis
22 IDSA Speaker

23 DR. HIRSCHMANN: Thank you very much.

24 [Slide.]

25 Most people in the United States who have

1 acute exacerbations of chronic bronchitis receive
2 antibiotics. But, do they, in fact, work?

3 [Slide.]

4 To answer that question, we have to
5 address two different definitions. First of all,
6 what do we mean by chronic bronchitis? This is a
7 disease that occurs in current or previous smokers
8 with a long history of tobacco use. These patients
9 have chronic sputum production without any other
10 explanation.

11 Acute exacerbations are defined as acute
12 attacks in which there is one or more of the
13 following symptoms; increased cough, increased
14 dyspnea, increased sputum or a change in sputum
15 color.

16 [Slide.]

17 On average, a patient with chronic
18 bronchitis has one to two episodes of these per
19 year. We know that there are certain noninfectious
20 causes that are convincingly demonstrated. Air
21 pollution, changes in barometric pressure, exposure
22 to fumes, dust and smoke, exposure to cold air can
23 all bring about these symptoms.

24 [Slide.]

25 In addition, however, we also know that

1 there are certain infections that are causes.
2 Viruses are responsible for somewhere between 20
3 and 65 percent of the cases of exacerbation,
4 probably closer to the higher number using the most
5 recent data with the most sophisticated techniques.

6 Two organisms which might be responsible
7 and might be usefully treated by antibiotics turn
8 out to be present in very small numbers.
9 *Mycoplasma pneumoniae* is represented in less than 1
10 percent of the cases of acute exacerbations and
11 *Chlamydia pneumoniae* probably less than 5 percent.
12 In fact, there are probably no cases in which it
13 has actually been isolated from the sputum. These
14 are all on the basis of serological studies.

15 So the information about acute
16 exacerbations of chronic bronchitis relate
17 primarily to three respiratory organisms;
18 *Hemophilus influenzae*, *Streptococcus pneumoniae*,
19 and *Moraxella catarrhalis*. These organisms,
20 whether the sputum is taken by expectoration or
21 whether it is taken by protected bronchoscopic
22 specimens are present in about 20 to 50 percent of
23 cases of acute exacerbations.

24 That means, of course, that 50 to 80
25 percent of exacerbations have no demonstrable

1 bacterial cause. In these 20 to 50 percent in
2 which Hemophilus influenzae, Streptococcus
3 pneumoniae or Moraxella catarrhalis are present,
4 does that mean that these organisms are, indeed,
5 responsible for the exacerbation?

6 The answer is, not necessarily because
7 these very same organisms are present in the sputum
8 of patients with chronic bronchitis even between
9 acute exacerbations. What we need to know is
10 whether these are innocent bystanders who are
11 colonizing or whether they are actually responsible
12 for the exacerbations.

13 How are you going to answer this question
14 and how are we going to answer the original
15 question that I asked; that is, are antibiotics
16 useful in exacerbations.

17 [Slide.]

18 We have to do this by doing controlled
19 trials. The ideal trial, in this particular
20 respect, would be randomized, double-blind and
21 placebo-controlled and it would have to have a
22 large number, not only for statistical reasons but
23 some people believe that this is a heterogeneous
24 disease in which there are several subgroups which
25 are different from others.

1 So we have to have a trial that includes
2 these various subgroups in adequate numbers to make
3 sure that we know which, if any, of these groups
4 actually respond to antibiotic therapy. We have to
5 have microbiology to determine what the actual
6 cause of these things are and we have to have chest
7 films to exclude pneumonia.

8 Now, pneumonia is not a very common
9 complication of acute exacerbations, but it is
10 clear that even a small number in any group would
11 make a major difference in terms of the outcome of
12 antibiotics versus placebo. Very importantly, we
13 have to have standardized therapy. Everybody has
14 to be treated the same and that means
15 bronchodilators, both beta-adrenergic agents and
16 anticholinergic agents and systemic
17 corticosteroids, a point I will return to in a
18 moment.

19 [Slide.]

20 We have to stratify patients by severity,
21 not only of the exacerbation, itself, but also of
22 the underlying disease. Because some people
23 believe that the advanced patients with chronic
24 bronchitis have a different microbiology from those
25 who have mild to moderate disease; that is, they

1 believe that Gram-negative rods are more important
2 in these patients than they are in patients with
3 less severe disease.

4 We have to use outcome criteria that are
5 assessed early. We know, on the basis of almost
6 every acute bacterial infection, that there should
7 be some response in the first few days. It doesn't
8 make sense, then, to look at the evaluation three
9 weeks after the particular problem occurs. We
10 should be looking at it three to five days, seven
11 days, and so forth, not looking, as so many studies
12 have done at 21 days after the event started.

13 What symptoms should we be looking at?
14 Patients come in to their doctors not because there
15 are sputum changes from white to green or yellow.
16 That, after all, is an aesthetic question like the
17 difference between a Hogarth and a Matisse, say.

18 They come in because they are short of
19 breath. They can't do as much as they want to do.
20 So the outcome criterion which we should look at is
21 dyspnea. The other symptoms that might be
22 important are cough, but the difference between
23 white and yellow sputum isn't really an important
24 outcome criterion.

25 People like to have numbers, to have some

1 evidence of objective evaluation as well in terms
2 of exercise capacity. This may be something as
3 simple as six-minute walk. How far can the patient
4 walk in six minutes, a very easy criterion to use
5 or it could be more elaborate.

6 We also should have pulmonary-function
7 tests, not because these are necessarily so good in
8 evaluating dyspnea, but because they do provide us
9 with an objective criterion which we can measure
10 from time to time and have been used in previous
11 studies.

12 The other criterion that would be
13 important is a return to usual activities.

14 [Slide.]

15 There should be long-term follow up
16 because we want to know if we can eradicate the
17 organisms that are present in the airway, does
18 that, in fact, reduce the incidence of recurrent
19 attacks. Can there be some benefit beyond just
20 reducing the problem of the acute exacerbation and
21 having some benefit over a longer period of time.

22 There are some people that have argued
23 that these organisms that are present during
24 periods of remission such as Hemophilus influenzae
25 and Pneumococcus might, in fact, have some

1 long-term deleterious effect, that they are not
2 innocent bystanders, they are actually pathogenic
3 even at a time in which the patient seems to be at
4 his baseline.

5 We also have to have a careful record of
6 adverse drug effects. We tend to look upon studies
7 as are they effective or not. But we have to weigh
8 what the problems are with the drugs, themselves.

9 If we were able to show that an antibiotic
10 reduced the acute exacerbation by one day, and yet
11 the risk to the patient was 20 percent of diarrhea,
12 nausea and vomiting, very few patients would say,
13 "I would want to take that antibiotic." They would
14 prefer to have the extra day without the new
15 symptoms.

16 We have to have appropriate analysis. It
17 has to be statistical analysis for significance but
18 we have to look at the numbers that come out of
19 that; are these, in fact, clinically significant in
20 addition to being statistically significant.

21 [Slide.]

22 There are eleven placebo-controlled
23 trials. Eight show no benefit and three favor
24 antibiotics. The three that favor antibiotics
25 include two from a British hospital in the 1960s

1 that describe a group of patients that almost
2 certainly had bronchiectasis and these two studies
3 are not relevant to current standards.

4 The eight that show no benefit have in
5 common among other things that they are not
6 satisfactory in terms of numbers. Moreover, none
7 of these trials meet all the criteria that I
8 mentioned and, in fact, none of the trials meet
9 even most of the criteria that I mentioned.

10 So, in fact, what we have to conclude
11 almost immediately is that we can't answer the
12 question I originally asked because the data are,
13 in fact, inadequate. That hasn't prevented people
14 from trying, however.

15 [Slide.]

16 There was a meta-analysis that was
17 published in 1995 that looked the six
18 placebo-controlled trials. It had the similar
19 outcome criterion of peak expiratory-flow rate.
20 The advantage to antibiotics was a peak
21 expiratory-flow rate 10 liters per minute greater
22 than in the placebo group.

23 Every person who is a proponent of
24 antibiotics has quoted this trial as being
25 supportive of antibiotics. It must be some kind of

1 decerebrate reflex because if you look at what
2 those numbers mean, they are meaningless. The peak
3 expiratory-flow rate, on average, in these patients
4 was 200 liters per minute. This represents a 5
5 percent change, a change that cannot be
6 reproducibly done between one setting and another
7 within moments.

8 Moreover, there is not a person in the
9 world who can tell the difference of a peak
10 expiratory-flow rate of 10 liters per minute in
11 terms of improving the symptom of dyspnea or
12 increasing his exercise tolerance. So this
13 difference is absolutely physiologically and
14 clinically meaningless.

15 What we can conclude from this
16 meta-analysis is whatever else antibiotics do, they
17 are not good bronchodilators.

18 [Slide.]

19 I want to look at three studies
20 particularly that have often been quoted and I
21 think tell us a lot about what the studies can say.

22 This Canadian study is the shrine at which
23 the antibiotic proponents worship. It contains 173
24 patients. It has looked at 362 attacks over four
25 years from 1981 to 1984. It analyzed the attacks

1 in terms of three different groups, whether they
2 had one, two or three of all the symptoms of
3 increased dyspnea, increased sputum volume or
4 increased sputum purulence.

5 If the patients had only one or two, there
6 was no statistical significance between the placebo
7 and the antibiotic group. If they had all three,
8 which is 40 percent of all the patients, then there
9 was some benefit for antibiotics in terms of
10 increased success and decreased deterioration.
11 Now, this was seem to be strong argument in favor
12 of antibiotics.

13 [Slide.]

14 But the trial has several problems. In
15 the first place, there was no microbiology
16 performed. This doesn't invalidate the results but
17 it would be much more scientifically rigorous if
18 they could show that there was a correlation
19 between the clinical benefits and the microbiologic
20 findings.

21 Secondly, there were no chest films done.
22 This was particularly important in this study
23 because 30 percent of the patients were reported to
24 be having fever. So even a few patients who had
25 pneumonia who were undiagnosed would make a real

1 difference.

2 But, to me, the mortal wound for this
3 study is that there was no stratification for
4 corticosteroids. 40 percent of patients received
5 them but there was no systematic assignment. There
6 was no standardized dose and there was no
7 standardized duration.

8 So this study fails to meet the absolute
9 minimum criterion for a placebo-controlled trial;
10 that is to say, the confidence that the two groups
11 were identical in every important respect except
12 the intervention being analyzed. We don't know
13 whether the groups who received corticosteroids
14 are, in fact, the same in terms of those who
15 received antibiotics.

16 [Slide.]

17 One study that avoided this problem was
18 done in Denmark from 1986 to 1988 and had 270
19 patients. It eliminated all corticosteroid use
20 from these patients and made sure that the patients
21 didn't have pneumonia. When the patients were
22 evaluated by peak expiratory-flow rate or by the
23 physician evaluation at eight days, there was no
24 difference.

25 [Slide.]

1 But this doesn't really answer the kind of
2 clinical question that I would like to know and
3 that is what benefit, if any, is there in patients
4 who are receiving corticosteroids because what we
5 know now, from various studies, is that
6 corticosteroids make a major difference in acute
7 exacerbations, whether the patients are in-patients
8 or out-patients. These controlled trials have all
9 shown that corticosteroids will improve these
10 patients faster and there will be fewer failures.

11 Some have suggested that the duration
12 between the time in which the patient is treated
13 and the time in which the next exacerbation occurs
14 is lengthened by those patients who receive
15 corticosteroids. So any trial, I think, should
16 have patients have systemic corticosteroids as part
17 of their standardized therapy.

18 [Slide.]

19 When you do that, do antibiotics have any
20 additional benefit? This was looked at in a Dutch
21 study that looked at 71 patients from 1988 to 1991.
22 Everybody received corticosteroids and they were
23 randomized to receive amoxicillin,
24 sulfatrimethaprim or placebo. They could find no
25 difference among these groups in symptoms, peak

1 expiratory-flow rate or future relapse.

2 The problem with the study is the numbers
3 are small. The patients were not particularly ill
4 and there were a few patients with asthma.

5 [Slide.]

6 If we look back at the Canadian study for
7 this particular question, in those patients who
8 received corticosteroids, was there any additional
9 benefit to the antibiotics, the answer is no.
10 There are 73 in the placebo group and 72 in the
11 antibiotic group, and those patients had no
12 difference in outcome.

13 [Slide.]

14 So what conclusion can we draw from this
15 particular information. The available studies are
16 inadequate to answer the question I originally
17 posed. We do not have the information that
18 antibiotics are effective overall for any defined
19 subgroup and particularly with the current kind of
20 therapy we use which includes bronchodilators and
21 corticosteroids.

22 We need an appropriate study now to answer
23 the question, is this study safe?

24 I want to end on a personal note. When I
25 was a pulmonary fellow in the 1970s, I looked at

1 the information that was available then on
2 antibiotics and I didn't find it very compelling.
3 On the other hand, on the basis of my own clinical
4 experience, I thought corticosteroids were. So
5 ever since then, I have treated acute exacerbations
6 with corticosteroids without antibiotics.

7 I have treated over a thousand
8 exacerbations and I have never regretted it.

9 DR. EDWARDS: Thank you very much.

10 Our next speaker is Roger Echols.

11 PhRMA Speaker

12 DR. ECHOLS: Thank you.

13 [Slide.]

14 You might expect some fireworks. I don't
15 want to line up the number of patients, obviously,
16 that I haven't treated personally but, in clinical
17 trials, in many thousands over the last twelve
18 years, with antibiotics, but actually I have to
19 agree with--I don't have to; I do agree with Dr.
20 Hirschmann that the evidence for delta 1, the
21 evidence that there is a benefit of any antibiotic
22 therapy over placebo is woefully not only
23 inadequate but missing.
24 So I may surprise some of you with some of the
25 conclusions.

1 [Slide.]

2 But I do want to address, based on a very
3 recent study, how we have tried to address some of
4 the criticisms of previous clinical-trial design
5 and so the study I am about to explain to you
6 really focused on what was considered to be true
7 exacerbation of chronic bronchitis. The word
8 "true" is really meaningless, but we did have very
9 strict criteria in terms of people having
10 underlying chronic bronchitis.

11 Smoking history was--not only history was
12 identified in the vast majority of patients but
13 about 40 percent of them were still current
14 smokers. What we are talking about has nothing to
15 do with secondary bacterial infection of acute
16 bronchitis. I just want to make sure that people
17 understand that.

18 But even when you try to select an
19 appropriate population to study in a noninferiority
20 design, and where we have been going from how to
21 tighten the confidence interval that we are not
22 having biocreep, the numbers here sort of
23 illustrate that when you have an expected success
24 and the guidelines that we have following for many
25 years look at one to two weeks following the end of

1 antibiotic therapy, the resolution of clinical
2 signs and symptoms has been the outcome.

3 With a two-sided 95 percent confidence
4 interval, with a well-powered study, 90 percent,
5 where about 85 percent of the subjects are
6 evaluable, with a 15 percent delta which is what
7 has been the standard, you need to enroll about 350
8 patients. By tightening that confidence interval
9 to delta of 10 percent, you see a substantial
10 increase in the patient population.

11 Now, in AECB, finding patients is really
12 not the issue. I would say doing a study with a
13 delta of 10 percent certainly is doable. That is
14 the study I would like to present to you.

15 [Slide.]

16 This was a study of a quinolone versus a
17 macrolide. I think to try to show differences
18 within class is much less likely than between
19 classes, particularly given the differences in the
20 microbiologic spectrum of the two classes of drugs.
21 This was a study powered for 10 percent delta,
22 hence a nearly 800-patient enrollment with an
23 average age of 53. We required, or tried to
24 require, all three cardinal symptoms in addition to
25 cough, for all the cases and so the description

1 that Dr. Hirschmann mentioned about the Canadian
2 study, that is the Anthonisen study, the type 1
3 where the benefit of antibiotics over placebo had
4 been shown.

5 In fact, in this study, 90 percent of the
6 patients were type 1 and the other 10 percent were
7 slipped into type 2. As I say, over 80 percent had
8 a history, or at least admitted to a history, of
9 smoking which is always going to be somewhat an
10 underestimate, but 46 percent were still current
11 smokers.

12 Over half the patients had had symptoms,
13 acute symptoms that had persisted for more than
14 seven days. But only 10 percent of the patients
15 had been receiving chronic steroids or receiving
16 concomitant steroids, systemic steroids, at the
17 time of enrolling in the study.

18 This is an important point, I think, when
19 we get into the discussion of standardizing for
20 steroid use. Yes; we did stratify to assure that
21 there were equal numbers of patients receiving
22 systemic steroids but with subjects meeting all the
23 other criteria for a type 1 exacerbation, only 10
24 percent were getting steroids.

25 So, to me, it would be easier to not allow

1 any steroids than it would be to put everybody on
2 steroids in a clinical trial.

3 [Slide.]

4 The subjects with pathogens--in other
5 words, a positive culture from a valid sputum
6 showing inflammatory cells and not contaminated
7 with epithelial cells, was a nearly two-thirds, or
8 was two-thirds, of the overall population with the
9 vast majority of these being a single pathogen.

10 As expected, the big three, pneumococci,
11 Hemophilus and M. cat were about equally
12 distributed in 40 percent, but there were a
13 significant number of other possible pathogens,
14 again with AECB, whether it is colonization or
15 whether it is pathogens, I think, is very much a
16 question that is very difficult to answer.

17 Staph aureus; is that a nonpathogen in
18 AECB? Again, the Gram negatives, about the most
19 common Gram-negative organisms we saw were
20 Klebsiella pneumoniae, which is certainly a
21 respiratory pathogen, and then Pseudomonas
22 aeruginosa, which can be a pathogen in
23 respiratory-tract infections.

24 So this, again, to me is a typical
25 distribution of organisms in a large clinical trial

1 using a central laboratory. These patients were
2 pretty much all from North America, but the point I
3 want to make here is when we did susceptibility to
4 all the organisms, 99-plus percent were susceptible
5 to the quinolone. Only 70 percent were susceptible
6 to the macrolide.

7 So one might expect, if there were an
8 effect of antibiotics, that you would be able to
9 demonstrate a clinical difference and, perhaps,
10 even a microbiologic difference. However, we did
11 not.

12 [Slide.]

13 It is not relevant here for purposes of
14 which drug had the slightly higher or the slightly
15 lower success rate, just to show you that when you
16 do a large enough study and the success rate is,
17 the point estimate difference, is small, it is easy
18 to satisfy the lower boundary of 10 percent. So
19 that is not a problem.

20 From a noninferiority point of view, doing
21 a large study in AECS to show that your equivalent
22 is doable, but then to try to make sense out of it
23 and say, really, what is the benefit of your
24 antibiotic, it is more difficult.

25 I point out, particularly, the

1 microbiologically evaluable subjects. These are
2 patients that had positive sputum cultures at
3 entry. There is absolutely no difference in the
4 clinical outcome in this subpopulation. Even when
5 we look at patients that had Gram-negative
6 organisms, there was no difference in the clinical
7 outcome between the quinolone treatment and the
8 macrolide treatment.

9 There was a slight difference but, again,
10 it was not significant when you looked at the
11 eradication of individual organisms, but, as I
12 think many of you know, now in every case do we get
13 a follow-up sputum so, sometimes, that eradication
14 rate is driven by the clinical response.

15 [Slide.]

16 So from, I am going to say, my personal
17 perspective, and some of what I am proposing here
18 is not necessarily something that is endorsed, I
19 think, by--and I don't want to claim that I am
20 representing all of PhRMA or even my own company--I
21 think there are real issues with noninferiority
22 studies in AECEB.

23 As I said, you can tighten the delta and
24 get confident that you are not different from your
25 active control but what questions have you really

1 answered even when you try to select the patient
2 population in the most stringent way possible.

3 Is the positive culture reflective of
4 infection or colonization? Again, we used the
5 so-called Anthonisen scoring system to identify
6 those patients with type 1, but using objective
7 measures of response, other than the clinical
8 response, whether--the pulmonary-function studies
9 have been mentioned. It is important to note that,
10 to get a baseline--the way these studies have been
11 done is a stable of patients generally within one
12 or two centers and they have baseline--in other
13 words, not when they are having an acute
14 exacerbation, pulmonary-function studies, you sort
15 of need that kind of background information to do
16 that assessment, to do your first
17 pulmonary-function study in the face of an acute
18 exacerbation, the data, I think, are much more
19 variable and difficult to control.

20 I will come back to that in a second.

21 [Slide.]

22 The flip side of--antibiotics are not
23 helpful or you can't correlate the microbiologic
24 response with the clinical response. We still have
25 to consider, I think, these exacerbations to be

1 somewhat--to be a clinically significant illness,
2 even though the placebo response measured at about
3 three weeks is about 50 percent, even in the
4 Anthonisen type 1.

5 As Jan pointed out, should we be measuring
6 this at three weeks or should we be measuring the
7 differences at a much closer, much more proximally
8 to the acute exacerbation. But, of those patients
9 that fail, about half of them end up being
10 hospitalized. Again, chronic pulmonary disease
11 remains a leading cause of death.

12 Nevertheless, I have to admit that, based
13 on our own studies and I think most other studies
14 that I have seen, that trying to get a strict
15 correlation or validating, say, the microbiologic
16 evidence with the clinical evidence, they don't
17 correlate well.

18 [Slide.]

19 I am not going to re-review the
20 placebo-controlled trials. Dr. Hirschmann has done
21 that and I think Dr. Thompson will as well, there
22 haven't really been, with the exception, I think,
23 of a recent Italian study, anything that has been
24 conducted in recent years, which is a
25 placebo-controlled study. There were lots of

1 problem with the design and even the Anthonisen
2 study, the Canadian study, was of a crossover
3 design, which the FDA would never allow us to do.

4 So when you look at the acute
5 exacerbation, first episode, among the Anthonisen
6 type 1, the numbers really get small. I agree that
7 the outcome measures that we have been looking at
8 certainly have not been consistent and I am not
9 even sure they are useful.

10 Dr. Hirschmann, with his experience, has
11 based that, I think, somewhat what I would say on
12 older antibiotics but also his personal experience.
13 I don't want to begin to contest that, but I do
14 think that we have not tested in placebo-controlled
15 trials more contemporary antibiotics.

16 It is not that that is a radical idea. It
17 is a risky idea from a sponsor's point of view.
18 There have been several--actually, more than one
19 company I have worked for, but, in addition to
20 that, where the idea of doing placebo-controlled
21 trials in the last decade have been advanced only
22 to be basically not consented to by other--the more
23 sort of commercial side of our organizations,
24 particularly for a product that is already on the
25 market, that the risk is so high, from what we know

1 from the literature and placebo-controlled trials,
2 that you wouldn't be able to show a definite
3 benefit or you wouldn't change anybody's mind, that
4 the risk is just too high to conduct a
5 placebo-controlled trial.

6 I mention that because I think this forum,
7 and maybe follow up, obviously, with an advisory
8 committee forum, is really what we have to begin to
9 create the need, or the requirement, really, for
10 placebo-controlled trials in the future.

11 [Slide.]

12 That is why I am calling this, really, a
13 way out for my dilemma even though I think the
14 outcome--my prejudice about the outcome and being
15 able to show a benefit of antibiotics contrasts
16 with Dr. Hirschmann who is confident that
17 antibiotics won't be able to show a benefit.

18 But I think we are together in many
19 respects in the need for doing additional
20 placebo-controlled trials. What I am suggesting is
21 that that need needs to be not just tacit but
22 explicit. It needs to be something that becomes
23 part of regulatory and clinical requirements; in
24 other words, guideline committees, et cetera, need
25 to insist on placebo-controlled trials.

1 The question, I think, and where I would
2 like to have some of the discussion is what are
3 some of the clinically meaningful benefits that we
4 might define, whether it is time to clinical
5 response, not looking at are you better or not at
6 three weeks, I would suggest, also, that you might
7 design a clinical symptoms scoring system.

8 I have looked at our own databases,
9 looking at what are so-called the cardinal symptoms
10 of dyspnea, sputum production, sputum purulence
11 and, if you wanted to add cough or not. You can
12 create a scoring system of worse, improved and look
13 at the composite score rather than sort of a total
14 summary or, "Are you back to baseline?"

15 I have difficulty with some of the
16 objective measures. Again the pulmonary-function
17 studies, as I mentioned, I think you would really
18 have to have a stable baseline before people got an
19 exacerbation. There are other tricks of the trade
20 which I reviewed recently. I am not necessarily
21 supporting them, but people have really gotten into
22 sputum examination and really developing
23 quantitative measures of sputum purulence that
24 might be something that people might consider of
25 value. I don't necessarily share that.

1 Then there is quantitative microbiology
2 which has just its technical problems but that is
3 something that I think might be considered. The
4 one point I failed to mention in terms of
5 clinically meaningful benefits might be
6 time-to-next-exacerbation.

7 So I do think the time has come to do
8 additional clinical trials. I would suggest that,
9 without some arm twisting or persuasion, either
10 from the clinical community or the regulatory
11 community, that the sponsors of antibiotics are not
12 likely to volunteer to do placebo-controlled trials
13 because of the risk.

14 But I think we would all benefit in the
15 future if we could answer what the role of
16 antibiotics is in AECEB.

17 DR. EDWARDS: Thank you very much.

18 Now I will call on Susan Thompson from
19 FDA.

20 FDA Speaker

21 DR. THOMPSON: Good morning.

22 [Slide.]:

23 I am going to covering today issues in
24 drug development relevant to the indication of
25 acute exacerbation of chronic bronchitis.

1 [Slide.]

2 I am going to attempt to not be
3 repetitive. What I would like to focus essentially
4 are on study-design issues that are specific to the
5 regulatory and review process in the hopes that
6 that is expediting the discussion that will follow.

7 We will quickly cover some issues in
8 diagnosis, study design considerations, relevant
9 inclusion and exclusion criteria, outcome
10 assessment and timing, statistical issues and then
11 some conclusions from our standpoint.

12 [Slide.]

13 Very briefly, I will mention, again, we
14 contrast this disease with acute bronchitis which
15 is a viral etiology in healthy adults and we are
16 not talking about that today. AEGB, as you all
17 know, occurs in patients with chronic bronchitis
18 which is a subset of patients with COPD. I think
19 it is important to always recall that this is a
20 common disease and an important public-health
21 problem and it accounts for 5 to 10 percent of all
22 the antibiotic prescriptions in the United States.

23 Again, I think a point that is
24 self-evident but is worthy of emphasis is that a
25 positive sputum culture is not diagnostic of AEGB

1 nor does the bacterial isolate necessarily document
2 the etiology of a particular exacerbation.

3 [Slide.]

4 Study-design considerations you have
5 already heard mentioned but we think it is
6 important to reiterate that concomitant medications
7 and therapies have been shown to have independent
8 therapeutic efficacy in the treatment of AECS,
9 specifically steroids and bronchodilator use needs
10 to be controlled in clinical trials of AECS.

11 [Slide.]

12 Study-design considerations lead us to a
13 consideration, again of placebo-controlled trials.
14 Certainly, in our context, we had initially
15 conducted a review of available placebo-controlled
16 trials in an effort to define the benefit of active
17 control over placebo.

18 I am not going to review specific trials,
19 but I would like to bring up the specific
20 conclusions that we have made from that review. It
21 is important, I think, to know that, in the past
22 forty years, only 1100 patients have been enrolled
23 in randomized, placebo-controlled trials of
24 antibiotic treatment of AECS. None of these trials
25 have been of identical design.

1 Clearly, there have been differences in
2 the definition of what constitutes an acute
3 exacerbation and, importantly, there has been a
4 lack of standard outcome measures. I have listed
5 here some of those that have been used.

6 [Slide.]

7 It is very important, I think, to realize
8 that there has been a lack of reproducible rating
9 system for severity in these clinical trials. The
10 Anthonisen trial, you have already heard described.
11 The Winnipeg criteria have been used most
12 frequently in discussions and other clinical trials
13 have attempted to look at their relevance.

14 I think you are all aware they constitute
15 cough, sputum production and sputum purulence with
16 type 1 being all three of those and being the most
17 severe. I think it is important to realize that
18 those criteria, at least in one other study, were
19 not validated and what was found to actually be
20 more predictive of severity were historical
21 parameters; that is, the patient's cardiopulmonary
22 status and the occurrence of more than four
23 exacerbations per year.

24 [Slide.]

25 Other study-design considerations relevant

1 to placebo-controlled trials include, again you
2 have already heard the patient populations in these
3 studies have not been uniform. Very importantly,
4 the outcomes have varied from showing no effect to
5 showing some effect of antibiotics in other
6 studies. You have heard that discussed.

7 Most of these trials are old and were
8 performed more than ten or fifteen years ago. I
9 have included here a conclusion that a number of
10 the metaanalyses as well as a number of the
11 professional societies that have evaluated this
12 point have reached, patients with more severe
13 illness may benefit most from antibiotics but this
14 has not been conclusively demonstrated.

15 In most cases, narrow-spectrum antibiotics
16 are preferred. I present that to you in the
17 context of the discussion today and I think that
18 the evidence for this--well, I will leave you to
19 evaluate that.

20 [Slide.]

21 Relevant inclusion and exclusion criteria,
22 I just wanted to bring up that, in our current
23 guidance, we suggest pulmonary functions and/or
24 arterial blood gases be done, but they are not
25 required. It is required that the patient have a

1 history of chronic bronchitis and a sputum culture.

2 Items that I presume will be discussed a
3 little bit later today include the fact that a
4 definition of chronic bronchitis and of an
5 exacerbation is critical. Relevant items that may
6 be helpful to define those patients with some
7 precision include the patient's smoking history or
8 age as well as the presence of FEV1. We have
9 already mentioned control for concomitant
10 interventions and cigarette smoking.

11 [Slide.]

12 Just very briefly to present this to make
13 a point, this is a comparison of an NDA that came
14 to our division in the last couple of years with
15 some items that were extracted from the Anthonisen
16 study. What you can see is that a typical NDA that
17 comes to us had a significantly younger age range
18 as well as fewer patients with a smoking history
19 than we are seeing in the Anthonisen study.

20 We actually didn't receive information to
21 look at FEV1, sputum or to define with precision
22 the presence of type 1 or type 2 symptoms.

23 [Slide.]

24 I would just like to throw out a few
25 points regarding evaluation, timing of assessment

1 and outcome which is obviously critical for design
2 of these trials. What we currently ask for at FDA
3 is that the test of cure for acute exacerbation of
4 chronic bronchitis is the clinical response to find
5 is return to baseline at one to two weeks after the
6 completion of therapy.

7 Clearly, there are other outcome variables
8 that may be more relevant. Some that have already
9 been mentioned but, again, I think are worthy of
10 discussion are the time to resolution of symptoms,
11 some use of a validated symptom or severity score
12 or the presence of deterioration.

13 Just, again, to mention that a
14 microbiological endpoint as the primary endpoint is
15 not appropriate for this disease entity.

16 [Slide.]

17 To refer back, just briefly, to the
18 statistical issues that are relevant in AEGB,
19 clearly AEGB has a low attributable mortality and
20 morbidity and thus we would allow a loss of
21 efficacy with respect to control of a relatively
22 large degree, and, certainly, greater than 20
23 percent. The relative entity in AEGB is delta 1;
24 that is, the estimation of the benefit, if any, of
25 active control over placebo, thus the review of the

1 available placebo-controlled trials.

2 Our conclusion, from a review of those
3 trials, is that a metaanalysis with determination
4 of delta 1 and, thus, delta is not a valid approach
5 for AECB due to the limitations of the currently
6 existing placebo-controlled trials. We have
7 mentioned them already but, specifically,
8 differences in study design, in outcome, in the
9 patient population and in endpoints would not allow
10 a definitive estimation of the benefit of the
11 active control over placebo.

12 [Slide.]

13 What are some alternatives? I would just
14 like to throw these out for discussion. First of
15 all, we have already heard mention the possibility
16 of placebo-controlled trials in its simplest form
17 being drug versus placebo. At the advisory
18 committee earlier this year where this issue was
19 discussed, early escape was mentioned as one
20 possibility to insure safety of those patients who
21 might experience deterioration in either arm of the
22 study.

23 It was felt that if this is included in a
24 study design that relatively rigid discontinuation
25 criteria at a specific time point should be

1 prespecified and specifically objective criteria
2 for a deterioration or a progression should be
3 given.

4 Mention was made of doing only high-risk
5 patients to presumably include those that might
6 have microbiologic cause of their illness or
7 low-risk patients to minimize the risk to patients.
8 But, in both cases, I think you will recall from
9 the earlier discussions that we are still not quite
10 clear how to define those patients.

11 [Slide.]

12 Other options for future trials include a
13 superiority trial, the standard of care versus an
14 experimental drug. We could continue to do
15 noninferiority trials for all or for a subset of
16 AECB. I have already pointed out, I think the
17 difficulty in choosing an appropriate delta for
18 this indication.

19 Suggestions have been made that that sort
20 of a trial be conducted only in those who are
21 severely ill that, perhaps, different deltas could
22 be assigned to different strata of illness in a
23 three-arm trial is another suggestion along those
24 lines.

25 [Slide.]

1 The conclusions that we have reached, from
2 our review of this topic, are that, first of all,
3 selection of appropriate study design is critical
4 for future trials in AEGB. That includes choice of
5 patient population, definition of concurrent
6 therapies and how they are handled in the trials as
7 well as the choice of endpoints.

8 We have also concluded that
9 placebo-controlled or superiority trial design
10 should be conducted for antibiotic trials in
11 patients with AEGB.

12 That is the end of my remarks. Thank you.

13 DR. EDWARDS: Thank you very much.

14 Discussions

15 Again, our bulleted points are brief
16 enough that I would like to read them before we
17 begin the discussion.

18 Are there methods to select a patient
19 population more likely to benefit from
20 antimicrobial therapy? Is it more appropriate to
21 look at patients with exacerbations of chronic
22 obstructive lung disease as defined by PFTs in all
23 patients with chronic bronchitis and what other
24 criteria should be evaluated such as patient age?

25 Please discuss the effects of potential

1 confounders of the measurement of antimicrobial
2 effects in the trials. Should concomitant
3 medications, beta agonists, anticholinergic agents,
4 steroids, be standardized in the protocols? Does
5 the use of these agents differ across geographic
6 regions, current smoking status, the patient's
7 prior history of exacerbations; example, are
8 patients with more exacerbations per year more
9 likely to fail in a therapy?

10 What is the benefit of antimicrobial
11 therapy over placebo, delta 1, in the absence of
12 adequate data to determine the magnitude of such a
13 benefit? Are there alternative trial designs which
14 could address this question? We have just touched
15 on that, superiority design and placebo controls.
16 What is the appropriate patient population for
17 placebo-controlled and what are appropriate
18 endpoints for trials of AECSB?

19 Please discuss the utility of time to
20 resolution of symptoms in superiority or
21 placebo-controlled trials.

22 Dave?

23 DR. GILBERT: Follow-up question for
24 Susan's nice presentation. I wanted to be sure
25 that I was clear. Is the agency suggesting that,

1 from this point forward, that they will only accept
2 for licensure protocols that are
3 placebo-controlled? If that is true, then what
4 happens to the products that are already out there
5 that are licensed? Do you take away approvals once
6 you show that placebo works just fine with
7 steroids, et cetera?

8 Then, the corollary that comes to my mind
9 is, to industry colleagues, of placebo-controlled
10 trial is the rule of the land, which we would all
11 love to see, of course, who is going to do it?
12 Industry, as Roger pointed out--it is high risk for
13 industry to do it. Do we have to work on some
14 federally funded consortium, et cetera, or do we
15 have to wait for maybe an antiviral drug to come
16 along and then we get the answer with a different
17 class of anti-infective.

18 I'm sorry; that was several questions.

19 DR. EDWARDS: Let me turn it back to Susan
20 first.

21 DR. THOMPSON: I will start by saying that
22 our clear requirement for what sort of trial should
23 come in for acute exacerbation of chronic
24 bronchitis is that that is justified by the data.
25 We would accept and welcome placebo-controlled

1 trials. To accept, I think, a noninferiority trial
2 at this stage of the game would require a
3 justification of what delta 1 should be.

4 I think you have heard from our
5 discussion, we just don't think that is doable at
6 this point. But if somebody has better information
7 from the literature, then they could justify that
8 under certain circumstances.

9 As to what would happen should that become
10 the standard from now on, my understanding is that
11 we don't actually remove indications from a product
12 label--I am ready to be corrected if that is
13 incorrect--but that we would, in the future, grant
14 appropriate indications based on the studies that
15 are submitted.

16 DR. POWERS: This kind of gets back to
17 what Mark said earlier about we are so glad that
18 people practice medicine according to our labels
19 and nothing else. If one would do a
20 placebo-controlled trial showing that there is no
21 benefit of antibiotics, you could ask the question
22 of why would clinicians even worry about what is in
23 the label for those older drugs.

24 DR. THOMPSON: Maybe just a last example
25 to point out is you may all be aware that we no

1 longer accept acute exacerbations of secondary
2 bacterial infection of acute bronchitis as a label
3 which we used to do. It remains in the label of
4 several drugs today, although we feel that most
5 people would no longer use it for that purpose.

6 DR. SORETH: To go back a little bit more
7 in history, a number of years ago, antibiotics that
8 were coming to market for respiratory infections
9 were labeled under an umbrella, "lower
10 respiratory-tract infections." If you take it back
11 again further to a drug like amoxicillin, it
12 basically gives a list of organisms.

13 The same with doxycycline, et cetera. If
14 you go back to those original NDAs, it could be
15 very hard to tease out precisely who was studies
16 under an umbrella like LRTI, pneumonia, bronchitis,
17 acute exacerbations of chronic bronchitis, et
18 cetera. We have typically not gone back and
19 changed those labels because it is very difficult
20 to do so.

21 One other thing to add to the types of
22 trials that we might pose for further study for
23 acute exacerbation is also one that would look at a
24 dose response. If the feeling is that there is not
25 proper ethical handling of patients and that, if

1 you were studying the most severe patients in a
2 trial who may have the greatest likelihood for
3 benefit of therapy, we would also entertain that
4 kind of a trial.

5 DR. BRITTAIN: With the question of who
6 would do the trials, I don't know if I can answer
7 that but I do just want to put out on the table,
8 probably these placebo-controlled trials,
9 especially with the time-to-resolution endpoint,
10 would be a major sample-size advantage over the
11 current noninferiority design, so that might be a
12 factor here in making them attractive.

13 DR. EDWARDS: Roger?

14 DR. ECHOLS: If I might just respond for a
15 second, industry--I am thinking of it as an
16 organism. It is a large organism but it still
17 responds to sort of normal stimuli of the carrot
18 and the stick. You have mentioned the label. I
19 think AECB is a large enough market where--if there
20 is a motivation to have market share in that arena.

21 So I think the fundamental motivation to
22 try to do it in a way that will satisfy regulatory
23 agencies is there. I think that could be
24 facilitated if, in the label, a company that did a
25 placebo-controlled trial were allowed to

1 distinguish themselves from a routine label, that
2 could somehow differentiate their product from
3 others which would then allow promotion to
4 differentiate, on the basis of the evidence, their
5 study.

6 So I think there are, again, because of
7 the size of the market, potential rewards to having
8 performed a placebo-controlled trial. The opposite
9 is that, if there is a stick, if you don't get
10 labeling at all for AECB because you haven't
11 conducted a trial, and I am thinking of the future,
12 of course, you are at such a disadvantage that that
13 is an incentive, too.

14 So I am just saying that I think companies
15 would respond if both rewards and penalties were in
16 place.

17 DR. GILBERT: But, Roger, there is 10
18 percent of the use of antimicrobics is for the acute
19 exacerbation of chronic bronchitis. We are facing
20 another crisis with the emerging resistance of the
21 target organisms, if you will. So, if the
22 likelihood is that industry, and I can understand
23 it, didn't want to take on this challenge for fear
24 of failure of the drug to show anything better than
25 placebo, then the IDSA and the American Thoracic

1 Society and other professional organisms should
2 lobby very hard with the National Institutes of
3 Allergy and Infectious Disease or the like to put
4 together a consortium to federally fund the study
5 to answer the question.

6 That is why the industry stance is so
7 terribly important.

8 DR. ECHOLS: No; I think that is another
9 way of at least establishing delta 1, and then
10 people could go back--I suppose, could go back to
11 doing a strict noninferiority study against a drug
12 that has been established to show benefit over
13 placebo.

14 DR. GESSER: I would support both of those
15 comments. I would suspect that the IDSA members
16 are interested in the results of such a study.
17 Certainly, a placebo study from the perspective of
18 a sponsor puts that sponsor at a potential risk
19 compared to agents that are already licensed.
20 Certainly, some aspect of an active control would
21 probably be desirable in any study that a sponsor
22 took. But I think I would love to see a
23 non-sponsor-driven study.

24 DR. GILBERT: Roughly, how much would it
25 cost?

1 DR. ECHOLS: It all depends how greedy the
2 investigators are.

3 DR. GESSER: You tell us.

4 DR. HIRSCHMANN: If I may make on comment.
5 There actually is an ongoing randomized
6 double-blind trial that meets all the criteria that
7 I just delineated that is going on in The
8 Netherlands. It started in June. It is looking to
9 have about 250 patients, total and it is expected
10 to be completed in two years.

11 DR. GESSER: How sick are--

12 DR. HIRSCHMANN: All of them had all three
13 criteria that we mentioned from the Winnipeg--the
14 idea was, and this can address one of the issues
15 that had been brought up before. From the studies
16 that were done in Canada, the type 1 study clearly
17 had no benefit for antibiotics. The Danish study
18 that I mentioned also showed no benefit for
19 antibiotics. Those patients had pretty mild
20 disease so I think you can argue, very forcefully,
21 on the basis of the information we have now, that
22 there is no reason to study mild disease again.

23 The patients we want to look at are the
24 patients who are severely ill. That is the group
25 that they are studying in The Netherlands and that

1 is the group that I think ought to be studied here.
2 That is the group that also needs to have
3 corticosteroids. We know that from these studies
4 that have been done, that corticosteroids have a
5 major impact on acute exacerbations.

6 So I think these trials have to include
7 everybody getting corticosteroids. That is what
8 The Netherlands study does. That particular study
9 is in hospitalized patients rather than
10 outpatients, but they wanted to take the most
11 severe group and, I think, appropriately so
12 figuring that, if you can't show a benefit for
13 antibiotics in the most severely affected group,
14 and we have the information that the milder
15 exacerbations are not benefitted, that one could
16 reasonably conclude that nobody is going to
17 benefit.

18 DR. EDWARDS: Stan?

19 DR. DERESINSKI: In that regard, perhaps
20 you could comment on the Tunisian study that was
21 published in the Lancet earlier this year.

22 DR. HIRSCHMANN: The Tunisian study was a
23 study in which they took very severely affected
24 patients with acute exacerbations of COPD, most of
25 whom got intubated. The problems with the study

1 were severe. Patients did not receive adequate
2 treatment. Nobody got corticosteroids. Nobody got
3 anticholinergic agents. Only about 65 percent got
4 beta adrenergic agents.

5 They gave them theophylline which is
6 thought to be ineffective in this situation. The
7 outcome criterion really was what is the incidence
8 of pneumonia on patients who were ventilated for
9 acute exacerbations of chronic bronchitis. It
10 doesn't answer any clinically relevant point and it
11 is a very poorly done study.

12 DR. DERESINSKI: There were a lot of
13 problems with the study but I think you could also
14 make the counterargument, is that it was a pure
15 study of antibiotic therapy in those patients. It
16 was placebo-controlled, so I think there is some
17 relevance and some information to be taken from
18 that study.

19 DR. HIRSCHMANN: But, as a clinician, we
20 don't want to know what it is, in isolation, that
21 an antibiotic does. We want to know what does it
22 do in the context of the way in which we treat
23 patients ordinarily. A patient we treat ordinarily
24 with acute exacerbation of chronic bronchitis who
25 is severely ill, nobody treats them with

1 antibiotics alone. They treat him with a whole
2 conglomeration of things which are standardized.

3 They get beta-adrenergic agents. They get
4 anticholinergic agents and they get
5 corticosteroids. That is the group we want to find
6 out about.

7 DR. ECHOLS: When you talk about patients
8 that are hospitalized, to me, that is a whole other
9 patient population. That clearly is the most
10 severely ill patients both from their degree of
11 pulmonary function, baseline pulmonary function,
12 perhaps, as well as the severity of their
13 exacerbation.

14 I would like to ask the agency whether
15 they would be satisfied with studies that just
16 dealt with hospitalized AECEB or whether there is
17 really a need, because virtually all the other
18 previous studies, all the previous labelings, have
19 been based on ambulatory patients with AECEB,
20 whether a hospitalized patient population would be
21 what you would want.

22 DR. POWERS: I think that gets to a couple
23 of questions, though. One is, you were talking
24 about advantageous things that might be put in the
25 label. I could see where that might be very

1 advantageous to a company to say, "We studied the
2 sickest of the sick and our drug actually works in
3 that patient population."

4 I think one of the other questions that
5 comes up is you could ask the question another way
6 around. If we were to look at this study from The
7 Netherlands and it shows some benefit of
8 antibiotics over placebo in the sickest group, what
9 happens when somebody comes to us and then wants to
10 study the non-sick group again. We can't really
11 use that data to apply to the non-severely ill.

12 The third question comes up about the
13 Tunisian study. It is just what we were talking
14 about meningitis this morning, asking the right
15 question when you come to the endpoints. The
16 Tunisian study shows that ofloxacin prevents
17 hospital-acquired pneumonia. That is the answer
18 that it came up with. It didn't say, does the
19 person get better from that episode of
20 exacerbation.

21 DR. DERESINSKI: Actually, probably it was
22 more complex than that because most of the
23 pneumonias appeared within the first three days.

24 DR. POWERS: They had pneumonia when they
25 came in.

1 DR. DERESINSKI: So they had pneumonia
2 when they came in which brings up another point
3 relative to screening for pneumonia because it is
4 clear, based on studies doing CTs and people
5 suspected of pneumonia is that a chest X-ray is
6 quite insensitive in detecting pneumonia.

7 DR. HIRSCHMANN: I don't agree with the
8 last point. I think the vast majority of people
9 with acute exacerbations of chronic bronchitis
10 don't have pneumonia. I think there are clinical
11 circumstances that allow us to suspect it. I don't
12 think everybody needs to have a chest X-ray. But,
13 from the point of view of a trial like this, as
14 opposed to clinical practice, I think it would be
15 important to have that as part of it but, in
16 clinical practice, I treat the overwhelming
17 majority of patients with chronic bronchitis
18 without getting a chest X-ray because I feel quite
19 confident, on clinical grounds, that they don't
20 have pneumonia.

21 DR. ECHOLS: The clinical trials that I
22 discussed and I think all of the recent ones have
23 had--one of the criteria that are in the guidelines
24 is a chest X-ray that demonstrates the absence of
25 pneumonia. So that is part of the standard trial

1 design currently.

2 DR. EDWARDS: I would like to ask the IDSA
3 folks if they agree that a trial in hospitalized
4 patients would need to be followed by a trial in
5 outpatients.

6 DR. SCHELD: Listening to Dr. Powers, I
7 think that is correct.

8 DR. HIRSCHMANN: I agree, as well. My
9 point wasn't to tell you that that was going to be
10 the definitive trial. I think it is a very useful
11 trial and I wanted to tell you that people there
12 feel it is ethical and they are doing it. I think
13 there ought to be a trial in patients who are
14 outpatients as well. That is actually the much
15 larger group of patients that we see.

16 But I think, as I say, if you can conclude
17 that the antibiotics don't work in the most
18 severely ill patients, then you can certainly have
19 no problem in treating the patients--or doing a
20 trial in patients who are less severely ill.

21 Let me make one other clinical point.
22 When I said I treated over a thousand exacerbations
23 without antibiotics, I am including the patients
24 who have the mildest to the most severe patients
25 including patients on ventilators. I do not use

1 antibiotics in acute exacerbations of chronic
2 bronchitis in the absence of pneumonia no matter
3 what the severity of patients is. And I have never
4 been wrong in the sense that I think the patients
5 have suffered from that decision.

6 DR. POWERS: Before we get too far away
7 from that, because we have mentioned several times
8 now severely ill patients versus not-severely
9 ill--although we quickly say that, that is actually
10 problematic when we come to this disease. The
11 Anthonisen criteria doesn't look like it holds up,
12 at least in the one trial that actually tried to
13 look at it.

14 When you are defining, Dr. Hirschmann,
15 severe versus nonsevere, what kind of criteria were
16 you talking about?

17 DR. HIRSCHMANN: The severity of dyspnea,
18 I think, is probably the most important, how
19 severely limited are they in their ability to do
20 the functions that they ordinarily do. You can see
21 a patient who comes in and says, "I am mildly ill
22 in the sense that I can walk ten blocks instead of
23 a mile." But you see patients who come in who are
24 short of breath at rest, and that is not their
25 usual state.

1 You can demonstrate that by objective
2 criteria, if you want, pulmonary-function test,
3 oxygen saturation and so forth. But, on a clinical
4 grounds, I think you can pretty clearly delineate
5 patients who are sick enough to require
6 hospitalization versus those that can be managed as
7 outpatients.

8 The basic issue is dyspnea because that is
9 the major reason we put patients into the hospital,
10 not because they have yellow sputum or not because
11 they are coughing a lot. It is because they are
12 really short of breath and they can't walk to the
13 bathroom. So we can't send them home. We have to
14 admit them to the hospital until they get better so
15 they can do those functions.

16 That is why dyspnea is the most important
17 criterion in any of these studies. That is the
18 limiting factor. That is why patients come seeking
19 medical attention.

20 DR. POWERS: So would you say, then, that
21 the presence of dyspnea would be severe, the
22 absence of dyspnea qualifies as mild, or is there
23 some way to grade the dyspnea to separate those?

24 DR. HIRSCHMANN: It would be grading
25 dyspnea. My way of looking at the study, if I were

1 to design the study, everybody would have dyspnea
2 and then they would have either increased sputum
3 volume and increased--or increased sputum purulence
4 so you would have those groups. But everybody
5 would have dyspnea because I think the problem with
6 Anthonisen's study type 2 is you could have
7 increased sputum volume and purulence, but what
8 difference does that make in most patients, really.
9 They don't care. Most of them know that they have
10 colds and this is going to happen and they are
11 going to get better.

12 So, unless they are told to come in and
13 this is important that they get antibiotics, a good
14 number of them just stay at home and do quite all
15 right. It is the dyspnea that, I think, is what is
16 really critical to the evaluation of these patients
17 and I think has to be in every--every patient has
18 to have that as a symptom, in my mind, to make the
19 study meaningful.

20 DR. EDWARDS: Could you just elaborate a
21 bit more for us on your definition of dyspnea? Let
22 me say a definition that would be optimal for
23 study.

24 DR. HIRSCHMANN: Dyspnea is a sensation of
25 breathlessness that means either at rest or

1 exertion so that the patient is unable to do the
2 kinds of activities that they normally do and it is
3 a significant difference from with their baseline
4 is.

5 Now, a good percentage of patients with
6 obstructive lung disease are dyspneic anyway. But
7 they will tell you that it is substantially worse.
8 You can look at this by various scales that have
9 been developed. There is a scale that you just
10 say, "Is it the worst you have ever had, versus
11 normal?" that kind of thing, or you can look at it
12 in a more functional way.

13 One of the ways to do it is the six-minute
14 walk. That is one of several ways to do it, but
15 how far can you walk in six minutes. In the
16 clinic, you take the patient and you walk him
17 around for six minutes and you see how far they go.
18 Those are the ways we look at it in a basic
19 practical manner.

20 DR. ECHOLS: Jan, doing pulmonary-function
21 studies is not going to be a direct correlation, or
22 is it, for dyspnea?

23 DR. HIRSCHMANN: The correlation between
24 pulmonary-function tests and dyspnea is approximate
25 but not, by any means, perfect. It is a numerical

1 value that you can then compare one to the next.
2 But you can see a patient with an FEV1 of 1 who can
3 walk ten miles and the next guy with an FEV1 who
4 can't get across the room.

5 We know that that particular criterion
6 isn't, by itself, an adequate substitute for
7 dyspnea but it does give you some numerical
8 support. So I think it is useful to have those
9 measurements because people like to look at numbers
10 in these kinds of trials.

11 But, in my mind, the most important issue
12 is the subjective sensation of dyspnea supported by
13 the ability to do things. So, rather than a number
14 of FEV1, I would rather see how far the patient can
15 walk as the criterion that I would find most useful
16 in determining how helpful these different
17 interventions are.

18 DR. CRAVEN: I think that the question up
19 about doing a study for acute exacerbations of
20 chronic bronchitis in mild patients is extremely
21 important because if you look at the antibiotic use
22 up there, the 5 to 10 percent of prescriptions,
23 almost all those are for people that are being
24 prescribed on an outpatient basis.

25 So not only does it increase the problems

1 of resistance and the development of
2 multidrug-resistant organisms which is a major
3 problem we are trying to face, which would have a
4 gigantic impact, but also, if you look at patients
5 that have risk factors for pneumonia, particularly
6 a patient who has been hospitalized, one of the
7 major risk factors is antibiotic use, in the
8 outpatient setting, in particular, so that it
9 increases a patient's risk of having pneumonia and
10 pneumonia by a multidrug-resistant organism.

11 So there is a whole series of things that
12 I think are going to play out to be very important
13 and a study like this that was funded would, I
14 think, have dramatic or very important implications
15 for antibiotic resistance in the country.

16 DR. EDWARDS: Bill?

17 DR. CRAIG: I just want to say that there
18 are also marked differences in the pharmacodynamics
19 of the different antimicrobials. Clearly, the
20 fluoroquinolones eliminate the organism very
21 quickly from respiratory secretions so that, if the
22 organism was at all important, one would expect to
23 be able to see a difference in time-to-improvement.

24 So I think any placebo-controlled trial
25 needs to know what the antibiotic is that they are

1 using for their therapy and design it in such a way
2 that you try and maximize the chance to show
3 different. So, to me, a quinolone versus placebo
4 would be the more logical type of study to see if
5 adding the drug which eliminates the organism very
6 quickly adds anything to the overall efficacy.

7 On the other hand, macrolides are drugs
8 which are antiinflammatory. Inflammation, we know,
9 can also affect airway resistance and contribute to
10 dyspnea so that some of the improvement that could
11 occur with a macrolide may not be related at all to
12 its antimicrobial effect. It could be related to
13 its antiinflammatory effect.

14 So you could run into problems in
15 assessing overall activity based on, I think, the
16 type of drug that is used as well.

17 DR. HIRSCHMANN: One other point. I think
18 if I were to design the ideal trial, I think it
19 would include a fluoroquinolone, but would also
20 include one of the more basic older medications as
21 well, and then placebo because I think if there is,
22 in fact--I don't believe it will happen, but if
23 there is some benefit for antibiotics, I think it
24 would be important to determine whether the newer
25 antibiotics really have any benefit over the older

1 antibiotics.

2 So that would be ideal trial. That may be
3 more complex than we want, but I think that would
4 be the most useful clinical trial you could do.

5 DR. EDWARDS: A three-armed trial.

6 Roger, you listed several things for
7 consideration regarding evaluation of benefit of
8 the drug, and they included time to response,
9 clinical systems, scoring system, clinical
10 symptoms, scoring system, possibly
11 pulmonary-function test, sputum exam, quantitative
12 microbiology and time-to-next-exacerbation.

13 Could you just tell us what you think
14 would be the optimal benefit analysis that would be
15 attractive to you for study?

16 DR. ECHOLS: I am thinking quantitation in
17 a sort of a trial design. In other words, the more
18 points you have to measure, sort of the greater the
19 sensitivity or the ability to differentiate
20 treatment arms from each other. So a treatment
21 scoring system that looked at not just dyspnea but
22 also sputum production, sputum purulence, would
23 provide, I think, a more enriched material to
24 evaluate, particularly if it was done more as a
25 continuous scale rather than a yes/ no at a certain

1 point in time.

2 I think the problem with that is what is
3 clinically meaningful. If I have agreement with
4 Dr. Hirschmann, certainly, that dyspnea is the most
5 important symptom, but it is not the only symptom.
6 People that are coughing up quantities of purulent
7 phlegm don't necessarily like that and I would
8 suspect you wouldn't like to be sitting next to
9 them on a plane.

10 I am not saying that the other symptoms
11 are without benefit. I would like to look at more
12 of a composite clinical score but I think there are
13 things--if dyspnea is the most important one, I
14 think you can, if there is a way to--when I say
15 "easily," I mean the six-minute walk sounds to me
16 like something that is very doable in a clinical
17 trial whereas standardizing PFTs and stuff is much
18 more problematic.

19 So I certainly would not be against trying
20 to quantitate dyspnea. My other concern, though,
21 with dyspnea and it is based a bit on some personal
22 family experience is that dyspnea, even though they
23 get better, can take a long time to get back to
24 baseline. It can take, literally, weeks in your
25 severely ill patients. On occasion, they never

1 really do get back to where they were before.

2 But I am hoping that, from what you are
3 saying, is that you can show at least some
4 gradation, some improvement in a relatively shorter
5 period of time.

6 DR. HIRSCHMANN: What is different in your
7 experience from mine is that corticosteroids make a
8 tremendous difference and they make a tremendous
9 difference quite rapidly. So patients are markedly
10 better after a few days in terms of dyspnea.

11 So I think that you will not see these
12 patients lingering for three weeks and still not
13 better. It is unusual not to be substantially
14 better after three to five days of corticosteroid
15 use.

16 DR. ECHOLS: That gets into, I think, the
17 big issue of whether steroids--you want to not use
18 steroids to look at the effect of antibiotic or to
19 use steroids in everyone. The really severely ill
20 patients that are either close to being
21 hospitalized or close to be being put in a
22 ventilator, you certainly are not going to withhold
23 steroids.

24 I don't know how the agency feels about
25 requiring steroids in everyone and then looking at

1 clinical symptoms which, again, you can't
2 necessarily discern are due to the steroids or due
3 to antibiotic.

4 DR. HIRSCHMANN: I think the clinical
5 question we want to know is how can we best get
6 patients better. If we are going to be using these
7 things anyway, what benefit is it to us to know
8 what antibiotics would do in isolation because we
9 are going to be treating these patients with these
10 other things as well.

11 What we want to know is is there an
12 incremental benefit for antibiotics in patients who
13 are receiving the optimal medical therapy. I think
14 that is the kind of question that we should be
15 asking all the time; what is the optimal medical
16 therapy and then what does your particular drug
17 have to offer in addition to that.

18 DR. POWERS: Could I ask the question,
19 since we have got Marissa Miller from NIH and we
20 have heard several times about the public-health
21 importance of this, if maybe you could address for
22 us some of the issues about publicly funded trials,
23 and then, Todd, maybe you could weigh in on the
24 CDC's version of how this would help in controlling
25 antimicrobial resistance.

1 DR. M. MILLER: The question has come up
2 several times whether there might be federal
3 sponsorship for a trial in this area. I would say
4 that there is interest on the part of a number of
5 agencies. For NIAID, I mean the fundamental issue
6 about antimicrobial use for this indication, its
7 implications to resistance development, is becoming
8 more critical all of the time.

9 There are a number of options that exist.
10 One is that investigators from IDSA or elsewhere
11 could come in with a grant proposal to do such a
12 trial and there would be support on the part of the
13 agency. Obviously, you have to get through the
14 peer-review process.

15 The other option would be--and I was
16 interested in the discussion with severely ill
17 versus outpatients. We do have a clinical-trials
18 network which is the Bacteriology and Mycology
19 Study Group which has, as part of it, looking at
20 highly ill or multidrug-resistant bacterial
21 infections in the ICU environment. So that might
22 be able to answer one end of the spectrum in
23 working--and Don Goldman is our PI for that risk
24 group.

25 So we certainly would entertain

1 discussions with that group in terms of doing such
2 a trial. The other idea that came to mind, the
3 Agency for Healthcare Research and Quality, AHRQ,
4 is very interested in clinical practice,
5 clinical-practice guidelines and also antimicrobial
6 resistance as well.

7 They have CERTs, the Center for Excellence
8 in Research and Training, where they conduct
9 clinical trials. They also accept grant
10 applications in this area. I think that they would
11 have a fundamental interest to use antibiotics or
12 not.

13 So I would encourage you all to continue
14 with this discussion and even to come in and speak
15 with us at NIAID at a later time.

16 DR. EDWARDS: Marissa, what sort of a
17 number would be on a grant proposal that would go
18 into to NIH. It wouldn't be an RO1; correct?

19 DR. M. MILLER: Perhaps U01, research
20 projects that could come in a group. You might be
21 able to do an RO1. For more than \$500,000 direct
22 cost per year, you have to come and request a
23 waiver. That is considered a large grant. The
24 problem is, in doing such a trial, if you came in
25 as an RO1, all of the collaborating institutions,

1 their direct costs--their costs are accrued to the
2 direct costs of the primary investigator. So you
3 tend to get very high numbers going.

4 But I think that we can discuss these
5 things together and, perhaps, the Institute would
6 be willing to accept a large grant because of the
7 significance.

8 DR. EDWARDS: Am I correct, then, in
9 understanding that there is not an RFP out at the
10 present time of any format for this particular
11 study?

12 DR. M. MILLER: There is no RFP. Hence,
13 having dicussions with the BAMSID Group and also we
14 have other contracts within NIAID; for example, the
15 Vaccine Treatment and Evaluation Units which also
16 look at drugs, therapeutic trials. And there are a
17 number of contracts through the VTUs that are in
18 the outpatient setting. So that is another
19 possibility.

20 But we do accept unsolicited ROIs. The
21 UO1 would be more problematic at this time.

22 DR. EDWARDS: Let me just ask one other
23 question in this area and that is do you think it
24 is feasible that an RFP could--that would make a
25 tremendous difference, of course, if an RFP went

1 out from NIH. Would it be feasible for one to be
2 developed?

3 DR. M. MILLER: It is certainly feasible.
4 What would be helpful would be perhaps an outcome
5 from this meeting or the establishment of further
6 discussions so that the Institute kind of hears
7 back both from industry and from the scientific
8 community and clinicians that there is a need.

9 Some of you were involved in a summit that
10 we held, now I guess it is three years ago, looking
11 at what the needs are on the part of both large
12 PhRMA and small pharma and biotech companies in
13 terms of developing new products for public-health
14 needs.

15 We are still in an exploratory mode in
16 that end. We have had a challenge-grant initiative
17 which attempted to entice industry into the
18 development of products that may not have a large
19 market share and may not have a lot of incentive on
20 their own part.

21 Follow up to the challenge-grant
22 initiatives, we have had partnership initiatives
23 which also tried to link industry with people in
24 academia that have good ideas, novel targets, novel
25 approaches. So we are very open to having these

1 discussions but I think it would take considerable
2 feedback from the community coming in to come up
3 with a RFA.

4 DR. EDWARDS: Thank you.

5 Alan?

6 DR. GOLDHAMMER: I just want to add to
7 that. I am glad I can make at least a minor
8 contribution to this meeting at this point in time.
9 We are actually doing that same thing in the area
10 of hepatotoxicity. We cosponsored a major workshop
11 just about two years ago with the American
12 Association for the study of liver diseases in the
13 FDA.

14 One of the outcomes of that was a series
15 of follow ups and a letter that we are getting the
16 final sign-off right now that will be cosigned by
17 the Association, FDA and PhRMA that will go to Jay
18 Hoofnagle over in, I forget which institute he is
19 in--your institute-proposing some research
20 activities on the part of the NIH in the area of
21 hepatotoxicity.

22 So I would not be quick to dismiss that.
23 If one of the conclusions of today is that the
24 three groups think there are some resources that
25 only NIH is in the best position to donate towards

1 this cause, maybe we should think about that.

2 DR. GESSER: Is this within the purview of
3 the Interagency Task Force on Resistant Issues? It
4 sounds as if it--

5 DR. POWERS: The Interagency Task Force is
6 not a clinical-trials network.

7 DR. GESSER: I know--not necessarily to
8 conduct the trial but to stimulate interest in
9 funding, requesting, submissions.

10 DR. EDWARDS: Can anyone speak to that?
11 Todd, can you comment?

12 DR. WEBER: Marissa can answer it, too.
13 Purview, yes, in the most general terms that if
14 there are issues surrounding antimicrobial
15 resistance. But, clearly, the different agencies
16 involved with the task force have different
17 responsibilities for this. I think NIH probably
18 has more than others, possible AHRQ and others,
19 depending on the type of question posed.

20 But stimulating interest, we have tried
21 to--I don't know if we have picked out specific
22 diseases so much but as a group tried to pick out
23 somewhat more general topics where funding needs to
24 be done in terms of trials, generally, et cetera
25 but I am not sure what the mechanism would be for

1 picking this particular syndrome and such.

2 DR. GESSER: Conceivably, as identified,
3 it is an area where a lot of antibiotic use is. It
4 is an area where there is concern that the
5 potential for overuse and confounding by viral
6 pathogens, for example. And it is an area where
7 not only could you determine whether there was a
8 benefit of antibiotics, but you could also
9 determine whether was a downside in terms of some
10 of the things that the task force is--

11 DR. WEBER: That is an extremely good
12 point. I didn't really think I had much to add to
13 what Marissa had to say but, in response to that
14 and John's question about antimicrobial resistance,
15 I am somewhat anxious over the discussion in that I
16 think it can quickly put us on a slippery slope
17 towards actually encouraging antimicrobial use
18 where it may not be needed.

19 Suppose trials are done and antimicrobial
20 use in this syndrome shows no benefit but it
21 doesn't show harm either. Given the way physicians
22 work, faced with mild or severe disease, they may
23 say, "I am going to use it anyway."

24 Now, we are trying very hard to dissuade
25 physicians from that attitude in both pediatric and

1 adult populations for various syndromes. And big
2 trials that show maybe marginal benefit or no
3 benefit may have the perverse effect of actually
4 encouraging use where there shouldn't be. I am not
5 saying that would happen but that concerns me. I
6 certainly wouldn't want to dissuade folks from
7 doing appropriate trials to see if there is an
8 effect. I just throw that out as sort of note of
9 caution because we have worked very hard--it is
10 very hard to change physician behavior when they
11 have gotten in the habit of certain prescribing
12 patterns.

13 We have invested a lot of time and money
14 in education and other sorts of campaigns with
15 state health departments, medical societies, et
16 cetera, and it is quite difficult to do. I
17 wouldn't want to sort of add fuel to the fire of
18 antimicrobial overuse.

19 DR. GILBERT: Can we talk about that over
20 lunch, Todd? I would like to do it privately
21 because I might get emotional. Lack of confidence
22 in the physician intellect is disturbing.

23 DR. EDWARDS: Dave, now I am going to put
24 you on the spot because I really think we need a
25 response to that issue, if you both could.

1 DR. SCHELD: We applaud the CDC for the
2 educational efforts they put into changing
3 physician behavior. There is evidence that, in
4 fact, that has changed in some regards especially
5 with the treatment of acute bronchitis in otherwise
6 healthy adults.

7 What we don't agree with is that
8 physicians are uneducatable and, therefore, we
9 think that this trial should be done. I think our
10 society is extremely interested in approaching the
11 NIH with regard to a placebo-controlled trial in
12 acute exacerbations of chronic bronchitis, perhaps
13 three arms like Jan has said.

14 They should be getting state-of-the-art
15 care and then antibiotics should be added on top
16 and we will eventually find out whether it is
17 really beneficial or not.

18 I would be interested if the IDSA, in
19 concert with the American Thoracic Society, were to
20 approach NIH about such a trial, whether FDA would
21 consider this to be a good idea and would they give
22 us some support, at least in terms of the concept.

23 DR. POWERS: I think we would think it
24 would be a great idea, actually. I guess the issue
25 as to how we could help in the trial-design issue

1 is we assume this will probably be with an older
2 drug versus placebo where somebody probably
3 wouldn't be coming in for labeling for this anyway
4 so we could actually help out in the design issues
5 up front.

6 DR. SCHELD: We will take you up on it.
7 While we are at it, maybe we should do acute
8 bacterial sinusitis as well.

9 DR. POWERS: And if you want to check
10 otitis in there, we can get all three for one deal.

11 DR. ECHOLS: I think I have to go back to
12 a point that Bill Craig made. If someone does a
13 study with amoxicillin and shows no effect, I don't
14 think that is going to answer the question. To
15 have a three-arm study, I think, would be fine.
16 But I think to use a drug like a quinolone, and,
17 thinking about this, I would say, please don't--I
18 mean the best thing that could happen is you just
19 call it a quinolone. You don't even identify what
20 the drug is.

21 Don't ask for any sponsorship. Don't have
22 any affiliation. Keep it as clean and pristine as
23 possible. But use a drug that at least has the
24 microbiologic spectrum and that the PK/PD
25 characteristics, if an antibiotic is going to work,

1 it has got the characteristics I think you want.

2 DR. SCHELD: If it works, then do you
3 break the code later and say what the quinolone
4 was?

5 DR. ECHOLS: No; don't. As I said,
6 really, identify it as a quinolone.

7 DR. SCHELD: A respiratory quinolone.

8 DR. BRITTAIN: I guess I have a little bit
9 different perspective on that. Ideally, from our
10 point of view, we would like to see the comparison
11 against placebo be a drug that would be likely to
12 be used as an active control, as a comparator,
13 because that is the information we need to set the
14 delta 1. So we would like to know what that drug
15 is and it would be a drug that would be a common, a
16 likely comparator.

17 DR. EDWARDS: Is there any chance, from
18 this side of the table, that someone might step
19 forward with a likely comparator?

20 DR. ECHOLS: I'm sure you could find
21 someone to donate some drug. There are drugs out
22 there, but I guess my concern, just to restate it,
23 is you use a drug that has holes in it from the
24 point of view of what an antibiotic might be doing,
25 people will question the study design.

1 I guess the only other question is if you
2 do a study and a benefit is demonstrated--in other
3 words, a delta 1 is demonstrated--would the agency
4 then go back to, if I can use that term--would they
5 then, in the future, accept noninferiority studies?

6 DR. POWERS: That is what we would use
7 that information for. Now, the question is are you
8 going to do a noninferiority study with a delta of
9 0.03 for the next trial based on what that number
10 comes out to be. That might be the tricky part is
11 that, as Mark said, you are talking the size of
12 trials for thrombolytics with 10,000 patients per
13 arm.

14 But if that is what it shows, that is
15 where the utility of these trials would be for us
16 is how to use them for future noninferiority
17 trials.

18 DR. BRITTAIN: But, if it did show that,
19 if it showed it was only 0.03, then you would
20 probably want to use placebo-controlled trials in
21 your regulatory trials because the sample size
22 would be much smaller.

23 DR. GESSER: The other value of requesting
24 this type of trial and having a funding body
25 critically evaluate the study design, et cetera, is

1 that there are many questions regarding
2 intermediate time points, graded endpoints, the
3 correlation between the micro information and the
4 clinical information that it seems like could be
5 gleaned from this.

6 So, regardless of what agent you choose,
7 really, I think those things should be considered
8 when you are choosing what agents you are going to
9 use and the endpoints you are looking for in this
10 trial. It sounds like there is a lot to be gained
11 in terms of basic information.

12 DR. CRAIG: The reason, clearly, that I
13 think fluoroquinolone is there is there is some
14 data in some other respiratory infections, even
15 community-acquired pneumonia, that suggests that
16 time to event occurs quicker with fluoroquinolones
17 than with some of the other comparative agents.

18 So, for that reason, I think, if there is
19 going to be an advantage, you want to try and use
20 something that is going to maximize your chance of
21 showing something in the clinical trial.

22 DR. POWERS: Could I just ask a question
23 about this. Mike, you mentioned ATS and IDSA, but
24 are there any existing clinical trials networks
25 that would already be set up to address a question

1 like this?

2 DR. ECHOLS: Do you know of any through
3 the ATS?

4 DR. HIRSCHMANN: I don't know of any.

5 DR. SCHELD: I don't know either, John. I
6 know, with the Critical Care Medicine Society,
7 there is a trial network set up to investigate
8 things like adjunctive therapy in sepsis or septic
9 shock. I know quite a few of the investigators,
10 but that is a little bit different category than we
11 are talking about. I think we probably have to
12 create this.

13 DR. EDWARDS: Other comments? I think we
14 are going to conclude this discussion unless, John,
15 there is anything else from FDA.

16 A summary point I would make is that the
17 notion of developing an approach to NIH that might
18 result in some sort of an RFP may be a very
19 valuable thing coming out of this discussion today.
20 I don't think any of us have really thought about
21 that issue in the kind of depth that we probably
22 will after this meeting. So I think that is a very
23 positive notion.

24 What I would like to do is go to lunch a
25 little bit early and come back a little bit early

1 with the notion that we might be able to end a
2 little bit earlier this afternoon. I thought that
3 might be popular.

4 So would it be possible for us to come
5 back at--it is five of 1:00 now. If we came back
6 at 2:00, that gives us a fifteen-minute lead on the
7 afternoon. There might be a vote for even coming
8 back earlier. I hate to have a vote. Would 1:45
9 be workable?

10 All right. We will return at 1:45.

11 [Whereupon, at 12:05 p.m., the proceedings
12 were recessed to be resumed at 1:45 p.m.]

1 are in the community, particularly in chronic-care
2 facilities like nursing homes or people that have
3 been in the hospital that are discharged that come
4 back with pneumonia.

5 The idea is to try to lump these because
6 the pathogenesis and the microorganisms are,
7 oftentimes, very similar so that the idea would be
8 to try to look at this entity. But, today, I am
9 going to focus primarily on hospital-acquired
10 pneumonia and VAP.

11 [Slide.]

12 I think one of the issues, when you talk
13 about clinical trials, is definitions. We have
14 talked a lot about definitions and there are a lot
15 of definitions that are used for--we use a very
16 simple definition that basically hospital-acquired
17 pneumonia is one that occurs 48 hours after
18 admission to the hospital and is not incubating on
19 admission.

20 For VAP, it is a pneumonia that occurs 48
21 hours after intubation and mechanical ventilation.
22 There are a lot of terms that are used in the
23 studies that make it very hard to interpret this
24 literature. You would think that people would
25 understand mortality, but when you look at

1 mortality, it is defined as mortality within seven
2 days, mortality within 14 days, 30 days, in the ICU
3 or 30 days after discharge from the hospital. So
4 you have to look very carefully at the definitions
5 that are used.

6 We have a problem now with epidemiology
7 particularly with the involvement of
8 multidrug-resistant strains and also one of the
9 complications of VAP is superinfections or
10 secondary episodes of pneumonia after they have
11 been extubated.

12 For HAP and VAP, one of the problems that
13 we have is that this site, in comparison to the
14 CSF, is not a sterile site. The lower
15 tracheal-bronchial tree is not sterile. It is
16 colonized. One of the problems with diagnosis is
17 trying to discriminate colonization from infection.

18 There are different methods. I am going
19 to talk briefly about clinical diagnosis in some
20 quantitative cultures, talk a little bit about
21 therapy and our approach to therapy. There is a
22 guideline that is being written by IDSA and ATS to
23 try to get guidelines for managing patients. This,
24 hopefully, will be completed in September of 2003.

25 [Slide.]

1 Just some basic facts about HAP. When you
2 put an endotracheal tube into a patient, you
3 increase the risk of pneumonia 6- to 21-fold. More
4 than half the antibiotics that are used in the
5 intensive-care unit are used to treat
6 lower-respiratory-tract infections.

7 We have a concept that has emerged between
8 early and late onset because the pathogens for
9 early onset are different than late onset. Crude
10 mortality in different studies goes from about 20
11 to 50 percent depending on the population studied.
12 The attributable mortality, or mortality attributed
13 to the pneumonia, itself, in studies range
14 considerably but probably, in most studies, it is
15 in the range of about 30 percent that can be
16 directly attributed to the pneumonia. Cost, as you
17 know, is in millions.

18 [Slide.]

19 Looking at risk, and this is medical ICUs,
20 nosocomial infections, urinary-tract infections are
21 most common but pneumonia has the highest morbidity
22 and mortality. The same, blood-stream infections,
23 also. So, of the nosocomial infections, pneumonia
24 is important because of the consequences.

25 You basically look at the definition of

1 early-onset. HAP is usually within five to seven
2 days of intubation or five to seven days of coming
3 in the hospital. That is early-onset disease.
4 Late-onset disease would be after that time. If
5 you look at early-onset, hospital-acquired
6 pneumonia with no risk factors, you can see the
7 pathogens, Pneumococcus, Hemophilus, anaerobes,
8 Staph aureus, and some of these are mixed, are very
9 similar to what you see for community-acquired
10 pneumonia.

11 There are not as many MDR strains and,
12 when you look at early-onset HAP, the outcomes are
13 much better and the mortality is lower.

14 [Slide.]

15 When you look at the late-onset
16 players--these are after seven days--many of these
17 people have many risk factors. I call this the
18 dark side because the organisms here are quite
19 different. MRSA and possibly, in the future, VRSA.
20 KES strains, Klebsiella, Enterobacter, Serratia,
21 Pseudomonas, Acinetobacter, et cetera, Legionella
22 and some of the other pathogens.

23 So you have a group of pathogens that are
24 more multidrug resistant.

25 [Slide.]

1 Looking at this study that came from
2 France, what are the risk factors for
3 multidrug-resistant organisms? They looked at 135
4 patients with VAP. 57 percent had
5 multidrug-resistant pathogens. The risk factors
6 were late-onset disease which we already know,
7 prior antibiotic use within the previous 16 days,
8 and particularly quinolones, third-generation
9 cephalosporins or imipenem had significant odds
10 ratios.

11 The point of the study was that if you had
12 these risk factors for MDR pathogens, the initial
13 coverage should be broader spectrum to cover these
14 pathogens.

15 [Slide.]

16 Also, if you look at the spectrum of these
17 pathogens in different ICUs, this is a study that
18 was comparing pathogens in Paris, Barcelona,
19 Seville and Montevideo. You can see that the
20 variation in pathogens in these units, most of them
21 did have Acinetobacter. Pseudomonas was a player
22 in some units, but wasn't a player in other units.
23 MRSA was very low, whereas certain units in the
24 United States and other ICUs, MRSA is very
25 important.

1 MSSA had very low results. But even
2 within the same hospital, the spectrum of pathogens
3 can vary between a medical and a surgical ICU.

4 [Slide.]

5 You know what? This is the wrong--oops.
6 That is the first set. I sent a first set and
7 then--this is going to be a little interesting.
8 This diagram looks at--basically, when you put an
9 endotracheal tube into a person's trachea, you have
10 secretions that pool. There is heavy contamination
11 in the oral pharynx with pathogens.

12 Also, the stomach can be a major reservoir
13 for organisms. The bacteria can go up and back and
14 they pool above the endotracheal tube cuff which is
15 not a good cuff and there is continual leakage into
16 the lower respiratory tract resulting in
17 colonization in virtually every patient and
18 tracheal bronchitis.

19 What we want to know is what is going out
20 here in the alveolar spaces. So we have to look at
21 measurements here to try to identify what is going
22 on in the alveolar spaces.

23 [Slide.]

24 I want to talk a little bit about clinical
25 diagnosis of VAP and the use of quantitative

1 bacteriology. We look at different methods. There
2 is a clinical spectrum of disease which I will talk
3 about in a second, a new scoring system which is
4 called CPIS which I will also go over.

5 A lot of this, you can look at sputum
6 examinations crudely looking at the Gram stain in
7 the cultures from endotracheal aspirates. Urine
8 antigens are helpful for identifying some
9 pathogens. Then, more recently, a variety of
10 specific quantitative techniques have looked at
11 quantitating the bacterial that is in the
12 endotracheal tube using blind bronchial-alveolar
13 lavage or protected specimen brush or bronchoscopy,
14 putting a bronchoscope down doing BAL or PSB.

15 A lot of the studies have looked at
16 sensitivity and specificity, and quantitative
17 bacteriologic techniques have greater specificity.
18 I also am pretty old-fashioned. Gram stains, to
19 me, are very helpful because, if you can see
20 organisms on Gram stain, you have a pretty good
21 idea about what is going on and it correlates with
22 about 10⁵ to 10⁶ organisms per ml using
23 quantitative techniques.

24 [Slide.]

25 So, for clinical diagnosis, we use fever,

1 white count, and usually sputum. If it is purulent
2 looking, a Gram-stain is cultured. We want a new
3 and persistent infiltrate on chest X-ray. If you
4 have blood cultures in pleural fluid, that is great
5 but many of these patients don't have either of
6 these and, more recently, as we will talk about in
7 a second, there has been a scoring system that
8 looks at these criteria to give a score that tells
9 you about the probability of a clinical diagnosis.

10 The problem with clinical diagnosis is
11 that the specificity is very poor.

12 [Slide.]

13 Quantitative techniques are used for
14 urinary-tract infections. We basically manage
15 patients by whether they have 10^5 organisms per ml.
16 For catheter-related infections and bacteremia, we
17 have quantitative techniques for culturing the
18 catheter that help us decide. For wounds, there
19 are even criteria looking at wound infections.
20 Quantitative criteria are available for these.

21 For VAP, there have been a lot of
22 problems. Using PSB, it is usually 10^3 per ml,
23 BAL, 10^4 per ml, or quantitative endotracheal
24 aspirates, 10^5 per ml. These techniques, I think,
25 are not that difficult and should be used but very

1 few centers in the United States use these
2 techniques because microbiologic labs are under a
3 lot of stress.

4 [Slide.]

5 Basically, here, we have an intubated
6 patient. You put a catheter down blindly. It
7 usually goes into the right main-stem bronchus.
8 You pull back fluid and you do quantitative
9 analysis of that fluid. If it is over 10⁴, that is
10 consistent with a diagnosis of pneumonia.

11 This is a pretty easy technique to do and
12 the quantitative bacteriology isn't that hard.

13 [Slide.]

14 When we look at outcomes from different
15 studies, I have shown on the left here what I
16 consider sort of traditional outcomes. We look at
17 mortality, which we have the problem of
18 attributable mortality. We look at morbidity and
19 we look at cost.

20 But I think there are other outcomes that
21 are very important. If they don't have pneumonia,
22 stopping antibiotics is an important thing to do.
23 We want to try to decrease antibiotic resistance,
24 particularly of intensive-care units which are a
25 haven for resistance organisms.

1 We want to try to reduce other nosocomial
2 infections, superinfections and, most importantly,
3 we want to reduce device days because if we get the
4 endotracheal tube out, we have a decreased risk of
5 getting pneumonia. The longer that endotracheal
6 tube is in place, the greater the risk of
7 pneumonia.

8 [Slide.]

9 This is a nice study, I think, the only
10 comparison study looking at a clinical diagnosis
11 which is used most commonly in the United States
12 versus invasive diagnosis. Invasive is
13 bronchoscopy with BAL and PSB. It is a fairly
14 large study, 31 ICUs in France, 413 patients.
15 Clinical diagnosis was in 204 and invasive
16 diagnosis was in 209. They looked at microbiology
17 in outcomes.

18 [Slide.]

19 As you can see on this slide here, you can
20 see that the microbiology, there were more people
21 in the clinical group shown in green here that had
22 a positive culture in their endotracheal tube,
23 which you would expect. Much lower, if you used
24 invasive diagnostic techniques in that criteria.

25 Also, we always talk about polymicrobial

1 pneumonias, ventilator-associated pneumonias. You
2 can see that polymicrobial pneumonia was
3 significantly more common in the group that used
4 clinical diagnosis.

5 [Slide.]

6 They also were able to demonstrate a
7 decrease in mortality. For people that had a
8 clinical diagnosis, it was 26 percent versus
9 16 percent. Also, sepsis and organ failure was
10 decreased in the group that had invasive diagnosis
11 and the number of antibiotic-free days, which I
12 think is an important variable in the ICU, was
13 significantly less, was significantly less in the
14 people that had--or significantly more in the
15 people that had invasive diagnosis.

16 So, looking at traditional outcomes, some
17 of these other outcomes and, particularly, some of
18 these lesser outcomes, we can see that there seem
19 to be some advantages, at least in this study.
20 Obviously, it would be nice to have this study
21 reproduced in the United States.

22 [Slide.]

23 Why would VAP, stopping the antibiotics
24 help? Because people that had negative cultures
25 basically had their antibiotics stopped and

1 basically there was a look for other sources of
2 infection that could be giving the clinical
3 syndrome that was suggestive of pneumonia.

4 So, basically, by reducing antibiotic use,
5 we can, perhaps, reduce multidrug-resistant
6 superinfections and, perhaps, improve outcome, at
7 least in this study.

8 [Slide.]

9 I want to mention just a few points about
10 treating VAP. HAP or VAP, hospital-acquired
11 pneumonia or VAP, is a very dynamic disease. There
12 are a lot of variables that go into determining
13 what happens to a patient.

14 Most important is, I think, to try to
15 assess the severity. The severity is whether they
16 have severe or mild disease. People with severe
17 disease, more prompt attention, more broad-spectrum
18 antibiotic therapy and the CPI score, which I will
19 show you in a second, will help to do this.

20 We also look at certain risk factors for
21 certain pathogens that may be present. We always
22 want to retain blood and sputum cultures as a basis
23 of the microbiology which will be available in 48,
24 24 to 48, hours to help adjust therapy.

25 We want to begin appropriate antibiotics

1 and then basically look at the clinical response
2 for those antibiotics over a 24- to 48-hour period
3 and then adjust the antibiotic regimen based on the
4 microbiology that is available.

5 [Slide.]

6 It is important that we have initial
7 therapy looking at inadequate therapy, shown here
8 in yellow, versus adequate therapy and generally
9 looking at the mortality. Most of these studies,
10 in almost all of them, the mortality was reduced
11 but only in two studies was the mortality
12 significantly reduced by the use of adequate
13 therapy.

14 [Slide.]

15 I want to talk a little bit about these
16 studies. Sorry; things were a little out of order
17 here compared to the old style. This is the CPIS
18 scoring system. It was originally described in
19 1991 and modified in 2000. You get a fever for
20 either having a very high fever or very low
21 fever--you get points. White count, if it is low
22 or very high, you get points. If there are bands,
23 you get points.

24 If the endotracheal aspirate is purulent,
25 you get points. If the Gram stain is positive, you

1 get points. They looked at oxygenation here and
2 the oxygenation, you would get points based on the
3 PaO2-FiO2 ratios and whether the chest X-ray had
4 diffuse or localized infiltrates.

5 This later study, the Singh study,
6 actually did a subsequent CPIS scoring system at
7 Day 3 to help define therapy at Day 3. A study
8 that is in progress now, or a study that is in
9 press now, is going to look at CPIS scoring to
10 monitor the impact of therapy and outcomes of
11 patients that are on different antimicrobial
12 agents.

13 I think this will be a very important
14 study because it showed that the CPIS scoring,
15 particularly the oxygenation, was a good monitor
16 for people who were responding and people that did
17 not respond and would go on to die.

18 [Slide.]

19 Looking at the Singh study--this is a very
20 nice st because the question was do we really need
21 short-course or long-course therapy for absolutely
22 every patient. What they did is they took patients
23 with suspected nosocomial pneumonia or
24 ventilator-associated pneumonia who had the CPIS
25 score less than 6--would be a low probability of

1 pneumonia.

2 They randomized to ciprofloxacin for three
3 days versus standard antimicrobial therapy and then
4 basically, at three days, the group that got cipro
5 alone as a single agent had a CPIS score. If it
6 was greater than 6, additional treatment was added.
7 If the CPIS score was less than 6, they stopped
8 antibiotics after three days and they looked at
9 outcomes in the standard-treated group, the
10 standard of care group, versus the group that had
11 short-course cipro therapy based on the CPIS score.

12 [Slide.]

13 You can see here that basically the
14 short-course group had fewer costs of antibiotics
15 and hospital stay. There were less
16 multidrug-resistant organisms and superinfections,
17 lower mortality and the ICU days were decreased in
18 the people that got short-course therapy.

19 [Slide.]

20 This is another approach that has been
21 looked at by Ibrahim and coworkers. They looked at
22 the pathogens that were in the intensive-care unit
23 before they started an intervention study.
24 Basically, appropriate antibiotic therapy was
25 actually very poor in the group before they did

1 their intervention.

2 What they did is they looked at the
3 pathogens that were in their unit and they
4 basically made a drug cocktail to cover all the
5 pathogens that were in their units; Pseudomonas,
6 methicillin-resistant Staph aureus and
7 Acinetobacter. So they made a regimen that would
8 cover all those pathogens and actually improved
9 appropriate antimicrobial therapy after the
10 initiation of this study.

11 [Slide.]

12 I think what this points to is the fact
13 that it you know what you are treating and you can
14 get an appropriate cocktail, you should start
15 broad-spectrum therapy and try to reduce it when
16 more antibiotic information is available.

17 When we have HAP, we have what is called
18 the liberal approach. That is the failure to
19 recognize the entity, HAP. Lack of antibiotic
20 efficacy due to resistance results in increased
21 mortality due to ineffective antibiotics. So the
22 liberal approach would be to use more antibiotics.

23 The conservative view says we have
24 increasingly ill patients, more MDR pathogens. We
25 have loss of effective antibiotics secondary to

1 overuse of antibiotics, therefore we should use
2 fewer antibiotics.

3 What the consensus seems to be emerging is
4 that, up front, if we don't know what we are doing,
5 we try to use liberal antibiotics to cover all the
6 potential pathogens. So early appropriate therapy
7 appears to improve outcome. Then, based on the
8 results of the microbiology, the antibiotic regimen
9 can be streamlined or therapy can be stopped if
10 there is no evidence of VAP and, basically, for
11 responders or nonresponders, if a person is not
12 responding to therapy, I think you need help
13 assessing the diagnosis and therapy.

14 So the antibiotics we are talking about
15 for Gram-negative rods and Pseudomonas would be,
16 basically, third- and fourth-generation
17 cephalosporins, aminoglycosides or imipenem. For
18 MRSA, it is vancomycin and linezolid which is data
19 that are in press suggesting that linezolid would
20 be a good alternative for MRSA.

21 For atypicals like Legionella, if you have
22 a hospital that has Legionella, you need to cover
23 for these. Anaerobes play a very, very low role in
24 VAP except early onset VAP.

25 [Slide.]

1 A study that has recently been done looked
2 at clinical response to antibiotic therapy. I
3 think this is an important study.

4 [Slide.]

5 They basically looked at the response,
6 looking at white count. You can see by the arrows
7 here that basically most of the people had a white
8 count that was back approaching normal at about
9 eight days. Basically, the log decrease in
10 organisms was present by Day 6. Looking at the
11 FiO2, the maximum improvement in FiO2 was about Day
12 8.

13 So, one of the questions now is how long
14 do we treat patients with VAP or HAP. There is a
15 large multicenter, double-blind study looking at
16 short versus long course therapy. But it suggests
17 here that a lot of the clinical parameters
18 suggestive of pneumonia appear to be improving on
19 about Day 7 to 8.

20 [Slide.]

21 So here is sort of the approach that is
22 being worked on at the present time. HAP
23 suspected, check a CPIS score, obtain cultures,
24 begin early in appropriate antibiotics based on the
25 severity of disease and risk factors at 24 to 48

1 hours, look at culture data, CPIS score, and try to
2 make a decision about management at that time.

3 If they are improved, you might want to
4 de-escalate antibiotic therapy. If patients are
5 not approved, look at alternative antibiotics,
6 check out the diagnosis and consider getting a
7 consult to help.

8 [Slide.]

9 So what we want to do, I think, for this
10 particular avenue, is to look at traditional
11 outcomes but also to look at some of these other
12 outcomes that may be important in looking at
13 mortality, morbidity and some of the other outcomes
14 that may be important in measuring things such as
15 device days in clinical trials.

16 [Slide.]

17 This is a quote from Oliver Wendell
18 Holmes. "One man's mind, once stretched by a new
19 idea, never regains its original dimension." I
20 think this is true. We have learned about HAP,
21 particularly in the last four or five years. I
22 would say, for myself, I started this conference in
23 this position right here and, after two days of
24 hearing some of the data discussed, I feel that my
25 mind has been stretched.

1 Thank you very much.

2 DR. EDWARDS: Thank you very much, Don.

3 We will move on now to Dr. Gesser from
4 PhRMA.

5 PhRMA Speaker

6 DR. GESSER: Thank you, Dr. Edwards.

7 [Slide.]

8 I would like to thank my codiscussants for
9 sharing their slides with me. One of the things I
10 noticed last night, as I was looking at my slides,
11 is that I looked at the title slides for each one
12 of our talks and we each have a different name for
13 this disease entity.

14 As Dr. Craven pointed out, he had the
15 title, Healthcare Associated Pneumonia. I have got
16 Nosocomial Pneumonia. Dr. Beidas has Hospital
17 Acquired Pneumonia. I think the good news is that
18 we are all talking about the same thing but,
19 perhaps, we will need to revisit that during the
20 discussion session.

21 [Slide.]

22 This is the overview of my slides. I
23 thank Dr. Craven for giving such a great background
24 for the disease process such that I can summarize
25 what I want to say in one slide. I will review

1 briefly some of the recent data from the two most
2 recent double-blind comparative pivotal trials
3 resulting in approvals for drugs for nosocomial
4 pneumonia and will focus on some issues that came
5 up during the course of those trials, and then
6 specifically go through a number of issues that
7 make trial design particularly challenging for this
8 indication.

9 Then, I think, in quite a few slides, I
10 will pose a number of questions that, hopefully, we
11 can get into further during the discussion.

12 [Slide.]

13 First, just to add to what Dr. Craven
14 said, I think what is really important to keep in
15 mind is that every patient in these trials has
16 another active illness. They have an existing
17 comorbidity that they are being hospitalized for
18 and being treated for or are in a nursing home and
19 being cared for.

20 So this adds to the possibility to obscure
21 to diagnosis. It limits enrollment in the trial,
22 confounds assessments of efficacy, safety and is
23 important to keep in mind. If we can sort out the
24 patients who don't have pneumonia in the population
25 that do, we are really talking about a very

1 heterogeneous population that includes ventilated
2 patients as well as nonventilated patients.

3 An important component, as Dr. Craven
4 mentioned, and becoming increasingly important as
5 we get older, is the population of patients who are
6 in long-term-care facilities where pneumonia is the
7 second leading cause of infectious morbidity.

8 As Dr. Craven mentioned, patients can be
9 separated as to early onset and late onset. That
10 is true of both patients who are ventilated and
11 patients who are not ventilated. Additionally, the
12 literature has assessed a number of risk factors
13 for severity and poor prognosis in this disease.

14 Delta 1, I think, needless to say, it is
15 difficult to quantify. I don't think we will be
16 able to quantify delta 1, but I do believe that the
17 group would agree that there is clearly substantial
18 benefit of antibacterial therapy for documented
19 pneumonia in these patients.

20 Mortality is high in these patients. As
21 Dr. Craven already mentioned, attributed mortality
22 is really what we would like to get a perspective
23 on and, depending on what literature you read, 30
24 to 50 percent of the crude mortality can be
25 attributed to pneumonia in these patients. This,

1 again, reflects the complicating underlying
2 illnesses and also the pathogens identified and
3 responsible for pneumonia.

4 [Slide.]

5 This is a schematic, not meant to be very
6 scientific or overly inclusive, but basically lays
7 out the pathogens we are talking about and it gets
8 at some of the issues in the clinical-trial design
9 and, also, it is a focus for discussing the types
10 of agents that one might consider in trials of
11 antibacterial agents. That includes both approved
12 agents and potential agents.

13 As Dr. Craven points out, the spectrum of
14 pathogens is really broad. It is influenced by the
15 duration that the patient has been hospitalized
16 and/or on ventilation and also influenced by the
17 prior antibiotic experience that the patient has
18 had.

19 Anaerobes, generally a small part of the
20 illness, early onset, particularly in patients who
21 are at risk for aspiration. Gram-positives, a
22 significant important population, and increasingly
23 important is the population of patients with
24 resistant Gram-positives which would include
25 penicillin-resistant *Streptococcus pneumoniae* and

1 also methicillin-resistant Staph aureus and, in the
2 future, likely glycopeptide-resistant Staph aureus
3 as well.

4 Enterics play a big role in the disease,
5 particularly resistant enterics. This is including
6 ESBL-producing enterics and other mechanisms of
7 resistance in the enterics including AMC
8 production, both constitutive and derepressed and
9 other forms of enteric resistance.

10 Important pathogens, particularly in
11 late-onset disease, are the nonfermenting
12 Gram-negatives and of particular concern is the
13 small population for now but increasing population
14 of resistant nonfermenting Gram-negative pathogens.

15 In terms of the types of agents that are
16 approved and might be studied in this indication,
17 we have agents that have been studied that are
18 specifically focused on the Gram-positive area.
19 There are agents that cover the traditional
20 enterics and with varying degree of efficacy
21 against resistant enterics but limited activity
22 against positives.

23 This would include beta lactams and some
24 beta-lactam/beta-lactamase inhibitor combinations,
25 agents with increasing Gram-negative coverage such

1 that we are now into the nonfermenting group. This
2 would include, again, beta-lactam/beta-lactamase
3 inhibitor agents, some fluoroquinolones, less
4 activity, Gram-positives. Some agents can expand
5 in that direction and also cover; for example,
6 penicillin-resistant Strep pneumoniae.

7 Of particular interest is new agents, and
8 specifically new agents that can really stretch the
9 Gram-negative spectrum of things to include
10 resistant nonfermenting. These are potential
11 agents, as listed here, and certainly agents that
12 there is quite a lot of clinical interest in.

13 Additionally, one could theoretically come
14 up with an agent to cover all pathogens. I think
15 that target is yet to be discovered.

16 [Slide.]

17 Just want to now focus on the two most
18 recent double-blind comparative pivotal trials for
19 these indications. I am not going to talk about
20 delta so much here, or outcome here, as just the
21 logistics of study design and some of the
22 components of the studies that I think are
23 important.

24 Study A was a broad-spectrum agent. It
25 was studied versus a licensed comparator for

1 nosocomial pneumonia and Study B is a more select
2 Gram-positive agent. It was studied versus
3 vancomycin which isn't approved for the indication
4 but was considered a standard of care in the
5 treatment of patients with nosocomial pneumonia,
6 particularly evidently those at risk for
7 Gram-positive agents.

8 If you can recall, Roger gave you data
9 from meningitis trials. Sixty centers were
10 included in those trials. Upwards toward ninety
11 centers were included in these trials. 264
12 patients were studied in the first trial,
13 approximately 400 in the second trial. These
14 patients, basically, are coming from throughout the
15 world, primarily the U.S., North America, Europe,
16 Costa Rica, in this study, a significant component
17 from South Africa in this study as well as
18 Australia, Israel and, again, Latin America.

19 The enrollment for these trials is shown
20 here. I think enrollment is influenced, to a
21 certain degree, by the proportion of patients with
22 ventilator-associated pneumonia here. One thing to
23 point out here, the number of patients with
24 VAP--this is the clinically evaluable number of
25 patients with VAP. This is the total patients

1 treated. Likewise, 110 clinically evaluable
2 patients with VAP in Study B.

3 [Slide.]

4 Just to look at the study populations.
5 What you see here, the percentages refer to the
6 percentage of the treated patients that fit each
7 one of these study populations. The asterisk here
8 is the primary efficacy population; that is, the
9 clinical evaluable population in these two studies.
10 As you can see, approximately 55 to 60 percent of
11 the total patients treated in these two trials were
12 considered clinically evaluable. I think it is
13 interesting to see the consistency in these two
14 trials.

15 In terms of micro evaluability, something
16 that Dr. Craven focused on quite a bit in his talk,
17 this includes a population of patients with at
18 least one identified pathogen without regard to
19 quantification. Again, it is interesting to see
20 that the proportion of treated patients in these
21 two trials is similar, the proportion of treated
22 patients with a pathogen who are considered micro
23 Eval are similar, 24 to 28 percent.

24 This micro Eval-2 population is actually a
25 population for whom quantitative culture results

1 were available. This was only done in the second
2 study. The initial study requested quantitative
3 cultures from all patients including those without
4 VAP. According to the information available
5 through the Freedom of Information, the protocol
6 was amended to then just request that of patients
7 who were ventilated.

8 I think the important thing to see here is
9 that, of the total treated patients, only 11
10 percent met the criteria--that is, 103 or 104. It
11 is not clear from reading this information whether
12 endotracheal quantification was used, but,
13 certainly, a low proportion of the total treated
14 patients.

15 In terms of the proportion of patients who
16 had mechanical-ventilation-associated pneumoniae,
17 it differed in the two trials. Basically, 50
18 percent of the clinically Eval population were
19 ventilated in this study and approximately 20-odd
20 percent in this.

21 Interestingly, the proportion of
22 ventilation-associated pneumonia patients who were
23 micro eval, the proportions are not that
24 significantly different than those did not require
25 mechanical ventilation. I think that gets to the

1 specificity and sensitivity of endotracheal
2 cultures versus deeper cultures as well.

3 [Slide.]

4 I just want to focus in on some of the
5 issues that we encounter in these clinical trials.
6 They are quite complicated. These patients are
7 ill, as you can imagine. Issues of consent, in
8 some circumstances, assent, are really quite
9 important. These are patients who were receiving
10 quite a lot of adjunctive therapy and, as I have
11 mentioned already, are being managed for some other
12 primary illness prior to the onset of their
13 pneumonia.

14 For ventilator-associated patients, a
15 particularly definitive diagnostic criteria, as Dr.
16 Craven points out, really have not been agreed
17 upon. I think there are a number of studies. The
18 general criteria used, in addition to the
19 radiographic requirements of a new or worsening,
20 hopefully alveolar density or a bronchogram.

21 The classic triad is fever, leukocytosis
22 and purulent tracheal secretions. For patients who
23 are nonventilated, this is more important. I think
24 the CPIS score gets at this for patients who are
25 ventilated--i.e., looks at measurements of

1 oxygenation.

2 The studies that address the specificity
3 and sensitivity of the clinical criteria I think
4 are important although most people agree that the
5 specificity of clinical criteria along with
6 radiographic criteria are low, that specificity
7 increases the more signs and symptoms that you
8 include. For example, if you include fever,
9 leukocytosis, purulent tracheal secretions, most
10 people would agree and most studies agree that the
11 specificity is greater.

12 This gets at, I think, some of the issues
13 brought up by the CPIS score in which it is a
14 composite of all these signs and symptoms and I
15 think it will be interesting points for discussion
16 during the discussion section.

17 Regarding micro criteria, I don't think I
18 have anything really new here. The issue is,
19 again, we are culturing a nonsterile space. We are
20 going through a particularly nonsterile space to
21 get to those cultures and it is not clear that the
22 microbiological results are that reliable nor is it
23 clear that they correlate that extensively with the
24 clinical results.

25 Additionally, many of these patients

1 receive prior antibiotics and these cultural
2 results, particularly the quantitative results, are
3 extremely influence by whether or not patients have
4 received prior antimicrobial therapy.

5 [Slide.]

6 Treatment issues, now, which impact on
7 clinical-trial design. This is a real tough issue
8 especially since there are broad initial empiric
9 antibacterial coverage guidelines and, more and
10 more, this is being considered the standard of
11 care.

12 Another issue is that, in general,
13 cultures are not available, as Dr. Craven has
14 already pointed out, to guide the management of
15 these patients to at least two to three days into
16 the initial course of therapy. What is important,
17 though, it appears, in numerous studies, is that
18 patients who are sick in whom you suspect the
19 diagnosis, you really want to cover broadly
20 initially because, if you don't, there is greater
21 morbidity and mortality.

22 However, as pointed out in that schematic
23 diagram, it is difficult or possibly impossible to
24 cover all potential pathogens, so there has to be
25 some way to look at that. Empiric coverage

1 generally takes into consideration things like
2 duration of hospitalization, ventilation, as we
3 mentioned earlier, versus late-onset disease, the
4 duration and the spectrum of prior antibacterial
5 therapy and also, as Dr. Craven pointed out, I
6 believe, in a Spanish study, the local
7 microbiological and susceptibility data.

8 [Slide.]

9 Then we get on to the issue of outcome
10 determination. Traditionally, the outcome
11 assessment in these studies has been the clinical
12 response. Traditionally, it has been in the
13 clinically defined population of patients. I
14 suspect we are going to discuss that during the
15 discussion period. I think it is important because
16 it is a clinical assessment. There is some
17 subjectivity involved and, obviously, if at all
18 possible, a blinded assessment is the preferred
19 assessment, although, I must say, with all kinds of
20 concomitant therapies and contingencies based on
21 the treatment guidelines, this may be challenging
22 in some circumstances.

23 I think the good news about the subjective
24 clinical assessment that there is a finite and
25 objective nature to this in that patients should no

1 longer require antibacterials once this clinical
2 assessment is being made and, if they do and they
3 are required to receive them for the disease under
4 study, they are generally considered to be
5 failures.

6 In terms of the clinical measures looked
7 at, perhaps the CPIS score can get at this in a
8 more succinct way which generally has been looked
9 for as a complete resolution or return to baseline
10 with resolution of acute signs of the infection,
11 for example, fever, leukocytosis and purulence in
12 the sputum.

13 Micro assessments; as endpoint
14 assessments, these are difficult. As primary
15 assessments, as you can see from the way the
16 populations broke out in the two studies that I
17 showed you, Study A and B, these populations are
18 smaller. In addition, it is not clear that the
19 micro results correlate completely with the
20 clinical response.

21 Additionally, for patients who are judged
22 to be cures, often, usually, the microbiological
23 response is that of a presumed response and I guess
24 we can get into a discussion of the ethics and
25 practicality of getting follow-up cultures,

1 different types of follow-up cultures, in patients
2 who are otherwise judged to be cured.

3 [Slide.]

4 I just want to focus on logistics again.
5 We are talking about ninety centers, multicentered,
6 multinational, clinical trials. Whatever we design
7 into our clinical trial has to have broad
8 acceptability across many institutions if we are
9 going to maintain the same sorts of sample sizes
10 that we have in the past.

11 Additionally, the study design, whatever
12 it is, must be acceptable to investigators,
13 patients and to local ERCs and IRBs. We must also
14 take into account regional differences in
15 susceptibilities, diagnosis, management of the
16 disease. Whatever procedures we decide on, they
17 should be standardized procedures, things that can
18 be done reasonably with reasonable proficiency,
19 done by qualified personnel throughout the study
20 sites.

21 Any invasive procedure, I think as Dr.
22 Craven points out, needs to be justified as a
23 standard of care or something really clearly
24 identifies an improved outcome for patients.

25 [Slide.]

1 I think a lot of these questions are going
2 to be addressed by Dr. Beidas during his talk, but
3 I will quickly go through these questions that
4 still remain. Can the diagnostic specificity be
5 increased for this disease and still maintain a
6 broad applicability both in terms of the
7 applicability of the study results to a broad
8 population of patients and also the broad
9 applicability of the study procedure such that we
10 can solicit the help of clinical investigators
11 basically throughout the world?

12 Do culture results improve diagnostic
13 specificity or sensitivity and, if we believe that
14 they do, what is the preferred approach? Is one
15 method truly better than another? I think we can
16 talk about, hopefully, during the discussion
17 section, the relative merits of endotracheal
18 cultures versus more invasive cultures and, again,
19 some of the practical issues of a culture obtained.

20 [Slide.]

21 One important issue, and it has always
22 struck me as particularly different, is I think
23 there is an opportunity in HAP. This is where we
24 see highly resistant pathogens. These are
25 hospitalized patients. They receive many

1 antibiotics. The issue, in general, for these
2 antiinfective, antibacterial clinical trials, we
3 tend to exclude patients who have received greater
4 than 24 hours of antibiotic therapy in the 72 hours
5 prior to enrollment unless they have a pathogen
6 identified at baseline.

7 The problem, as we already pointed out, we
8 don't know that until two or three days into the
9 study. The question I ask is how can these studies
10 be designed to include these patients? For a
11 number of reasons. One is to capture more of the
12 resistant pathogens. The other is it strikes
13 me--one thing I forgot to mention when I mentioned
14 the study design, Study A and B; all those patients
15 received concomitant therapy during the course of
16 the treatment for hospital-acquired pneumonia.

17 In the Gram-positive study, obviously,
18 those patients received azetreonam unless it was
19 perfectly clear that they had nothing but a
20 resistant Gram-positive or a Gram-positive agent.
21 Additionally, those patients also had the
22 possibility of receiving aminoglycosides if
23 Pseudomonas was identified. In the broad-spectrum
24 agent, likewise, double coverage was offered for
25 Pseudomonal coverage.

1 The irony is that we allow a
2 disconcomitant therapy but yet we exclude it is
3 prior therapy. I think we need to revisit this.
4 It is not easy. It is a problem. It is a problem
5 for me as a sponsor designing a trial. I am sure
6 it is a huge problem for a regulatory agency to get
7 at, to dig through the data to try to get a handle
8 on the contribution of the study drug to the
9 overall response.

10 But I think it is something that is
11 important and that we need to discuss.

12 [Slide.]

13 Therapy; again, I have mentioned how there
14 are a lot of antibiotics tossed around here. How
15 do we do these studies in the light of published
16 guidelines for empiric treatment? How do we
17 incorporate those guidelines? I think I am going
18 to rely a lot on some stimulating conversation by
19 the IDSA colleagues.

20 Do we need to cover empirically--in the
21 initial coverage, does it have to be double
22 coverage for Pseudomonas? In what circumstances is
23 empiric MRSA coverage required? I think these are
24 all things we need to visit and probably revisit as
25 time goes by.

1 If you do have a new anti-Pseudomonal
2 agent, can you study it as monotherapy in HAP?
3 What do people have to think about that? In terms
4 of avoiding biocreep, you saw treatment is a wide
5 spectrum of agents that could be used in this
6 disease entity. What are the key properties of
7 licensed agents or standard regimens that could be
8 considered as appropriate comparators?

9 I think, obviously, we could have an
10 interesting discussion in that regard as well

11 [Slide.]

12 Regarding outcome, again, what is the most
13 appropriate primary outcome variable, clinical or
14 micro? Should follow-up cultures be obtained in
15 patients other than those who are clinical
16 failures? Are there reliable culture methods such
17 that follow-up eradication could be used as a
18 primary measure of effectiveness?

19 Can invasive follow-up cultures--I touched
20 on this already--be justified in cures? How should
21 missing results be dealt with; i.e., if you are
22 cured, you are not going to get an invasive culture
23 and yet your study design calls for it. Missing
24 information sometimes is dealt with in a negative
25 way. How do you deal with that in the setting of

1 this type of clinical trial?

2 How do you deal with concomitant therapy,
3 particularly when the concomitant therapy overlaps
4 the spectrum of investigational agent and, finally,
5 the delta. What criterion should be met to
6 demonstrate noninferiority of investigational
7 antibacterial?

8 I will stop there.

9 DR. EDWARDS: Thank you very much.

10 Dr. Beidas from FDA.

11 FDA Speaker

12 DR. BEIDAS: Thank you, Dr. Gesser, for
13 pointing out our different definitions as we
14 started.

15 [Slide.]

16 For the last two days, I have thought I
17 was the only one who is confused about HAP and
18 nosocomial pneumonia or healthcare-associated
19 pneumonia.

20 [Slide.]

21 This slide summarizes the time line of
22 hospital-acquired pneumonia in relation to
23 clinical-trial issues and identifies some of the
24 issues for discussion this afternoon. The text in
25 blue reflects the three areas in which we would

1 appreciate the committee's discussion.

2 These three areas are definition and
3 diagnosis, test-drug issues, adjunctive therapy and
4 comparator agents, and then the outcomes.

5 [Slide.]

6 The regulatory history for the indication
7 of hospital-acquired pneumonia is brief. Prior to
8 1990, respiratory infections were all lumped
9 together under the heading of
10 lower-respiratory-tract infections. This included
11 entities like acute exacerbation of chronic
12 bronchitis. It included pneumonia and it included
13 empyema, among others.

14 In 1992, the IDSA published guidelines for
15 the evaluation of antimicrobials and the FDA
16 published the Points to Consider Document in which
17 lower-respiratory-tract infections were divided
18 into community-acquired pneumonia and into
19 healthcare or hospital-acquired pneumonia.

20 In 1992, the reason to separate
21 community-acquired pneumonia from hospital-acquired
22 pneumonia was necessary in clinical practice and as
23 well in trials due to differences in epidemiology
24 such as the population that was affected, the
25 infecting organisms, the cure rates and other

1 factors as well. Beyond that, the ATS and the
2 IDSA, as well as others, described other
3 subcategories of hospital-acquired pneumonia such
4 as nursing-home patients, immunocompromised
5 patients and surgical patients.

6 [Slide.]

7 Recognizing the large amount of literature
8 that is available recently, or that has recently
9 become available on hospital-acquired pneumonia,
10 the agency really raises the question, are patients
11 with ventilator-associated pneumonia sufficiently
12 different from other patients with
13 hospital-acquired pneumonia to warrant studying
14 them separately and does efficacy in patients with
15 ventilator-associated pneumonia predict efficacy in
16 other patient groups with hospital-acquired
17 pneumonia?

18 [Slide.]

19 The multiplicity of diagnostic methods
20 suggests a lack of agreement among clinical
21 investigators and clinicians on how to best
22 diagnose ventilator-associated pneumonia. Maybe
23 that is so.

24 You have heard this afternoon from Dr.
25 Craven about the study by Singh using the Clinical

1 Pulmonary Infection Score to treat patients with
2 suspected ventilator-associated pneumonia early.
3 Therefore, one may ask, could the CPI score serve
4 as a useful tool in enrollment strategy and should
5 we look at all patients or only patients who are
6 culture positive?

7 If we cannot identify the organism that is
8 causing the infection, how do we then figure out if
9 the test drug is treating what it is supposed to
10 treat?

11 [Slide.]

12 Another question related to
13 inclusion/exclusion criteria is should patients
14 already on antibiotics be excluded from enrollment?
15 It is well-recognized that antibiotic therapy
16 alters microbial flora and increases rates of
17 resistance and colonization.

18 Also consider what effect does prior
19 antibiotic therapy have on the yield of
20 microorganisms in a diagnostic study.

21 [Slide.]

22 Among comparator issues and adjunctive
23 therapy; what is an appropriate comparator in
24 ventilator-associated pneumonia? From what has
25 been described here by Dr. Craven today, clinicians

1 may be more inclined to use early empathic and
2 broad antimicrobial therapy in patients with
3 suspected hospital-acquired pneumonia. So when you
4 study drugs in combination that have overlapping
5 antimicrobial coverage, how do you know which one
6 is really exerting the effect that you are looking
7 for?

8 I have listed here two examples. The
9 first one is really the easy example. Linezolid
10 was compared to vancomycin. Both of them have
11 Gram-positive coverage. The adjunctive therapy in
12 both cases was azetreonam. It covers Gram-negative
13 organisms.

14 When we go to the recently approved
15 levafloxacin for the indication of
16 hospital-acquired pneumonia, it becomes more dicey
17 and it becomes more complex. The comparator was
18 imipenem with step-down therapy using ciprofoxacin
19 and, in both arms, ceftazidime and aminoglycosides
20 were used as adjunctive therapy in more than 50
21 percent of cases.

22 [Slide.]

23 If we believe that the survival of
24 patients in ventilator-associated pneumonia is
25 linked to early empiric therapy, as has been

1 described this afternoon, should we be testing
2 drugs that have no Pseudomonas or Staphylococcus
3 coverage? Also, a related issue is the local
4 resistance and susceptibility at each center which
5 may play a significant role in determining what is
6 appropriate therapy.

7 I think it is also important to recognize
8 that appropriate early antibiotics have desirable
9 effects on antibiotic use, on resistance, on cost,
10 on ICU stay and on mortality and, from the
11 standpoint of clinical trials, how could we
12 structure trial design in order to take into
13 account those factors.

14 [Slide.]

15 What endpoints should we be looking at;
16 bacterial eradication, clinical cure, radiologic
17 resolution, or maybe a combination of those, and
18 how do we define a failure or a cure?

19 [Slide.]

20 Then my last slide, I come back to delta.
21 Do we believe that the effect of drug over placebo
22 is more than 20 percent and, if we do, then we are
23 implying that the test drug is superior to placebo.
24 Such as claim is built on the assumption that the
25 active control used in the trial is similar to its

1 effect in earlier historical trials.

2 That assumption may be undermined by
3 information bias, selection bias and secular trends
4 in diagnosis and treatment at the historical time
5 frame.

6 For delta 2, recognizing that there are
7 potential deaths in hospital-acquired-pneumonia
8 trials in either the test drug or the comparator
9 arm, what is an acceptable loss of efficacy
10 relative to a control for a serious illness like
11 hospital-acquired pneumonia?

12 [Slide.]

13 Mr. Chairman, and committee members, I
14 would like to leave you with a list of questions
15 for discussion in the next two slides.

16 DR. EDWARDS: Thank you very much.

17 Discussions

18 DR. EDWARDS: Obviously, this topic could
19 involve an at least two-day workshop all unto
20 itself. But let's try to accomplish as much as we
21 can here. Who would like to start? David?

22 DR. GILBERT: Don, Dr. Craven, isn't it
23 true that there was recently a consensus conference
24 that you chaired, or moderated, I am not sure
25 which, that dealt with the subject of

1 ventilator-associated pneumonia and, specifically,
2 I want to throw out a couple of rather dramatic
3 statistics and see if they are true or not, that if
4 you use, as a gold standard for the diagnosis--and
5 I am only talking about ventilator-associated
6 pneumonia for the moment--that either a positive
7 culture directly from the lung or quantitative
8 microbiologic by protected specimen brush and so
9 forth, that even if the clinical pharmacology
10 infection score is positive, that only one-third of
11 the patients have microbiologic evidence of
12 pneumoniae.

13 Is that true?

14 DR. CRAVEN: I don't know about the last
15 point. I think that we have to start with some
16 assumptions. This is an incredibly difficult
17 disease because it is difficult to make a diagnosis
18 of pneumonia. But I would suggest that we should
19 start with ventilator-associated pneumonia because
20 I think the microbiology is absolutely key to
21 understanding t. If you don't have any
22 microbiology, I don't know what you are treating
23 because there are so many syndromes that mimic
24 pneumonia that you have to have something to start
25 with.

1 To me, the place you start is with
2 bacteriology. I think the quantitative
3 bacteriology would be, in my opinion, imperative
4 for a clinical trial because I think it at least
5 gives you something to start with where there is a
6 criteria. You have organisms that are there.
7 There are obviously a lot of other caveats. But
8 also, it might be a very good marker to look at
9 response, looking at the response.

10 If you look at the Dennesen study, you
11 start out with a pathogen and you look at log
12 reductions like we do in a lot of other infectious
13 diseases. So, to me, for clinical trials, although
14 people will argue about a clinical diagnosis in a
15 center, that we definitely should start with
16 quantitative bacteriology.

17 You can use quantitative endotracheal
18 aspirates. You could use a blind. You don't
19 necessarily have to put a bronchoscope down and do
20 PSB and BAL on everyone because there has been nice
21 comparison studies between quantitative techniques
22 that suggest that they are relatively comparable.

23 So I can't say about the CPIS score
24 because the CPIS scores really had pretty limited
25 use except that this article coming out in press

1 where they looked at serial CPIS scores after the
2 initiation of therapy. As I mentioned, it looks
3 like it is a good parameter.

4 What is the CPIS score? The CPIS score is
5 what you do as a clinician when you start an
6 antibiotic. You look for a clinical response. You
7 look that the white count goes down, the
8 temperature goes down, that the oxygenation
9 improves, that the sputum becomes less and that you
10 can't culture the organism or see the organism in
11 Gram stain. The CPIS score is kind of a collection
12 of things that we would do in a clinical management
13 of a patient, but it hasn't been really shown--at
14 the conference--the ATS put on a consensus
15 conference about VAP. The whole two days was on
16 ventilator-associated pneumonia, and there was a
17 lot of controversy.

18 But I think it has to start--for a
19 clinical trial, we have to really be sure the
20 person has pneumonia and it should start with
21 microbiology. I would say I would prefer to have
22 quantitative bacteriology performed in one of the
23 methods that can quantitate the organism. Then
24 there are some other criteria that you would use.

25 DR. GILBERT: So the consensus conference

1 is going to be published, I assume. I just want to
2 be clear; the statements you just made, were those
3 a consensus of the conference or your personal
4 opinion about the role of quantitative
5 microbiology?

6 DR. CRAVEN: I haven't seen the final
7 productions. Actually, the consensus conference
8 that I chaired was really on management, looking at
9 antibiotic therapy. A lot of the concepts that I
10 kind of went over briefly today were the concepts
11 that were emerging from the experts who were
12 talking about management.

13 But the CPIS score has very, very limited
14 use. Personally, I think it is going to be
15 valuable, but I think the data are still very slim
16 on that. I think there was a consensus that, for
17 clinical trials and for diagnosis of pneumonia,
18 that we need quantitative techniques and the
19 quantitative techniques are preferable to clinical
20 techniques because of the increased specificity.

21 But this is going to be quite a change
22 because there are very few--the numbers of centers
23 that are doing quantitative bacteriology in the
24 United States are actually quite few.

25 DR. GILBERT: We set it up at our center

1 some seven or eight years ago and it has quickly
2 become the standard of care. Everybody is very
3 comfortable with it. But the most exciting thing
4 you said, just for emphasis, is that the blind
5 protected-specimen-brush results can be as valuable
6 as the directed bronchoscopic collection because
7 that means that the resident can do it or even the
8 critical-care nurse can do it or the emergency-room
9 nurse can do it. So you get around a lot of the
10 problems of waiting too long to do it. You can get
11 the specimen before the first dose of antibiotic is
12 given.

13 DR. CRAVEN: If you don't want to do BAL,
14 there is very nice work that has come out of
15 Barcelona. They have two or three papers out where
16 they take the regular endotracheal aspirate and do
17 quantitative estimates on that. It is a higher
18 cutoff. It is 105. But that correlates very well.
19 They looked at patients that had bronchoscopy with
20 BAL and then they looked at quantitative
21 endotracheal aspirates. The microbiology is
22 virtually identical.

23 Some people find that, with the
24 endotracheal aspirates, it is harder to work with
25 sputum because the sputum is very tenacious and

1 trying to break it up for quantitative
2 techniques--so it would probably be easier for a
3 laboratory to use BAL. But, even respiratory
4 therapists could do a BAL, a blind BAL. And brush
5 is easy, too.

6 DR. DERESINSKI: But if you have a
7 quantitative threshold for diagnosis, then you
8 would probably answer another question because you
9 will probably will be excluding patients whose
10 pneumonia develop while they are on antibiotic
11 therapy because those thresholds don't hold for
12 patients on antibiotics; is that correct?

13 DR. CRAVEN: It is a complicated issue.
14 If the person has had prior antibiotics,
15 personally, although there is data suggesting this
16 is not true, I think that the antibiotics have a
17 profound effect on the quantitative bacteriology.
18 I can look at Gram stains and start antibiotics and
19 see that, within hours, those organisms have
20 disappeared.

21 So I think that concurrent antibiotics or
22 antibiotics within a certain period of time, 24 or
23 48 hours, should be obviously some kind of cutoff.
24 But, if a person develops pneumonia on antibiotics,
25 many times, these people have a resistant--most

1 people can have a superinfection with a
2 multidrug-resistant organism.

3 I think that the points that were raised
4 by Sary and Richard are, obviously, very important
5 issues. These are extremely difficult studies to
6 do and to recruit and enroll, get informed consent.
7 The issues, I think, that were outlined are
8 formidable.

9 DR. SCHELD: I just would like to add my
10 endorsement to the quantitative culture issue.
11 This is not just based on the review of the
12 literature but it is also, like David, based on
13 personal experience which is now in our hospital.
14 We just recently rewrote our criteria for both
15 diagnosis as well as management of
16 ventilator-associated pneumonia.

17 It is very clear, it is just VAP that we
18 addressed, but we used the CPIS score as well as
19 quantitative microbiology and, at Day 3, you
20 reassess where you are. The same as Singh. If you
21 are less than 6, then you stop therapy. Again, it
22 is not a randomized trial but the amount of
23 antibiotics that have been used in our ICU has
24 dropped. The resistance pattern in some of our
25 nonfermented Gram-negatives has dropped and I think

1 those are outcomes that we need to track as well.

2 DR. CRAVEN: Just one comment on that. I
3 think you have to be careful extrapolating the
4 Singh data to patients in an ICU with pneumonia
5 because what they did was select out a very low--a
6 population that had a very low probability of
7 pneumonia. When you have a CPIS score less than 6,
8 do those people really have pneumonia?

9 DR. SCHELD: I don't think they need to be
10 on therapy at all.

11 DR. CRAVEN: That's right. So the
12 question comes up, do you need ciprofloxacin or do
13 you need a placebo? I think that is obviously a
14 question that comes up. So I think we have to be
15 careful about extrapolating the Singh data to
16 patients with pneumonia because I personally think
17 three days, if a patient has nosocomial pneumonia,
18 particularly due to Pseudomonas or MRSA or
19 Acinetobacter, three days is not going to do it.

20 If you just look at the Dennesen data
21 looking at time, you need time. What the time is,
22 I think, is open to question and hopefully there is
23 a multicenter French study looking at short-course
24 versus long-course therapy, a randomized study.
25 That will help, will give us the types of

1 information we want.

2 But I think your point about doing a
3 serial CPIS score is important and when these other
4 data are published, I think this may become an
5 important standard for monitoring response and that
6 it will be very helpful.

7 DR. GESSER: I just want to make a comment
8 about the CPIS score relative to the clinical
9 criteria that are usually--that have been used to
10 enroll patients in the clinical trials. They are
11 pretty close. Based on the criteria it takes to
12 get into a trial, you would need a score of 5 or 6.
13 You would get a score of 5 or 6.

14 So they are pretty close. As you point
15 out, I think the importance of the Singh data is to
16 decide, just for that patient, who really you have
17 significant doubts about, or who purely just have
18 an infiltrate without a lot of symptomatology who
19 you are debating whether to treat or not.

20 I think there is value from the study in
21 that although it is only about 30 to 40 patients in
22 each arm. But, clearly, for the types of patients
23 that have been enrolled in these clinical trials,
24 they basically are Singh-6-type patients, just
25 based on an inclusion criteria that is usually

1 required.

2 DR. EDWARDS: John?

3 DR. POWERS: I guess the question would
4 then come up, as far as clinical trials go--it
5 doesn't seem like CPIS is a good way to diagnose
6 pneumonia, in particular, but could it be used as
7 an inclusion/exclusion criteria to more likely
8 select patients who have hospital-acquired
9 pneumonia?

10 DR. GESSER: I think there is value in
11 that. I think one concern, in terms of enrollment,
12 certainly for VAP patients or ICU patients, it
13 requires a blood-gas. I guess, for nursing-home
14 patients, or for patients who are non-ICU, how
15 standard is that? I suspect maybe we could
16 incorporate an oxygenation criteria that is less
17 invasive for those types of patients.

18 DR. POWERS: I guess the other question I
19 would have is are we ready to accept that data. I
20 mean, this Pugin trial from '89 had 28 patients in
21 it. The Singh trial is actually not that large
22 either. Is this something that we feel is at the
23 point that we are ready to use it?

24 DR. GESSER: The nice thing about
25 actually--I guess it was Pugin who was the original

1 author. Actually, it was originally used as a
2 validation for invasive cultures, 6, to measure the
3 predictive value.

4 DR. POWERS: Right. It is almost circular
5 reasoning. They compared CPIS to this bacterial
6 index, but how does that actually relate to who has
7 pneumonia or not. But that is a separate question,
8 again, of using it for diagnosis versus using it as
9 an inclusion/exclusion criteria.

10 DR. GESSER: The thing I find reassuring
11 using it as an inclusion/exclusion is it probably
12 tightens up a little bit of the criteria that exist
13 already in the guidelines, particular for VAP
14 patients. It doesn't look as if it would
15 negatively impact on enrollment and participation
16 in study centers, that kind of thing with the one
17 exclusion of blood-gas in non-ICU-type patients
18 which I would ask my IDSA colleagues to--

19 DR. SCHELD: Pulse-ox.

20 DR. GESSER: I think that is a
21 reasonable--

22 DR. GILBERT: I am still a little nervous
23 here. I am not sure what you are asking, John, but
24 you are going to overtreat a half to two-thirds of
25 the patients if you don't have the microbiologic.

1 If you are talking about initial screening, then
2 the CPIS probably is fine.

3 DR. POWERS: That is why I was mentioning
4 it. I guess the idea for these folks is you can
5 screen loads of patients and then these people end
6 up being microbiologically unevaluable. Does the
7 CPIS score help you select out patients who would
8 then get randomized into the trial who are more
9 likely to have a microbiologic diagnosis. That
10 would then be helpful

11 DR. GILBERT: In order to answer that, you
12 would have to do a trial where you correlated the
13 CPIS score with the quantitative microbiologic and
14 we don't have that.

15 DR. POWERS: So I am asking whether that
16 is ready for prime-time at this point or not.

17 DR. GESSER: The concern I have over the
18 quantitative cultures--I think they improve the
19 specificity. I am not sure they are the gold
20 standards and they are fully sensitive. The
21 problem is what do you compare them--what is the
22 gold standard, what do you compare them to.

23 I guess I get back to how are patients
24 being managed. I still think the clinical criteria
25 are the prime--at least for the initial therapy,

1 clinical criteria are really the mainstay of making
2 the initial decisions on therapy. The downside of
3 cultures, in general, is that that information is
4 not available for a few days.

5 Certainly, people have looked at initial
6 Gram stain, but I think that requires even more
7 expertise, looking at 5 percent of the infected
8 inflammatory cells. Actually, the French study,
9 the Fagon study, that showed an outcome, used that
10 as the criteria to decide whether patients needed
11 initial antibiotics or not.

12 So that is interesting but I really think
13 to broadly apply those results is problematic. I
14 am not sure I am convinced that the mortality
15 difference that was shown there really has anything
16 to do with bronchoscopy or other diagnoses.

17 Actually, I read that paper quite
18 carefully because it is the only study that shows
19 an outcome difference, the sensitivity-specificity
20 issues, as you point out. One issue that really
21 struck me is in that study, there were twenty-five
22 patients judged to have received inappropriate
23 initial therapy. Twenty-four of those were in the
24 standard-treatment group. One of them was in the
25 invasive group.

1 Now, you could say that is obvious because
2 you are more likely to get a pathogen from the
3 tracheal culture in those patients. But the
4 pathogens they got, ten MRSAs, eight resistant
5 Pseudomonads, I believe it was six resistant
6 Acinetobacters and two resistant enterics. So
7 there were clearly significant pathogens in that
8 setting.

9 The other thing is the mortality
10 difference in that invasive study was all within
11 the first four days, again suggesting a concern
12 about inappropriate therapy. The office postulated
13 because patients didn't get antibiotics during that
14 early period, they were more likely to pick up
15 other things like line infections and that sort of
16 thing.

17 The data seem to support that, but I am
18 not sure that mortality was really attributable to
19 that. I would like to know where the mortality was
20 attributable in that study. The other issue, too,
21 is even if they did have line infections, the
22 patients in the standard clinical arm were
23 receiving basically the ATS guidelines, pretty
24 broad-spectrum drugs.

25 So I think, as you point out, the

1 reproducibility of that study is really in question
2 and, again, there was a significant proportion of
3 the inappropriately treated patients in the
4 standard arm. I think the mortality wasn't looked
5 at as a variable. Actually, the mortality was
6 greater in patients who were inappropriately
7 treated. It was 33 percent versus 20 percent
8 overall, 20-odd percent, in that group.

9 So I think it is an important factor that
10 may cloud the enthusiasm we have in terms of an
11 outcome from those types of studies.

12 DR. CRAVEN: Just two points on what you
13 just made. I think that what the clinical
14 suspicion of pneumonia--one of the criticisms for
15 the study is what was really the clinical suspicion
16 of pneumonia that put them in. I think some of us
17 feel that maybe those criteria were not tight
18 enough and that we really should try to reduce
19 that.

20 The second thing is delaying therapy is a
21 bit risky and I think, at least among current
22 concepts, delaying therapy unless you are
23 absolutely certain the person doesn't have
24 pneumonia, I think is problematic and can lead to
25 poor outcomes.

1 DR. GESSER: One last point on that study.
2 The clinical specificity was in question. As I
3 pointed out in my talk, the cardinal three signs,
4 fever, leukocytosis and purulence, to get the
5 clinical criteria required for that study was one
6 of those three signs. There are numerous studies.
7 I think it is well substantiated that the more of
8 those signs you have, the more specific the
9 diagnosis is going to be.

10 So if those patients were dying, again,
11 you ask the question is attributable mortality. So
12 I think that is another good point.

13 DR. EDWARDS: George?

14 DR. TALBOT: I am not sure that we are
15 ready to get to a discussion of delta 2 yet, but I
16 do want to articulate what I see as the
17 relationship between this discussion of sensitivity
18 and specificity and then what we will get to in
19 terms of what delta 2 should be.

20 Sensitivity is certainly desirable in
21 terms of maximizing enrollment but, in the context
22 of a noninferiority trial design, specificity is
23 really crucial because, in a noninferiority trial
24 design, to the extent that you don't have
25 specificity, and you therefore dilute your study

1 population with lots of patients who don't have the
2 disease in question, you are increasing your chance
3 of reaching a conclusion of noninferiority.

4 But the reason you reach that conclusion,
5 potentially, is that, for example, only half your
6 patients have the disease in question. So it
7 really is very, very critical to use validated
8 criteria for diagnosis of VAP or HAP and to
9 separate what might be a clinical goal of not
10 missing a patient who has VAP or HAP--in other
11 words, delaying treatment--from the goal in a
12 clinical trial, I think, which to make sure that
13 that patient really does have that disease because,
14 if you don't, your conclusion of noninferiority may
15 be tremendously flawed.

16 DR. GILBERT: I don't know if I agree or
17 disagree, Richard, but if you go back to Shastray's
18 original data, it is very convincing that these
19 quantitative cultures are the gold standard.
20 People that were not on antibiotics did
21 protected-specimen-brush cultures and, Don, correct
22 me if I am wrong here, and then immediately, post
23 mortem--we could never do this study in the United
24 States--he opened their chest and cultured the
25 lung.

1 That is where these criteria come from.

2 That is about as gold standard as you can get.

3 DR. GESSER: Then the question is what is
4 the reproducibility of that result and then you
5 look at the literature, similar types and maybe not
6 as well-designed studies, you see variable rates of
7 the sensitivity and specificity.

8 So I think it is a
9 problematic--conceptually, I can see it as a
10 problematic area. It is not as clean-cut as urine.
11 I think there is only one--we would like to think
12 of it that way. The bladder is normally sterile.
13 There is flushing. We don't have the benefit of
14 that. I think as soon as the endotracheal tube is
15 in, there are bacteria being showered in the
16 airway.

17 I think the question is how specific are
18 those cutoffs.

19 DR. SCHELD: They are not very specific.

20 DR. GESSER: I think there is clearly
21 value to it. What I am concerned about is it will
22 be extremely difficult to do a clinical trial that
23 is driven by quantitative, for all the logistic
24 issues. I think it is important to get that
25 information because it builds on the body of

1 knowledge that exists, but I look at everything as
2 what is the tradeoff.

3 If you drive the study in that way and you
4 just can't get it done, how do you deal with that?
5 Is it truly better? I think treatment and
6 diagnostic guidelines would go a long way to get us
7 there. If it becomes a standard that people are
8 applying routinely, then that is a different story,
9 I think. But it is not the standard. I think the
10 result--maybe things have changed.

11 I will confess the second study was a
12 linezolid study, Study B. Basically--I am not sure
13 of the details. They are not all available through
14 the Freedom of Information, but 11 percent is not a
15 great yield in terms of the treated population. I
16 would be concerned if you set out to do something
17 like that.

18 Again, I don't imagine the study is going
19 to get smaller after we are done talking about this
20 so I suspect we are still dealing with something on
21 the order of 90 sites and these sites are basically
22 throughout the world.

23 So I have a concern with the quantitative
24 issue as the primary population for study although
25 I do think it is important to get that information.

1 DR. CRAVEN: I would sort of disagree. I
2 think if you are going to do a clinical trial, I
3 think you have to be really sure that the person
4 has pneumonia. Clinical criteria are very vague.
5 I think, if you look, there have been a hundred
6 studies comparing quantitative techniques to
7 clinical diagnosis. They all say the same thing,
8 the specificity is much better using quantitative
9 techniques.

10 In an intubated patient that has bacteria
11 in the trachea that is colonized and that may have
12 tracheal bronchitis, et cetera, there are a lot of
13 variables. So I think we have to start somewhere.
14 It is not perfect, but we don't have an answer. We
15 really don't have a gold standard so we sort of
16 have to define a gold standard that we will start
17 with.

18 To me, for a clinical trial, I think you
19 have to start with the microbiology and that would
20 be, I think, an important delta to see eradication.
21 Now, eradication is also going go be a problem
22 because certain pathogens are not easily
23 eradicated, even with good antibiotic therapy.
24 Particularly Pseudomonas and MRSA tend to stay
25 around for a while. Then you have to decide what

1 is the definition of eradication; Day 3, 48 hours
2 after therapy ends? A lot of these organisms are
3 suppressed, but they are there again or they are
4 colonizing the oropharynx and they will go back in
5 and cause tracheal colonization.

6 But I still think eradication is a
7 parameter that we have to study for delta 2. I
8 think basically microbial eradication is still a
9 criteria although we have to be able to interpret
10 it and understand what it means and what its
11 limitations are. I think you also need clinical
12 endpoints of which there is a variety of clinical
13 endpoints which are combined in the CPIS score and
14 there may be some other endpoints that can look.

15 The other thing that would be very
16 interesting for a clinical trial, for a comparison
17 trial, is to look at the response to therapy
18 between the two groups because the response to
19 therapy in terms of oxygenation return, looking at
20 the Dennesen study as a profile or a model, might
21 be a very nice way to compare studies as far as the
22 ability--the rate at which an organism is
23 eliminated, the response time for all the
24 inflammatory markers because this is basically the
25 story of a war between bugs, the number and the

1 virulence of the bugs, that are in that lower
2 airway and the host response, the inflammatory
3 cells, the humoral responses, the cytokines and all
4 these things that are mediating.

5 So I think that clinical outcome
6 parameters that measure those things, and looking
7 at the changes between the two group, looking
8 almost like a Kaplan-Meier, comparing the two
9 groups, may provide very important data because
10 mortality has its problems because mortality--the
11 underlying disease, you have an attributable
12 mortality of 30 percent or less. So, if you are
13 using mortality as your endpoint, you really have
14 to power up your study because a lot of studies,
15 there aren't a lot differences in mortality,
16 particularly as you enroll patients with more
17 severe underlying disease.

18 So you have to look, I think, at a variety
19 of parameters. I think if we did a study like
20 this, there would be a lot to be learned by
21 analyzing and thinking about the data in a
22 different way than we had with the trials that you
23 discussed which I don't even know how to interpret.
24 I mean, I don't know what it means. I am
25 completely lost at the outcome in those studies

1 because there are so many things that I think are
2 really not addressed.

3 I think a trial is available, but it is
4 difficult and I think it will take a lot of
5 discussion and much more than we have probably this
6 afternoon.

7 DR. EDWARDS: John?

8 DR. BRADLEY: In validating these clinical
9 scores and correlating microbiology, I would like
10 to make a pitch for validating these scores in
11 pediatrics all the way down to the neonatal
12 intensive-care unit where nosocomial
13 ventilator-associated pneumonia is a huge problem.

14 The number of studies we have for
15 community-acquired pneumonia is vast. The numbers
16 for ventilator-associated pneumonia is almost
17 nonexistent. With respect to the Pediatric Rule
18 incentives, I wonder if you can get an extra six
19 months exclusivity for each indication that you
20 might treat.

21 The other thing that is unique about
22 ventilator-associated pneumonia, at least in
23 pediatrics, is that it is the interface of
24 critical-care, pulmonary and ID. Each organism is
25 moving forward with initiatives, I think, to study

1 this. We all have the same goal in mind and I
2 think integrating the three disciplines is very
3 important.

4 In terms of funding, since there are so
5 many unknowns in this as there were with acute
6 exacerbation of chronic bronchitis, maybe forming
7 funding through the NIH may be another format to
8 standardize things.

9 DR. EDWARDS: Mike, let me ask you and
10 then Roger.

11 DR. SCHELD: I think a lot of us are
12 saying very similar things here in terms of how the
13 trials should be done. One of the things I was
14 impressed by in the Dennesen paper is that I think
15 it helps us define appropriate treatment durations
16 which are all over the place and usually made up
17 either of five or ten, because we have five
18 fingers, or seven or fourteen because they are days
19 of the week and they have no rationale whatsoever.

20 The other thing is, in the Dennesen, just
21 as you said, Don, the Pseudomonas always persisted
22 and so did MRSA.

DR. GESSER: And
23 enterics, as well.

24 DR. SCHELD: What we see clinically is in
25 the surgical intensive-care unit, the house staff

1 chase these cultures continuously and they keep the
2 patient on antibiotics for weeks or months. You
3 are off for two days. You are back on imipenem.
4 It is a nightmare, clinically.

5 I would like to know how many of the
6 people in this room use any of the regimens that
7 were shown in the slide in the recent clinical
8 trials for the treatment of hospital-acquired
9 pneumonia? The answer for me is zero. They
10 haven't told me much and I am not going to change
11 what I do. So we need better trials, John.

12 DR. TALBOT: Just to ask; does that speak
13 for not using a microbiologic endpoint here? In
14 other words, use clinical criteria as
15 inclusion-exclusion to increase, if you will, your
16 pretest probability of disease, confirm the
17 diagnosis microbiologically, treat but use
18 clinically relevant outcome criteria such as
19 resolution as infiltrate, improvement in
20 oxygenation but not look at whether the bugs go
21 away.

22 DR. SCHELD: I don't know how hard it
23 would be to do, but I see Don shaking his head
24 because I know what he would say, is he wants
25 quantitative microbiology--

1 DR. GESSER: He said no to resolution of
2 infiltrate, I think.

3 DR. CRAVEN: No. Resolution of
4 infiltrate, I think, is not a good parameter.

5 DR. SCHELD: No; that is not a good
6 parameter.

7 DR. CRAVEN: But, for Pseudomonas and
8 MRSA, you look at quantitative decreases because
9 they are going to be there colonizing. But the
10 colonization, the numbers of organisms colonizing
11 are, actually, very, very small. The trachea is
12 colonized with an intubated patient. There is
13 chronic colonization, so eradication may or may not
14 be a parameter.

15 I think it is a parameter I think we need
16 to look at, but if you have Pseudomonas or MRSA, we
17 would probably want to look at log decreases, like
18 in the Dennesen study, they still had colonization
19 of some of those pathogens and it may be important.
20 The persistent colonization at a certain level.

21 DR. TALBOT: I think that makes good
22 sense. I remember an HAP study I was involved in,
23 one of the outcome criteria that actually came from
24 Jean Yves Fagon, his work, was satisfactory
25 reduction which wasn't actually a satisfactory

1 outcome parameter for some of our colleagues in the
2 room.

3 But I think that makes more sense. As
4 long as you don't require eradication as
5 dichotomous yes/no variable, then that makes sense,
6 if you can define the satisfactory reduction by a
7 certain number of logs or to a certain absolute
8 level.

9 DR. GESSER: My read on the literature on
10 eradication is you can get rid of Strep pneumo, you
11 can get rid of Hemophilus and everything else hangs
12 around. There really are no data consistently to
13 show log drop, although intuitively, you suspect it
14 is so because you have criteria to get in.

15 So I think that is information that is
16 interesting, but I am not sure we would know how to
17 deal with that in a dichotomous way. Even
18 substantial drop or satisfactory drop, I am not
19 sure which term we would use there, but--

20 DR. TALBOT: So are you saying you would
21 or you wouldn't--

22 DR. GESSER: I think it is information
23 worth getting. I think there is a certain amount
24 of risk, especially in a patient who is off
25 antibiotics, has stopped antibiotics, has had a

1 clinical response. I am just not sure what--has
2 that patient failed because they have only dropped
3 a log? I don't know.

4 DR. TALBOT: That is really what I was
5 asking as to whether you use just clinical criteria
6 without regard to bacteriologic and Don is saying,
7 well, you need to use bacteriologic. But, clearly,
8 there are flaws to bacteriologic in terms of--just
9 persistence growth or not can be misleading at best
10 and irrelevant at worst. So you need to find a
11 balance.

12 DR. GESSER: Do people consider
13 stopping--I think there are two separate issues.
14 One is to define the population to study. I am
15 hearing that microbiology is good for that. But
16 don't people feel that, in terms of an objective
17 criteria for success, there is no further need for
18 antibiotics to treat whatever it was that caused
19 you to treat it in the first place.

20 DR. TALBOT: Right. But that is not
21 necessarily the same as no bugs left.

22 DR. GESSER: I think they are two
23 different things. Both are interesting questions
24 but the pertinent treatment question, really, is
25 the fact that investigator had made a decision not

1 to treat any further.

2 DR. GILBERT: The doctor at the bedside
3 observes decreasing purulence in the
4 tracheobronchial secretions, a fall in the white
5 count, a fall in the temperature to normal and
6 improved oxygenation and you quit.

7 DR. CRAVEN: Just one other variable to
8 throw into the foray. The endotracheal tube, when
9 you put it in, become colonized very rapidly and
10 the bacteria get enmeshed in biofilm. So one of
11 the variables is why you may not be able to
12 eradicate is that you have got biofilm formation
13 that is enmeshed with bacteria and, basically, the
14 biofilm, when you put a catheter in or put a
15 bronchoscope in, you break off pieces of the
16 biofilm.

17 That gets embolized into the alveolar
18 spaces. With the biofilm, the polys can't destroy
19 it. Antibiotic and complement can't actually take
20 a hold and destroy the bacteria so that some people
21 feel that this biofilm phenomenon is very important
22 in the pathogenesis of pneumonia.

23 I actually had a slide of the biofilm
24 coming out that I thought was interesting. But
25 there is work being done now looking at trying to

1 reduce biofilm formation on the endotracheal tube
2 which may also be important for clinical studies in
3 the future.

4 DR. ECHOLS: I haven't done a nosocomial
5 pneumonia study in a while, although I have had
6 some experience. It seems that, and I think some
7 of the data that Richard presented was that we
8 might end up with an evaluable population after you
9 have screened for clinical but confirmed by
10 quantitative microbiology. You end up with an
11 evaluable population, assuming everything else goes
12 in an unconfounded way, that is less than 50
13 percent of the population you are enrolling.

14 What do our statisticians have to say and
15 what is the regulatory perspective on a study where
16 the evaluable population is really a subset of the
17 patients that are being enrolled?

18 DR. BRITTAIN: As long as we are talking
19 about baseline characteristics, like the
20 microbiologic assessment at baseline, I don't think
21 any of us would be concerned about the patient
22 population being dropped due to baseline
23 characteristics. So it is more the exclusion for
24 things that happen after baseline that are
25 worrisome to statisticians.

1 DR. ECHOLS: In the intent-to-treat, if
2 you have got a heterogenous population, your
3 primary endpoint, you are only looking at, say, 40
4 percent. The likelihood of having a somewhat
5 different result if you look at the intent-to-treat
6 population is going to be, I would think, greater
7 than if the populations were more closely matched
8 numerically.

9 DR. BRITTAIN: Again, I think the
10 intent-to-treat population you would be interested
11 in in this case would be the micro intent-to-treat.
12 Is that what--

13 DR. ECHOLS: I am thinking,
14 intent-to-treat is everybody that is enrolled in
15 the study.

16 DR. POWERS: But just to put it into
17 perspective, that is what we have to deal with
18 right now. When Richard showed those last two
19 trials for hospital-acquired pneumonia, what we are
20 seeing is 50 percent of the people that go into the
21 trial--who is evaluable at the end?

22 DR. ECHOLS: Were you comfortable with
23 that or uncomfortable with that?

24 DR. POWERS: When you read some of these
25 ICH guidelines, it says that if you have less than

1 70 percent evaluable, you have got to think about
2 what is going on there. The problem is can we come
3 up with something to improve on that because that
4 is what we are seeing.

5 If you go through the last couple of drugs
6 that we have looked at, even back to, say, the
7 early '90's, for hospital-acquired pneumonia, that
8 is the kind of evaluability rates you see.

9 DR. ECHOLS: I am just concerned
10 that--again, we do studies that are global. The
11 FDA is certainly in a leadership role, but if ICH
12 Guidelines say you have a failed study, if your
13 evaluable population is less than 70 percent--

14 DR. POWERS: I don't think it puts it that
15 strongly. It just says that you need to think
16 about what is going on in that trial if you see
17 that kind of nonevaluable rates.

18 DR. EDWARDS: I am going to need to make a
19 logistical interruption here. I have gotten the
20 secret sign from the IDSA that their time for
21 departure is coming very soon. Actually, both Dave
22 and Mike have to be out of the room at a quarter of
23 4:00.

24 So, John, I need to get your guidance
25 here. One of the things that I was hoping to do is

1 to be able to try to put together some sort of
2 summary of the meeting but to still have a few
3 moments for discussion of the summary because I
4 think there are some important points that may come
5 out of the summary that have to do with where we go
6 from here.

7 If we are going to do that, I might have
8 to sort of start that about now. But, otherwise,
9 we could just plan to do that later and continue
10 this discussion. I would like to have your
11 thoughts.

12 DR. POWERS: I think we can go ahead with
13 the summary. I guess what I am not hearing out of
14 this is what I felt we heard in the earlier
15 discussions today about reaching some kind of--I
16 hate to use the word "consensus" but I guess that
17 is what we are getting to.

18 And I sort of want to ask this of the
19 PhRMA folks. It sounds like there is, from the
20 IDSA side, kind of an agreement on using
21 quantitative microbiology. But the question that
22 then would come up to us is if it is hard to do it
23 for meningitis, why is it any easier to do it for
24 this and does it impose too onerous a burden on you
25 guys to do these trials.

1 DR. GESSER: There was a recent approval
2 on this indication. I am not privy to those data.
3 I think it will be very challenging to do
4 quantitative and get a population and get a delta
5 around that. What experience we have is, again, it
6 is not likely we are going to be able to do these
7 trials with less than eighty or ninety sites, or
8 certainly no less than seventy, I would think.

9 DR. POWERS: I think there are two
10 separate questions, though. One is using
11 quantitative microbiology as a diagnostic criteria.
12 The second thing, which would be the delta issue,
13 is using this decrease in log CFUs as an outcome.
14 There are two separate questions.

15 DR. GESSER: I think that second is an
16 exploratory analysis and I think I agree it could
17 aid to the specificity of the diagnosis going in
18 and I agree that it is problematic. I am concerned
19 that it would be universally applied in a
20 consistent way for the same issues that we
21 mentioned for meningitis.

22 Also keep in mind, these are even bigger
23 studies in terms of the centers in controlling that
24 sort of information. That is why I am concerned
25 that something like that would drive the primary

1 population.

2 To be honest with you, I would prefer, in
3 terms of the feasibility of getting it done, is if
4 we could agree to tighten the clinical perhaps
5 along the lines of the CPI score and evaluate that
6 I think is a step in right direction. I think the
7 Dennesen information is interesting. It is
8 correlated with quantitative information on the
9 fact that oxygenation and acute response generally
10 occurs in the six to nine-day time frame.

11 I think those are interesting supportive
12 pieces of information that would lead one to
13 believe the antibiotics are working on something
14 that involved bacteria. So I think that is an
15 important addition.

16 I think it would be really difficult to do
17 the quantitative in such a broad way. Again, I
18 don't know what the recent experience is with
19 Levaquin. They recently filed--they had 43
20 percent, I believe, overall patients who were micro
21 evaluable, so I suspect they had a higher VAT
22 population than some of the other studies.

23 But I don't know those data. I don't know
24 whether they did quantitative. I don't know
25 whether you can talk about that. I would be

1 curious. I suspect their experience was similar to
2 the experience of the linezolid group ran into a
3 few years back.

4 I am concerned, in terms of the
5 feasibility of getting it done and the quality in a
6 way that would be broadly applicable.

7 DR. GILBERT: John, I think you ought to
8 ask the clinicians the same question because, even
9 though there was a recent approval for a
10 fluoroquinolone for nosocomial pneumonia, I think
11 most of the academicians are saying, where did this
12 come from? All we are getting is generalized
13 promotional material, no hard data. Unless there
14 is microbiologic data there, I don't think that we
15 are going to believe the result.

16 DR. POWERS: Again, let me ask that
17 question the same way. Micro data for diagnosis?
18 Micro data for outcome? Or both?

19 DR. GILBERT: Mainly for diagnosis because
20 that is where the garbage-in starts is with
21 diagnosis.

22 DR. DERESINSKI: I am still concerned,
23 though, that using quantitative cultures with
24 current thresholds for diagnosis is going to
25 exclude a huge number of patients. A one-day

1 prevalence survey some years ago showed that
2 62 percent of patients in ICUs in the U.S. were
3 receiving antibiotics on that one day.

4 So you have immediately eliminated 62
5 percent of the patients in the ICU and about 10
6 percent of the patients, 8.2 percent actually, had
7 nosocomial pneumonia in those ICUs.

8 DR. GILBERT: Jack is getting very
9 nervous. The Spanish data--I think it is the
10 Spanish data--shows that if the patient has a bump
11 in their white count, new pulmonary infiltrate and
12 then the new microbiologic data at the time of that
13 clinical appearance correlates with disease, we can
14 still use it.

15 DR. EDWARDS: Thank you for the last
16 comment, Dave.

17 John, I really have mixed emotions about
18 this because this discussion is just getting going
19 here.

20 DR. POWERS: I don't think we are going to
21 answer all the questions about hospital-acquired
22 pneumonia today.

23 DR. EDWARDS: I don't think so either.

24 DR. POWERS: So I think stopping at this
25 point is probably legitimate.

1 a formal consensus, without developing a consensus
2 method. We developed some general agreement and I
3 am going to interpret what I heard and we might
4 need to readjust that interpretation somewhat.

5 But what I heard from PhRMA is that
6 clarity related to analysis standards, labeling
7 issues and priorities was a highly desirable entity
8 within the FDA. Whatever decree of clarity could
9 be developed would be an incentive, of itself, to
10 PhRMA, not only clarity in analysis evaluation but
11 also in labeling issues.

12 I heard that there was a strong feeling
13 that a list of resistant organisms would be
14 contributory to that clarity. The mechanism for
15 the derivation of such a list would be something
16 that would need to be developed because it really
17 isn't the responsibility of the FDA to do that and
18 would need to be derived from a variety of sources.

19 Comments were made--some of the
20 interpretation I am going to give you has come not
21 only from the discussion within the meeting but
22 also outside of the meeting. There were comments
23 made about the desirability of completion of the
24 Draft Guidance Document, both the primary document
25 and the one that is being developed regarding

1 resistance.

2 Those comments were about completion of
3 those documents made within the context of
4 understanding how difficult it is to come to a
5 consensus, not only, I'm sure, internally but we,
6 at least, in IDSA, have difficulty coming to
7 consensus on treatment guidelines so the
8 complexities are clearly recognized but the notion
9 that some form of completed document that might be,
10 then, considered a working document, available by
11 some mechanism for continued development and
12 adjustment would be a very constructive idea as far
13 as the guidances.

14 Earlier, Mark asked me whether there was
15 any discussion about whether the primary
16 antimicrobial guidance or the resistance document
17 should be prioritized, which one would be most
18 desirable go to a more formal development stage.
19 We haven't discussed that so I am going to have to
20 leave that hanging at the moment.

21 We continue to explore the use of the
22 PK/PD data to facilitate analysis of available
23 clinical data and possibly expedite final
24 evaluation and approval. We did not come to any
25 crystal-clear guidelines there but definitely

1 explored the entity, and we are going to come back
2 to that in a moment.

3 We have come to the notion that the delta
4 will not be fixed and will be individualized for
5 individual studies. We also discussed extensively
6 surrogate markers and constantly brought up the
7 issue that the term "surrogate" may be the wrong
8 term for these other markers and discussed how they
9 might help us, again, in reducing sample size in
10 facilitating development.

11 With regard to developing incentives
12 beyond those that already exist, the comment was
13 made that most companies are using all the
14 currently available incentives. However, there has
15 been a bit of an amendment during the discussions
16 that it is possible that the companies might even
17 be able to leverage the existing incentives even
18 further.

19 The notion was put forth that the existing
20 incentives are not fully adequate for
21 incentivizing. So there is a critical need for the
22 development of incentives not currently available.
23 We discussed that, perhaps, the IDSA should take
24 the lead in increasing the awareness of the public
25 and political leaders regarding the severity of

1 this problem as it exists now and is likely to
2 exist and discuss the issue of a IOM study which
3 would be focused on the unmet need and that this
4 study should take into account the circumstances
5 which have led to the problem.

6 I am going to take some liberties here and
7 say that the problem exists because we have a
8 society that is evolving into a demographic shift
9 to an older population so that, while we still have
10 acute, rapidly lethal infectious diseases, we also
11 have a competing need for the development of drugs
12 for chronic illness.

13 So we are in a very interesting and unique
14 situation in terms of the evolution of needs here.
15 I think that we all fully understand that there is
16 a great deal of competition for the development of
17 antimicrobials that is coming from the need to
18 develop drugs for chronic infections and also the
19 competition that exists within industry for the
20 development of those drugs that would be applicable
21 to chronic diseases.

22 I think there is no question at all that
23 we understand that our system is based on
24 competition. In this area, again I am interpreting
25 a bit here, I think I can comfortably say that the

1 IDSA is willing to explore internally whatever
2 mechanisms we might have to bring the severity of
3 the problem into as clear a focus as possible.
4 Whether that is the organization of a national
5 antimicrobial use committee similar to NVAC,
6 whether it is involving other disciplines similar
7 to ours, the issue is we need to discover what the
8 severity of the problem is and then bring it into
9 clear focus if it is very severe. However, we
10 really think we know the answer to that question
11 right now.

12 With regard to the individual issues,
13 entities, rather, that we have discussed today, I
14 am going to be very brief and say that we seem to
15 have come to a balance situation in the trial
16 design for antimicrobial agents for acute
17 meningitis. I won't go into the details right now,
18 but with strategies taken into consideration, we
19 discussed trials of approximately 300 patients and
20 came to the notion that there are some companies
21 that might be attracted to a trial of that size,
22 others not.

23 The incentive for pediatric exclusivity
24 was pointed out as a possible driver to encourage
25 companies to go into that direction.

1 With regard to acute exacerbations of
2 chronic bronchitis, major study-design issues still
3 remain. A very valuable discussion ensued
4 regarding approaching federally funded studies,
5 specifically NIH and, again, IDSA may be able to
6 take a lead here in exploring the mechanisms
7 through which we might approach NIH and other
8 agencies to develop the very much-needed studies on
9 this public-health problem.

10 With regards to hospital-acquired
11 pneumonia, I will use that term, we clearly
12 identified the fact that this is a big subject that
13 is going to require extensive discussion and
14 evaluation and is almost beyond the scope of this
15 particular meeting. But we got a start on it.

16 I now am concluding this extemporaneous
17 summary and, in the remaining three minutes, want
18 to ask the question, where do we go from here. Let
19 me start with a subquestion there and that is do we
20 have general agreement that this forum is of value.
21 Maybe we should raise our hands on this one. Let's
22 do it.

23 [Show of hands.]

24 I think we do have general agreement
25 there. The question is how do we proceed from

1 here. A notion that I have been incubating through
2 the day today is that it seems to me it would be
3 very valuable if, in a subsequent meeting--I am
4 making the presumption that that will happen--we
5 try very hard to ascertain what were the tangible
6 effects of this meeting.

7 Did we get an RFP from NIH? Did we finish
8 the draft documents? Have we addressed the issues
9 of PK/PD in any examples that might have come
10 forward? Have we started a study on meningitis
11 under the desirable constructs that we have
12 discussed and assess the quality of these
13 discussions?

14 How we evaluate the effectiveness of this
15 meeting is something I don't think we are quite
16 prepared to decide on in the next minute or two.
17 However, Mark, in a discussion during the break,
18 suggested the possibility of a conference phone
19 call to further discuss the idea of how we assess
20 the quality of this meeting.

21 Now I am speaking a bit personally on
22 behalf of the IDSA and, in your remaining 30
23 seconds, you can help me if I am wrong, but I
24 believe this meeting has stimulated a great deal of
25 momentum from our perspective, from the IDSA

1 perspective, and I think we are ready, as soon as
2 we can get together, to talk about some of the
3 concrete notions which have arisen during these
4 discussions.

5 So if you could comment briefly right
6 before you go regarding what you feel would be the
7 next direction for us, I think we would appreciate
8 that very much. Then we will let you go.

9 DR. GILBERT: Mike and I thought we would
10 both briefly comment. First of all, I was
11 privileged to be in on the conference-call group
12 that organized this meeting. Some of you were not,
13 so let me point out that there was a long "to do"
14 list, a whole bunch of problems, and the topics
15 that were presented over the last two days were the
16 prioritized top of the problem list.

17 But there are a lot more problems and I
18 hope the IDSA's participation has been constructive
19 and helpful. That was the intent because we feel
20 strongly that there is a crisis, as Dr. Edwards
21 outlined. I think the delegation to Dr. Edwards,
22 who is doing such a great job of pulling together
23 the work group that organized this meeting, to plot
24 our next move, would be the salutary outcome.

25 DR. SCHELD: I couldn't agree more. I

1 feel very fortunate to be able to participate in
2 the meeting, maybe even more fortunate that I
3 didn't have to plan it. So I am really expressing
4 my appreciation to the FDA and PhRMA colleagues
5 that worked so hard in putting this meeting
6 together.

7 Personally, what I plan on doing upon
8 leaving here is sending out a message to our
9 membership by blast e-mail that this meeting took
10 place and then alerting them to be on the alert, to
11 look at the website and to CID and other venues to
12 try and see some summaries of what came out of the
13 meeting.

14 I would be very enthusiastic about
15 planning for meetings in the future and including
16 members of our membership if we can be of any
17 service. It is clear to me, we have several action
18 items, Jack, and many of these are going to come
19 through the Public Policy Committee and we need to
20 talk pretty soon so we don't lose the momentum.

21 DR. EDWARDS: In respect to your needs to
22 get out there, I really appreciate your comments
23 and your attendance not only right now but through
24 the whole meeting and thank you very much for
25 organizing the IDSA for this meeting.

1 Before we completely break up, I want to
2 express my gratitude to PhRMA and FDA who were
3 principal drivers for this meeting. As someone who
4 has to actually treat patients from time to time, I
5 really deeply appreciate the fact that this meeting
6 was able to go forward and I do believe that we are
7 faced with a problem here that does have a
8 solution. This is within our control if we can be
9 creative enough.

10 So, John, with that, I would like to turn
11 it over to you to dismiss the meeting.

12 DR. POWERS: I just wanted to point out
13 that, for people that were not around the table, or
14 who may want to look at the results of what came
15 out of this meeting, that all of the slides that
16 were presented in the last two days plus a
17 transcript of everything we have said will go onto
18 the FDA website at this site right here. I guess
19 I should say it for the transcript. Of course, you
20 wouldn't be able to get to the transcript if you
21 don't know that, but it is
22 www.fda.gov/cder-present/idsaphrma so that you will
23 be able to find that there.

24 The docket number, also, to submit
25 comments about what occurred at this meeting is

1 02N-0461. We will be on the lookout for those
2 things as well.

3 I just wanted to thank everybody for
4 actually coming. This was months in planning. I
5 want to thank Dr. Goldhammer who actually sent the
6 original invitation about this thing to try to get
7 us all together to do this and then the months of
8 planning that came into it.

9 I wanted to thank Dr. Edwards for actually
10 agreeing to be the Chairperson for this thing. I
11 don't know how he said yes. When he said yes, I
12 asked him what he was smoking at the time. With
13 those California guys, you never know.

14 And I wanted to thank all the PhRMA
15 participants. I also wanted to thank all the FDA
16 folks that helped put this together as well. Leo
17 Chan is going to take a six-month vacation after
18 this, I think, after all this work. [Applause.]
19 Plus all the other support staff that have helped
20 us out with that.

21 Again, thanks everyone for their
22 participation. I think we all have our homework
23 assignments so we can go work on this and,
24 hopefully, we can do this again in the future.

25 DR. EDWARDS: We are adjourned. Thank you

1 all very much.

2 [Whereupon, at 3:50 p.m., the meeting was

3 adjourned.]

4 - - -