

1 the effect, if you start out with 5 percent
2 mortality rate, then you can't get any better
3 than 5 percent treatment effect; whereas if
4 you have a 70 percent mortality rate, you can
5 have a 70 percent treatment effect.

6 DR. SINGER: That's right. It's
7 certainly much larger in older patients, the
8 treatment effect; the magnitude is different.
9 I'm not sure I know how to answer that
10 question.

11 ACTING CHAIR TOWNSEND: Dr. Fleming
12 has a comment.

13 DR. FLEMING: That's a very
14 intriguing issue, and you are right on target
15 by noting that when we talk about whether
16 there is effect modifiers or interaction it
17 depends on the measure we use.

18 We in our presentation today have
19 followed the lead for what people have done in
20 this area, which is to look at the absolute
21 difference. And people talk about 10 percent
22 margins as the absolute different. Well, if

1 you have a 15 percent mortality, you're
2 allowing a 10 percent margin, you are allowing
3 a 67 percent relative increase in mortality.

4 Might it be that a 67 percent
5 relative increase in mortality on a relative
6 risk scale could be a margin that could be
7 used to extrapolate to younger people? The
8 data are certainly consistent with your
9 observation. The data are consistent with the
10 fact that we may well still have that same
11 level of benefit in the very young, even in
12 non-bacteremic young people. It requires huge
13 numbers of patients though to validate that
14 that relative risk approach can be
15 extrapolated to those younger patients.

16 But it's a very reasonable
17 supposition that you've making. By the way if
18 you take that approach, though, and you use
19 the margins that many of us are advocating we
20 could use in more higher mortality settings,
21 15 mortality you could use a 10 percent
22 margin, in a 2 percent mortality, you could

1 basically - in a 3 percent mortality you could
2 use a 2 percent margin, so yes, I think there
3 is a basis for arguing that in these very low
4 risk people there still is an effect. It's
5 hard to prove it because to show a relative
6 risk of having or whatever it takes, 88
7 events, and 88 events are easy to get in older
8 patients or bacteremic patients; they're
9 really hard to get cumulative data and aiding
10 events in younger non-bacteremic. But the
11 data aren't inconsistent with your
12 supposition.

13 But if we followed that
14 supposition then the margin you would use in
15 a population that had 3 percent mortality
16 would be 2 percent; the margin you'd use in a
17 population with 1 percent mortality would be
18 2/3rds of a percent.

19 ACTING CHAIR TOWNSEND: Dr. Musher.

20 DR. MUSHER: I just wanted to say
21 once again, Dr. Fleming, I don't really
22 understand - I wish I could, but I was going

1 to say that we have got to talk about a
2 proportional decrease. You can't talk about
3 the absolute number. It just doesn't make any
4 sense. Honestly, just as was stated, if your
5 mortality starts out at 4 percent and you drop
6 it to 2 percent, that is not a 2 percent
7 decline; that's a 50 percent decline.

8 And that's exactly the same if it
9 starts out at 50 percent and it goes to 25
10 percent.

11 DR. FLEMING: So you are concurring
12 with what we are saying?

13 DR. MUSHER: Yes, exactly right,
14 and I noted it as the slides were going along
15 also.

16 DR. FLEMING: And we used the
17 absolute, because that's what tradition has
18 been in this area. But it's very fair to say
19 that when you have a very low rate of events
20 occurring in young children who are - in
21 people below 30 who aren't bacteremic, the
22 data indicate there is undoubtedly a benefit,

1 but understanding what that relative benefit
2 is is so much harder when there are so few
3 events.

4 So if we extrapolate the same
5 level of benefit, which is a big assumption,
6 but if you do you can come up with margins.

7 But the point is, if you have a 10
8 percent margin when you have a 15 percent
9 mortality in the control, that would be
10 extrapolated to a 2 percent margin when you
11 have a 3 percent mortality in control,
12 following the relative risk concept.

13 ACTING CHAIR TOWNSEND: Thank you,
14 Dr. Singer.

15 DR. SINGER: Thank you.

16 ACTING CHAIR TOWNSEND: Our next
17 presentation will be by Dr. Nambiar,
18 contemporary CAP trials and determination of
19 treatment effect.

20 CONTEMPORARY CAP TRIALS AND DETERMINATION OF
21 TREATMENT EFFECT

22 DR. NAMBIAR: Thank you, Dr.

1 Townsend, and good afternoon, everybody.

2 An overview of my talk is as
3 follows. I summarize the recent CAP trials
4 that have been submitted to the agency for
5 registration purposes. This includes both
6 oral and IV studies.

7 I will make an attempt to link
8 historical studies to contemporary CAP trials,
9 outlining the difficulties we face in linking
10 these two sets of patients.

11 I'll also review the alternate
12 approaches we took to determining a treatment
13 effect in CAP.

14 For inclusion in current CAP
15 studies, patients should have a new infiltrate
16 on a chest X-ray and at least two of the
17 following signs and symptoms: a cough, sputum
18 production, auscultatory findings, dyspnea,
19 tachypnea, fever, elevated white count and
20 hypoxemia.

21 Though microbiologic evaluation
22 has to be performed on each patient, isolation

1 of a pathogen is not required for overall
2 evaluating. The primary endpoint in these
3 studies was clinically cure at the test of
4 cure visit, seven to 21 days after completion
5 of treatment.

6 The primary analysis populations
7 were the intention to treat and per-protocol
8 population.

9 Clinical cure was defined as
10 complete resolution or improvement of all
11 signs and symptoms, and improvement or lack of
12 progression of all abnormalities on chest
13 radiograph, such that no additional anti-
14 bacterial therapy was required.

15 Microbiologic response could be
16 categorized as any of the following four: the
17 pathogen was considered eradicated and the
18 original pathogen was absent from the test of
19 clear culture; it was categorized as being
20 persistent if the original pathogen was still
21 present in the test of clear culture.

22 Presumed eradication and presumed

1 persistence were indirectly derived from the
2 clinical outcome. So if you had a clinical
3 cure, and there was no specimen available for
4 culture at the test of cure visit, you were
5 deemed to have presumed eradication. And on
6 the contrary, if you were a clinical failure,
7 without a culture at the test of cure visit,
8 you were considered to be presumed persistent.

9 The intention to treat population
10 included all randomized patients, the per-
11 protocol or clinically valuable patients were
12 those ITT patients who had no major protocol
13 violations.

14 The modified or microbiologic
15 intention to treat population includes all
16 intention to treat patients who had a baseline
17 pathogen including those with a positive
18 serological diagnosis.

19 The microbiologically valuable
20 populations were those patients who were in
21 the MITT population and had no major protocol
22 violations.

1 Seven comparative studies
2 conducted from 2000 to present were reviewed.
3 Most of these studies were multinational.
4 They were all randomized, double blind, non-
5 inferiority trails that used a pre-specified,
6 noninferiority margin of 10 or 15 percent.

7 About three to 500 patients were
8 randomized per study. The active controls
9 used in these trials are varied, and included
10 clarithromycin, amoxicillin and clavulanate or
11 levofloxacin.

12 This is a summary of the common
13 clinical features seen in these patients.
14 Most patients had either cough or sputum
15 production. Interestingly, fever has
16 generated a fair amount of discussion this
17 morning, and fever was reported only in 19 to
18 33 percent of patients.

19 In one study 98 percent of
20 patients were febrile, but in this study fever
21 was a requirement to be enrolled in this
22 particular trial.

1 Other symptoms included chills,
2 shortness of breath and chest pain. Multi-
3 lobar disease was seen in 16 to 25 percent of
4 patients.

5 This graph represents the
6 frequency with which patients had a baseline
7 pathogen. So the bars represent the
8 percentage of patients who had a
9 microbiologically documented infection. And
10 the bars in pink represent those who had
11 streptococcus pneumonia confirmed on culture,
12 either from the sputum or the blood.

13 So as you can see in the graph
14 streptococcus pneumonia was not identified in
15 a large number of patients, and varied from
16 about 6 to 20 percent of patients.

17 In this graph we have presented
18 the treatment difference between the test drug
19 and the active comparator in the intent to
20 treat and in the per-protocol population so
21 the vertical bars represent the 95 percent
22 confidence intervals around the treatment -

1 for the treatment difference, in the intent to
2 treat or the per-protocol population for each
3 of the seven studies.

4 And as you can see all studies
5 would have met a noninferiority margin of 15
6 percent; and five studies would have met the
7 noninferiority margin of 10 percent.

8 So to summarize, what we found on
9 review of the oral CAP studies the mean age of
10 patients was 46 years, with a range from 18 to
11 98 years; majority of patients had PORT scores
12 of two or less; five to 10 percent of patients
13 had PORT scores of three; baseline pathogens
14 were identified in 45 to 75 percent of
15 patients; 6 to 20 percent had streptococcus
16 pneumoniae, and anywhere from zero to 2
17 percent had streptococcus pneumonia
18 bacteremia.

19 More than 80 percent of patients
20 were clinically cured in the intention to
21 treat population, and that was 90 percent or
22 greater in the pro-protocol population.

1 Mortality in these studies was
2 less than 2 percent.

3 The IV CAP studies were generally
4 similar to those of the oral CAP studies. An
5 important difference is that some of them were
6 open label trials.

7 The endpoints and analysis
8 populations in the IV studies was similar to
9 those of the oral CAP studies, and the study
10 size ranged from about 300 to 700 patients.

11 In summary the mean age of
12 patients in the IV CAP studies was 56 years;
13 55 percent of patients had PORT scores of one
14 or two; 20 percent of patients had PORT score
15 three; and 20 percent has PORT score four.

16 Less than 5 percent of patients
17 were enrolled with PORT scores of five.
18 Baseline pathogens were identified in 30 to 55
19 percent of patients; 20 percent had strep
20 pneumonia; and 49 percent of patients had
21 strep pneumonia bacteremia.

22 Clinical cure about 80 percent in

1 the intention to treat population and 90
2 percent in the pro-protocol population.

3 Mortality ranged from 2 to 4
4 percent.

5 Moving on to the second part of my
6 talk, which is an attempt to bridge the gap
7 between the historical studies and
8 contemporary CAP studies.

9 As Dr. Singer has already
10 summarized, all the CAP studies in the early
11 1900s, this is just a quick summary of those
12 studies, they are primarily conducted in
13 hospitalized patients. The severity of the
14 disease in these studies is unclear, but we
15 think it is reasonable to assume that most had
16 moderate to severe disease.

17 There was no standardized
18 classification system used across these
19 studies. Most studies were primarily in
20 patients with pneumococcal pneumonia, though
21 some studies did include patients without
22 confirmed pneumococcal etiology.

1 In current CAP trials, the
2 majority have been older CAP studies; they
3 have all been noninferiority trials, with a
4 prespecified margin of 10 to 15 percent.

5 By and large patients in these
6 studies were otherwise healthy with mild to
7 moderate CAP. Few patients had PORT scores of
8 three or greater. The proportion of patients
9 with strep pneumonia identified as etiologic
10 agent was small, and the number of patients
11 with bacteremia was also small.

12 The primary endpoint was clinical
13 outcome. Generally success rates in these
14 trials were high with very small differences
15 between the tests and the active comparator.
16 And mortality was low in most studies.

17 So the three major areas which I
18 would like to highlight where we've had issues
19 in linking historical data with current CAP
20 trials are the patient populations,
21 microbiology and endpoints.

22 And I'll give you my clinical

1 perspective and the statistical implications
2 of these uncertainties will be discussed by
3 Dr. Valappil and his staff.

4 It is difficult to define a
5 patient population identical to those seen in
6 historical studies. So this raises some
7 important questions.

8 Can patients in current CAP trials
9 be compared to those in historical studies if
10 matched for age?

11 As Dr. Singer had outlined in her
12 presentation, a large majority of patients in
13 the observation studies, and in the controlled
14 historical studies were under 50 years of age.

15 The second question is, is it
16 acceptable to assign a PORT score for patients
17 in historical studies based on age alone? We
18 only have limited data on comorbidities.

19 In terms of microbiology, again as
20 Dr. Singer had outlined in her summary slide,
21 historical data is primarily for patients with
22 pneumococcal pneumonia. Granted there were a

1 few patients in whom other organisms were
2 isolated, including staphylococcus aureus and
3 hemolytic streptococci, and there was also a
4 group of patients in whom no pathogen was
5 identified.

6 And as I have summarized for you
7 from our current CAP trials, streptococcus
8 pneumonia is isolated in only a small fraction
9 of cases, and a smaller proportion of patients
10 have bacteremia.

11 So this raises the question
12 whether or not the treatment effect from these
13 historical studies can be extrapolated to
14 organisms other than streptococcus pneumonia.

15 Based on the treatment effect seen
16 for mortality, we have seen the treatment
17 effect is larger in patients older than 50
18 years of age and in bacteremic patients.

19 In current studies mortality is
20 low. It's important to note that as risky
21 therapy is used in patients failing treatment,
22 mortality is prevented in many cases.

1 Clinical outcome is the primary
2 endpoint in current studies rather than
3 mortality. Based on limited data for other
4 endpoints that are available from historical
5 studies, is it reasonable to extrapolate to
6 the treatment effect in the form of clinical
7 outcome that is assessed in present trials?

8 A second question that needs to be
9 answered is, as death is included in clinical
10 failure, is it reasonable to assume that the
11 treatment effect for clinical outcome is
12 likely to be greater than that seen for
13 mortality.

14 Moving on to the last part of my
15 talk which is the alternative approaches we
16 reviewed in a further attempt to quantify the
17 magnitude of treatment effect in patients with
18 community-acquired pneumonia.

19 We reviewed studies that had
20 looked at outcomes of discordant therapy,
21 either based on adherence to treatment
22 guidelines or based on in vitro susceptibility

1 of the infecting pathogen.

2 We reviewed studies that looked at
3 the effect of timing of antibiotic
4 administration on outcome or mortality.

5 We reviewed failed active
6 comparator studies; superiority studies; and
7 also dose-ranging studies.

8 In the literature discordant
9 therapy is defined two ways. It's discordant
10 based on guidelines, and generally it's based
11 on whether or not the therapy was concordant
12 with the IDSA or ATS guidelines which were
13 current at that point in time.

14 Most of these studies have been
15 retrospective studies that have used varying
16 definitions of discordant therapy.

17 The vast majority of studies have
18 looked at concordant or discordant therapy in
19 the first 48 hours.

20 The endpoints in these studies
21 varied. Some studies looked at 48 hour
22 mortality, while others have looked at 30-day

1 mortality or in-hospital mortality.

2 The number of patients who
3 received discordant therapy in these studies
4 was generally very small.

5 While studies did adjust for
6 severity of illness or other covariates using
7 propensity scores, some other studies did not
8 adjust for these covariants.

9 We also reviewed studies that
10 looked at discordant therapy based on in vitro
11 susceptibility of streptococcus pneumonia.
12 Generally there was no different in mortality
13 or clinical success was seen as reviewed in a
14 recent metanalysis.

15 The recent change in penicillin
16 breakpoints are definitions used in some of
17 these studies may not be applicable.

18 We reviewed active comparator
19 studies, and most studies that we identified
20 in the literature have all been noninferiority
21 studies, though some have shown superiority,
22 and I have identified two of them here, and

1 I'm sure there are others.

2 In one of these studies
3 levofloxacin was compared with ceftiaxone or
4 cefuroxene. That was the File study and the
5 Finch study.

6 Moxifloxacin was compared with
7 amoxicillin clavulanate with or without
8 clarithromycin.

9 Generally on review of active
10 comparator studies we noticed that all classes
11 of antibacterials are effective, and summary
12 reviews have not demonstrated superiority of
13 one class over another.

14 Hence any estimate of treatment
15 difference would be an underestimate. The
16 fact that very few studies demonstrated
17 superiority represent that this was likely a
18 chance finding alone.

19 Studies that have looked at the
20 timing of antibiotic administration were
21 primarily observational studies. Most of them
22 have been retrospective studies, but there

1 have been prospective studies as well.

2 And time to first antibiotic dose
3 of four hours has been associated with better
4 outcome.

5 We were unable to identify any
6 study that showed superiority of one dosing
7 regimen over another, though there are studies
8 that compare one regimen versus not another.
9 And most of them again have been
10 noninferiority studies.

11 One field active comparator study
12 that has been discussed even at the workshop
13 is the daptomycin studies. These were phase
14 three randomized double-blind noninferiority
15 trials in hospitalized CAP patients where
16 intravenous daptomycin was compared to
17 intravenous centrixone. Results of these
18 studies were published about two weeks ago in
19 CID.

20 Of the two blind studies, the
21 second study was stopped early based on
22 results of the first study. These are fairly

1 contemporary studies, having been conducted
2 from 2000 to 2002.

3 Results in the publication are
4 based on the full studies, so I don't have
5 results by individual study.

6 Four hundred and thirteen
7 daptomycin and 421 centriaxone-treated
8 patients were enrolled in these two studies
9 combined. A little over 40 percent fo
10 patients had a PORT score of two; a third of
11 patients had PORT scores of three; and the
12 remainder were PORT scores of four; there was
13 one patient with a PORT score of five.

14 A little less than a third of
15 patients had a microbiologically documented
16 infection which in this instance was either
17 streptococcus pneumonia or staphylococcus
18 aureus, with 28 percent of daptomycin treated
19 patients and about 25 percent of centriaxone-
20 treated patients.

21 About 7 percent of patients in
22 each of the treatment arms had bacteremic.

1 And these are the results for the
2 pooled population. Both the intention to
3 treat and the clinically valuable population
4 were the co-primary analysis populations for
5 this study.

6 And in both populations daptomycin
7 was inferior to the comparator, with a
8 treatment difference in the ITT population fo
9 minus 6.5, and in parentheses and provided the
10 95 percent confidence intervals.

11 Twenty one daptomycin treated
12 patients and 12 centriaxone treated patients
13 died during the study.

14 Besides the inferiority of
15 daptomycin, one other important aspect of the
16 study, which was based on a post hoc analysis,
17 in the pooled CE population, was that
18 daptomycin-treated patients who received prior
19 effective therapy for less than 24 hours had
20 higher success rates than those who did not
21 receive such therapy. And I think this is an
22 important point of discussion as we discuss

1 future of clinical trials in CAP.

2 Though daptomycin has been shown
3 to interact with pulmonary surfactant, we feel
4 that the daptomycin effect seen here is likely
5 larger than what one would see with placebo.

6 We also reviewed studies that
7 looked at other endpoints, such as time to
8 resolution of symptoms.

9 We certainly did identify studies
10 that have identified superiority of one
11 regimen over another for such endpoints.
12 However for most of these studies there were
13 either a secondary endpoint, or were part of
14 a subgroup analysis.

15 Some studies have used clinician-
16 reported outcomes and some have used patient-
17 reported outcomes that were not validated.

18 CAP-Sym is a patient-based outcome
19 measure that was evaluated in outpatients with
20 CAP and was published a few years ago. To the
21 best of my knowledge, this tool has not been
22 used to support a primary endpoint in

1 registration of trials.

2 So to summarize the supportive
3 data we reviewed to quantify the treatment
4 effect in CAP. Overall the studies did
5 support the effectiveness of anti-bacterials
6 in CAP. The choice of anti-bacterial and its
7 timing of administration appear to be
8 important.

9 However, the supportive
10 information we reviewed was not directly
11 contributory to determining the magnitude of
12 treatment effect. Alternate endpoint, such as
13 time to resolution of signs or symptoms, maybe
14 an option for future trials.

15 I would be remiss if I didn't
16 spend a minute or so talking about pediatric
17 CAP trials being a pediatrician myself, and I
18 also see that it has generated a fair amount
19 of discussion already today.

20 I know Dr. Nelson had to spend
21 some time talking about pediatric studies.
22 And a lot of what we are discussing today is

1 just as relevant to the pediatric population.

2 As in adults historical studies in
3 children have showed reduction in mortality
4 after introduction of sulfonamides. In
5 addition to the observational data that Dr.
6 Singer had discussion, which is from Bullowa
7 paper, we did review some other studies that
8 looked at treatment benefit in children with
9 sulfonamides. Most of these have been K
10 series, and the greatest treatment difference
11 really was seen in infants less than a year of
12 age.

13 In the Raycraft series there were
14 about 200 infants who were less than a year of
15 age, and the mortality was 10 percent compared
16 to 30 percent based on historical controls.
17 There were no concurrent control trials that
18 we were able to identify.

19 And Ormiston study was again a K
20 series of about - I forget the number, but it
21 was less than 50 children.

22 Most recent CAP trials in children

1 have all been equivalency trials. In these
2 studies children with severe or very severe
3 pneumonia based on the WHO classification
4 system was used. And this morning Dr. Nelson
5 had reviewed some of these studies with you.

6 The regimens used in these trials
7 varied. Amoxicillin was compared to either
8 intravenous ampicillin or penicillin.
9 Chloramphenicol was compared to a combination
10 of ampicillin and gentamicin.

11 In most studies the clinical
12 outcomes in both treatment groups were very
13 similar.

14 There was one recent study which
15 showed that the combination of ampicillin and
16 gentomycin was superior to chloramphenicol in
17 children aged two to 59 months who had very
18 severe pneumonia based on the WHO
19 classification system.

20 So to summarize what I've
21 presented thus far, I've given you an overview
22 of recent CAP trials both oral and IV studies.

1 I have briefly touched upon the study design
2 endpoint, analysis populations, microbiology
3 and outcomes in these studies.

4 It's important to note that
5 although treatment effect was demonstrated in
6 historical studies, there are difficulties and
7 limitations in linking historical data to
8 current CAP trials.

9 I've also provided you an overview
10 of additional data that are supportive of
11 anti-bacterial effect in CAP though not
12 directly contributory to estimating a
13 treatment effect.

14 So to conclude I would like to say
15 that patient populations described in the
16 historical studies differ from those seen in
17 current CAP trials. In current CAP trials
18 patients may be less ill; a small proportion
19 have strep pneumonia, as the baseline
20 pathogen; and very few patients are
21 bacteremic.

22 The endpoints evaluated in

1 historical studies are different from those
2 used in current CAP trials. So for
3 noninferiority trials in CAP we need to define
4 patient populations and endpoints that are
5 best supported by the treatment effect seen in
6 historical studies.

7 This should be the end. And I
8 would really like to acknowledge Dr. Carol
9 Higgins, one of our statistical team leaders,
10 who analyzed all the data on the contemporary
11 CAP trials and had presented it at great
12 length at the workshop in January.

13 Thank you.

14 ACTING CHAIR TOWNSEND: Thank you,
15 Dr. Nambiar.

16 We have time for one or two
17 questions. Dr. Wong-Beringer.

18 DR. WONG-BERINGER: I wonder if you
19 could comment on one particular area which I
20 haven't heard so far today, and that is
21 specifically measuring the host-microbial
22 interaction there.

1 I think we appreciate more that
2 antibiotics have more profound biological
3 effects on these organisms than perhaps even
4 up-regulating the virulence expression here,
5 and that could play a role in confounding the
6 treatment effect.

7 And secondly the polymorphisms in
8 human susceptibility or host inflammatory
9 response to the infection itself could also
10 introduce a difference in treatment effect
11 there.

12 Please comment on where these
13 might fit in in the study design or
14 alternative approach.

15 DR. NAMBIAR: I don't think I'm
16 equipped to answer that question.

17 In terms of exposure response, I
18 know Chris, one of our clinical
19 pharmacologist, is certainly going to -- I
20 think his presentation will follow later this
21 afternoon, so you will have a better idea
22 about exposure response.

1 Your second question is in terms
2 of host and immunomodulatory --

3 DR. WONG-BERINGER: Possibly.

4 DR. NAMBIAR: In terms of how to
5 factor that into clinical trials?

6 DR. WONG-BERINGER: Well, I think,
7 not necessarily on the immunomodulating part,
8 but in terms of the host's response to
9 treatment, or the driver could be the
10 inflammatory response and host genetic
11 difference there. In perhaps the more severe
12 pneumonia group.

13 DR. NAMBIAR: But that would be in
14 addition to the anti-bacterial effect?

15 DR. WONG-BERINGER: Right, and
16 differentiating the treatment groups.

17 DR. NAMBIAR: I'm not quite sure
18 how I could differentiate the two. And I
19 suppose if you are doing a randomized
20 controlled trial you would expect it to be
21 balanced across arms.

22 So are you trying to suggest that

1 there is an alternative way of looking at just
2 that aspect separate from the anti-bacterial
3 effect?

4 DR. WONG-BERINGER: I guess I would
5 be interested in looking at the treatment
6 groups, measuring the play, the role where
7 genetic polymorphism could play a role in
8 affecting the treatment response there.

9 DR. NAMBIAR: I'm possibly not
10 aware of any particular polymorphism or
11 genetic marker that identifies an outcome, but
12 maybe there is somebody else in the audience
13 who is better aware than I am. I do not know
14 of any specific genetic polymorphism that I
15 could use as a marker in patients with CAP.

16 DR. WONG-BERINGER: Not
17 specifically in CAP; it's certainly a
18 developing area. And I think in terms of
19 going forward in the future trial design.

20 DR. NAMBIAR: There is a fair
21 amount of discussion in that regard in
22 pharmacogenetics and all that, which is -- I

1 will not claim to be an expert, but I am
2 certain there is a lot of discussion.

3 ACTING CHAIR TOWNSEND: Dr. Venitz.

4 DR. VENITZ: I just want to make
5 sure that I understand the current
6 registration trials. Those patients have not
7 demonstrated sensitivity in culture to the
8 study drug; is that correct?

9 DR. NAMBIAR: Well, what happens
10 is, when they get enrolled you often don't
11 have a microbiological pathogen documented at
12 the time of enrollment; and there is usually
13 a delay of 24 or 48 hours before you identify
14 the organism.

15 And as was discussed this morning,
16 by and large what happens is, if you do - once
17 you get the culture back, and if the organism
18 is resistant, very often if the patient is
19 doing well, they sometimes remain in the
20 study, but often they are taken out of the
21 study once you identify a resistant organism.

22 DR. VENITZ: So what's the

1 proportion of patients that would be included
2 in the analysis, in the final ITT analysis,
3 that would not be sensitive to the study drug?

4 DR. NAMBIAR: There is more than
5 one we are analyzing. So sometimes we leave
6 them - we do leave them in the pure intent to
7 treat population. Because everybody stays
8 there. And often they are considered
9 failures. But sometimes if there is a large
10 number we may end up doing a sensitivity
11 analysis and looking at it more ways than one.

12 ACTING CHAIR TOWNSEND: Dr.
13 Follmann.

14 DR. FOLLMANN: I have a brief
15 comment and then a question.

16 So I thought the presentation was
17 illuminating for me because it's showing that
18 the current trials you're using in CAP have
19 very low mortality rate; in fact they are not
20 using mortality as an endpoint, but cure.

21 Part of our task today and
22 tomorrow is to come up with a margin, and most

1 of our discussion is focused on margin for
2 mortality. And maybe there is some margin
3 we're comfortable with in a study that had a
4 fairly high mortality rate, 10, 20 percent, or
5 30 percent or so; but it doesn't seem like
6 those are the kinds of trials that are being
7 done.

8 Now is that because such patients
9 don't exist? Or is it because they were
10 designed with a cure endpoint in mind and a
11 particular margin in mind?

12 Because if we come out of here and
13 just say we have a margin for a trial where we
14 have a population of 20, 30, 40 percent
15 mortality, is that going to be helpful? Would
16 such a trial be possible?

17 DR. NAMBIAR: I think there are two
18 answers to your question.

19 One is, when patients are failing
20 therapy they are often given rescue therapy,
21 so in effect you are preventing mortality; so
22 that's one reason why you don't see a high

1 mortality.

2 And secondly a lot of our patients
3 meet one or the other of exclusion criteria.
4 So a lot of these very sick patients don't get
5 enrolled in the trials.

6 And as I've shown in the
7 parenteral studies too, patients with PORT
8 scores of four are only a small fraction, and
9 PORT scores of five are hardly ever enrolled.

10 So there are two reasons why you
11 do see a low mortality.

12 DR. FOLLMANN: And then the other
13 comment had to do with your brief reference to
14 some superiority studies which you said were
15 successful. And if you have any more details
16 on those, I'd be curious about them, because
17 you know one way out of this mess would be to
18 do superiority studies in patients with
19 extremely mild CAP if it's possible.

20 DR. NAMBIAR: I actually have the
21 publications. These were studies designed as
22 noninferiority studies. And both of them, if

1 I remember correctly, were open labeled
2 studies. So they were designed as
3 noninferiority trials, and I thought it's a 10
4 percent or 15 percent margin. But at the end
5 of the day when they got the results it
6 happened to demonstrate superiority.

7 So they were not designed to be
8 superiority studies.

9 ACTING CHAIR TOWNSEND: Dr. Musher.

10 DR. MUSHER: I'd like to make a few
11 comments if I could please.

12 First of all, an open label study
13 that looks at something other than mortality
14 is not a valid study. Because if a doctor
15 knows what's the matter -- I'm going to
16 comment on this briefly tomorrow -- the doctor
17 knows which drug the patient is getting; the
18 patient knows which drug the patient is
19 getting, and you are interpreting resolution
20 of symptoms and other kinds of things --
21 ladies and gentlemen, that's no study. The
22 FDA shouldn't encourage that, the FDA

1 shouldn't accept those data. That's a series
2 of anecdotal reports; that's not a study. I
3 feel very strongly about that.

4 Now I want to comment on the --
5 I'm not so knowledgeable about who designs the
6 studies. But Dr. Wunderink commented that we
7 have too many patients in our studies who
8 don't have severe disease. So you notice a
9 remarkable uniformity, a 90 percent success
10 rate in just about everybody, and just about
11 every trial of pneumonia no matter what drug
12 was given.

13 So what kind of a situation is
14 that? Well, pick patients who -- first of
15 all, I absolutely agree with Dr. Wunderink, we
16 shouldn't be mixing together the so-called
17 mild and the so-called moderate. I think his
18 point should be listened to. These patients
19 had mild pneumonia, most of them.

20 Well, I think that the
21 pharmaceutical industry is the one that
22 designs the studies. And they are going to

1 design a study in which their drug is going to
2 look good. And if they design one that's
3 going to look good, then you take a bunch of
4 people who've got mild pneumonia and put them
5 in a study, and you can compare it with
6 anything you want, any other kind of
7 treatment, and they are all going to do very
8 well. And I think that's what you see in
9 these studies.

10 So I think Wunderink is on target,
11 and if you want to compare drug A with drug B
12 you've got to take patients who are sick
13 enough so it's going to make a difference.

14 Thank you.

15 ACTING CHAIR TOWNSEND: Thank you
16 very much.

17 DR. NAMBIAR: Thank you.

18 ACTING CHAIR TOWNSEND: Next
19 presentation will be by Dr. Valappil,
20 noninferiority margin for CAP studies.

21 NON-INFERIORITY ISSUES IN TRIALS OF
22 COMMUNITY ACQUIRED PNEUMONIA

1 DR. VALAPPIL: Thank you, Dr.
2 Townsend.

3 Good afternoon.

4 The outline of my presentation is
5 as follows: critical steps in designing a
6 noninferiority trial; statistical
7 uncertainties in noninferiority studies;
8 discounting and preservation of controlled
9 treatment of fact; historical evidence and
10 captions; magnitude of treatment benefit. And
11 then I will summarize my talk with future
12 considerations.

13 Dr. Fleming has gone extensively
14 discussing the noninferiority design and
15 issues, so I'm not going to go through it
16 again, and go into that and discuss the
17 issues.

18 But primarily for custom-specific
19 issues have led to the noninferiority trials.

20 What are the critical steps in
21 designing a noninferiority trial? Determine
22 that the historical evidence of sensitivity to

1 drug effect exists. Determine the design
2 features of the historical placebo control
3 trials from which the drug effect has been
4 established. Determine a scientifically
5 justifiable noninferiority margin. And also
6 assure the quality of the trial and its
7 conduct.

8 Any kind of subjectivity or
9 imprecision can be rewarded in an
10 noninferiority trial, and can artificially
11 make the treatment look similar when in fact
12 they are not.

13 What are the statistical
14 uncertainties in noninferiority studies? What
15 are the sources of uncertainties?

16 Magnitude and position of the
17 estimate of active control treatment of fact,
18 based on historical placebo control studies.
19 Lack of constancy assumption, that is the
20 potential lack of comparability of historical
21 evidence. Estimate of the size of the
22 treatment effects in the current

1 noninferiority setting.

2 The observed treatment effect from
3 a single study or a collection of studies may
4 not be reproducible, introducing bias. It
5 shows up in the estimate of the treatment
6 difference between the test drug and active
7 control in the current noninferiority setting.

8 This scenario is to demonstrate
9 unclear treatment of fact. As you can see,
10 the conference results in lapse and it is
11 difficult to differentiate the untreated
12 effect from the active control effect. And
13 essentially a noninferiority margin
14 determination will be difficult.

15 On the other hand in this scenario
16 you see a substantial treatment effect, of
17 active control over untreated effect. And a
18 noninferiority margin can be defined provided
19 the evidence is coming from adequate and well
20 controlled historical studies.

21 Now the question is, how to
22 account for statistical uncertainties in

1 estimating a noninferiority margin? Two steps
2 involved essentially: discounting or reduction
3 of the historical control effect size; and
4 preservation of the control effect.

5 Discounting of the historical
6 control effect size is required to account for
7 greater sources of uncertainties. For example
8 it could be due to lack of constancy
9 assumption, or lack of inter-trial
10 variability. Differences in patient
11 population, differences in dosing, or
12 divisional control drug.

13 Preservation of the discounted
14 treatment effect size is based on the 95
15 percent confidence developed around the
16 difference in treatment effect.

17 The proportional -- the control
18 effect preserve these based on good clinical
19 judgment. Smaller noninferiority margin
20 should be chosen when treatment failure
21 results in irreversible outcomes such as
22 mortality.

1 Now the question is, why a smaller
2 NI margin? Let us consider an example using
3 the endpoint clinical success or failure. So
4 if the clinical success rate is -- note that
5 the clinical failure also include mortality.
6 So if you consider a clinical success rate of
7 95 percent, the corresponding mortality rate
8 would be 5 percent, or the clinical failure
9 rate would be 5 percent.

10 If you consider an 85 percent
11 success rate, then the corresponding failure
12 rate in gross mortality is 15 percent, which
13 is almost three times compared to the first
14 case. So there is an increased mortality. So
15 we need to balance the mortality with the
16 clinical success.

17 So the message is, how much higher
18 mortality is clinically and ethically
19 acceptable when moving from a more objective
20 endpoint like mortality to a clinical
21 endpoint?

22 Now let us talk about the

1 noninferiority inference. Let us assume that
2 we have established a noninferiority margin
3 based on adequate and well controlled
4 historical studies. What would be the
5 statistical inference?

6 There are four scenarios in this
7 outline. Please note that there is a yellow
8 dotted line on the left side which indicates
9 a noninferiority margin.

10 The first two scenarios clearly
11 shows that the noninferiority is demonstrated.
12 However, if you look at the second scenario,
13 the treatment difference, the point estimate
14 of the treatment difference was above zero,
15 indicating a better treatment effect.
16 However, there is a large variability
17 associated with that.

18 In the scenario three it clearly
19 fails to demonstrate noninferiority because
20 the lower limit of the 95 percent confidence
21 limit around the treatment difference has
22 fallen below the noninferiority margin.

1 What else in scenario four? It
2 does simultaneously demonstrate a
3 noninferiority and superiority.

4 Now let us discuss on the
5 historical evidence in CAP studies. Dr.
6 Singer has gone in great detail on the
7 historical studies and issues, so I'm not
8 going to discuss that again.

9 However, I would like to point out
10 some of the issues based on the historical
11 studies.

12 What is the reliability of the
13 control effect based on the historical
14 studies? The historical data for CAP would
15 not be considered adequate based on the
16 current standard for adequate and well
17 controlled studies.

18 There are several design issues
19 which were introduced by us. All cause
20 mortality rate were evaluated in these
21 historical studies. There was limited
22 information on the resolution of clinical

1 signs and symptoms, as measured in the current
2 CAP studies.

3 No true placebo controlled studies
4 were conducted. However studies which use no
5 specific treatment, for example, symptomatic
6 therapy, were considered as untreated or
7 placebo.

8 There are major limitations in the
9 historical studies, including but not limited
10 to the following.

11 These studies were not blinded.
12 Some were observation studies, while some were
13 controlled, though not randomized for current
14 standards.

15 Majority of patients were
16 hospitalized with pneumococcal or lobar
17 pneumonia.

18 Subjects were assigned to anti-
19 bacterial drugs including penicillin,
20 sulfonamide, and tetracycline. There was
21 significant variability and mortality rates
22 across studies.

1 The difference in overall
2 mortality based on point estimates, in
3 pneumococcal or lobar pneumonia, from the
4 control studies it was in the range of 10 to
5 19 percent, and it gets higher if you look at
6 the bacteremic patients.

7 However, there is significant
8 variability in these estimates, and therefore,
9 it lacks reliability.

10 This diagram indicates and first
11 of all this is based on the controlled
12 clinical trials, looking at the variability in
13 mortality rates.

14 As you can see, Dr. Singer has
15 pointed out that there is - sorry - 10 to 19
16 percent of treatment difference between the
17 point estimates. However if you look at the
18 variability around that estimate it is quite
19 high. There is large variability.

20 Also note that this study, the
21 Agranat, has a small number of patients.
22 Probably that might explain the large

1 variability around those estimates.

2 But again there is a lot of
3 uncertainty in the point estimates.

4 Now if I may go back to the
5 historical evidence and talk about the control
6 effect, whether it is reproducible or not.

7 There appears to be a mortality
8 benefit in hospitalized CAP patients that seem
9 to have moderate to severe pneumococcal or
10 lobar pneumonia.

11 However, there is significant
12 variability and associated uncertainties in
13 the estimated historical mortality rates. The
14 magnitude of effect may not be reliable and
15 reproducible in all CAP patients who appear to
16 have less severe disease.

17 Now the question is, can we
18 control the constants of a control effect
19 based on the historical studies? Does the
20 control effect remain constant over time? How
21 do historical trials compare to the current
22 trials? Difference in the patient population,

1 this is definition, outcome criteria; those
2 durational control and timing of the outcome
3 assessment.

4 What is the internal variability
5 of the effect size in the historical studies?
6 As I said earlier, the anti-bacterials used in
7 these studies included sulfonamides,
8 penicillin and tetracycline, and we have lot
9 more options in the current studies.

10 The mortality rates in the current
11 studies are not very high, probably close to
12 four percent, again, depending on which
13 patient population you look at. If we look at
14 the high risk population probably it is much
15 higher than that.

16 The lack of -- although it may --
17 if I may just go back -- current studies don't
18 have that many. I'm just hypothetically
19 saying that if you could identify the high
20 risk patient population, probably the
21 mortality rates could be higher.

22 The lack of comparability between

1 historical CAP studies and the current studies
2 raise concerns in determining a precise
3 estimate of the treatment effect.

4 This can be due to any number of
5 sources including differences in patient
6 population; advances in standard of care;
7 differences in the endpoints; mortality or
8 clinical failures; or emerging drug resistance
9 issues.

10 How about the study quality and
11 conduct, based on the historical studies? The
12 historical CAP studies were not randomized per
13 current standards, blinded or controlled for
14 potential biases. Therefore in general when
15 using historical studies the following issues
16 can undermine its ability to reliably estimate
17 the treatment benefit.

18 For example that includes
19 subjective endpoints, lack of specificity in
20 the diagnosis of patients, spontaneous
21 resolution of signs and symptoms, treatment
22 noncompliance, contribution of therapies, or

1 misclassification of outcomes.

2 So if I may summarize the
3 historical evidence, the historical data may
4 be primarily limited to those with moderate to
5 severe disease, due to streptococcus
6 pneumonia. Historical studies do not provide
7 quantitative estimates of clinical benefit
8 other than all-cause mortality.

9 The microbiological etiology in
10 historical studies, the first one, recent CAP
11 studies.

12 Thus far I have summarized the
13 historical evidence and its limitations.
14 Given all the issues in the historical
15 studies, the interpretation of the data can
16 probably vary.

17 However, hence, our interpretation
18 of the historical data is different from the
19 IDSA position paper, and therefore I would
20 like to make a few general comments.

21 This presentation focused only on
22 controlled trials, while the IDSA position

1 paper has also included or considered
2 remaining studies.

3 IDSA's position paper reported
4 absolutely mortality rate in the controlled
5 studies by pooling studies, and did not take
6 into consideration the lack of internal
7 consistency in the mortality rates approach to
8 this.

9 As you are aware, pooling studies
10 makes several strong assumptions, including
11 similarity in the patient population; disease
12 characteristics; treatment duration. And it
13 may be difficult to meaningfully interpret the
14 results.

15 Now I would like to make a few
16 comments on the Kingston paper, tetracycline
17 versus placebo was studied in 290 healthy
18 Marine recruits between age 17 to 22 years
19 with mild communicative pneumonia. Mycoplasma
20 pneumonia was the etiology in only 133, that
21 was 46 percent of the subject, of the total
22 number of subjects, which is only a subgroup

1 of patients.

2 There are several endpoints being
3 looked at, for example, mean time to
4 defervescence, normalized chest X-ray,
5 resolution of cough, and a few other
6 endpoints.

7 There are potential multiple
8 pressing issues and inflation of overall type
9 rates based on this exploratory analysis.

10 Duration of fever is based on
11 cumulative percent and it is not clear how
12 missing values were accounted, and it has the
13 potential for overestimating the treatment
14 effect.

15 These findings are based on a
16 single study, and subgroup analysis, and
17 therefore, these results cannot be
18 generalized.

19 Now if I may go back to the
20 magnitude of treatment benefit based on
21 historical studies. There appears to be a
22 treatment benefit based on all-cause mortality

1 in the historical studies. In hospitalized
2 CAP patients with pneumococcal or lobar
3 pneumonia, although the estimates lack
4 precision as it explained.

5 However, mortality is lower in
6 current studies due to availability of
7 alternative therapies which could rescue
8 patients and prevent death, as Dr. Nambiar has
9 mentioned.

10 The question is, can we translate
11 the mortality benefit observed in historical
12 studies to a clinical benefit as measured in
13 current studies, or will it be misleading?

14 The margin chosen for a
15 noninferiority trial cannot be greater than
16 the smallest effect size that active drug
17 would be reliably expected to have compared
18 with the placebo in the setting of the planned
19 trial.

20 A noninferiority trial design is
21 possible if you use mortality as the endpoint,
22 because we have historical data to back up.

1 Or scientifically justified
2 extrapolation of the mortality benefit seen in
3 historical studies to another clinically
4 meaningful endpoint, probably clinical
5 failure.

6 Dr. Nambiar has already discussed
7 the results based on the current CAP studies,
8 and the clinical cure rates were higher than
9 80 percent in the ITT population.

10 Now let us consider a 15 percent
11 clinical failure rate which includes mortality
12 in this hypothetical example. The main
13 purpose here is to show that all failures are
14 not the same.

15 So as you can see here, the red
16 indicates the mortality rate, and the green
17 indicates the rescue rate, and the white
18 indicates failure rate other than mortality.

19 The first figure, you can see a
20 clear mortality difference, whereas in the
21 second figure you see the mortality as well as
22 the rescue therapy being given, but they are

1 balanced across the treatment arms plus that
2 has less control.

3 Now again if I may remind you,
4 this is only based on the 15 percent mortality
5 rate. I'm only addressing that part. Whereas
6 in Figure 3 you see a differential effect of
7 mortality and differential effect of rescue
8 therapy -- rescue rate in both the test drug
9 as well as the control.

10 So in a noninferiority trial all
11 these will be classified as clinical failure,
12 although there is a differential effect in the
13 treatment arms based on the mortality as well
14 as the rescue rate. So this is going to be a
15 problem in noninferiority trials.

16 Future trial design and
17 considerations. Dr. Gitterman is going to
18 talk about all these issues tomorrow.
19 However, I would like to discuss a few issues.

20 Primary endpoint: all-cause
21 mortality is probably the ideal endpoint; the
22 practicality is different, but it has the

1 backing of the historical studies.

2 Clinical failure, including
3 mortality could be another option for whatever
4 we can strongly, we can justify that
5 extrapolation of the clinical -- the
6 noninferiority margin based on mortality
7 rates.

8 PRO Instrument is another option.
9 However it lacks historical data to link, and
10 therefore at this time can only be used in
11 superiority trials to establish the effect.

12 One example could be the time to
13 resolution of clinical signs and symptoms.

14 Now how about the primary
15 hypothesis, are we talking about superiority
16 or noninferiority type hypothesis? If it is
17 a noninferiority type process, then we need to
18 discuss about the choice of margin. So that
19 raises the following issues.

20 What is the magnitude of
21 antibacterial treatment effect based on
22 historical studies? Did we control for the

1 variability in historical data, and discount
2 for the uncertainties? If so, in what patient
3 population and for which endpoint?

4 Did we preserve some fraction of
5 the control effect?

6 So we have to answer all these
7 questions before we move into a noninferiority
8 discussion.

9 How about the patient population?
10 Identify patient populations that are
11 comparable to those in historical studies to
12 precisely estimate the treatment benefit.

13 Now the question is, who should be
14 enrolled? Should it be based on PORT scores
15 or some other clinical criteria?

16 Dr. Alexander has gone through the
17 details this morning, discussing about the
18 PORT scores and the mortality rates.

19 Now the second question is, should
20 we enroll only patients with a confirmed
21 bacteriological etiology? Again these issues
22 are going to be discussed today and tomorrow.

1 So with that I'd like to conclude
2 the talk.

3 ACTING CHAIR TOWNSEND: Thank you,
4 Dr. Valappil. Time for a couple of
5 questions? Dr. Dowell?

6 DR. DOWELL: I want to follow up on
7 that last point you raised, which has been
8 alluded to a number of times, and that's
9 enrollment criteria.

10 The presentation before he went
11 through nicely the enrollment criteria for the
12 modern studies, including a new chest X-ray
13 infiltrate I think, and two or more of a list
14 of six or so other features.

15 What we haven't heard about is the
16 enrollment criteria for the historical trials
17 that we're comparing all these to. I imagine
18 for the bacteremic patients that's relatively
19 straightforward because they had pneumococcal
20 bacteremia, but the -- what about all those
21 patients without pneumococcal bacteremia? It
22 seems if we are going to be comparing patients

1 in the modern trials to patients in the
2 historical trials, we need to know whether the
3 enrollment criteria were similar, and in
4 particular, for the historical trials, what
5 about those nonbacteremic patients? Can you
6 or anybody else tell us some more details
7 about the type of chest X-ray that was
8 required? What other clinical features were
9 they, like the modern trials that we just
10 heard about?

11 Mostly it sounded like, they
12 didn't have fevers, they mostly had cough,
13 sputum production, not much else.

14 DR. VALAPPIL: You are absolutely
15 right. I wish I could shed some light on
16 that, but historical studies, other than the
17 bacteremic patients, you really couldn't
18 ascertain any clear direction as to what the
19 signs and symptoms or inclusion criteria.

20 ACTING CHAIR TOWNSEND: Dr. Musher.

21 DR. MUSER: Interestingly I was
22 going to comment on the same point, sir. You

1 mentioned in your talk that those earlier
2 studies were, I think you used the phrase,
3 limited to patients who had moderate to severe
4 pneumonia. So I'd like to comment that I was
5 an intern at Bellevue already 20 years into
6 the antibiotic era. You met so many of you --
7 just about everybody in this room is younger
8 than I am -- if you had an infiltrate and a
9 fever and you came to the ER at Bellevue, even
10 if you were otherwise perfectly stable and
11 perfectly fine, you got hospitalized.

12 In the pre-antibiotic era, I
13 assure you that if you came to a hospital or
14 to a physician and you had a pneumonia, you
15 were put in the hospital.

16 So they put in everybody. Now
17 that has two implications. They didn't start
18 out with moderate or severe pneumonia, so I
19 think that should inform any discussion by
20 anybody on the subject of placebo. They all
21 began with some range of disease, but if you
22 don't treat them, guys, guess what happens?

1 They get more severe, and some proportion of
2 them die. So they didn't begin with what we
3 might call moderate to severe pneumonia. They
4 just began with pneumococcal pneumonia.

5 Now with regard to getting into
6 the studies, I think that is also terribly
7 important. Because we do have -- we had
8 patients then who all -- they all had
9 pneumonia, and really the vast majority were
10 pneumococcal, and it was because they -- look,
11 some of them died at home. If you had a bad
12 pneumonia, and you didn't come to the
13 hospital, you die at home. So you can't say,
14 well, only the severe ones came into the
15 hospital. If they were sick enough, or they
16 felt bad, and they were able to get to a
17 hospital, they would come. So I do think that
18 that is very important, and it is quite
19 different from our scoring system.

20 ACTING CHAIR TOWNSEND: Thank you
21 very much.

22 All right, we'll move on to the

1 next discussion before the break. Dr. Tornoe
2 exposure response analysis for community-
3 acquired pneumonia.

4 EXPOSURE-RESPONSE ANALYSIS FOR CAP

5 DR. TORNOE: Thank you, Dr.
6 Townsend.

7 So we're gathered here for this
8 two-day meeting to discuss choices of
9 noninferiority margins.

10 And the question I was tasked with
11 was to figure out whether exposure response
12 analysis can contribute to this discussion of
13 our NI margin for studies of CAP.

14 So just to explain exactly what we
15 mean by exposure response analysis, we tried
16 to link the probability of clinical cure with
17 some measure of exposure. In this case area
18 under the concentration curve divided by the
19 minimum inhibitory concentration for the
20 particular pathogen identified for a subject.

21 So this is an example for
22 grepafloxacin was given against AECS.

1 So the intercepts on the Y axis
2 here shown at 70 percent can be used as
3 untreated or placebo response rate.

4 And the difference between the
5 upper part of the curve, where you get
6 adequate AUC/MIC ratio, the difference between
7 this level and the untreated placebo response
8 rate can be used as a measure of the treatment
9 effect.

10 So this will be a conservative
11 estimate since these are not truly untreated
12 patients; they do get some drug, but just not
13 enough.

14 So before I walk you through the
15 analysis, I want to give you my conclusions to
16 keep you out of suspense. So what is the
17 exposure response derived treatment effect
18 against streptococcus pneumonia in patients
19 with mild to moderate CAP?

20 We identified a treatment effect
21 of 37 percent, but the confidence intervals
22 are pretty wide, ranging from minus six to 80

1 percent.

2 So can exposure response analysis
3 support the choice of NI margin for studies of
4 CAP? It's very likely, but with the current
5 amount of data we have, we cannot adequately
6 or precisely quantify the treatment effect,
7 and thus not come up with a NI margin for CAP
8 trials unless minus six percent sounds doable.

9 Okay, so the background. We first
10 looked through the database at the FDA to look
11 for, what data do we have available. And
12 fluoroquinolone antibiotics came up as a
13 pretty decent attempt to try to quantify the
14 effects, because they've been widely studied
15 in the treatment of CAP.

16 They reported -- they've been
17 reported that they exhibit concentration
18 dependent killing of pathogens responsible for
19 CAP, and the free AUC over MIC ratio is the
20 PK/PD parameter that correlates with
21 therapeutic effectiveness.

22 So we have vast information also

1 from preclinical information. So this is not
2 just some hypothesis exploratory. It's more
3 of a confirmatory hypothesis.

4 Since studies done in mice with
5 six different fluoroquinolones have shown that
6 if you plot the survival against the free
7 AUC/MIC you see that the lower left part of
8 the free AUC/MIC range you have zero percent
9 survival, but as soon as you hit about 30 you
10 see a difference. You increase the survival
11 rate, and then above a certain, 50, you get
12 100 percent survival rate.

13 And similarly for the bacterial
14 activity, you see as you increase the free
15 AUC/MIC ratio you kill more of the pathogens.

16 So the devil is in the details to
17 drill down on how to pool data across drugs,
18 and make sure that you got the right -- the
19 similar patients so you can draw conclusions
20 from pooling data across trials.

21 So we picked the fluoroquinolone
22 antibiotics. The rolling criteria in the

1 studies we looked at were clinical signs and
2 symptoms of CAP, and the presence of new or
3 progressive infiltrate on chest X-ray.

4 The patient population was mild to
5 moderate, and a few severe patients were also
6 in these studies without any specific details
7 of classification.

8 There were in and out patients
9 based on their clinical status. And most
10 patients were between 40 and 65 years of age.

11 The treatment at administration
12 was from seven to 14 days. Oral therapy was
13 given to mild to moderate diseased patients,
14 and IV with a switch to PO for the moderate or
15 severe patients.

16 So I've listed here the three
17 studies with four different drugs that we
18 identified with PK information in them.

19 And as you can see the studies
20 were done both in U.S. and multinational.
21 They were all conducted around the year 2000.

22 The subset, unfortunately the

1 subset of patients with PK was not that big,
2 ranging from 10 to 50 percent of the patients
3 had actually PK samples drawn, and even fewer
4 of them had the clinical outcome were
5 associated with streptococcus pneumonia.

6 We have both IV and IV to oral
7 administration, and they were given for seven
8 to 14 days. And the test of cure visit was
9 seven to 14, 21 days after treatment.

10 So in this table I've listed the
11 top five pathogens we saw in these CAP studies
12 where we have both PK and clinical response
13 data.

14 So on the right-hand I've listed
15 the free AUC/MICs, and I've highlighted those
16 for streptococcus pneumonia. And that shows
17 that only in -- with the strep pneumo that is
18 possible to start looking for a treatment
19 effect, since these drugs have been dosed in
20 such amounts that very few patients show low
21 ranges of free AUC/MIC ratios.

22 So you see the levofloxacin in

1 treated patients, they have a mean free
2 AUC/MIC of about 100, and the lowest observed
3 value is 26, while if you go to some of the
4 other pathogens it's up in the 100s, so it's
5 going to be hard to estimate that Y intercept
6 on the exposure response analysis.

7 So in order to get a measure of
8 the drug exposure, we need three measures.
9 First, the area under the concentration curve,
10 we need a measure of the protein binding, and
11 we need the MIC value associated with the
12 pathogen for each individual, so that together
13 we can then calculate the free AUC/MIC.

14 Then we also need a clinical
15 response, which is clinical success is defined
16 as resolution of signs and symptoms of
17 pneumonia at the test-of-cure visit, seven to
18 21 days after the treatment.

19 When we have these two components
20 we can then perform our exposure response
21 analysis where we first use our CART analysis
22 to separate these untreated or subtherapeutic

1 treated patients from those were well treated,
2 and then perform a logistic regression.

3 So if we start with the whole
4 clinical database of these data pooled, they
5 all have a dose associated with it. We can
6 use that as a measure of drug exposure, or the
7 dose divided by their body weight.

8 If you want to get a more accurate
9 measure of their drug exposure, we could
10 impute their PK by using a demographic
11 covariate such as serum creatinine since most
12 of the drugs are read-only cleared.

13 And then finally we can take only
14 those subjects who have actually observed PK,
15 and we can derive the AUC from that.

16 That was the approach we took for
17 our analysis to get the most accurate estimate
18 of drug exposure.

19 Second of all, we need to know the
20 protein binding of these drugs in order to
21 pool -- you don't need it if you just do
22 analysis on a single drug, but in order to

1 pool drugs we need to know what fraction of
2 the drug is actually free and not protein
3 bound, and then can have its activity.

4 And here you see big differences
5 for gemi and garenoxacin from the total 24-
6 hour AUC/MIC ratio, and their free AUC/MIC
7 ratio.

8 Another subset we do is, we first
9 look at all the patients who have pathogens
10 isolated at their screening. A subset of that
11 is those who have pathogen with an MIC value
12 associated.

13 A subset of that is for
14 streptococcus pneumonia with an MIC, and then
15 where the streptococcus pneumonia is the
16 pathogen with the highest MIC, which then is
17 most likely to be associated with the clinical
18 response.

19 So again we take the tip of the
20 iceberg of all the data available for the
21 exposure response analysis where patients with
22 streptococcus pneumonia identified, and being

1 the most resistant pathogen was then used for
2 the exposure response analysis.

3 So in the next few slides I'll try
4 and visualize what data we're dealing with.

5 So on the left-hand side we have
6 on the Y-axis the free AUC measurements from
7 the four different drugs, and then on the X
8 axis we have the percentiles. So we take each
9 drug, we rank the free AUC, we sort and rank
10 the free AUC values, and then plot them from
11 the zeroth percentile to the 100th percentile.

12 The symbols show the clinical
13 failures. So as you can see there are only
14 four clinical failures in this database of 74
15 subjects.

16 What you also can see is the
17 variation in free AUC is about two to
18 threefold, except for the gemifloxacin, where
19 it's an eightfold difference from the lowest
20 to the highest free AUC.

21 But for the MIC values shown here
22 on the right-hand side, the ranges are much

1 greater, up to a 50-fold difference.

2 So when we combine these two
3 measures, and we take the free AUC and divide
4 it by the MIC, the biggest -- the thing that
5 causes the variation or the separation is
6 mainly the MIC values.

7 You can also notice with the
8 levofloxacin treated patients the two failures
9 occur at the lower 50 percentile, while the
10 garenoxacin treated are at the very top of the
11 exposure and in the middle.

12 And these two levofloxacin
13 patients also had isolated pathogens at the
14 test of cure visits, so the pathogens were
15 persistent, while the two pathogens for the
16 garenoxacin treated patients could not be --
17 no sputum could be produced, so they were
18 perceived assisted.

19 So then we have now our drug
20 exposure for the exposure response analysis,
21 the drug exposure for a particular pathogen.

22 So on the X-axis again we have the

1 free AUC/MIC ration on a log scale for the
2 four treated -- for the four drugs tested.
3 And if you look at the lower range, lowest
4 20th percentile for each of the drugs, it's
5 only the levofloxacin treated patients that
6 are actually down below 30, which is this
7 level here.

8 So only levofloxacin treated
9 patients show a very low free AUC/MIC ratio,
10 which is you look at the preclinical
11 information where it's related to less
12 survival.

13 So now we are ready to perform our
14 exposure response analysis. So now we link
15 the probability of clinical response with free
16 AUC/MIC ratio.

17 I've listed the clinical failures
18 down by the zero, on the Y axis, where you
19 have the levofloxacin treated patients down
20 here at the very lowest end, and you have the
21 garenoxacin failures up here.

22 And then you have the 70 clinical

1 successes up by one.

2 So the CART analysis tries to use
3 -- to select the optimal breakpoint for the
4 predictor variable, which is the free AUC/MIC,
5 that maximally distinguishes the response, the
6 clinical response.

7 So it takes into account both the
8 free AUC/MIC and the clinical response.

9 When we perform this analysis, we
10 get a breakpoint of 37, which matches what we
11 saw for the preclinical and other reported
12 values in literature.

13 Unfortunately there are only five
14 subjects in this subtherapeutic treated ratio.
15 And they all treated with levofloxacin, while
16 69 subjects were of two -- failures are --
17 have free AUC/MIC values above 37.

18 So if you do the math for the two
19 failures out of five, you get a 60 percent
20 response rate for the subtherapeutic treated
21 patients with free AUC/MICs below 37, while
22 the patients above 37 have a treatment

1 response of 97 percent.

2 So these are the mean values. So
3 just focus on that. There is a 37 percent
4 treatment difference.

5 When I then put on the confidence
6 intervals, which we might say are pretty wide,
7 we see that they overlap, and the treatment
8 effects, confidence intervals, go from minus
9 six to 80 percent, mainly because we only have
10 five subjects here in the low range.

11 So to summarize we did establish a
12 relationship between the free AUC/MIC and
13 clinical response, but there are some
14 limitations to this analysis.

15 There were only five subjects out
16 of the 74 who has a subtherapeutic AUC/MIC
17 ratio, and the reason for this is that most
18 quinolones are dosed in a manner that result
19 in significantly higher exposures than those
20 associated with failure in animal infection
21 models.

22 Only levofloxacin treated patients

1 had subtherapeutic exposures, and we only had
2 four out of the 74 patients with clinical
3 failures.

4 So more exposure response data
5 with low AUC/MIC ratios are needed to
6 adequately quantify this treatment effect.

7 If we had PK samples strong for
8 all subjects in all these studies we might be
9 in another position to -- and be able to
10 quantify the treatment effect.

11 So to recap to the questions, what
12 is the exposure response derived treatment
13 effect against strep pneumo in patients with
14 mild to moderate CAP? We saw 37 percent
15 treatment effect but with a wide confidence
16 interval, and we conclude that we cannot, with
17 the current set of data, propose a choice of
18 a noninferiority margin for future CAP trials.
19 But it's very likely with more data that we
20 can come up with a treatment effect, and use
21 data to support this choice.

22 Thank you.

1 ACTING CHAIR TOWNSEND: Thanks very
2 much, Dr. Tornoe.

3 Any questions from the panel? Dr.
4 Venitz.

5 DR. VENITZ: Yes, what limits your
6 sample size, Chris, if we had only 74 in your
7 final analysis? Was it the lack of MIC or the
8 lack of AUC information?

9 DR. TORNOE: Mostly the lack of MIC
10 values. Sorry, of PK, of AUC values. So we
11 had plenty more of patients with MIC.

12 DR. VENITZ: Did you try to use
13 proprietary information to predict AUC?

14 DR. TORNOE: Well, many of these
15 studies have various bars so some of them are
16 predicted by pop PK. But we don't try to --
17 if they don't have a single sample we did not
18 try to calculate a typical patient's AUC.

19 DR. VENITZ: And what covariance
20 did you include in your various proprietary
21 models?

22 DR. TORNOE: Well, serum creatinine

1 was one of the covariates used. Body weight
2 was also --

3 DR. VENITZ: Okay, thank you.

4 ACTING CHAIR TOWNSEND: Dr.
5 Kauffman.

6 DR. KAUFFMAN: A single question.
7 Is this applicable at all to non-quinolone
8 antibiotics? It seems like I mostly see it
9 talked about with quinolones.

10 DR. TORNOE: I think it would be
11 adequate also for other treatment effects.
12 But the breakpoints might be different.

13 We did try to look for other drug
14 classes and pool it, but we saw different
15 breakpoints, so we couldn't adequately pool
16 and do a combined analysis.

17 ACTING CHAIR TOWNSEND: Dr. Rex.

18 DR. REX: To follow up on Carol
19 Kauffman's question, why is it that the
20 numerical breakpoint for, let's say,
21 quinolones versus some macrolide is the
22 relevant observation? It would actually be

1 relatively striking, I think, to line up
2 qualitatively similar curves that had similar
3 Y intercepts. It's absolutely going to be a
4 different breakpoint, a different numerical
5 cutoff for macrolide X versus quinolone Y; no
6 good question about it. But it's the
7 biological plausibility that underwrites all
8 this that makes this such a powerful
9 observation.

10 As you said yourself, the clinical
11 data here are actually not exploratory; they
12 are confirmatory, because the prior
13 probability of this being a true result is
14 actually very high.

15 DR. TORNOE: That's true. So
16 performing or pooling data for other drugs
17 would be -- and showing somewhat similar
18 treatment effects would add to the
19 plausibility of these findings.

20 ACTING CHAIR TOWNSEND: Dr. Temple.

21 DR. TEMPLE: It sounds like this is
22 most promising where the drug's toxicity is

1 dose limiting, so you have to be a little
2 closer to the MIC, and where you have a lot of
3 variability for one reason or another.

4 So that sounds true, I guess. And
5 that's where this is going to be most
6 promising. I mean if you can give an infinite
7 amount of something, you are never going to
8 have anybody too low.

9 DR. TORNOE: That's exactly what
10 we're seeing right here. We also tried to
11 perform the analysis just using MIC as the
12 predictor variable, and that could also
13 separate. And we would beef up the numbers in
14 the highest group with -- the group with the
15 highest MICs, but still the confidence
16 intervals are overlapping.

17 DR. TEMPLE: So if you used just
18 MIC then you are saying, well, you are much
19 more likely to be a little on the low side if
20 it was a very high MIC no matter what. But
21 even there it depends on the drug class
22 problem?

1 DR. TORNOE: Yes, so we only saw a
2 two to threefold difference in the PK, which
3 was much less than the MIC, so the MIC seems
4 to be driving the patients to the lower end.

5 ACTING CHAIR TOWNSEND: Dr.
6 Fleming.

7 DR. FLEMING: So when I look at
8 your Figure 3, how do I know whether or not
9 I'm simply putting a label on those people who
10 were inherently more vigorous and would have
11 had a better response? I.e. it's not
12 randomizing to one strategy that yields a low
13 AUC/MIC to another that yields a high AUC/MIC
14 and then seeing the later as a high response
15 rate that also had a high AUC/MIC. How do I
16 know that causality is not in the other
17 direction, and essentially what I've done is,
18 I've put a label on the vigorous people who
19 would have inherently done better?

20 DR. TORNOE: Well, we did explore
21 confounding, whether it was the obese patients
22 who would get the lowest AUCs, but we did not

1 find these. These things have already been
2 taken care of in the dose finding, in the
3 previous phases of the drug development, where
4 they give the dose in a manner so all get
5 adequate treatment.

6 But we did not identify age or
7 weight or any of these confounding variables
8 to be those who then had the lowest AUCs.

9 DR. FLEMING: So you looked at some
10 of the factors that could explain that
11 confounding, but I would say what makes you
12 different from me, that's based on known or
13 recorded covariates, is the tip of the
14 iceberg. So there is a whole lot that we
15 couldn't adjust for.

16 DR. TORNOE: True. And if we had
17 more subjects that five in the subtherapeutic
18 treated regimen, we would look for covariates
19 that could explain differences. But we didn't
20 have the numbers to investigate these
21 relationships.

22 ACTING CHAIR TOWNSEND: Dr. Rex.

1 DR. REX: Dr. Fleming raises a
2 really good point. How do we know that you
3 got a different AUC, that there is not some
4 link between the AUC that you got when you
5 took 500 milligrams of drug X, and the
6 likelihood that you are going to respond. So
7 a very good question.

8 And were this the only piece of
9 data we had, then that question would take on
10 a great deal of force. But it's actually not.
11 I mean I don't want to be overly repetitious,
12 but the fundamental underpinning of this is
13 that in a laboratory setting, where we can
14 take genetically homogeneous animal species,
15 a constant organism, and where we can control
16 the exposure very precisely, deliberately,
17 experimentally, we find that the same thing is
18 true.

19 So if it weren't a single
20 observation, if it stood alone, and I guess
21 I'd argue for any one of the patients in the
22 data that we look at you don't know for sure.

1 It's not about an individual observation; it's
2 about a grand aggregate.

3 And there are other data. I have
4 seen data with telythromycin that actually had
5 a reasonably good size number of observations
6 to the low AUC/MIC pool. And there is
7 publicly available data.

8 So you can find other things and
9 bring them together that cause the story to
10 take on a great deal more weight than just the
11 limited pool of macrolides.

12 Now your observation that if the
13 AUCs don't vary much then you can just look at
14 the MICs I think is an important one, and
15 should not be -- you may want to show that
16 analysis as well, because those MICs are --
17 they are telling.

18 DR. TORNOE: I think we have it
19 here. So then we have a bigger end, but not
20 substantially bigger. And then now it's not
21 divided by MIC. This is the MIC, so the
22 breakpoint of 0.75, then you have a 6 or 7

1 percent treatment effect instead of a 98
2 percent -- still the confidence levels are
3 overlapping. But it's showing the same trend,
4 and you might be able to get larger numbers
5 for this.

6 And it doesn't depend on -- these
7 MICs are not drug dependent. Or you would
8 just pick the -- against a particular drug,
9 and then get the MIC for that drug, and you
10 can get much bigger numbers.

11 DR. MUSER: And this is a much
12 simpler concept for people to deal with.

13 (Laughter)

14 DR. FOLLMANN: I hadn't seen this
15 kind of analysis before, but it's pretty
16 interesting. But four failures is really not
17 enough to do anything with it.

18 So what are the prospects of
19 getting more data for this. I guess that's my
20 question. But even if you have more data, I
21 think there are questions about the use of
22 this method, some of which Tom raised. And

1 the other is that really you are trying to
2 estimate what is the effect at zero AUC, and
3 you don't have anyone there, or hardly anyone
4 there, so even if you get a lot of data you
5 will necessarily be doing an extrapolation on
6 what the shape of the curve looks here at 10
7 or five and so on, and then pushing it on to
8 zero.

9 So it's intriguing, I'm skeptical,
10 and I wonder how much data can you
11 realistically get.

12 DR. TORNOE: I absolutely agree.
13 This is going to be a conservative estimate of
14 the treatment effect, because there are no
15 untreated. They do have substantial amounts
16 above the MIC values.

17 The possibility of getting more
18 data, we looked at our database, but most of
19 it was only around -- and back to the year
20 2000, where we had electronic versions of the
21 databases.

22 So there might be plenty of

1 studies we haven't seen, or phase II dose-
2 ranging studies, which would be also very
3 helpful and you might have more subjects with
4 PK information.

5 So if one could pool all that
6 information, that would greatly beef up the
7 numbers, I would suspect.

8 DR. TEMPLE: These problems arise
9 all the time whenever you try to do modeling
10 on concentration response, and we always have
11 these discussions with our modelers.

12 Another factor is that you have
13 usually very little data at the part that is
14 most interesting. So almost all the
15 concentrations are nice and high, and this
16 curve that looks sort of nice is driven by one
17 or two people who are down low, and we have
18 that all the time.

19 But there is really little
20 impediment to, in all the trials, all the
21 active control trials in review, there is very
22 little impediment to getting some blood

1 levels, and getting more data to look at.

2 And maybe it just sort of adds to
3 the idea that the active control trial is
4 plausible if you start to see these
5 relationships.

6 There is an ICH guidance on this
7 that raises all those various issues. What it
8 likes is to randomize to a concentration; ha
9 ha, we don't see that very often. But in the
10 absence of that, you always, as Tom says, you
11 have to wonder whether there is some factor
12 that both makes you fail and gives you a low
13 concentration. That's just inherent in it.

14 I have to say on this one, that
15 doesn't seem totally plausible, unless they
16 are so sick they are not absorbing anything
17 because their gut is falling out or something.
18 It's got more plausibility than most things
19 do, it seems to me.

20 ACTING CHAIR TOWNSEND: Thanks very
21 much.

22 I think we'll take a break. We

1 are running a little bit late, though, so if
2 we can come back here at 10 minutes to 4:00
3 we'll make up a little bit of time. See you
4 then.

5 (Whereupon at 3:38 p.m. the
6 proceeding in the above-entitled matter went
7 off the record to return on the record at 3:54
8 p.m.)

9 ACTING CHAIR TOWNSEND: I think
10 we'll go ahead and get started. Welcome back,
11 everybody.

12 So we're in the end run here on
13 today's session. Just a brief housekeeping
14 thing, for those of you who are staying here
15 tonight, tomorrow morning you are checking
16 out, you can bring your bags down here and we
17 will store them somewhere or other.

18 So the final presentation this
19 afternoon will be Dr. George Talbot, critical
20 considerations in CAP trial design from a
21 consultant's perspective.

22 CRITICAL CONSIDERATIONS IN CAP TRIAL DESIGN:

1 A CONSULTANT'S PERSPECTIVE

2 DR. TALBOT: It looks like a lethal
3 weapon I was just given.

4 Dr. Townsend, ladies and gentlemen
5 of the committee, thank you for coming back
6 from the break at the end of a long day.

7 Dr. Cox, members of the FDA, thank
8 you for asking me to present. It's an honor
9 and a privilege to do so.

10 Now you see on this first slide
11 actually my title: critical considerations in
12 CAP trial design, a consultant's perspective,
13 which begs the question of, well, what kind of
14 perspective is that?

15 You've heard from a number of
16 academics from various societies. You've
17 heard from the agency itself. Tomorrow I
18 think during the public session you'll hear
19 from industry, so that sort of leaves me.

20 So I'm calling this, instead of
21 the consultant's perspective, sort of neither
22 fish nor fowl, and hopefully what that means

1 is, a blend of some of the perspectives that
2 you will hear from other people.

3 Now this actually is my first
4 slide. And the reason I put this in here is
5 to remind myself to tell you a little bit
6 about my process for beginning to construct
7 this presentation.

8 I've been working in this area for
9 quite a few years, and because of that I have
10 quite a few preconceptions, maybe I should
11 call them learnings, about the approach to
12 some of these issues.

13 But in preparing for this talk, I
14 did try to set aside some of those
15 preconceptions and look at the data as
16 objectively as I could; and I also tried to
17 think about what would be useful to the
18 committee in terms of understanding or
19 appreciating some of the issues not only in
20 trial design but issues that companies have to
21 deal with as they think about undertaking a
22 CAP clinical trial.

1 I should say the previous slide
2 also was my first thought when asked to give
3 this thought, which was, whoa. But
4 fortunately the FDA did give me some guidance,
5 which I appreciate. And I was asked to
6 provide a reality check concerning what is
7 feasible vis-a-vis trials conducted by
8 industry, based on my experience, and thank
9 you for acknowledging that I might actually
10 have some connection with reality; and also my
11 vision of what might be a reasonable path
12 forward for future trials for CAP products.

13 Now being at the end of the day is
14 usually a disadvantage, especially since you
15 have to put your slides together a couple of
16 weeks before, or maybe not quite that long
17 ahead. But I've also had the opportunity to
18 hear all the observations presented today, and
19 I'd like to perhaps highlight some of those as
20 I go through my talk.

21 So the discussion points today
22 will be why do we need new antibiotics for

1 CAP? I think we know that, but there are a
2 couple of key points I'd like to make; the
3 decisions that go into undertaking a CAP
4 clinical trial program, and then some major
5 trial design issues, and the conclusions I've
6 drawn about them.

7 You've heard my disclosures. I
8 think so far I'm the only one to put my
9 disclosures back up, but because I am neither
10 fish nor fowl I did want to put them up, so
11 you could see what I've done, what my
12 involvement is. It ranges from having been
13 CMO, chief medical officer, of a private
14 company, to having various consultancies.

15 I'd point out that for Cerexa,
16 Cerexa has a CAP program, and I still consult
17 to Cerexa on CAP, so that is a potential
18 conflict of interest.

19 I'd also mention that I am a
20 member of IDSA's AATF and participated in a
21 drafting of the position paper.

22 So why do we need new antibiotics

1 for CAP? The point about emerging resistance
2 has been made. We've talked about strep
3 pneumo in particular, and mentioned macrolide
4 resistance and fluoroquinolone resistance.

5 I'd like to emphasize also that
6 there are some emerging data that suggest that
7 resistance to ceftriaxone is beginning to
8 occur, high level resistance to ceftriaxone.
9 Some isolates have been seen, and therefore I
10 think we have another concern in that area.

11 Another example of emerging
12 resistance in CAP could be the appearance of
13 really new pathogens in CAP. Staph aureus has
14 been a relatively infrequent cause of CAP, as
15 mentioned previously, but we now know that
16 there are still isolated reports of MRSA as a
17 pathogen.

18 Hopefully that trend will not
19 continue, but in drug development the trends
20 have to be anticipated, and investments have
21 to be taken based on anticipation of those
22 epidemiologic trends, and therefore this is

1 one of the things that is of concern.

2 I think another reason we need new
3 antibiotics for CAP is that despite the
4 changing landscape for sinusitis, AECB, and
5 acute otitis media, there clearly are some
6 patients who need therapy with antibiotics for
7 their conditions: chronic sinusitis; sinusitis
8 in immunocompromised hosts; recalcitrant
9 culture positive otitis media; et cetera. And
10 since they are the same bugs, the best place
11 we have to understand the efficacy of new
12 antibiotics is in CAP.

13 If new antibiotics can't be
14 studied easily in CAP -- pardon me, in these
15 indications that I've listed here, CAP becomes
16 the last bastion for respiratory tract
17 infection development. And that's one of the
18 reasons why we need clear and timely direction
19 from the advisory committee about how to move
20 forward, because there will be patients who
21 will need these same antibiotics.

22 You've heard IDSA probably ad

1 nauseam mention the antibiotic pipeline being
2 at risk. I do have some perspective on that.

3 The first four bullets or sub-
4 bullets here I think you've heard. I believe
5 them to be true. This one in particular is
6 that companies large and small are considering
7 that there are more predictable as well as
8 more profitable options elsewhere.

9 The two points I'd like to
10 emphasize in particular is that because of
11 some of these parameters, many of our newer
12 molecules that you are seeing reach market are
13 coming from Japanese innovators. One I'd
14 mention in particular is Doripenem.

15 The other point is that when a
16 compound is launched, the new descriptor can
17 be falsely reassuring as to the -- whether the
18 pipeline is a cornucopia of new products or
19 not. And this is because many quote unquote
20 new compounds have been, as I would put it,
21 recycled from older innovators. This is not
22 to say that these are not useful compounds.

1 I mentioned daptomycin, for example. And
2 there are others.

3 But they do not represent the
4 fruits of recent research efforts.

5 The CAP pipeline has had problems.
6 I should have added garenoxacin here, but I
7 put these up here to highlight compounds that
8 have had difficulties, and in some cases have
9 been withdrawn or not filed.

10 I emphasize the fact that many of
11 these are oral, and we need options for oral
12 therapy, not just IV products but oral
13 products, partly for the reason I mentioned
14 about other RTIs, but also because of the
15 hostile admission and discharge pressures that
16 you're all familiar with; the fact that there
17 is quite a bit of outpatient CAP as mentioned;
18 and also because there are many hurdles for
19 oral compounds in terms of the safety profile
20 that must be demonstrated for them to be
21 approved for marketing.

22 And certainly as noted earlier,

1 new classes and mechanisms of action are
2 highly desirable.

3 Personally speaking, another
4 reason is that one day some of us, or one of
5 our family members, may need an antibiotic for
6 CAP.

7 So I'd leave you in this section
8 with a couple of thoughts. The decisions made
9 in 2008 about moving forward with CAP programs
10 are going to affect what antibiotics are
11 available or not available in 2010, 2012,
12 2015, and as John Bartlett, the chair of the
13 AATF has said, the lesson of history is that
14 we need a pipeline.

15 We went back to Dr. Cox's first
16 slides, he had a list of -- I think it was
17 your slides, Ed, excuse me, it's been a long
18 day -- showing how the drugs evolved from
19 pathogen-directed to different steps.

20 What's interesting about that
21 slide is that you can't use for treatment most
22 of the compounds in those first few categories