

1 chair. Thank you for your cooperation.

2 Both the Food and Drug  
3 Administration and the public believe in a  
4 transparent process for information gathering  
5 and decision making. To ensure such  
6 transparency at the open public hearing  
7 session of the advisory committee meeting, FDA  
8 believes that it is important to understand  
9 the context of an individual's presentation.  
10 For this reason, the FDA encourages you, the  
11 open public hearing speaker, at the beginning  
12 of your written or oral statement, to advise  
13 the committee of any financial relationships  
14 that you may have with any company or group  
15 that may be affected by the topic of this  
16 meeting. For example, the financial  
17 information may include a company's or group's  
18 payment of your travel, lodging or other  
19 expenses in connection with your attendance at  
20 the meeting.

21 Likewise, FDA encourages you, at  
22 the beginning of your statement, to advise the

1           Committee if you do not have any such  
2           financial relationships.  If you choose not to  
3           address this issue of financial relationships  
4           at the beginning of your statement, it will  
5           not preclude you from speaking.

6                         The first speaker will be Michael  
7           Flavin.

8                         DR. FLAVIN:  Thank you and good  
9           morning.  My name is Mike Flavin.  I am  
10          chairman and chief executive officer of  
11          Advanced Life Sciences.  First of all, thank  
12          you very much for the opportunity to speak to  
13          the committee this morning.

14                        With regard to financial potential  
15          conflicts of interest, I will say I am an  
16          employee of Advanced Life Sciences.  I do have  
17          a financial interest in Advanced Life Sciences  
18          with stock options, given the fact that I have  
19          been an investor in the company.  In addition,  
20          the company has paid my expenses to attend  
21          this meeting.

22                        Advanced Life Sciences is a

1 biopharmaceutical company located near Chicago  
2 that has 35 business and technical and  
3 scientific professionals focused on the  
4 development of cethromycin, which we believe  
5 could be a very effective respiratory tract  
6 infection antibiotic for the treatment of CAP.

7           One of the reasons I wanted to  
8 address the committee this morning is because  
9 we believe we have recent experience in  
10 conducting pivotal phase three clinical trials  
11 in community-acquired pneumonia, having just  
12 completed a two year program a couple of  
13 months ago in which we reported the results of  
14 our trials that were conducted on a global  
15 basis.

16           In terms of -- I don't have a way  
17 to change my slides but if I could, go to the  
18 first slide. Thank you.

19           In terms of community-acquired  
20 pneumonia, let me say a few words about our  
21 team to begin with. We are a group of  
22 scientists and business professionals that

1           have worked together for over 20 years in drug  
2           discovery and development. We have been very  
3           motivated in the area of anti-infective drug  
4           research and development throughout our  
5           careers and through a long-standing work  
6           relationship with Abbott Laboratories, we are  
7           afforded the opportunity to in-license  
8           cethromycin to continue the development of  
9           what we found to be a very interesting and  
10          promising antibiotic for the treatment of  
11          community-acquired pneumonia.

12                         So, we have been motivated then as  
13          a team to bring cethromycin forward through  
14          pivotal phase three clinical trials to meet  
15          what we believe to be a significant unmet  
16          medical need and a growing need. CAP, as we  
17          have heard in this symposium, is very common.  
18          Five million cases reported annually in the  
19          U.S. and 80 percent of those cases are mild to  
20          moderate. So there is a great need to treat  
21          patients that have CAP, that are sick and that  
22          are looking for treatments.

1                   In fact, if CAP is allowed to  
2           progress, it is the sixth leading cause of  
3           death in the United States. We believe  
4           cethromycin was a drug designed to prevent the  
5           progression of mild to moderate CAP into more  
6           severe CAP and thus, offer patients and  
7           physicians an opportunity to keep patients  
8           safe from the downstream, deleterious affects  
9           of pathogens like streptococcus pneumonia.

10           In fact, as resistance rates to strep pneumo  
11           and other important pathogens continue to rise  
12           40 to 60 percent in some regions of the world,  
13           the need for new antibiotics like cethromycin  
14           continues to grow.

15                   And because other antibiotics have  
16           become weakened through the emergence of  
17           resistance, other agents such as  
18           fluoroquinolones are tended to be overused,  
19           contributing to situations such as clostridium  
20           difficile-associated disease and class cross-  
21           resistance to the fluoroquinolones in general,  
22           which are undesirable problems occurring quite

1 frequently in the clinic.

2 It is certainly very important to  
3 consider the fact that throughout our history,  
4 as we have seen in this symposium, antibiotics  
5 have been extremely useful. We have seen  
6 great effects throughout history because of  
7 the advent of antibiotics. But that doesn't  
8 mean we can't improve upon what we already  
9 have. In fact, our goals in developing a new  
10 antibiotic are those that fill the gaps in  
11 current treatments. Can we extend the  
12 spectrum of coverage of a new antibiotic? Can  
13 we overcome emerging resistance to important  
14 pathogens like strep pneumo? And, at the same  
15 time, can we have a very safe agent that could  
16 be used in a broad population to treat  
17 patients that have a need in community-  
18 acquired pneumonia?

19 For a variety of reasons, large  
20 pharmaceutical companies have refocused their  
21 drug development efforts in favor of chronic  
22 diseases. That means that the burden for

1 coming forward to new antibiotics has, in some  
2 respects, fallen to biopharmaceutical  
3 companies and biotech firms, stepping in to  
4 advance promising antibiotics.

5 But I think it is important to know  
6 that regulatory clarity and consistency, which  
7 is what we are discussing today are key  
8 factors in the ability of a biotechnology  
9 company to develop a new antibiotics under the  
10 new paradigm. The new paradigm really is that  
11 large pharmaceuticals companies are aiding,  
12 are partnering smaller biotechnology companies  
13 in bringing new antibiotics forward, in  
14 helping to carry the risk. But most of the  
15 discovery and development in the new  
16 antibiotic field is being carried by  
17 biotechnology firms at this point.

18 It is important to note that the  
19 FDA recognizes this new paradigm, that  
20 biotechnology companies are getting involved  
21 in new drug discovery and development in the  
22 antibiotic field and they are working with

1 firms like ours to help us. They have been  
2 very supportive of our efforts to bring  
3 cethromycin forward. They have been  
4 responsive in answering our questions and they  
5 have also been working to elucidate clarity in  
6 the regulatory process. And we are very  
7 grateful for the workshop that was held in  
8 January and the Advisory Committee meeting  
9 being held now to help guide us in our future  
10 development plans.

11 We were fortunate enough to in-  
12 license cethromycin in 2005 from Abbott  
13 Laboratories. I would like to tell you a few  
14 facts about our development program because I  
15 think it brings for what is required in many  
16 respects to conduct pivotal phase three  
17 trials.

18 We selected community-acquired  
19 pneumonia because we saw it as the most  
20 serious respiratory tract infection. We have  
21 been developing cethromycin to treat mild to  
22 moderate CAP, in order to have a new agent in



1 the pipeline that prevents the progression to  
2 more serious CAP.

3 Because we wanted to avail  
4 ourselves in December of 2005 to the latest  
5 thinking and the correct guidelines for the  
6 design of clinical trials, we met with the FDA  
7 in December of 2005 to confirm non-inferiority  
8 trial designs in CAP. So, we had extensive  
9 discussions with the Agency, submitted our  
10 protocols, went over them. And then, after  
11 approval of the protocols for our two pivotal  
12 phase three trials, began enrollment in our  
13 two trials in early 2006. Over the course of  
14 24 months, we enrolled over 1100 patients at  
15 200 clinical sites worldwide. These two  
16 trials, from start to finish, took us two  
17 years and cost forty million dollars. Each  
18 trial cost about twenty million dollars.

19 The important thing to note is that  
20 clinical trials -- yes?

21 ACTING CHAIR TOWNSEND: About two  
22 minutes.

1 DR. FLAVIN: Thank you. Clinical  
2 trials need to be looked at on the whole. And  
3 I think Dr. Rex started to get at that just  
4 before my presentation. Clinical trial data  
5 in phase three is only part of the  
6 application. Extensive pre-clinical animal  
7 studies, phase one and phase two trials go  
8 into laying the groundwork for a successful  
9 phase three trial program. One needs to look  
10 at pathogen coverage, clinical benefits, the  
11 safety profile, not just the margins of non-  
12 inferiority, although they are important.

13 We found, in our efforts, that non-  
14 inferiority trials are a practical method for  
15 capturing a wealth of information to  
16 demonstrate antimicrobial effectiveness and  
17 safety in CAP. We generated much data, a  
18 variety of endpoints, clinical cure, fever,  
19 all the other clinical signs and symptoms that  
20 we have talked about, as well as a variety of  
21 safety parameters as well, and have this  
22 available for analysis.

1                   Remember this, that if we were  
2                   asked to do a placebo controlled study, it  
3                   would be extremely difficult. From personal  
4                   experience in our program, it would be  
5                   difficult to line up the regulatory agencies  
6                   worldwide, ministries of health, insurance  
7                   companies to ensure your clinical trial  
8                   against the liability of some unforeseen  
9                   event. Physicians, who often have a mind of  
10                  their own as investigators, and patients who  
11                  may or may not want to participate in such a  
12                  placebo controlled trial when they feel  
13                  miserable in going to the clinic.

14                  So we believe then that the non-  
15                  inferiority margins that are currently set up  
16                  have worked in the past. We have gotten  
17                  significant and helpful antibiotics. And  
18                  while it is important to refine our thinking,  
19                  every time we raise the bar in making it more  
20                  difficult, even in mild disease to bring a  
21                  drug forward, it disincentivizes the industry  
22                  that much more. Because if you think about

1           it, it is like the carnival game, and I will  
2           leave you with this thought, where you take a  
3           shot at the basket, you pay three dollars and  
4           take one shot. If you make it you win. If  
5           you don't, you lose. You have one shot. If  
6           you shrink that basketball rim to half its  
7           size, I ask you, will you decide to take that  
8           shot? As the bar continues to rise, more and  
9           more firms shy away from even making the  
10          attempt by investing a hundred million dollars  
11          to bring an antibiotic to market, because of  
12          what lies at the end in terms of phase three  
13          suitability.

14                        So, I ask you to consider the whole  
15          picture, safety, clinical benefit, and  
16          pathogen coverage that is generated by phase  
17          three clinical trial data in making a decision  
18          about the approvability of a new drug.

19                        Thank you for your attention.

20                        ACTING CHAIR TOWNSEND: Thank you  
21          very much, Dr. Flavin.

22                        The next speak will be Dr. Echols.

1           You have twenty minutes, Dr. Echols.

2                         DR. ECHOLS: Good morning, since it  
3           is still morning, not afternoon. I would like  
4           to thank the Committee for the opportunity to  
5           present an industry perspective on clinical  
6           trial design for community-acquired pneumonia,  
7           specifically for those subjects not requiring  
8           hospitalization, what I will refer to as mild  
9           to moderate CAP.

10                        As an employee and officer of  
11           Replidyne which pays for my travel expenses  
12           and formerly of Bristol Myers Squibb and  
13           Bayer, my perspective is based on my  
14           experience in conducting numerous clinical  
15           trials to support NDAs for this indication.

16                        I will begin by summarizing the  
17           main points I would like the committee to  
18           consider. First, CAP represents a continuum  
19           of disease from mild to severe. Infection  
20           severity is not based on bacterial etiology of  
21           the pneumonia but rather the combination of  
22           the progression of the infection and the

1 underlying host factors which include patient  
2 immune defenses and comorbidities. While the  
3 PORT or Fine classification was developed to  
4 predict 30 day all-cause mortality, the score  
5 is based more on patient age and comorbid  
6 conditions than on physiologic perturbations  
7 caused by the infection.

8 Its utility is to identify patients  
9 who can be treated as outpatients with oral  
10 antimicrobials. I will show you data from  
11 recent clinical trials that clearly show that  
12 strep pneumoniae is an important pathogen  
13 across all PORT classes.

14 While there is no contemporary  
15 study on the natural history of untreated  
16 streptococcus pneumoniae pneumonia, one has to  
17 interpret historical data to estimate what the  
18 outcome would be. While survival in an  
19 otherwise healthy patient might be expected,  
20 their clinical course would not be as rapid as  
21 provided by effective antimicrobial therapy.

22 The second point I will demonstrate

1 is that non-inferiority margins can be  
2 established through statistical reasoning and  
3 clinical judgment using clinical response, not  
4 just mortality, as the outcome demonstrating  
5 efficacy. Through a combination of historical  
6 data and contemporary studies, a sufficient  
7 treatment benefit relative to no treatment can  
8 be established.

9 Finally, I would like the committee  
10 to consider that if the treatment benefit of  
11 antibiotics for bacterial pneumonia is large,  
12 then the real question in establishing an NI  
13 margin for future studies is not M1 but M2.  
14 In other words, how much less effective can a  
15 new antibiotic be, relative to the standard of  
16 care? This determination is based on clinical  
17 judgment.

18 This is not the first time this  
19 issue of non-inferiority study design has been  
20 considered by the FDA. In 1992, in a points  
21 to consider document explaining why non-  
22 inferiority studies were expected for the

1 approval of new antibiotics, the Agency made  
2 the following statements. With regard to use  
3 of placebo, it is "ethically unacceptable not  
4 to treat infected patients when therapy is  
5 available." And regarding active controlled  
6 superiority design, "high cure rates make it  
7 nearly impossible or impractical for a new  
8 microbial drug product to demonstrate  
9 statistical or clinically relevant superiority  
10 to an improved comparator agent." At least  
11 with regard to community-acquired pneumonia,  
12 most clinicians and medical ethicists would  
13 agree with these statements today.

14 Notwithstanding that statistical  
15 reasoning that places such inherent value on  
16 superiority trials, I think it is important to  
17 share with you real world experience regarding  
18 placebo controlled superiority studies in  
19 indication such as AECB and acute bacterial  
20 sinusitis. It has taken Bayer four years to  
21 complete a placebo controlled study in ABS in  
22 North America. Our own placebo controlled



1 trial in AECEB has been enrolling subjects for  
2 more than two years. As difficult as patient  
3 enrollment has been at the site level, we have  
4 been sobered by the resistance to placebo  
5 controlled trials by international ethics  
6 committees and ministries of health. These  
7 organizations which function under the same  
8 ICH guidelines as the FDA have a far different  
9 view on the need for superiority trials. The  
10 most common reason for rejection is the fact  
11 that the placebo controlled studies contradict  
12 established treatment guidelines for the  
13 indication being studied.

14 In addition, some European  
15 countries, while accepting the rationale for  
16 establishing definitive efficacy versus  
17 placebo, nevertheless find a study without an  
18 active control of no value and, therefore,  
19 unethical. Imagine what their response would  
20 be for a placebo controlled trial in CAP.

21 Several years ago, I was directly  
22 involved in a large clinical program for an

1 antibiotic which ultimately was not approved  
2 for marketing. This program included seven  
3 CAP trial conducted globally, which enrolled  
4 over 2100 subjects. All trials characterized  
5 patients at baseline by Fine score. Two  
6 trials included only Fine class one and two  
7 treated with orally administered drug in  
8 ambulatory subjects. Two trials involved only  
9 hospitalized subjects initially treated with  
10 intravenous therapy and the other trials were  
11 flexible with regard to location and route of  
12 administration.

13           There was a good recovery of  
14 respiratory pathogens, including 1257 typical  
15 organisms. The distribution by Fine class in  
16 a program where both intravenous and oral  
17 formulations were available shows a great  
18 preponderance of Fine class one and two, 78  
19 percent.

20           In 2003, I presented an abstract at  
21 the annual IDSA meeting on the analysis of the  
22 pooled CAP subjects to determine whether there

1 was a difference in pathogens defined by Fine  
2 class. What we found is that there is very  
3 little difference with regards to specific  
4 microbial etiology across Fine classes.

5 Strep pneumoniae was the most  
6 common typical pathogen for all groups,  
7 followed by Haemophilus influenzae. Among the  
8 atypicals, only mycoplasma pneumoniae appeared  
9 more frequently in Fine class one relative to  
10 the other Fine classes.

11 We concluded that the etiology of  
12 bacterial pathogens was not different across  
13 Fine classes and, therefore, the specific  
14 microbial cause of CAP was not the reason for  
15 differences in mortality observed by the Fine  
16 scores. It is also instructive to recognize  
17 that of the 353 isolates of streptococcus  
18 pneumoniae defined from these CAP studies, 44  
19 percent were from Fine class one.

20 In order to conduct a  
21 scientifically rigorous non-inferiority trial  
22 in CAP, we need to establish the benefit of

1 antimicrobial treatment versus no treatment.  
2 While this cannot be achieved through  
3 contemporary placebo controlled clinical  
4 trials, it is clear to all that antimicrobial  
5 therapy first demonstrated with the  
6 sulfonamides had a profound impact on patient  
7 mortality, due to strep pneumoniae.

8 Evans and Gaisford, as we have seen  
9 before, showed a reduction in mortality from  
10 27 percent to eight percent in two cohorts of  
11 lobar pneumonia. Although the study was not  
12 randomized in a manner we would find  
13 acceptable today, it did have a  
14 contemporaneous and well-matched control  
15 group.

16 Using the sulfapyridine dosing  
17 recommendations of Evans, Flippin et al  
18 reported on a cohort of 100 cases of  
19 documented pneumococcal pneumonia. In  
20 addition to the low four percent mortality  
21 rate, they reported in detail the dramatic  
22 clinical response observed by their patients.

1 Fully 83 percent had a substantial drop in  
2 temperature, followed by a prompt, clinical  
3 improvement in the first 24 to 48 hours.  
4 Their summary at the bottom of that slide, I  
5 think, is very informative. They emphasize  
6 the dramatic nature in the response to  
7 therapy, not just in terms of temperature, but  
8 it was followed by a prompt clinical  
9 improvement.

10           It is helpful to illustrate on a  
11 patient basis what this means. Cecil's  
12 textbook of medicine published in 1942  
13 provides a detailed account of patients who  
14 resolved their strep pneumoniae pneumonia  
15 spontaneously, with only supportive care. The  
16 patient sustained a week of high fever and  
17 respiratory distress until the onset of  
18 crisis, following which, the patient made a  
19 slow recovery.

20           In contrast, a patient treated with  
21 sulfapyridine experienced a dramatic  
22 improvement in clinical signs and symptoms

1           within 24 hours of initiating treatment. It  
2           was this dramatic clinical response, as well  
3           as the decreased mortality, that made it  
4           ethically unacceptable not to treat patients  
5           with pneumonia.

6                         While sulfapyridine, chemotherapy,  
7           and penicillin clearly had an impact on  
8           mortality, using mortality as a primary  
9           endpoint in CAP clinical trials for a new oral  
10          drug is not appropriate or feasible. Can we  
11          ascertain the benefit of antimicrobial therapy  
12          based on clinical response from published  
13          historical data? While Flippin described  
14          clinical response in a cohort of sulfapyridine  
15          treated subjects, there was no control group.

16                        In examining the pre-antibiotic era  
17          data, we discovered an amazing text of  
18          management of the pneumonias written by  
19          Bullowa, which details the natural course of  
20          clinical resolution in 662 patients with  
21          serotype pneumococcal pneumonia. This cohort  
22          of survivors received neither serum therapy

1 nor chemotherapy. From this large dataset, it  
2 is clear that even among patients with less  
3 severe disease, spontaneous resolution does  
4 not occur rapidly. As Dr. Musher explained  
5 yesterday, the fact that these patients were  
6 hospitalized in the 1930's does not mean they  
7 had severe disease.

8 Crisis, the term used to describe  
9 the dramatic drop in fever and clinical  
10 improvement, rarely occurs within 72 hours and  
11 usually takes seven to nine days. In fully 14  
12 percent of patients, resolution in survivors  
13 did not begin before two weeks.

14 An important controlled study by  
15 Agranat et al., was published in the Lancet in  
16 1939. This study included 550 subjects with  
17 community-acquired pneumonia treated in four  
18 South African Hospitals. Similar to the Evans  
19 study, treatment allocation was based on  
20 admission ward. Besides showing a difference  
21 in mortality, the patients treated with  
22 sulfapyridine experienced a much more rapid

1 clinical improvement defined in their study as  
2 pyrexia termination. I will show how these  
3 data can be used to establish a defined  
4 treatment benefit or M1.

5 Here is the same Bullowa data  
6 cohort that was described by Dr. Singer  
7 yesterday of the 662 patients with documented  
8 pneumococcal pneumonia, showing the day on  
9 which they experienced their initial clinical  
10 improvement by crisis. By day three, few  
11 patients have shown objective clinical  
12 improvement. In contemporary clinical  
13 practice, a patient who has shown no clinical  
14 improvement after several days of  
15 antimicrobial therapy would be considered a  
16 treatment failure, and alternative  
17 antibiotics would be prescribed. In other  
18 words, 97 percent of Bullowa's untreated  
19 cohort would be considered treatment failures  
20 in a contemporary assessment of clinical  
21 effect.

22 The Agranat data is even more



1           compelling, since it includes a control group.  
2           By day three, 70 percent of patients receiving  
3           sulfapyridine have experienced initial  
4           clinical improvement, compared to less than 15  
5           percent of the untreated control group. This  
6           difference or treatment benefit is large, 55  
7           percent. The lower boundary of the 95 percent  
8           confidence interval is nearly 50 percent. The  
9           median difference in time to pyrexia  
10          termination is four days. Certainly, a  
11          clinically meaningful difference.

12                    All marketed drugs for approval of  
13          CAP have been assessed in randomized active  
14          controlled clinical trials, where physicians'  
15          clinical assessment or, of cure failure was  
16          determined at a specified time point post-  
17          treatment, often referred to as test of cure.  
18          This clinical assessment is global and takes  
19          into account early clinical improvement, the  
20          normalization of vital signs and laboratory  
21          abnormalities caused by the acute infection  
22          and the absence of clinical relapse once the

1 treatment has stopped. Subjects who receive  
2 alternative antibiotics with activity in CAP  
3 are considered failures.

4 Physician assessment has been  
5 criticized as not being objective. An  
6 alternative method of establishing treatment  
7 effect, particularly in non-life threatening  
8 infections is a patient reported outcome or  
9 PRO. The Lamping patient questionnaire has  
10 been discussed at this meeting as an  
11 alterative method of defining treatment  
12 benefit. One must recognize it does meet  
13 regulatory definition of a PRO, since it is an  
14 administered questionnaire. But more  
15 importantly, it represents a new outcome  
16 measure and thus, a constancy assumption  
17 cannot be verified. There is also no  
18 experience using this instrument in a placebo  
19 controlled trial from which one might derive  
20 a treatment benefit or M1.

21 This slide illustrates the response  
22 curve of the Lamping patient questionnaire

1 when it was used in a randomized controlled  
2 trial called CAP 2000 which compared  
3 moxifloxacin to standard of care, which was  
4 either amoxicillin or clarithromycin or both.  
5 All subjects received oral therapy, mostly as  
6 outpatients. And while knowing the time to  
7 response may be of interest to both sponsors  
8 and clinicians, such an analysis is not  
9 suitable for regulatory approval in CAP, since  
10 there is no evidence it can distinguish  
11 superiority between active therapies and it  
12 would be even more difficult to justify a non-  
13 inferiority margin and establish a study  
14 sample size, based on time to response.

15 So, can we develop a new, more  
16 comprehensive primary endpoint where the M1  
17 benefit established in historical studies is  
18 preserved. Call it a composite clinical  
19 endpoint that captures early response as  
20 clinical improvement within 72 hours, that is  
21 supported by objective measures of vital signs  
22 and symptoms and that is confirmed by clinical

1 assessment to document the lack of relapse,  
2 once treatment is discontinued. Death related  
3 to infection would be considered a treatment  
4 failure. A PRO or patient questionnaire could  
5 be added as a secondary endpoint for mild to  
6 moderate CAP.

7 There is one contemporary clinical  
8 trial, which has been accepted by the FDA as  
9 a demonstration of superiority in clinical  
10 response. The subjects in this trial were  
11 largely defined as having mild to moderate  
12 CAP. More than half were treated entirely as  
13 outpatients and this meant that half of the  
14 cephalosporin group received only cefuroxime.

15 Based on the FDA medical reviewer's  
16 assessment, levofloxacin was superior to the  
17 cephalosporin regimen for both clinically  
18 valuably and microbiologically evaluable  
19 populations.

20 It is important to note that  
21 cefuroxime is not approved for CAP in the  
22 United States and the dose used, 500

1 milligrams, is one-third the dose recommended  
2 in Europe for the initial treatment of CAP.  
3 Thus, while cefuroxime is utilized in this  
4 study may be considered sub-therapeutic, it is  
5 still likely to be better than placebo. This  
6 study is important because it demonstrates the  
7 clinical and microbiologic superiority of  
8 levofloxacin in a contemporary clinical trial,  
9 a study which was carefully reviewed by the  
10 FDA and which allowed a superiority claim in  
11 the package label of levofloxacin.

12           The observed difference of 12  
13 percent for the clinically evaluable  
14 population and 16 percent for the  
15 microbiologically evaluable population  
16 underestimates the real benefit of  
17 levofloxacin versus no treatment, since the  
18 likelihood that the cephalosporin regimen,  
19 which included ceftriaxone in half of those  
20 patients, had some treatment effect.

21           The study is contemporary and  
22 provides substantial microbiologic

1 documentation, including pathogens other than  
2 streptococcus pneumonia. We believe this  
3 study provides one approach for justifying a  
4 non-inferiority margin in mild to moderate  
5 CAP. Specifically, it supports an NI margin  
6 of ten percent for the clinically evaluable  
7 population and 15 percent for the  
8 microbiologically evaluable population.

9 For the contemporary treatment of  
10 CAP with oral therapy, one cannot derive an NI  
11 margin based on previous placebo controlled  
12 studies. They simply do not exist. And based  
13 on high success rates of available  
14 antimicrobials, mortality is not a suitable  
15 outcome parameter for NI design.

16 From the historical clinical  
17 datasets, we should conclude that the  
18 treatment benefit or M1 is large, even for  
19 clinical response in bacterial pneumonia  
20 treated with an appropriate antimicrobial  
21 drug. To determine M2, the question should be  
22 how much less effective than standard of care

1 is clinically acceptable?

2 ACTING CHAIR TOWNSEND: Two  
3 minutes, Dr. Echols.

4 DR. ECHOLS: This requires a  
5 clinical judgment not statistical reasoning.

6 It is okay for a new drug to be not  
7 much worse than the control drug, since the  
8 new drug may have other advantages, such as  
9 the ability to treat resistant organisms or  
10 having a better safety tolerability profile.  
11 It still boils down to benefit-risk  
12 assessment, based on clinical judgment.

13 Before I conclude my comments on NI  
14 margins, it is important to understand what  
15 population of enrolled subjects is analyzed  
16 for the primary efficacy parameter. The FDA  
17 prefers two co-primary populations in their  
18 analysis. In the past, these have been  
19 clinically evaluable and ITT.

20 Currently, the FDA is requesting  
21 the clinically evaluable and mITT, which is  
22 the ITT with positive cultures, estimated here

1 to be about 30 to 35 percent.

2 A study previously sized to show an  
3 NI margin within ten percent with 484 subjects  
4 enrolled would now require nearly 1200  
5 subjects, should this same ten percent margin  
6 be applied to the mITT. However, if the NI  
7 margin applied is 15 percent, the sample size  
8 would be 556. Remember that two CAP trials  
9 are required for approval of this indication.

10 Let me summarize what I have tried  
11 to present as an industry perspective on  
12 clinical trials in CAP.

13 First, we think the evidence  
14 supports the fact that CAP represents a  
15 continuum of disease, not separate entities,  
16 depending on the triaging of patients able to  
17 be treated with oral antimicrobials.

18 Second, while recognizing the  
19 statistical reasoning for superiority trials,  
20 neither placebo controlled nor active  
21 controlled superiority trials in CAP, even in  
22 mild to moderate cap are feasible, even when



1 one looks at alternative outcomes.

2 Third, the NI margins for mild to  
3 moderate CAP can be justified using clinical  
4 judgment and statistical reasoning. A large  
5 treatment benefit for clinical response can  
6 justify an NI margin, and using composite  
7 clinical response parameters, the --

8 ACTING CHAIR TOWNSEND: Thank you  
9 very much, Dr. Echols.

10 The next discussion will be Dr.  
11 Goldhammer.

12 DR. GOLDHAMMER: Thank you very  
13 much.

14 ACTING CHAIR TOWNSEND: Twenty  
15 minutes, Dr. Goldhammer.

16 DR. GOLDHAMMER: Yes, thank you  
17 very much.

18 I have no conflicts to declare,  
19 other than the salary and travel paid for by  
20 my employer. And I am here to present a  
21 perspective from our antibiotic development  
22 technical group.

1                   We welcome the discussion on the  
2                   use of non-inferiority trials. These are  
3                   often required in antibiotic development. We  
4                   agree that a well-developed guidance will be  
5                   helpful to sponsors. However, we have  
6                   concerns with the FDA proposal in two broad  
7                   areas.

8                   We are concerned about the lack of  
9                   detail on practical designs for non-  
10                  inferiority studies, using available  
11                  assessment criteria. And second, we are  
12                  concerned about the decision that agreed upon  
13                  special protocol assessments may no longer be  
14                  valid. These concerns have been communicated  
15                  in detail in our comments to FDA on the draft  
16                  guidance.

17                  Today, my focus will be on the CAP  
18                  study design. And these issues, of course,  
19                  are central to the use of non-inferiority  
20                  trials.

21                  Collaboration among industry,  
22                  regulatory agencies and clinicians is key to

1 bringing new drugs to patients. We all  
2 understand the importance of having novel  
3 antibacterial agents available. Resistance is  
4 progressive and already here for some agents.

5 Generating industry effort and  
6 investment in this area requires opportunities  
7 for both medical and commercial value. We  
8 have heard over the past day and a half about  
9 many of the challenges of CAP trial design.  
10 These challenges are real. Fundamentally, the  
11 data supporting efficacy must be credible.

12 At the same time, a path forward  
13 must offer a feasible approach to CAP.  
14 Demonstrating efficacy in CAP will be  
15 fundamental to the development of new  
16 antibacterials and without this opportunity,  
17 the incentives to develop new therapies in  
18 this area will be further reduced, something  
19 we cannot tolerate.

20 Thus, clarity is urgently needed on  
21 a way forward in CAP. Regulatory uncertainty  
22 impedes drug development.

1                   PhRMA believes that two different  
2 kinds of non-inferiority based approaches to  
3 CAP are required. First, we need a route to  
4 study the more severe CAP in an inpatient  
5 setting. This is the situation in which IV  
6 therapies would be developed. Second and  
7 perhaps most important, we need a route  
8 forward for less severe CAP in the setting of  
9 outpatient care, where an oral agent would be  
10 developed.

11                   It is important that we have both  
12 routes. Not all agents can be developed for  
13 both oral and intravenous treatment. Of  
14 particular note, given the concerns expressed  
15 over the challenges with endpoints and effect  
16 sizes in less severe CAP, do remember that  
17 oral agents are important in overall cost  
18 reduction. Having them available to the  
19 community is a powerful tool for physicians  
20 and an important option for patient.

21                   New tools may be possible, but it  
22 should be viewed as an extension of current

1 approaches, not a replacement. A message that  
2 we must spend some months or years trying to  
3 develop and validate new tools, before  
4 development can proceed on any new drug would  
5 be simply unacceptable to industry.

6 Finally, it should be noted that a  
7 feasible trial size and study durations are  
8 required.

9 Regarding the question or  
10 superiority studies for new drugs in CAP, we  
11 see two principal approaches, neither of which  
12 is feasible. First, superiority designs based  
13 on withholding active therapy are not feasible  
14 because of ethical and safety concerns for  
15 patients. There has been a great deal of  
16 confusion on this point because of the lack of  
17 experience over the past 50 years with the  
18 consequences of untreated CAP in general and  
19 pneumococcal CAP in particular.

20 While not a physician, my personal  
21 experience with CAP 25 years ago was quite  
22 striking. The progression from being in good

1 health to feverish chill and severe chest pain  
2 was striking. Fortunately, the course of my  
3 illness did not progress to blood-tinged  
4 sputum stage. That an antibiotic will alter  
5 the pace and outcome of that process, at least  
6 for me, was incontrovertible.

7 We believe that placebo controlled  
8 studies are simply not possible. IRB approval  
9 is quite problematic and physicians and  
10 patients view these as unacceptable. As noted  
11 in the appendix slides that we have provided,  
12 we know why antibiotics are able to have such  
13 a powerful effect. Our knowledge of the  
14 preclinical in vitro activity, the  
15 demonstration of activities in animal models  
16 of infection and our understanding of the use  
17 of pharmacodynamics to predict drug effect are  
18 more powerful in infection than any other  
19 therapeutic area.

20 If ever there was a setting of high  
21 base in prior probability that the null  
22 hypothesis of no difference is false, this is

1           that setting. This point is worth keeping in  
2           mind and I will come back to it in just a  
3           minute.

4                       For similar reasons, delayed  
5           therapy approaches are not acceptable, as this  
6           disease can progress quite rapidly. It is not  
7           possible to predict those patients who are  
8           bacteremic and waiting for deterioration will  
9           not be tolerated.

10                      Empiric therapy is a central part  
11           of our medical practice for CAP, just as it is  
12           for many other diseases. It is sometimes  
13           suggested that dose-ranging studies can offer  
14           support regarding proof of activity. We do  
15           agree that small hints can be obtained here,  
16           but the kind of dose ranging done in infection  
17           focuses mainly on asking pharmacokinetic  
18           pharmacodynamic questions across a narrow  
19           range of exposures. None of the selected dose  
20           regimens are deemed highly likely to fail and  
21           any observed range of response rates will be  
22           small. We certainly do not think it is

1 reasonable to plan to test using a dose that  
2 is so low as to be tantamount to a placebo.

3 The second possibility for  
4 superiority is the approach of insisting that  
5 a new drug beat an existing drug. While this  
6 is a laudable goal, when we have heard the  
7 suggestion that we study only resistant  
8 isolates and just show superiority, you hear  
9 such ideas that if current drugs work, then we  
10 don't need new drugs. If resistance is big,  
11 new drugs will easily show their value. It is  
12 not that simple.

13 The requirements of good trial  
14 design require us to remove a subject from  
15 study if the infecting isolate is found  
16 resistant to the comparator, as leaving such  
17 patients in the study would not only be unfair  
18 to the patient, but also create a bias  
19 regarding the affect of the control drug that  
20 we are going to remove the study the very  
21 patients for whom it would be possible to show  
22 this type of superiority.



1           But it is only through developing  
2           new drugs that we can prepare for the rising  
3           tide of resistance, a critical issue. One of  
4           the values of new agents is they will offer  
5           reliable empirical therapy. Current drugs  
6           don't always fail.

7           I do note here the burden is not  
8           entirely upon the FDA. Sponsors need to  
9           implement very high quality non-inferiority  
10          trials. Protocol violations must be  
11          minimized. A significant effort to prove  
12          microbiological etiology is needed and prior  
13          therapy should be limited and an adequate  
14          safety database must be generated. All of  
15          these are critical to a sound data package.  
16          We have no choice but to study future drugs in  
17          today's context. We must have the tools to  
18          continue to make this possible.

19          Although imperfect, we do see and  
20          have heard discussed over the past two days  
21          two approaches to endpoints for non-  
22          inferiority trials in CAP that I mentioned

1           previously. For more severe CAP, an approach  
2           that combines mortality with clinical response  
3           can be pursued. For less severe CAP,  
4           mortality should also be studied but mortality  
5           rates will be so small, just a few percent,  
6           that no meaningful comparisons will be  
7           possible or are expected.

8                         We think it is now clear that  
9           clinical response is a valid endpoint. This  
10          approach has been used for the last ten years  
11          and led to the registration of our existing  
12          drugs. It is based on a test of cure over a  
13          short period of time after the end of therapy.  
14          As exemplified in the daptomycin versus  
15          ceftriaxone study, this approach can show the  
16          difference between two drugs.

17                        And it is not just that study.  
18          Exposure-response analyses can also show  
19          differences. We welcome the pooled quinolone  
20          analyses provided by the FDA in the briefing  
21          document are encouraged by the fact that FDA  
22          believes that this approach can yet be another

1 route to estimating placebo size.

2           However, the FDA felt this analysis  
3 was inconclusive because of limited data. We  
4 are certain that more data exist and we have  
5 provided some datasets in the appendix to this  
6 slide set that demonstrate that. And I would  
7 recommend you taking a look at these.

8           We, thus, have every reason to  
9 believe that a consensus effect size estimate  
10 is possible. The daptomycin-ceftriaxone study  
11 gives an absolute minimum effect for that  
12 effect size but it is clearly in large a  
13 reality going from a 70 response rate with  
14 lower AUC/MIC values to a 90 percent response  
15 with higher values.

16           Note that the 70 percent response  
17 rate is going to at least be a little better  
18 than the effect on placebo, at least for some  
19 of the subjects in the lower AUC/MIC cohort  
20 that are getting partially effective therapy.  
21 It is more like the daptomycin situation than  
22 a true placebo.

1                   Finally and as to comparators for  
2                   future studies, the similarity in efficacy  
3                   rates for newer agents is striking and  
4                   encouraging. The Committee is asked to  
5                   comment on whether or not older drugs for  
6                   which was have snippets of placebo-based data  
7                   should be used as comparators. Insisting on  
8                   this would be like taking skepticism to an  
9                   extraordinary level. It would create other  
10                  difficulties, as the FDA briefing document  
11                  notes so clearly on page 34. There are a  
12                  number of difficulties inherent in  
13                  extrapolating from clinical endpoints used in  
14                  these studies to those used in more modern  
15                  studies. Thus, we emphasize the importance of  
16                  really looking at modern data and fully  
17                  utilizing every bit of it.

18                  We can use what we have learned  
19                  over the past several decades about  
20                  microbiology and pharmacokinetics, the  
21                  predictive power of in vitro susceptibility  
22                  testing, the correlations between in vivo

1 models and human response. There are multiple  
2 drugs with similar and strong efficacies.  
3 Ceftriaxone, the respiratory quinolones and  
4 newer macrolides all could be reasonably used  
5 as comparators. This view is similar to that  
6 of the American Thoracic Society and the IDSA  
7 in their joint guideline for CAP. When the  
8 isolate is susceptible, these are all good  
9 drugs.

10 Severity is a tricky thing and we  
11 should not be too quick to draw assumptions  
12 based on PORT or CURB categories. These tools  
13 can produce very misleading results,  
14 especially in young subjects. They are  
15 heavily driven by age. When you are under 30,  
16 it is very hard, indeed, to get much beyond  
17 PORT two.

18 Of additional concern, the scores  
19 don't capture the risk of progression.  
20 Pneumococcus in blood, for example, is  
21 definitely a risk for negative outcomes.  
22 Thus, the approaches to severity should be

1       made less complex, rather than more. We have  
2       been using for some time categories or mild,  
3       moderate, and moderate severe, based on  
4       general clinical judgment. This may be all  
5       that we really can do at present and the ATS  
6       guidelines do offer a plausible approach for  
7       being more systematic here.

8                 Statistical analyses are an  
9       important part of medical research but we have  
10      been struck in recent years by the ascendancy  
11      of quantitative analysis over a combined  
12      approach that starts with biological  
13      reasoning, works from prior probabilities and  
14      adds experimental data and draws meaningful  
15      conclusions.

16                The numbers do not speak for  
17      themselves. They must be placed in context.  
18      Traditional non-inferiority statistical  
19      approaches that employ arbitrary targets, such  
20      as 50 percent effect retention are very  
21      conservative. These are also increasingly  
22      coming under criticism because the approaches

1           can lead to logical inconsistencies. They  
2           also take us away from the real goal  
3           demonstrating efficacy relative to a placebo.

4                       We do understand the importance of  
5           providing adjustments for uncertainty and  
6           appreciate the use of effect retention as an  
7           approach to this. But it is important that we  
8           step back and remember the message of Thomas  
9           Bayes, who points out ever so clearly that the  
10          inductive process by which we analyze  
11          experimental data requires us to think  
12          carefully about the context, about prior  
13          probability, about plausibility, and about  
14          biological logic. If ever there was a  
15          therapeutic area where Bayes's and prior  
16          probabilities work in our favor, this is it.

17                      We do not believe that alternative  
18          ideas such as effect retention likelihood can  
19          be usefully employed here. And there are two  
20          slides in the appendix that cover this.

21                      We do need flexibility in the  
22          guidance to permit the use of such techniques,

1           where appropriate.

2                         In a recent anonymous Lancet  
3           editorial, a comment takes us back to the big  
4           picture where we started a couple of minutes  
5           ago. "The practicalities of running trials  
6           and encouraging industry participation in  
7           antibiotic development should not be forgotten  
8           in the desire of theoretical perfection."

9                         We could not agree more. It would  
10          be possible to announce perfect rules. These  
11          rules would yield infinitely conservative  
12          estimates and produce perfect demonstrations  
13          of activity. However, these would work slowly  
14          but surely to reduce the pace of work in this  
15          area and ultimately reduce development and  
16          production of new anti-infectives.

17                        On the other hand, practical routes  
18          to non-inferiority CAP studies would maintain  
19          industry momentum in antibiotic discovery,  
20          provide convincing support for registration  
21          and provide convincing support for the  
22          validation of new tools, such as patient



1 reported outcomes. We can't make progress  
2 unless we make progress and we won't see any  
3 further work on validating new tools, unless  
4 we have a reason, and that includes industry  
5 sponsorship to validate these tools.

6 Failure is not an option here.  
7 Drug discovery and development takes years.  
8 Once the epidemic of drug resistance is fully  
9 upon us and it is clear in certain drug  
10 classes that it is already here, there won't  
11 be any time left. We will need ten years to  
12 develop new drugs and we have to start now to  
13 study future drugs in today's context. The  
14 lack of feasible development paths for CAP  
15 will further remove resources from antibiotic  
16 development.

17 And there are those who have  
18 responded to our concern about such issues  
19 having a chilling effect by saying that surely  
20 we have misunderstood and surely we will be  
21 happier with a new approach. Well, I can tell  
22 you quite frankly, among our task group, we

1           have not misunderstood. We understand the new  
2           approach and unless it includes the elements  
3           mentioned previously, routes for study of  
4           inpatient and outpatient CAP, routes that  
5           permit reasonable sample size, and routes that  
6           do not require placebo-based studies, then  
7           there will be even less effort put into this  
8           are as in the past.

9                        I come to my last slide in this  
10           brief presentation. I have tried to convey a  
11           sense of overlapping concerns on the part of  
12           industry sponsors who drive and fund  
13           antibiotic discovery and development. The  
14           level of anxiety around this issue right now  
15           is enormous within the sponsored community and  
16           this is a pivotal moment for us all. It is  
17           critical that we get this right. And we must  
18           not decide from a single viewpoint. We must  
19           not make the road forward too narrow.  
20           Voltaire said it well. "Perfect is the enemy  
21           of the good."

22                        And the tools that we need here,

1 the data reviews that we have heard show this  
2 to be the case. We now need to move forward  
3 and act on this but we should not insist on  
4 some arbitrary level of quantitative  
5 perfection. We must recognize the strength of  
6 the data that we do have. We must take full  
7 advantage of the rich and reproducible support  
8 that we have for the effect of antibiotics,  
9 based both on our preclinical ability to  
10 demonstrate effect and the clinical  
11 observations available to us.

12 Sound, well-supported options to  
13 develop new agents do exist, but we must not  
14 replace the working, albeit imperfect process  
15 with an unproven approach that discourages  
16 further drug development.

17 Can we improve on this process?  
18 I'm sure that we can but we are going to have  
19 to do so incrementally and starting from  
20 existing paradigms. And clarity is needed  
21 urgently, as regulatory uncertainty  
22 discourages drug development.

1                   Thanks again for the Committee to  
2                   listen to our thoughts and we look forward to  
3                   working with the FDA on an on-going basis in  
4                   this area.

5                   ACTING CHAIR TOWNSEND: Thank you  
6                   very much, Dr. Goldhammer.

7                   And that is it. Thank you very  
8                   much. So we will take a break for lunch now.  
9                   Please try -- whoops. One more statement to  
10                  read.

11                  Is Dr. Talbot here? Did you want  
12                  to say something real briefly? Thank you, Dr.  
13                  Talbot. You have three minutes.

14                  DR. TALBOT: Okay, thank you.  
15                  George Talbot. I gave my disclosures  
16                  yesterday. So, Hal, if I could have that  
17                  slide from Dr. Gitterman?

18                  My comments yesterday were very  
19                  broad and were, I think, echoed by a number of  
20                  comments today. What I would like to do is be  
21                  a little bit more focused here and hopefully  
22                  make a suggestion that could help the

1 Committee in its deliberations this afternoon.

2 This slide, and I think Dr.

3 Gitterman's approach are to be applauded

4 because it is a very complex area and having

5 a box, a series of boxes, is actually making

6 it, I think, much easier to consider each

7 point independently. What I would like to

8 suggest, however, is that the distinction of

9 oral or outpatient versus inpatient or IV does

10 blur some important issues. Oral drugs will

11 be used in some inpatients and some

12 outpatients may require parenteral therapy.

13 Therefore, what I would like to

14 suggest is that you replace the headers, oral

15 studies and IV studies, with the measure of

16 severity. So oral studies would be replaced

17 by mild CAP and IV studies would be replaced

18 by moderate to severe. And then that leaves

19 aside the question of which route of

20 administration or which location in which the

21 therapy is provided. And then you can move

22 down each of these boxes within that context

1 of the population that is being studied or  
2 treated.

3 The advantage of this is that it is  
4 consistent with the historical data. It is  
5 consistent with clinical practice, as  
6 reflected by the ATS guidelines, for example,  
7 as just mentioned. And it is also consistent  
8 with the label that FDA provides to users,  
9 which talks about CAP of mild severity or  
10 moderate to severe severity. So, hopefully,  
11 the Committee would consider that a useful  
12 suggestion in its deliberations.

13 Finally, two clarifications on the  
14 perspective of IDSA. In speaking about  
15 severity, we agree that PORT criteria are not,  
16 in and of themselves, sufficient. There could  
17 be other approaches. The one we have  
18 suggested in our position paper is to start  
19 with PORT but then to allow a shift from mild  
20 to moderate to severe, for example, based on  
21 other proven pathophysiologic criteria, such  
22 as the need for mechanical ventilation.

1           A second point is that I think we  
2           agree with all the points on the right-hand  
3           side for moderate to severe CAP, right down to  
4           the NI margin. And we also agree that  
5           clinical failure is an appropriate endpoint,  
6           including not only mortality but also other  
7           parameters that have been discussed.

8           On the left side from mild CAP, we  
9           would agree with everything except the  
10          following: superiority not feasible and PRO of  
11          interest but not yet proven, therefore,  
12          clinical failure, including even a small  
13          mortality affect, would be a useful outcome  
14          parameter, with PROs to be studied and  
15          validated and discussed at the next advisory  
16          committee meeting, perhaps in five years.

17          The point being, is that we need  
18          oral drugs now and we need a route to be able  
19          to study them. Thank you very much.

20                    ACTING CHAIR TOWNSEND: Thank you  
21          very much, Dr. Talbot.

22           A statement to read and then we

1 will let you go.

2 The open public hearing portion of  
3 this meeting has now concluded and we will no  
4 longer take comments from the audience.

5 The Committee will now turn its  
6 attention to address the task at hand, the  
7 careful consideration of the data before  
8 Committee, as well as the public comments.

9 So, we will take a break for lunch.  
10 Try to be back here, we are going to try to  
11 get started at 1:00.

12 (Whereupon, at 12:17 p.m., a lunch  
13 recess was taken.)

14

15

16

17

18

19

20

21

22





1 and the problem of antimicrobial resistance.  
2 And also a lot of the discussion here today  
3 has been about clinical trial designs will be  
4 informative so that we can understand and  
5 evaluate new drugs.

6           Clearly, there has been a  
7 tremendous amount of work done by a number of  
8 folks who are trying to look at the  
9 information that is out there and available to  
10 understand treatment effect and I think that  
11 is very valuable to our efforts here today.  
12 There are also differences in the data from  
13 many years ago compared to what is going on  
14 with the current day with regards to clinical  
15 trials and community-acquired pneumonia. But  
16 one of the key questions is, is how much can  
17 we learn from the data from the past and how  
18 can we use that data, given some of the  
19 uncertainties, to inform what it is that we  
20 are doing with clinical trial designs here in  
21 the current day.

22           So this is, I think, a very

1           valuable opportunity for us to hear from the  
2           Committee and to get your advice on to use the  
3           information we have available to us in the  
4           design of current day clinical trials.

5                       And it is important, too, you will  
6           see as we go through the questions, that the  
7           issues here about trial design are very  
8           interrelated. You know, the types of patients  
9           you enroll, the type of endpoints you look at,  
10          they all kind of, are related to each other.  
11          And we have tried to structure the questions  
12          to keep that in mind.

13                      As we work through them, I think it  
14          will be important, too, for folks to be sort  
15          of thinking about the whole package of  
16          informations or questions that we are asking  
17          about in question one and then separately for  
18          question two.

19                      And now just to run through the  
20          questions. I will try and give you some idea  
21          of sort of the process we are envisioning for  
22          the questions, as far as approaching and

1 discussing the issues there.

2 So, for questions one and two, we  
3 provided an initial stem. And clearly there  
4 has been a lot of discussion about other  
5 things too, with regards to treatment effect,  
6 but I will just read the stem from our  
7 question.

8 "To rely on non-inferiority studies  
9 for new drugs to treat community-acquired  
10 pneumonia, we must be able to estimate the  
11 effect size a control drug would have on the  
12 primary endpoint used in the current trial.  
13 The Agency has presented information on the  
14 historical experience that suggest a reduction  
15 in mortality with point estimates ranging from  
16 18 to 25 percent in the observational studies  
17 and from approximately 10 to 19 percent in  
18 controlled studies. These data are derived  
19 from patients with pneumococcal/lobar  
20 pneumonia."

21 The first question deals primarily  
22 with well we either call it inpatients,

1 patients receiving IV drug, or patients with  
2 moderate to severe illness. So question one,  
3 and the first question we asked that folks  
4 vote yes or no. And we also would like to  
5 hear your rationale for either your yes or no  
6 vote.

7 So, number one is, "Can these data  
8 be utilized to select a non-inferiority margin  
9 for a contemporary CAP study for an IV drug in  
10 a hospitalized patients?"

11 And then as we move to the sub-  
12 questions, we would ask that people discuss  
13 and provide their advice on these issues.

14 The first one, "a) To what  
15 severity of pneumonia or types of patients  
16 would it apply and how should severity be  
17 defined?" So, a discussion question.

18 "b) Should a microbiological  
19 diagnosis be necessary for inclusion in the  
20 primary analysis population for the trial and  
21 if so, what organisms should be included?"  
22 Again, a discussion question.

1                    "c) Should strategies be utilized  
2                    to enrich the population for patients with a  
3                    particular microbial etiology?" Again, advice  
4                    for discussion.

5                    "d) Please discuss whether the  
6                    evidence which shows a treatment effect based  
7                    on mortality can be linked to endpoints which  
8                    are used in current non-inferiority CAP  
9                    trials. For example, clinical success or  
10                   failure. And if so, how?" And then we just  
11                   provide a notation. The possible components  
12                   of the clinical failure endpoint might include  
13                   some of the following. Mortality, receiving  
14                   rescue therapy, lack of resolution of clinical  
15                   signs and symptoms such that additional  
16                   antibacterial therapy is administered, a lack  
17                   of resolution of signs and symptoms at the  
18                   time the primary endpoint is assessed.

19                   The E sub-question gets to the  
20                   issue of appropriate comparators. Again, a  
21                   discussion question. "The historical evidence  
22                   for a treatment effect is based on studies

1           which evaluated penicillin, sulfonamides, and  
2           tetracyclines. Given the need to preserve the  
3           treatment effect, and that is the effect of  
4           the comparator agent over placebo or no  
5           treatment in the current day study, what are  
6           appropriate choices for comparator agents?  
7           Please explain the basis and information that  
8           supports the recommendation for comparator  
9           agents for a future study."

10                         And then F gets to the issue of a  
11           non-inferiority margin. "What is your best  
12           estimate of the treatment effect size (M1)  
13           that the historical data support for treatment  
14           of hospitalized CAP (based on severity  
15           selected in part A of this question above) in  
16           a future CAP trial? And what is your  
17           recommendation for a non-inferiority margin  
18           that preserves a portion of the treatment  
19           effect, M2, for a CAP trial in this population  
20           with the endpoints discussed above?"

21                         Question two moves more towards  
22           outpatient or oral therapies or also sort of

1 similarly defined mild to moderate community-  
2 acquired pneumonia. And the stem starts out,  
3 "Given the information presented mostly from  
4 historical data on the treatment effect of  
5 drugs for community-acquired pneumonia in  
6 patients with pneumococcal/lobar pneumonia,  
7 please address the following questions on  
8 trials of outpatient CAP (studies using an  
9 oral drug)."

10 Part A. "Can a treatment effect be  
11 reliably quantified for a non-inferiority  
12 study of outpatient CAP for an oral drug?"  
13 And we would ask that you vote yes or no on  
14 this question and provide the rationale that  
15 supports the yes or no vote.

16 And then the little i through  
17 triple i sub-bullets are discussion points.

18 "i. To which patient  
19 population would this information apply with  
20 regards to disease severity and  
21 microbiological etiology?

22 ii. What endpoint(s) should be



1 utilized?

2 iii. What is the proposed non-  
3 inferiority margin and what data support the  
4 proposed non-inferiority margin?"

5 The B question, we would also ask  
6 that folks vote on this question and provide  
7 a rationale for the yes or no vote. And this  
8 question asks about placebo-controlled trials.  
9 "Can placebo-controlled trials be carried out  
10 in less severely ill patients with community-  
11 acquired pneumonia? If yes, how can risk to  
12 patients be minimized? What patient  
13 population could be enrolled and what  
14 endpoints could be evaluated?"

15 And then the C question is a  
16 discussion question. "Can you suggest any  
17 alternative study designs that could be  
18 utilized which would allow for an informative  
19 trial of outpatient community-acquired  
20 pneumonia for an oral drug to be conducted?  
21 And if so, please describe."

22 Question number three moves to the

1 question of if you have data from severe  
2 illness and how that might inform the use of,  
3 for instance, an oral drug for less severe  
4 disease. And it reads, "In a setting of  
5 hospitalized CAP as described in question one  
6 above, one could study therapy with an  
7 intravenous formulation administered initially  
8 with subsequent 'step down' therapy to an oral  
9 formulation as a means to support the use of  
10 the oral and IV formulations for severe  
11 disease. This leaves the question of whether  
12 the finding of efficacy for severe CAP would  
13 provide evidence of efficacy that could be  
14 used to support efficacy of the oral  
15 formulation for less severe, for example, mild  
16 to moderate CAP. Do you believe the finding  
17 of efficacy in more severe CAP supports the  
18 drug's effect in less severe CAP, even though  
19 the drug has not been directly studied in less  
20 severe CAP?" And we would ask that folks vote  
21 yes or no on that question and provide their  
22 rationale.

1                   And then question number four. "If  
2                   the available evidence for settling a non-  
3                   inferiority margin in current CAP trials is  
4                   derived primarily from studies of patients  
5                   with community-acquired pneumonia due to  
6                   Streptococcus pneumoniae, should non-  
7                   inferiority studies include patients with  
8                   other etiologies of community-acquired  
9                   pneumonia?" We ask that you vote yes or no on  
10                  that question and provide your rationale.

11                  And then, if the answer is no, the  
12                  question goes on, "If not, what additional  
13                  studies are needed to show that antibacterial  
14                  drugs are effective for specific organisms?  
15                  When addressing this question, please consider  
16                  the following organisms." And we have listed  
17                  some of the organisms that we typically see in  
18                  community-acquired pneumonia.

19                  And with that, I will turn it over  
20                  to Dr. Townsend.

21                  ACTING CHAIR TOWNSEND: Thank you  
22                  very much. Before we actually get into the

1 questions, I have a request from Dr. Temple if  
2 he is up for it, if he would be willing to,  
3 again, for the benefit of those on the panel  
4 who are not quite up to the statistical stuff,  
5 like myself, go back over what you mean, what  
6 is meant by M1 and M2 and the preservation of  
7 the treatment effect.

8 DR. TEMPLE: Okay, remember, this  
9 is not statistical. Tom does statistics.

10 We call, this is just a  
11 nomenclature thing. We call M1 a non-  
12 inferiority margin that represents the entire  
13 effect of the active control. And what we are  
14 always testing in a non-inferiority study is  
15 whether you can exclude a difference between  
16 the treatments that is bigger than the non-  
17 inferiority margin. And the non-inferiority  
18 margin is usually the difference between the  
19 control drug and the test drug. That is, how  
20 much better is the control drug than the test  
21 drug. And if the difference, C minus T, is  
22 more than M1, then there is no evidence that

1 the test drug has any effect left at all. So  
2 that is what M1 is.

3 But as has been discussed before,  
4 the whole reason you can't use a placebo is  
5 that you value the effect of the test drug,  
6 you don't want to lose too much of that  
7 effect. So usually in an active control  
8 setting, you set something else called M2,  
9 which is a clinically judged difference that  
10 you are willing to be the difference that gets  
11 ruled out. And in a lot of cardiovascular  
12 trials, that will be half the effect and that  
13 is partly a practical matter because if you  
14 calculate sample sizes trying to preserve 75  
15 percent of the effect, you get up to fifty,  
16 sixty thousand and nobody can do that.

17 In this case, and in antibiotics  
18 generally, where the effect is large, you can  
19 be more demanding. And so, people have thrown  
20 around the idea that ten percent, Don whatever  
21 your endpoint is, might be good enough, that  
22 is if you rule out a difference of ten percent

1           between the active control and the test drug,  
2           you will be happy.

3                           And I want to add again that that  
4           is ruling out at the end of a 95 percent  
5           confidence interval, there is going to be, to  
6           succeed in that, the point estimates almost  
7           have to be on top of each other. Otherwise,  
8           you are not going to be able to show it. So  
9           it is not as loose as it first seems to be.  
10          Okay? How is that?

11                          ACTING CHAIR TOWNSEND: To further  
12          clarify, to go back to, I think, your last  
13          point there, so about how much difference  
14          would you need in endpoints to be able to say  
15          that we have ruled out an M2 of ten percent or  
16          more?

17                          DR. TEMPLE: Well, in this case you  
18          are going to look at, let's say the endpoint  
19          is some success criterion. Okay? So you are  
20          going to see what the difference between the  
21          control drug and the test drug is. And if,  
22          let's say it's zero, let's say the point

1 estimates are identical, you then figure out  
2 what the confidence interval for that  
3 difference is, which Tom will tell you how to  
4 do, but it depends on the number of patients  
5 in the trial. If it is a very small trial,  
6 the confidence interval is going to be large  
7 and the upper bound of it won't rule out a  
8 difference of ten. But if the study is good  
9 sized, then you will be able to say, I am 95  
10 percent sure the difference between them is  
11 not as large as ten and then you are happy.

12 So that is really determined by  
13 sample size and whether there is a trend. I  
14 mean, as everybody knows, the best way to  
15 prevail in a non-inferiority study is to be  
16 slightly better. So if the test drug is  
17 actually somewhat better at this endpoint than  
18 the control drug, then it is going to be easy  
19 to show that the upper bound of the 95 percent  
20 confidence interval for the difference rules  
21 out this difference. And if you are almost  
22 significantly better, then it is a walk. It

1 is a piece of cake. Okay?

2 DR. FLEMING: Just maybe to add  
3 with just a very simple answer to your last  
4 question, you were saying, if you have a  
5 margin in place, what estimate of effect  
6 actually is a success?

7 So if you had a ten percent margin  
8 in place and let's say that was based on a  
9 control standard antibiotic that had a 15  
10 percent mortality and you had a ten percent  
11 margin, you are ruling out that that 15  
12 percent mortality could be more than 25  
13 percent on this new therapy. And you  
14 successfully do that when your estimate is  
15 about three percent difference. So you would  
16 still win when your therapy has an 18 percent  
17 mortality and the standard has a 15 percent  
18 mortality.

19 Now, if you were using the relative  
20 risk scale that we talked about where, instead  
21 of calling it an absolute difference of ten  
22 percent, you were using a relative risk, we



1           want to rule out that you have what we were  
2           talking about yesterday, a 67 percent relative  
3           increase, you would win when you had no more  
4           than about a 30 percent relative increase but  
5           you would win then, if you had a 90 percent  
6           power trial.

7                         So essentially, your estimate for  
8           a win has to be approximately, let me just  
9           give you an approximate sense, only a third of  
10          the way up to that margin from no difference.  
11          But it is allowing you to win not only when  
12          you are estimating that you are the same, you  
13          can estimate that you are a little bit worse  
14          and still win. That is essentially how it  
15          would work.

16                        DR. TEMPLE: The one thing I should  
17          add, the determination of M1 is supposed to be  
18          data-based. We recognize that when you are  
19          delving into the past things aren't going to  
20          be perfect and so on. But there needs to be  
21          a cogent database basis for saying what that  
22          margin is.

1 M2 is very much a clinical  
2 judgment. You get to decide. And that also,  
3 I must say to me, means it is very hard to be  
4 too flexible, once you have decided what M1 is  
5 on something that doesn't rule that out.  
6 Because now you are talking about possibly  
7 having no effect at all. Well, that is not  
8 going to come up here because the effect is  
9 large, but in other settings that is true.

10 On M2, you know, ten percent, if it  
11 was really 11 percent, would you panic? So  
12 there is some intellectual flexibility on that  
13 because it is a clinical judgment.

14 ACTING CHAIR TOWNSEND: Thanks very  
15 much. Any of the Committee members need any  
16 other questions clarified before we get into  
17 the questions? Dr. Dowell.

18 DR. DOWELL: I'm just looking at  
19 this first question. You said we were going  
20 to vote yes or no. And it is hard to vote yes  
21 or no on two questions at the same time. So  
22 you say an IV drug in hospitalized patients

1 but it was pointed out that an IV drug could  
2 be used in outpatients in some settings. Some  
3 hospitalized patients might have an oral drug.  
4 And then you said maybe we would be calling  
5 this moderate or severe.

6 So my request would be to clarify  
7 are we voting yes or no on an IV drug, or on  
8 hospitalized patients, or on moderate to  
9 severe?

10 DR. COX: Yes, I am, in essence,  
11 using those terms sort of as surrogates of one  
12 another, if you will. So I am thinking of  
13 these are the sicker patients. These are the  
14 more severely ill patients. And I think this  
15 is one of the points where, you know, Dr.  
16 Talbot asked for some clarification, but this  
17 is, I would describe this as patients who are  
18 moderately to severely ill. Sicker patients,  
19 maybe that is the key.

20 Does that help?

21 DR. DOWELL: No. You are still  
22 asking us to vote yes or no on more than one

1 question at the same time.

2 ACTING CHAIR TOWNSEND: I think if  
3 we use moderate to severe as sort of our  
4 guideline to answer this question. Is that  
5 what you are looking for, Dr. Cox?

6 DR. COX: So we are asking can a  
7 non-inferiority margin for a contemporary CAP  
8 trial be set? And that is for a patient  
9 population that has moderate to severe  
10 illness. We are asking -- so the question  
11 really focuses on whether a non-inferiority  
12 margin can be set.

13 ACTING CHAIR TOWNSEND: Dr. Rex?

14 DR. REX: What endpoint?

15 DR. COX: Well, I think when you  
16 answer the question, you have to have the  
17 subsequent sections already in mind because I  
18 think that is the key here. And I think that  
19 is one of the things that makes this difficult  
20 is that it relates to the endpoint, the  
21 patient population you are studying, what the  
22 margin might be. That all fits together.

1                   So I think you have to sort of  
2                   think through the sub-questions to be able to  
3                   answer the first question because it is all  
4                   interdependent.

5                   ACTING CHAIR TOWNSEND:   Dr.  
6                   Fleming?

7                   DR. COX:   So you could -- right.  
8                   If you can do it for any endpoint well then,  
9                   that would be valuable.   We would like to hear  
10                  what that margin would be, what that endpoint  
11                  would be, what that population would be.

12                  So the answer to the first part of  
13                  this, you know, part of the thinking has to be  
14                  what is this entire package of pieces that  
15                  would fit together that got you to your yes  
16                  answer.   So we are trying to understand your  
17                  rationale and thinking in the subsequent  
18                  questions.   But all of that comes into the  
19                  first part.

20                  DR. FLEMING:   So the logic to this,  
21                  as I had understood it, correct me if I am not  
22                  understanding this, is that you had a lead

1 paragraph that talked about the historical  
2 data. That paragraph was talking about  
3 historical data on mortality. Then you were  
4 asking us in the question, could you, could  
5 these data on mortality be used to select a  
6 non-inferiority margin? I thought it was  
7 implicit that you would mean mortality.  
8 Because then under Part D, you then ask could  
9 in fact this evidence be used to link to other  
10 endpoints.

11 So I thought the logic of this was  
12 you were reminding us of the historical  
13 mortality data. Then in question one, asking  
14 whether those data could be used to define a  
15 margin. Then under Part D, could in fact  
16 there be with those data, other endpoints that  
17 you would have a margin. Is that a correct  
18 understanding of your question?

19 DR. COX: I think, we provided the  
20 information in the stem because we thought it  
21 was valuable information to understanding  
22 treatment effect.

1           The question as I view it, for one,  
2           is more general. You know, can you do a non-  
3           inferiority study in this population of  
4           patients. So if there are, you know, a  
5           particular committee member's idea of what  
6           this study would be would include a different  
7           patient population, a different endpoint, I  
8           mean, I think, we are trying to figure out,  
9           you know, the first question, can you do a  
10          non-inferiority study, and if so, what is it  
11          that you envision being the components of that  
12          non-inferiority study. Is that fair?

13           DR. FLEMING: So then after we  
14          vote, yes, we would come back and answer that  
15          other.

16           ACTING CHAIR TOWNSEND: Dr. Rex.

17           DR. REX: To paraphrase then, you  
18          are really asking -- Steve Gitterman put up a  
19          slide where he had a series of questions. If  
20          you can envision being able to put something  
21          in each one of those boxes that makes sense to  
22          you, then the answer to this question is yes.

1                   And then, the subsequent discussion  
2                   is going to be what do you put in the boxes?  
3                   And maybe there are several columns of boxes,  
4                   but can you envision at least a yes answer?

5                   DR. TEMPLE:   Actually, the answer  
6                   is yes if you can fill in any box.

7                   DR. REX:    But don't you have to be  
8                   able to fill in the whole column?

9                   DR. TEMPLE:   We will get to that.  
10                  That is what he is asking but if you thought  
11                  there was some endpoint, some category of  
12                  people, then the answer to that is yes.  And  
13                  then the other questions go on to ask who do  
14                  you think this applies to, what endpoints,  
15                  etcetera, etcetera.

16                  ACTING CHAIR TOWNSEND:  Okay,  
17                  great.  Thanks very much for clarifying.  
18                  We'll get started.

19                  So okay, most committee members, I  
20                  think, probably know how this works but just  
21                  a reminder.  What I will do is I will read the  
22                  question in for the record and then I will go



1           around the room and ask the committee members  
2           to vote yes or no and then to give some  
3           clarification on your vote. And then we will  
4           have some time for discussion.

5                         Remember that we, for all intents  
6           and purposes, will be concluding about 4:30.  
7           Most people are getting taxis out of here  
8           around 4:30. So we have to keep that time  
9           constraint in mind. Okay?

10                        First question. And Dr. Rex, you  
11           are not a voting member. Correct? Okay. So  
12           Dr. Wong-Beringer, I will be starting with  
13           you.

14                        For questions one and two. "To  
15           rely on non-inferiority studies for new drugs  
16           to treat community-acquired pneumonia, we must  
17           be able to estimate the effect size a control  
18           drug would have on the primary endpoint used  
19           in the current trial. The Agency has  
20           presented information on the historical  
21           experience that suggests a reduction in  
22           mortality with point estimates ranging from 18

1 to 25 percent in the observational studies and  
2 from approximately 10 to 19 percent in  
3 controlled trials. These data are derived  
4 from patients with pneumococcal/lobar  
5 pneumonia."

6 Question number one. "Can these  
7 data be utilized to select a non-inferiority  
8 margin for a contemporary community-acquired  
9 pneumonia study for an IV drug in a  
10 hospitalized/moderate to severe patients?"

11 DR. WONG-BERINGER: My answer is  
12 yes for those with severe pneumonia. And that  
13 would be the type of patients where this can  
14 be applied to.

15 How it should be defined, I think  
16 I would agree that we start with the PORT, the  
17 severe index but that needs to be augmented  
18 with the additional physiologic parameters.  
19 I think for one ICU admission, mechanical  
20 ventilation, the need for that, those are  
21 criteria that I think would define that.

22 I do think that we need to dedicate

1 extra effort in enriching this patient  
2 population because looking at the studies that  
3 were very well summarized by Dr. Nambiar, we  
4 have very few patients with severe pneumonia  
5 in those trials for us to see a real clear  
6 difference in these drugs, particularly for  
7 this group of patients.

8 ACTING CHAIR TOWNSEND: Okay.  
9 Point of clarification and protocol. So I am  
10 going to ask everybody who wants to vote yes  
11 to raise your hand and then I will ask  
12 everybody who wants to vote no to raise your  
13 hand to question one. Just question one, then  
14 we will do the discussion.

15 Again. Can these data be utilized  
16 to select a non-inferiority margin for a  
17 contemporary CAP study for an IV drug in  
18 hospitalized patients or patients with  
19 moderate to severe pneumonia? So I will ask  
20 you to both raise your hand and also to state  
21 your name.

22 DR. WONG-BERINGER: Wong-Beringer,

1           yes.

2                   MR. MAKOWKA:   Ken Makowka, yes.

3                   DR. DOWELL:    Scott Dowell, yes.

4                   DR. MUSHER:    Daniel Musher, yes.

5                   DR. PATTERSON:  Jan Patterson, yes.

6                   DR. VENITZ:    Jurgen Venitz, yes.

7                   DR. CALHOUN:   Bill Calhoun, yes.

8                   DR. KAUFFMAN:  Carol Kauffman, yes.

9                   ACTING CHAIR TOWNSEND:  Greg

10           Townsend, yes.

11                   DR. FLEMING:   Thomas Fleming, yes.

12                   DR. WIEDERMANN:  Bud Wiedermann,

13           yes.

14                   DR. FOLLMANN:  Dean Follmann, yes.

15                   DR. WHITNEY:   Cindy Whitney, yes.

16                   ACTING CHAIR TOWNSEND:  Okay, that

17           makes it unanimous.  I am assuming nobody is

18           voting no.  So we are going to now give

19           members opportunities to discuss their votes.

20                   So Dr. Wong-Beringer, I'm sorry I

21           cut you off.  You were discussing your

22           justifications for your answer.

1 DR. WONG-BERINGER: You want me to  
2 repeat it?

3 ACTING CHAIR TOWNSEND: No. You  
4 are good. Just start up from where you  
5 stopped.

6 DR. WONG-BERINGER: I would also  
7 add that for a particular microbial etiology,  
8 I think with the change in epidemiology we  
9 need to also consider the drug's effect for  
10 MRSA organism as well. And I will stop here.

11 ACTING CHAIR TOWNSEND: All right.  
12 Should we go on and ask all of the questions  
13 under this or do we go around and --

14 DR. COX: Yes, I think, I mean, as  
15 we are working through, I mean, maybe the most  
16 efficient way to do it would be, you know, we  
17 have answered question one and then if folks  
18 are ready, if they wanted to then run through  
19 A through F --

20 ACTING CHAIR TOWNSEND: Okay.

21 DR. COX: In their discussion  
22 portion is that --

1 DR. VENITZ: For each one of us to  
2 discuss the rationale for --

3 ACTING CHAIR TOWNSEND: Right.

4 DR. VENITZ: -- before we proceed.

5 DR. COX: Yes, you are right. I  
6 apologize. Yes, let's get the rationale for  
7 one and then we will go back and do the other  
8 ones. Thank you.

9 DR. VENITZ: Okay.

10 ACTING CHAIR TOWNSEND: All right.

11 Dr. Makowka?

12 MR. MAKOWKA: Yes. I also agree  
13 that there is not enough information regarding  
14 the most severe patients. As a cancer  
15 survivor, knowing a lot of -- in running a  
16 support group, I see a lot of people who are  
17 on chemotherapy who are very susceptible to  
18 pneumonia. I have had a lot of friends die  
19 from the diagnosis of pneumonia when really it  
20 was chemo-induced.

21 ACTING CHAIR TOWNSEND: Thank you.

22 Dr. Dowell?

1 DR. DOWELL: I don't have anything  
2 to add, other than what I said before about I  
3 think it is important to clarify whether we  
4 are voting yes on severe pneumonia or  
5 hospitalized patients or IV drugs. Less for  
6 this issue than when we get to the oral versus  
7 mild versus outpatient question. Because that  
8 will be really important what the thing is  
9 that we are voting then.

10 ACTING CHAIR TOWNSEND: Thank you.  
11 Dr. Musher?

12 DR. MUSHER: I would like to add to  
13 Dr. Dowell's point. I think that the wording  
14 in that question should be whether the data  
15 can be utilized to select a non-inferiority  
16 margin for mortality differences. Because it  
17 really is only for mortality, the historical  
18 data, in my opinion. And that is for  
19 mortality differences in moderately severe or  
20 severe community-acquired pneumonia. So if I  
21 had my choice, I would reword the question.  
22 And I think that that probably more accurately

1 reflects the view of the Committee.

2 DR. KAUFFMAN: Not necessarily. I  
3 don't think we all believe we should put the  
4 word mortality in there.

5 DR. MUSHER: Okay.

6 DR. KAUFFMAN: I think it's better  
7 the way it is.

8 DR. MUSHER: I'm sorry. The  
9 moderately severe or severe is what people do  
10 agree on. I think it should be mortality  
11 because I think that that is the only basis  
12 for anything historical. The other stuff is  
13 just not there and we just have to develop  
14 clinical criteria and study them.

15 ACTING CHAIR TOWNSEND: Thank you.  
16 Dr. Patterson?

17 DR. PATTERSON: I voted yes because  
18 it is the best data that we have, you know, to  
19 compare it to non-treatment. And we are not  
20 going to have an opportunity to study that  
21 again. But I think the key term here is  
22 utilized. I think it should be utilized but



1 not accepted totally as the non-inferiority  
2 margin itself.

3 And I don't think we should limit  
4 the responses to just mortality. We need to  
5 look at other things in clinical response.  
6 You know, that was a different population in  
7 a different time 50 years ago and so we can  
8 utilize it.

9 ACTING CHAIR TOWNSEND: Thank you.  
10 Dr. Venitz?

11 DR. VENITZ: After the past day and  
12 a half, I think it is reasonable to come up to  
13 a couple of conclusions that I would like to  
14 share with you that led me to my vote.

15 Number one, I think we are dealing  
16 with a class of drugs that has a low placebo  
17 effect and has a large treatment effect.  
18 Which to me also means that, by implication,  
19 the HESDE, I think is what you call it, the  
20 assay sensitivity is high, compared to some of  
21 the other diseases that you mentioned.

22 And this is, obviously, based

1 primarily on mortality data. But in my  
2 opinion, I think that can be translated  
3 reasonably into other outcomes data, such as  
4 resolution of symptoms. So to meet clinical  
5 cure would be comparable, even though the  
6 literature hasn't actually studied that, then  
7 I can substitute mortality for clinical cure.

8 Okay, so my answer was based  
9 partly, at least, on the fact that you are  
10 using clinical cure as an endpoint that you  
11 could use the literature from 50 plus years  
12 ago to select an inferiority margin based on  
13 the same difference in mortality and translate  
14 that difference then into clinical cure  
15 differences. So you have some idea what M2  
16 should be.

17 ACTING CHAIR TOWNSEND: Thank you.  
18 Dr. Calhoun.

19 DR. CALHOUN: Thanks. So my vote  
20 was yes, based on the magnitude of the effect  
21 size that we saw in the early studies and the  
22 ongoing clinical validation of experience with

1 antibiotic use in patients with pneumonia.

2 And that is really the basis.

3 ACTING CHAIR TOWNSEND: Thank you.

4 Dr. Kauffman.

5 DR. KAUFFMAN: I voted yes also  
6 based on the descriptive studies from decades  
7 ago, which I think are helpful. I think that  
8 we should study patients categorized initially  
9 probably by PSI scoring. But I think  
10 modification of that is needed as an ATS  
11 representative suggested and also the IDSA  
12 representative suggested.

13 And I think using criteria such as  
14 were done in the recent daptomycin study where  
15 they made it clear that you exclude patients  
16 who are going to be dead within 48 hours. In  
17 other words, really severely ill patients who  
18 are already septic. I think you don't want  
19 those in a study but you want severe enough  
20 patients who have a modest chance of survival.

21 So I think that is clearly doable.

22 ACTING CHAIR TOWNSEND: Thank you.

1 I also voted yes and I think for about the  
2 reasons that the other members have already  
3 articulated. I will say that I am very  
4 convinced on the, you know, you are talking  
5 about non-inferiority studies. You are  
6 talking about really meeting two criteria.  
7 One of which is if the study meets the  
8 historical evidence of significant drug  
9 effect, which I am fairly convinced that we  
10 have for community-acquired pneumonia trials.  
11 The other bit of information that you need is  
12 the constancy assumption to make sure that  
13 that is valid.

14 I am a little bit more wary of that  
15 than I am of the HESDE. So there are  
16 certainly some data suggestive that would see  
17 similar results today, as we saw 50 to 60  
18 years ago. And clearly, we are not going to  
19 get any other data.

20 So I am willing to accept that we  
21 have some information suggesting that  
22 treatment effect in 2008 would be comparable

1 to treatment effect in 1939.

2 DR. FLEMING: I would agree with  
3 what I think George Talbot had indicated, as  
4 well as a number of others, that I might have  
5 preferred the question to have been written in  
6 terms of level of risk or severity, rather  
7 than specifically hospitalization outpatient.

8 But specifically in the context of  
9 patients that have sufficiently severe risk of  
10 mortality in the range of 15 percent, the  
11 data, I think clearly establish the  
12 appropriateness of a non-inferiority trial  
13 with a margin probably in the range of ten  
14 percent. And I think it is rational to  
15 extrapolate that to a moderate to severe  
16 population in the relative risk context. Then  
17 we would essentially be ruling out an excess  
18 increase of 67 percent or a relative risk of  
19 1.67.

20 And I would say this remains an  
21 extremely important issue, as we look at slide  
22 22 from Wunderink. Mortality hasn't changed

1           since 1950. Mortality remains an important  
2           issue in CAP and we have been reminded it is  
3           also still the sixth most significant cause of  
4           mortality.

5                        So it is still a highly clinically  
6           relevant endpoint, as well as one that  
7           historical data provide us the best sense as  
8           to what the effect of the active comparator  
9           is, giving us a basis to do a valid non-  
10          inferiority assessment.

11                      DR. WIEDERMANN: Let me try to run  
12          through a few of these items without repeating  
13          too much. I would just remind people the PORT  
14          score was developed and validated for a reason  
15          other than what we proposed to use it for. So  
16          it is an un-validated tool for our purposes  
17          and therefore, I agree that we should modify  
18          it, as it would make more sense.

19                      I do favor having microbiologic  
20          diagnoses for data analysis very strongly.  
21          Community-acquired pneumonia is a very  
22          heterogeneous group. If we come up with one

1 number to summarize outcomes regardless of  
2 etiology, that summary number may actually not  
3 be the true number for any one of those  
4 different groups. So I recognize, certainly,  
5 I see every day what we deal with in the real  
6 world. But I think for purposes of drug  
7 approval, we need microbiologic definitions of  
8 the case.

9           And certainly, the daptomycin  
10 article is a roadmap for enrichment. I would  
11 also, as I read it anyway, it is also a  
12 roadmap to beware about prior effective  
13 therapy because that obscured the inferiority  
14 results. So the patients who received prior  
15 effective therapy, it clouded that  
16 observation.

17           And certainly there are situations  
18 where I can think of where secondary endpoints  
19 are actually going to be more helpful than  
20 mortality because as we have all said, we are  
21 going to ideally declare a treatment failure  
22 and get the patient out of the study before

1 mortality happens. So some of these secondary  
2 endpoints are very important.

3           You know, I am happy with F and  
4 what has been said for M1 and M2 in the  
5 moderate to severe illness. And I would just  
6 say for a comparative drug, I haven't heard  
7 anybody mention whatever you call it, the  
8 creep effect with non-inferiority trials, but  
9 if the comparator agent is always an agent  
10 that has been approved in a non-inferiority  
11 trial, if you have guessed wrong on the M1 and  
12 M2, eventually you will creep to potentially  
13 approving a drug that is no better than  
14 placebo. So that is why a lot of the angst  
15 that comes from this is from that fear.

16           ACTING CHAIR TOWNSEND: Thank you.  
17 Dr. Follmann?

18           DR. FOLLMANN: So I will just add  
19 a little bit to what people are saying, which  
20 I mostly agree with. To answer part 1(a), to  
21 what type of severity, I think, you know, we  
22 are picking margins based on historical data



1           which looked at death rates. And so to make  
2           that extrapolation, I think we are most  
3           comfortable if we can have a study that had  
4           similar death rates to what we saw in the  
5           past. And so that is very, that requires  
6           enrolling patients who have pretty severe CAP  
7           so we could achieve a mortality rate of around  
8           15 to 20 percent.

9                       Having said that, I also agree with  
10          the point Tom Fleming made about the ten  
11          percent margin really should be viewed more in  
12          a relative risk setting. So I am concerned,  
13          for example, if we, with good intentions,  
14          trying to find inclusion criteria so we have  
15          a 20 percent death rate and choose a ten  
16          percent margin and then, for whatever reason,  
17          we end up with a much lower death rate and a  
18          ten percent margin, I think that would be a  
19          prescription for an uninterpretable study.  
20          And so, I think it is essential that we look  
21          at a relative risk view with a margin.

22                       So if you want to say a 50 percent

1 or a 67 percent increase in the relative risk  
2 of death, that is something I would be  
3 comfortable with. This is amplifying on a  
4 comment that Tom made. And I think those are  
5 the main points I wanted to bring.

6 ACTING CHAIR TOWNSEND: Thank you.  
7 Dr. Whitney?

8 DR. WHITNEY: Just to clarify, are  
9 we going to go through the A, B, C next?  
10 Okay, so you just want a general comment.

11 ACTING CHAIR TOWNSEND: Right. You  
12 are welcome to go with anything --

13 DR. WHITNEY: I don't know that I  
14 really have that much to add to what has been  
15 said already. I liked especially some of the  
16 comments about how it is important to study  
17 this in moderately to severely ill patients.  
18 But I also think we need to go beyond this  
19 mortality endpoint and have other endpoints we  
20 can work with as well.

21 ACTING CHAIR TOWNSEND: All right,  
22 thank you. So if we could now begin to answer

1 the sub-questions.

2 We have already heard some comments  
3 on how to define the severity of pneumonia on  
4 the type of patients that a study like this  
5 would apply to. A couple of members have  
6 already indicated that they would favor using  
7 sort of a modified PORT system; using the PORT  
8 criteria but also adding on some physiologic  
9 markers of severity, such as admission to the  
10 intensive care unit, the need for mechanical  
11 ventilation.

12 There were other ideas about how to  
13 assess the severity or what scale to use to  
14 place patients into the moderate to severe  
15 category.

16 Dr. Rex?

17 DR. REX: Not a vote but I think  
18 the data we have seen suggests that if the  
19 patient has a syndrome that is strongly  
20 suggestive of bacteria etiology, even better  
21 if you have a bacterium, a pneumococcus or in  
22 some cases staph aureus or even Haemophilus,

1           that actually puts you in a surprisingly high  
2           risk category even if at this moment, you look  
3           good.

4                         And the ATS guidelines, I think Dr.  
5           Wunderink showed it yesterday, one of their  
6           concerns is that somebody who looks good this  
7           instant is set up to crash and burn that  
8           afternoon on an unmonitored bed on a ward.  
9           And that part of the reason they have defined  
10          their criteria the way they have for  
11          predicting level of care that is required, is  
12          this concern about the fact that severity at  
13          any given instant is just that. It is, you  
14          know, how you look right now but there are  
15          folks who are closer to the edge than you  
16          might think.

17                        And so, I think that there is, when  
18          you think about severity, just be aware that  
19          PORT and CURB are kind of quirky things. And  
20          I read some stuff yesterday to remind us how  
21          that works. So don't push them too far. And  
22          sort of the quality of needing to be in a