

1 the colonoscopy study does have a larger
2 percent of patients who are greater than 90
3 kilograms. The weight range in that study did
4 go all the way up to 154 kilograms. But some
5 of the most obese patients that you might see
6 would be excluded for difficult airways. So,
7 that is specifically to the higher weight
8 patients.

9 As Dr. Kline indicated, in terms
10 of ASA status, we did enroll patients with an
11 ASA of three. The ASA classification in four
12 we had no patients. That is probably
13 consistent with the guidelines followed by
14 gastroenterologists, in terms of who they are
15 performing moderate sedation on without the
16 presence of an anesthesiologist. We also have
17 a breakout by greater than 75.

18 The number of patients enrolled
19 both in the colonoscopy and the bronchoscopy
20 study who are over age 75 was a relatively
21 small number and I think we would like to ask
22 Dr. Larry Cohen up to speak to the kind to the

1 kind of patients that he sees in his
2 colonoscopy practice.

3 DR. COHEN: Thank you. I would
4 agree with the comments that have been made.
5 This does represent sort of the broad spectrum
6 of patients that we see in an outpatient
7 setting. We have looked carefully at our
8 practice, for example, we have 95 percent of
9 the patients that come in for a screening
10 colonoscopy are ASA I's and II's.

11 In terms of the weight
12 distribution, I think that this is fairly
13 representative as well as for age. The
14 average age of all patients presenting in our
15 practice in New York for a colonoscopy is
16 about 57 years of age. So, I think this is a
17 fairly representative picture of what we see
18 in the broader practice.

19 CHAIR FARRAR: Did that answer
20 your question, Dr. Nussmeier?

21 DR. NUSSMEIER: Partially. Thank
22 you.

1 CHAIR FARRAR: And Dr. Buchman?

2 DR. BUCHMAN: I have two
3 questions. The first, actually, is
4 specifically for Dr. Cohen.

5 Dr. Cohen, in your presentation,
6 in your needs assessment for the medication,
7 you listed two items. One is that there is
8 evidence that propofol, or fospropofol,
9 decrease the patient's fears for sedation and
10 would lead to an increased utilization of
11 colonoscopy for colon cancer screening. The
12 other is that patients preferred propofol or
13 fospropofol, over conventional sedation.

14 Now, in a brief review of
15 publications in Pub. Med., I was unable
16 actually to find any data on that. And I
17 noted on your presentation, that you didn't
18 list any data. Is that simply speculation or
19 do you actually have data to support that?

20 DR. KLINE: Dr. Cohen?

21 DR. COHEN: Thank you. Regarding
22 the first question, concerning fear and

1 anxiety, let me first point out that in my
2 presentation on the needs for sedation, it was
3 a general discussion related to the benefits
4 of sedation in general wasn't specific to the
5 needs or the benefits of fospropofol. In
6 terms of the issue of barriers to patient
7 acceptance, there are data and we can supply
8 the references that indicate that the single
9 greatest barrier today that exists for
10 patients to accept the colonoscopy is in fact
11 a fear of discomfort.

12 Regarding your second question as
13 to patient preferences, there are six studies
14 in the literature that are randomized
15 prospective trials that have compared standard
16 sedation with benzo/opioids to sedation with
17 propofol. And four of the six studies
18 actually do demonstrate that patients
19 preferred that their experience and their
20 preference was improved with propofol over
21 standard benzo/opioids.

22 DR. BUCHMAN: Can I just follow

1 up? I should have actually prefaced by
2 question by blinded data in terms of
3 preference. The data that I have seen has
4 been largely unblinded data where either the
5 physician and/or the patient was unblinded to
6 the procedure versus having a pharmacist, for
7 example, that was unblinded with both the
8 physician and patient unblinded or blinded.
9 So is there any actual blinded data --

10 DR. COHEN: Yes.

11 DR. BUCHMAN: -- that would
12 indicate --

13 DR. COHEN: The studies that I am
14 referring to, these are randomized controlled,
15 double-blind trials.

16 CHAIR FARRAR: If I could just
17 follow up with one question. How was patient
18 satisfaction measured in this study? That
19 doesn't need to be to Dr. Cohen specifically.
20 So, we didn't see any of the measures
21 presented here. I mean, obviously, the
22 protocols are available but if you could

1 clarify that for me.

2 DR. KLINE: We asked a question at
3 the end of the procedure, asked the patients
4 to rate on a scale of one to ten what their
5 satisfaction level was with the procedure.

6 CHAIR FARRAR: And there was
7 mention of patients indicating that they
8 wouldn't mind undergoing it again. Was that
9 asked in a formal way?

10 DR. KLINE: Yes. That was one of
11 our secondary endpoints. And at the end of
12 the procedure, we asked patients if you were
13 undergoing a colonoscopy or a bronchoscopy
14 again, would you be willing to be treated with
15 the same study sedative that you received.
16 That endpoint reached statistical significance
17 in the bronchoscopy study and it trended in
18 favor of our dose in the colonoscopy study but
19 did not reach significance.

20 This slide shows the data for
21 patients willing to be treated again. Again,
22 similar rates in the colonoscopy study.

1 Higher rates seen at our proposed dose in the
2 bronchoscopy study. And important to remember
3 that results in the two milligram per kilogram
4 arm are confounded by the fact that these
5 patients received an alternative sedative per
6 the site standard of care. So the rating that
7 those patients are responding to includes
8 having received that alternative sedative.

9 CHAIR FARRAR: Just as a comment,
10 the fact that the two milligram group did
11 receive alternate care suggests that, at least
12 in the population that you looked at, not a
13 large difference in preference between
14 receiving propofol only or the new
15 formulation, as well as other medications.

16 Dr. Soriano?

17 DR. SORIANO: Yes, I have to
18 second Dr. Leslie's concern about dose
19 stacking. Certainly, in my practice, when I
20 use propofol to sedate some of my patients,
21 these are pediatric patients, as well as
22 teenagers who can be considered adults, the

1 procedurist wants a quick anesthetic because
2 frequently these procedures will be 10
3 minutes, 15 minutes long. So, if the process
4 of sedation will take even longer than the
5 procedure itself, then there is no benefit.

6 This question is to the Applicant
7 then, what are you doing to, in your risk
8 mitigation program, to reassure that dose
9 stacking doesn't occur? Certainly, there will
10 be a temptation to just give more to get a
11 larger effect.

12 DR. KLINE: We believe that the
13 risk mitigation begins with the package insert
14 as the focal point for instructing physicians.
15 And I would like to ask Dr. Sirek to provide
16 further information on those plans.

17 But also while she is coming up, I
18 would also like to point out that we look to
19 information from our high dose studies to
20 provide information on what happens when the
21 four minute interval that we recommend is not
22 followed. And if you look at the patients who

1 received doses that are 11 milligrams per
2 kilogram or greater which, as Dr. Cullen
3 pointed out, are equivalent to receiving a
4 proposed dose plus three supplemental doses
5 simultaneously, we did see a higher incidence
6 of sedation-related events. These were all
7 easily managed by the proceduralists providing
8 sedation.

9 DR. SORIANO: One other issue.
10 One other piece of data does not, I did not
11 see covered in your presentations, was the
12 duration of these procedures. I just reviewed
13 it quickly again and I didn't see any
14 comparison to duration. So whether or not
15 this is real life, whether these were quick,
16 I guess, screening procedures or whether they
17 were more complicated than traditional
18 colonoscopies or bronchoscopies.

19 DR. KLINE: The median procedure
20 duration in the colonoscopy study was 11
21 minutes. And that was 10 minutes in the
22 bronchoscopy study. I would like to ask Dr.

1 Cohen to provide context for how that fits for
2 a typical procedure that he may do and follow
3 that up with Dr. Silvestri to give his
4 experience in bronchoscopy.

5 DR. COHEN: Thank you. I think
6 that the average period of 11 minutes
7 basically reflects, I think, the period of
8 time that is spent. I think one can argue,
9 obviously, there is a lot of individual
10 variation in practice. But I think a period,
11 one average I think that across the country
12 you would see in numbers ranging from 15 to 20
13 minutes. Eleven minutes may be a little bit
14 shorter than average but it certainly is not
15 off the curve.

16 DR. SILVESTRI: I am Gerard
17 Silvestri. I am a pulmonologist and professor
18 of medicine at the Medical University of South
19 Carolina at Charleston. I am a clinician
20 scientist. Mostly my practice is lung cancer.
21 I see seven to 10 new lung cancers per week.
22 I enrolled 27 patients on this trial.

1 And to answer your question
2 specifically, I think the procedural time was
3 a little bit shorter than we would expect in
4 clinical practice, although I would say that
5 in the bronchoscopy trial, if you look at the
6 overall time, some of them were well over that
7 10 minute mark, specifically in our
8 institution where we do a procedure called
9 endobronchial ultrasound to stage patients.
10 It is a larger scope, by the way, and we did
11 that in a number of our patients in those 27
12 and we went well over the 10 minute time
13 frame.

14 I would suggest that the 10
15 minutes is both for endobronchial biopsies and
16 bronchoalveolar lavage, so your shorter case
17 is airway inspections. But remembering that
18 these patients all had a diagnostic reason.
19 We do not have a screening test in
20 bronchoscopy, per se. So every one of those
21 patients went to bronchoscopy for the specific
22 indication of a diagnosis of an underlying

1 abnormal chest x-ray or the like.

2 DR. KLINE: If I may as well, you
3 asked a question about the risk management and
4 I would like to ask Dr. Sirek to address that.

5 DR. SIREK: We believe, as you
6 indicate, that it is very, very important that
7 we be proactive in educating prescribers as to
8 the appropriate use of fospropofol. Slide up,
9 please.

10 So, the emphasis of our
11 educational program will really be three-fold.
12 It will be pre-procedure patient assessment
13 consistent with the ASA guidelines and other
14 guidelines for moderate sedation, proper
15 dosing and administration with emphasis on the
16 initial dose, the supplemental doses and the
17 dosing interval, as you indicate, as well as
18 dose reductions for the elderly in ASA III or
19 IV.

20 We also will be highlighting the
21 monitoring expectations that are true for all
22 moderate sedation procedures, regardless of

1 the product that is being used. So, these
2 will be the points that we will be
3 emphasizing.

4 And then the questions is, how
5 will we be getting that out into the
6 community? Next slide up, please.

7 So, first let me start by saying
8 that fospropofol is intended for use by
9 physicians who are routinely performing
10 moderate sedation procedures. So there will be
11 that target audience. And, as indicated,
12 numerous societies, organizations, both
13 national and local, already publish sedation
14 guidelines and sedation training and we really
15 will work proactively with these organizations
16 so that immediately upon approval, we will be
17 disseminating all of the fospropofol
18 information to these organizations, so that
19 they can incorporate them into guidelines as
20 appropriate and into training as appropriate.
21 We will also be providing financial support to
22 help them get that out.

1 We are also going to follow up
2 with our medical affairs personnel at all
3 levels, to be sure that we can work as
4 effectively as possible to get those messages
5 out. But we realize that that is not enough.
6 We also will be very proactively training our
7 own staff so that every encounter with a
8 prescriber who might use fospropofol
9 emphasizes those three main points and we will
10 be providing a variety of tools, whether they
11 are hard copy dosing cards or posters, whether
12 they are guides to patient assessment and
13 monitoring, they will be given in terms of
14 interactive tools available online, web
15 training, other monitoring tools, possibly
16 sedation simulation programs. Many, many
17 multi-pronged messages out there, just to
18 emphasize those points.

19 CHAIR FARRAR: Before you sit
20 down, if I could follow up with a question.
21 You specify exclusion criteria or criteria to
22 be considered as being ASA and airway

1 difficulties. And specific with regards to
2 airways, clearly in the trial you had experts
3 who considered these issues before patients
4 were enrolled. As it moves into a general
5 population, I am wondering how you would
6 approach the concept of airway problems,
7 especially with regards to Dr. Nussmeier's
8 question in the growing obesity problem and
9 problems with sleep apnea and so on, which
10 might lead to respiratory problems with any
11 kind of sedation.

12 DR. SIREK: Slide up, please. The
13 exclusion of patients with difficult airways
14 is consistent with ASA practice guidelines.
15 But to your point, it is important to
16 reemphasize that to individuals performing
17 moderate sedation, regardless of the sedative
18 agent that they are using. And so, examples
19 of tools that could be used are pictures of
20 the Mallampati score to help proceduralists as
21 a reminder as to how to judge difficult
22 airways and, you know, just continuing to work

1 with all of the organizations out there to
2 train in identifying difficult airways. And
3 we believe that this is true again, regardless
4 of the sedative agent that is used. It is
5 important.

6 CHAIR FARRAR: Dr. Kirsch.

7 DR. KIRSCH: I have a safety
8 concern which I think would be probably best
9 addressed by Dr. Leslie.

10 I am originally trained as an
11 anesthesiologist but practice as a
12 neurointensivist, and was repeatedly amazed
13 that when doing brain death exams on the
14 length of time in which one could stay well
15 oxygenated while not ventilated during a brain
16 death exam. I am struck by the use of pulse
17 oximetry as a main indicator of adequacy of
18 ventilation. And I think you would probably
19 agree that oxygenation or the pulse oximeter
20 is not a good indicator of ventilation but
21 rather of oxygenation.

22 So, my specific question is why

1 have you decided not to use, apparently, end-
2 tidal CO2 monitoring as a primary indicator of
3 ventilation. And second, as a practicing
4 anesthesiologist who should know that patients
5 can respond to questions do purposeful things
6 yet not ventilate under the influence of
7 narcotics or other sedatives, what meaning
8 does the thumbs up sign mean with regards to
9 adequacy of ventilation?

10 DR. LESLIE: First, let me
11 qualify. I was not involved in the design or
12 completion of the studies. I was just
13 contacted about a month ago to begin to review
14 the NDA and provide sort of, as you are seeing
15 now, my concerns about the risks and benefits.
16 Clearly, as you stated, the pulse oximeter
17 only measures the current concentration of the
18 oxygen there. It is not an indication of
19 adequate ventilation. It is a predictor of
20 disaster. Perhaps we should look at it that
21 way.

22 CO2 is what I would routinely use

1 in all monitoring in anesthesia care
2 situations. That is not a monitor that is
3 available or routinely used in the majority of
4 these other situations of procedural sedation.

5 And I think that is part of the
6 concern is what do you actually use as a
7 measure of a patient's interactivity? I
8 believe the company chose, and I think it is
9 rightfully so that we even, as the ASA
10 proposed, as an indicator of a level of
11 sedation is appropriate responsiveness, they
12 chose to use the thumbs up. Different people
13 use different things, asking questions, ask
14 them to do things, simple yes and no answer
15 questions. I think it all comes down to that
16 is the measure we use some sort of what we
17 judge as an appropriate response to gauge a
18 patient's level of sedation.

19 I don't know of any other better
20 way to do the level of sedation. MOAA/S,
21 perhaps is a little more scientific but not
22 well standardized and not practiced in most

1 places.

2 DR. KIRSCH: One of the other hats
3 that I have worn in my professional career is
4 providing anesthesia services in an office-
5 based practice in actually rural Maryland, 40
6 miles north of Baltimore. And in that
7 setting, the standard of practice that we had
8 was used in end tidal CO2 hooked up to the
9 nasal cannula.

10 And I'm wondering, don't you think
11 these patients who are going to receive this
12 medication deserve that level of monitoring?

13 DR. LESLIE: I think anytime an
14 anesthesiologist is practicing, that is
15 generally considered part of our routine care.
16 When we provide monitored anesthesia care
17 because we quite often do take patients to
18 deep levels of sedation, it is absolutely
19 appropriate.

20 I would also say that, you know,
21 because I would use propofol over fospropofol
22 for most of these situations because I do like

1 the rapid onset and I do think the offset will
2 be a little bit faster. But again, it is more
3 if an anesthesiologist, I think I am required
4 to measure end tidal CO2. That is part of my
5 accepted guidelines of monitoring. When I
6 provide an anesthesia management, CO2 is in
7 part that. But that really is not the
8 standard that people are implied or
9 recommended by our guidelines for doing mild
10 to moderate sedation.

11 DR. KLINE: If I may?

12 CHAIR FARRAR: Yes.

13 DR. KLINE: Just to add to what
14 Dr. Leslie has said, the ASA guidelines for
15 moderate sedation recommend the use of
16 capnography for patients undergoing MAC
17 sedation. There is not a recommendation that
18 it be routinely used in patients undergoing
19 moderate sedation. And what we are proposing
20 for monitoring is consistent with those
21 guidelines.

22 CHAIR FARRAR: Dr. Chang?

1 DR. CHANG: Yes, I had two
2 questions. I think you stated the failure, if
3 you had to use supplemental analgesics or, I
4 guess other sedatives.

5 DR. KLINE: Not analgesics.

6 DR. CHANG: I'm sorry. And you
7 had one table, it looked like 55 percent of
8 patients on a 6.5 milligram dose needed a
9 supplemental sedative but you called a
10 treatment success in the 88 percent. So only
11 12 percent required that. So I wasn't sure on
12 the difference.

13 DR. KLINE: So the definition
14 actually of -- they weren't considered a
15 sedation failure if they needed a supplement.
16 They were considered a failure if the drug
17 that they were randomized to failed to
18 adequately sedate them and an alternative
19 sedative was administered. So the
20 investigator, at that point, would choose no
21 longer to use study drug but go to something
22 per their site standard of care.

1 DR. CHANG: So the 55 percent that
2 required a supplement, it wasn't necessarily
3 another sedative like midazolam. Is that
4 true?

5 DR. KLINE: No. When we say
6 supplement -- actually, I believe the data you
7 are specifically referring to from the
8 presentation was specific to the analgesic
9 used. And that was not part of the definition
10 of a sedation's success or failure but also to
11 clarify the need for supplemental doses of
12 study sedative medication was also not just
13 the need for an alternative sedative.

14 DR. CHANG: Yes, well, that gets
15 to my second question. I was trying to figure
16 out what is your actual indication? If you
17 are using it in colonoscopy, I would have
18 thought you would want to use it in place of
19 an opioid and an midazolam or sedative. But
20 it sounds like in your studies, everyone got
21 fentanyl at the beginning and that 52.5
22 percent of those on 6.5 milligrams actually

1 reported procedural pain.

2 So, even though you are stating
3 that maybe this is a good alternative because
4 people don't want to undergo a colonoscopy
5 because of the pain, that over 50 percent
6 actually reported pain.

7 So, are you saying that you are
8 going to use this drug but endoscopists are
9 still going to give an opioid?

10 DR. KLINE: We tested this drug
11 with the use of fentanyl in all of our
12 patients. So, we would recommend the use of
13 an opioid to manage pain and fospropofol to
14 provide sedation.

15 To clarify, the dose of fentanyl
16 that we used was 50 micrograms. If during the
17 procedure the patient reported pain, and that
18 would be as assessed by the investigator, they
19 were allowed a supplemental dose of fentanyl.
20 So, that is how it was recorded when we looked
21 at patient's willingness to be treated again,
22 it was not adversely affected by that.

1 CHAIR FARRAR: Dr. Epstein?

2 DR. EPSTEIN: Yes, thank you.

3 Also for Dr. Kline, can you expand a little
4 bit or tell us how many subjects received a
5 second dose of the medicine in certain of the
6 study? Obviously, you had some fixed dose
7 studies, too.

8 DR. KLINE: Yes. We did look at
9 the breakdown of patients who required
10 supplemental doses.

11 Slide on, please. This is a
12 histogram. This is our proposed label dose in
13 the colonoscopy study and the bronchoscopy
14 study. And it shows the number of
15 supplemental doses of study sedative required
16 by patients in these groups. So, you can see
17 that 11 percent of patients in the colonoscopy
18 study, 25 percent in the bronchoscopy study,
19 completed their procedures with just the
20 initial bolus. An additional 16 percent in
21 colonoscopy and 30 percent in bronchoscopy
22 required one supplement and so forth.

1 DR. EPSTEIN: And a follow-on
2 question. Did you have any data, I know we
3 talked a little bit about comorbidities, did
4 you have any specific data on patients that
5 were dialysis dependent with renal failure?

6 DR. KLINE: I would like to ask
7 Dr. Michael Cullen to speak to that.

8 DR. CULLEN: We did. We looked at
9 patients with severe renal failure with the
10 creatinine clearance of less than 30. And we
11 also actually looked at patients with hepatic
12 impairment with a child B or C class. Slide
13 on, please. And as you can see, the safety
14 profile here is pretty comparable. There was
15 a single adverse event in that total
16 population that required airway assistance.

17 DR. EPSTEIN: Can you put that
18 slide back on just one more time? I was just
19 looking at the hepatic --

20 DR. KLINE: Slide up. Sorry.

21 DR. EPSTEIN: -- too while you had
22 that up.

1 Can you describe what the -- can
2 you expand on the SAEs in both those
3 categories?

4 DR. CULLEN: I believe that was --
5 oh, right. That was a patient who had an AV
6 fistula plate -- no, not the hepatic one. The
7 renal SAE was a patient who had a bleeding
8 complication from the AV fistula. I believe
9 for the hepatic, it was a hypoxemia and a
10 hypotension, but we will check on that.

11 CHAIR FARRAR: Dr. McLeskey?

12 DR. McLESKEY: This is mostly
13 about clarification. Dr. Leslie mentioned in
14 one of his slides that patients were fully
15 awake within five minutes and reached an
16 Aldrete greater than nine in 10 minutes or
17 less. And I just wondered, five minutes or 10
18 minutes from what end point? Was that the end
19 of the procedure or some other endpoint? And
20 then related to the question that just
21 preceded this, is there also some kind of
22 guideline that you all have concluded that

1 would be the time to wakefulness like that,
2 following the last dose, for example. Could
3 you elaborate on that just a bit?

4 DR. KLINE: The recovery period we
5 looked at the time to reach fully alert
6 status, which we defined as the time to the
7 first of three consecutive MOAA/S scores of
8 five. And that was the five minutes that Dr.
9 Leslie referred to. So five minutes from the
10 removal of the scope.

11 In the colonoscopy study, the time
12 to an Aldrete score of nine or greater was
13 seven minutes, the median time. And that also
14 is from time of removal to scope. Our
15 proposed dosing guidelines call for monitoring
16 the patient through recovery. They are not
17 specific to the alertness status or the
18 Aldrete score in the proposed package insert
19 but certainly continued monitoring of the
20 patient during the recovery period.

21 DR. McLESKEY: And again, just by
22 clarification. So, the time periods that John

1 mentioned were after removal of the scope. Is
2 there any similar kind of information
3 following time from the last dose?

4 DR. KLINE: We did not analyze
5 from time from last dose.

6 CHAIR FARRAR: Dr. Sang?

7 DR. SANG: Thanks. I'm just
8 wondering, do you have plans to -- or are you
9 now scheduled to look at PK in the pediatric
10 population?

11 DR. KLINE: We currently have only
12 studied fospropofol in adult patients. We
13 certainly plan to study fospropofol in
14 pediatric patients and we would look to our
15 FDA colleagues and develop a pediatric program
16 jointly with them.

17 DR. SANG: And I have a second
18 question that has to do with the formaldehyde
19 metabolite following fospropofol. So
20 formaldehyde rapidly breaks down to formate
21 but there are now new data in the pain arena
22 to show that formaldehyde targets TRPA1

1 receptors. And you know, TRPA1 is a major
2 sensor for chemical irritation in the
3 peripheral nerve endings, as well as in the
4 respiratory tract.

5 So, it leads me to ask, number
6 one, understanding the rapid metabolism of
7 formaldehyde to formate, I think the half life
8 is approximately one and a half minutes, the
9 transient paresthesias that you have described
10 with fospropofol administration, and certainly
11 what has been described with fosphenytoin, can
12 be in part associated with formaldehyde
13 metabolite.

14 So now, I have to ask you, the
15 respiratory events that have occurred in the
16 few hundred patients that you studied, was it
17 possible at all to look specifically at a risk
18 of the development of bronchospasm and how
19 might you, especially in the context of
20 bronchopulmonary lavage, how might this be
21 addressed?

22 DR. KLINE: I would like to ask

1 Dr. Cullen to address your questions.

2 DR. CULLEN: First, if I may
3 address the question about the paresthesias in
4 the formaldehyde. The paresthesia and
5 pruritus that we have seen with fospropofol is
6 identical to what is seen with dexamethasone
7 as well as fosphenytoin. And of course,
8 dexamethasone shares the phosphate moiety but
9 not the formaldehyde generation.

10 Secondly, slide up please, the
11 potential for changing formate levels, which
12 is rapidly produced by metabolism from the
13 formaldehyde has been looked at very carefully
14 in this early study with doses up to five
15 times the recommended dose. And formate
16 measured over time, you can see on the graph
17 here, that there was no real change from
18 baseline, even at those very high doses.

19 DR. SANG: And I understand,
20 certainly formaldehyde is ubiquitous in the
21 environment and there is a large environmental
22 exposure plus formaldehyde that is endogenous

1 as well, but since the respiratory tract is
2 full of TRPA1 receptors, I was wondering
3 specifically about bronchospasm. And number
4 two, I am not sure how formaldehyde levels
5 answers the question necessarily.

6 DR. KLINE: I would like to ask
7 Dr. Silvestri to address your question
8 regarding bronchospasms.

9 DR. SILVESTRI: Thank you. I
10 think the hypothesis is a very interesting
11 one. I don't know that the clinical data
12 available, in terms of formate levels can
13 answer that. What I can say is in the 27
14 patients we enrolled, we saw no evidence of
15 bronchospasm.

16 In evaluating the data on the 252
17 patients and writing the manuscript, we saw no
18 evidence of increased bronchospasm in those
19 patients under a variety of conditions in a
20 variety of procedures.

21 So while I think it is clearly a
22 hypothetical consideration, we saw no evidence

1 of it in practice. Thank you.

2 DR. KLINE: If I may, to follow up
3 on your question regarding formaldehyde, I
4 would like to ask Dr. Waters to address that.

5 CHAIR FARRAR: If you could
6 identify yourself, please?

7 DR. WATERS: Steve Waters, MGI
8 Pharma.

9 To your question, formaldehyde is
10 produced as a metabolite but it is very
11 rapidly metabolized to formate. Therefore, we
12 cannot measure formaldehyde levels. It is
13 formate that we measure and that is why we
14 show that data.

15 And you are also correct,
16 endogenous production of formaldehyde actually
17 exceeds what we would produce by a given dose
18 of fospropofol. Endogenous metabolists from
19 amino acid metabolism and purine and
20 pyrimidine metabolism, as well as oxidative
21 demethylation steps produce a far greater
22 endogenous load of formaldehyde than does drug

1 delivery.

2 CHAIR FARRAR: It is 10:15 and
3 other people that would like to ask questions
4 will do so after the break. Following the
5 break, the FDA will present briefly and then
6 we will have a lot of time for further
7 discussion.

8 Before we go to break, I would
9 like to remind folks that we will reconvene at
10 10:30. Panel members, please remember that
11 there should be no discussion of the topic
12 during the break amongst ourselves or with any
13 member of the audience. And I will see you at
14 10:30.

15 (Whereupon, the above-entitled
16 meeting went off the record at 10:16 a.m. and
17 went back on the record at 10:31 a.m.)

18 CHAIR FARRAR: Moving ahead with
19 the program, Dr. Lex Schultheis will present
20 the FDA perspective.

21 DR. SCHULTHEIS: Good morning. My
22 name is Lex Schultheis and I am a medical

1 officer in the Division of Anesthesia,
2 Analgesia and Rheumatology Products at FDA.
3 I will present some of the preliminary
4 findings of our review team's evaluation of
5 the applicant's submission of fospropofol.

6 This presentation will focus on
7 the indication for fospropofol proposed by the
8 Applicant and the efficacy database supporting
9 this proposal, including the blinded and
10 randomized dose ranging study 0520 in
11 colonoscopy patients, the dose controlled
12 studies, 0522 in colonoscopy patients, and
13 study 0524 in bronchoscopy patients.

14 I will also discuss some of the
15 safety findings of 0523, a study of
16 fospropofol among patients having a wider
17 range of procedures requiring sedation. As we
18 review these studies, I will ask you to keep
19 in mind several points for later discussion.
20 We will deliberate the adequacy of purposeful
21 responsiveness as assigned to support the
22 safety of supplemental dosing of fospropofol.

1 We will discuss safety information that may
2 affect the dosing of certain subpopulations.
3 Also, you will be asked to consider how the
4 available data suggests what assessment and
5 interventional skills are needed to safely
6 manage patients with fospropofol.

7 The indication proposed by the
8 applicant is sedation in adult patients
9 undergoing diagnostic or therapeutic
10 procedures. And this is slightly different
11 than the indication for propofol, which was
12 for MAC sedation.

13 Fospropofol was developed based on
14 the hypothesis that the pharmacokinetic
15 profile of a prodrug, which is slower timed
16 onset of active drug affect and reduced Tmax
17 would allow intravenous bolus injection to be
18 managed safely while enabling a rapid recovery
19 from sedation.

20 The entire safety database
21 consists of approximately 1,600 patients.
22 However, the approach of early studies

1 utilizing a dosing regimen that attempted to
2 manage sedation with a high initial bolus
3 determined by a weight range was abandoned for
4 a milligram per kilogram dosing regimen
5 because several patients experienced apnea and
6 required support.

7 The applicant's proposed dosing
8 regimen is an initial bolus followed by
9 supplemental doses, all prescribed on a
10 milligram per kilogram basis, as needed, with
11 an obligatory interval of at least four
12 minutes. Geriatric patients and patients with
13 serious comorbidities are to receive reduced
14 doses.

15 Based upon the pharmacokinetic
16 information presented earlier by the
17 Applicant, patients are to receive a dose
18 calculated for a 60 kilogram body weight, even
19 if they weigh less than 60 kilograms.

20 Similarly, the maximum dose is based upon a 90
21 kilogram body weight, even if the patient's
22 weight exceeds 90 kilograms.

1 The three studies that are being
2 reviewed to evaluate efficacy of fospropofol
3 are studies 0520, 0522, and 0524. The total
4 number of patients exposed to the proposed
5 dosing in these studies is 334 patients. The
6 number of patients exposed at the approved
7 dosing was 26 in 0520, 158 patients in study
8 0522, and 150 patients in study 0524.

9 These studies and the open label
10 safety study of 0523 were conducted with
11 certain safeguards. First of all, an airways
12 expert was immediately available at all times,
13 as stipulated by the protocol. Also, patients
14 were excluded if they were prospectively
15 identified as having an airway that would be
16 expected to pose difficulty if advanced
17 techniques such as an emergently needed
18 laryngoscopy were likely to be required.

19 The principal tool used to measure
20 depth of sedation was the Modified Sedation
21 Assessment of Alertness and Sedation Score.
22 The scale categorizes patient responsiveness

1 to various levels of stimulation by the
2 sedation health care provider. On this
3 categorical scale, a score of five corresponds
4 to a patient who appears alert and zero
5 corresponds to a patient who is unresponsive
6 to a painful stimulus. As you recall from the
7 Applicant's presentation, the primary sedation
8 endpoint for efficacy studies was three
9 consecutive sedation scores below the sedation
10 score corresponding to an alert state and
11 completion of the diagnostic or therapeutic
12 procedure without requiring an alternative
13 sedation product for manual or mechanical
14 ventilation.

15 The Applicant has already
16 described the efficacy findings. Our
17 preliminary review indicates that fospropofol
18 met its primary efficacy endpoint of sedation
19 success. The yellow highlighted numbers
20 illustrate that there was a dose-related
21 increase in sedation success. Secondary
22 endpoints, including completion of treatment,

1 patient and physician satisfaction evaluations
2 and lack of patient recall of the procedure
3 also indicated that there was a clinical
4 benefit of sedation with fospropofol.
5 Therefore, fospropofol was efficacious when
6 used as a sedative in these studies.

7 This slide summarizes data from
8 patients who failed to achieve sedation
9 success and the reasons for failure are listed
10 in the same order as the requirements to meet
11 the primary efficacy endpoint. Most failures
12 were as a result of having to use an
13 alternative sedation product because the
14 patient did not maintain a level of sedation
15 adequate to conduct the procedure. Again,
16 highlighted in yellow.

17 At this point of our review, we
18 also agree substantively with the Applicant's
19 key safety findings. Preliminary evaluation
20 of patient deaths and the other adverse events
21 as reported by the applicant as serious
22 adverse events in randomized, blinded, and

1 controlled studies suggest that these events
2 were not attributable to fospropofol. Of the
3 adverse events that resulted in
4 discontinuation of the procedure, the
5 Applicant reported that one was caused by
6 fospropofol. This event coded as paresthesia
7 was basically a discomfort associated with
8 injection of the product. Common adverse
9 events tended to be mild or moderate in
10 intensity and were readily managed.

11 The potential for abuse of
12 fospropofol is evaluated as part of the review
13 process and is mentioned here because
14 fospropofol is an aqueous formulation and was
15 bioavailable when the product was studied
16 following oral administration. Euphoria was
17 reported as an adverse event in studies of
18 healthy volunteers. So the possibility of
19 scheduling fospropofol is being considered.

20 Now, in order to understand how
21 patients respond to fospropofol, it is helpful
22 to examine the timing of sedation onset. In

1 this figure, data were abstracted from the
2 Applicant's study of bronchoscopy patients who
3 received the proposed dosing of an initial
4 bolus of 6.5 milligrams per kilogram of
5 fospropofol and supplementary doses of 1.6
6 milligrams per kilogram at intervals not less
7 than four minutes, as needed.

8 The horizontal axis is time in
9 minutes. The vertical axis is the percent
10 of all patients treated with the proposed
11 dosing. Each colored curve illustrates the
12 patient subpopulation having a specific
13 sedation score at each time point. Therefore,
14 at five minutes before administration of
15 fospropofol, 100 percent of the patients were
16 alert. This time point also corresponds to
17 the timing of administration of 50 micrograms
18 of fentanyl.

19 As you can then see, the
20 percentage of patients retaining a sedation
21 score corresponding to an alert state is
22 maintained at nearly 100 percent until the

1 initial dose of fospropofol is administered at
2 time zero. Thereafter, the percentage of
3 patients who are alert, score of five on the
4 sedation scale, falls to approximately ten
5 percent after ten minutes. Most of the
6 remaining patients have a sedation score of
7 three or four but some patients achieve a
8 sedation score of two or below.

9 Recovery from sedation resulting
10 from fospropofol is illustrated on this slide.
11 Again, the information depicted is abstracted
12 from the Applicant's reported data from
13 patients in the 6.5 milligram per kilogram arm
14 of the bronchoscopy study. After the last
15 dose of fospropofol was administered, the
16 percentage of patients who have a sedation
17 score of four or lower on the sedation scale
18 gradually declined and the percentage of
19 patients who were alert increased so that by
20 approximately 25 minutes after the last dose
21 of fospropofol, nearly every patient was
22 alert.

1 As I indicated in my introduction,
2 one of the features of fospropofol sedation
3 that I asked you to consider was patient
4 responsiveness as a sign of sedation depth.
5 In this slide, the applicant has compared the
6 incidence of various sedation related adverse
7 events associated with sedation to the
8 sedation score measurement. And the sedation
9 score was the one most closely associated in
10 time with the adverse event. If we focus on
11 the finding of hypoxia defined here as a
12 peripheral saturation, less than 90 percent
13 for greater than 30 seconds, we see that the
14 highest incidents of events occurred when
15 patients scored a three, the middle range of
16 the sedation scale. At this level, patients
17 required that their name be called loudly and
18 repeatedly before they would respond. Manual
19 ventilation for two events was also required
20 at this moderate level. It is particularly
21 notable that hypoxic events were also reported
22 among patients who were even less sedated,

1 according to the sedation scale.

2 In this slide, we see an analysis
3 by the Applicant relating the incidence of
4 hypoxia to a retention of purposeful
5 responsiveness. In many cases, patients were
6 able to produce the thumbs up sign or wiggle
7 their toes when investigators responded that
8 the patient do so, even in association with
9 signs of hypoxia. The ability to respond
10 purposefully was required in order for
11 patients to receive supplemental fospropofol
12 for sedation.

13 Now, your handout had a typo up in
14 the upper right-hand corner, which has been
15 corrected. From these data and in the
16 previous slide, we see that retention of
17 purposeful responsiveness did not exclude an
18 associated finding of hypoxia as measured by
19 peripheral desaturation on an oximeter.

20 Now, the Applicant's findings are
21 interesting, when considered in view of the
22 ASA Guidelines for Sedation and Analgesia by

1 Non-Anesthesiologists from 2001 and the
2 continuum of depth of sedation, definition of
3 general anesthesia and levels of sedation
4 analgesia approved by the ASA House of
5 Delegates in 1999 and amended in 2004. In
6 these statements, retention of purposeful
7 responsiveness was used to demarcate various
8 depths of sedation and the associated risk
9 associated with sedation depth. According to
10 these definitions, reflex withdrawal from a
11 painful stimulus was not considered a
12 purposeful response. Patients who retained
13 purposeful responsiveness to verbal or tactile
14 stimulation are expected to maintain
15 spontaneous ventilation and are not expected
16 to require interventions to support their
17 airway. This corresponded to an MOAA/S level
18 of four or three. Purposeful responsiveness
19 to vigorous or painful stimulation
20 corresponded to MOAA/S levels of two or one,
21 respectively.

22 In summary, the Applicant's data

1 suggests that hypoxia occurred at levels of
2 sedation where interventions may not have been
3 expected because patients were still
4 responding purposefully. We ask you to
5 consider this finding when deliberating how a
6 clinical assessment of purposeful
7 responsiveness may affect the safety of a
8 decision to administer a supplemental dose of
9 fospropofol in clinical practice.

10 This slide lists various types of
11 airway maneuvers required to manage sedation
12 with fospropofol from studies 0520, 0522, and
13 0524. Some patients received more than one
14 intervention. The most common intervention
15 was a dose-related increase in the flow of
16 nasal oxygen. However, mechanical
17 intervention such as chin lift or suctioning
18 were sometimes also used. Manual ventilation
19 was needed in one patient.

20 The incidence of hypoxia observed
21 in these studies appeared to be primarily
22 driven by event rates in the bronchoscopy

1 study 0524. Because the incidence of hypoxia
2 was dose-related, it is likely to be caused,
3 in part, by fospropofol and cannot be entirely
4 attributed to the presence of an instrument in
5 the airway. In this study, patients tended to
6 be older and have more serious comorbidities
7 than in colonoscopy studies.

8 In the next few slides, we will
9 examine various subsets of patients by age,
10 ASA categorization, and body weight who had a
11 more frequent incidence of hypoxia. I should
12 also mention that your handout may have had a
13 typographical error as well, which has been
14 corrected in these slides.

15 In this analysis of pool data from
16 randomized, blinded and controlled colonoscopy
17 and bronchoscopy studies, we see that there
18 appears to be a dose-related increase in the
19 incidence of hypoxia associated with a
20 geriatric age group. However, we also
21 acknowledge that the number of patients in
22 each category is small, so that the addition

1 of a few patients could shift the apparent
2 percentages considerably.

3 In this slide, we observe a
4 similar dose-related increase in the incidence
5 of hypoxia associated with high ASA physical
6 classifications, that is three and four,
7 compared with patients over the entire ASA
8 classification scheme one through four.
9 Again, the number of patients are small, so
10 that moving a few patients between groups
11 could shift the relative percentages between
12 ASA categories.

13 This slide contains an analysis of
14 the incidence of hypoxia compared with
15 patients' body weight. These data suggest
16 that there is a dose-related increase in the
17 incidence of hypoxia that is associated with
18 patient body weight below 60 kilograms. You
19 recall that dosing was prescribed on a
20 milligram per kilogram basis but was bounded
21 for patients weighing less than 60 kilograms.
22 Therefore, patients weighing less than 60

1 kilograms received a higher dose on a
2 milligram per kilogram basis than patients
3 weighing 60 kilograms or more.

4 We note, as in the previous
5 slides, that the number of patients in each of
6 these subgroups is small, so that the shift of
7 a few patients will change the incidence of
8 hypoxia associated with each subgroup.

9 In an effort to further elucidate
10 whether a safety signal associated with
11 geriatric age group, high ASA physical
12 classification or body weight below 60
13 kilograms was present, data at the proposed
14 dosing from open label safety study 0523 was
15 pooled with the data at the same dosing from
16 blinded, randomized and controlled studies
17 0520, 0522, and 0524. The population in the
18 safety study, 0523 consisted of 123 patients
19 undergoing a wider range of diagnostic and
20 therapeutic procedures, including
21 transesophageal echocardiography, upper
22 endoscopy, and hysteroscopy compared with

1 patients having only colonoscopy or
2 bronchoscopy in the controlled studies. The
3 extent of exposure was similar in this
4 analysis because the dosing was the same and
5 the duration of procedures were similar.

6 In this analysis, the incidence of
7 all airway intervention was compared by
8 subpopulations of age, ASA classification and
9 body weight. The trend in the incidence of
10 hypoxia based upon small numbers of patients
11 in the data from blinded, randomized, and
12 controlled studies was also noted in the
13 incidence of required airway assistance and
14 pool data that included patients having a
15 broader range of procedures.

16 Now, let's take another look at
17 the continuum of depth of sedation, definition
18 of general anesthesia and levels of sedation
19 and anesthesia suggested by the ASA. Here,
20 patients who withdraw from a painful stimulus
21 are not considered to exhibit a purposeful
22 response. Patients who are unarousable even

1 with pain, are considered to be under general
2 anesthesia.

3 According to the ASA
4 recommendations for sedation and analgesia by
5 non-anesthesiologists, rescue of a patient
6 from a deeper level of sedation than intended
7 is an intervention by a practitioner
8 proficient in airway management and advanced
9 life support. Quoting from this document, the
10 qualified practitioner corrects adverse
11 physiological consequences of the deeper-than-
12 intended level of sedation such as
13 hypoventilation, hypoxia and hypotension and
14 returns the patient to the originally intended
15 level of sedation, end quote.

16 In studies of fospropofol, an
17 MOAA/S level of one indicated that the patient
18 only responded to painful stimulation and
19 patients having an MOAA/S level of zero were
20 unresponsive.

21 The next slide reviews the
22 incidence of patients having an MOAA/S score

1 of one or zero and the range of time spent at
2 these levels of sedation. Overall,
3 approximately four percent of patients in
4 studies of colonoscopy, that is studies 0520
5 and 0522, achieved a sedation score of zero or
6 one during the conduct of sedation. Among
7 bronchoscopy patients in study 0524, 16
8 percent of the patients achieved a score of
9 zero or one. When these data were pooled, the
10 overall incidence of patients having a
11 sedation score at any time during the
12 procedure was nine percent. A sedation score
13 of zero or one was nine percent. The maximum
14 duration of patient having a sedation score of
15 zero or one was 20 minutes and that was only
16 in one patient. Most of the patients had
17 those scores for shorter periods of time.

18 As you recall from my earlier
19 slide, patients having achieved these deep
20 levels of sedation rarely required rescue with
21 a bag and mask or more advanced intervention.
22 The nature of airway interventions among the

1 most deeply sedated patients was similar to
2 those required for patients who were more
3 easily aroused.

4 As the Applicant noted in their
5 presentation, some patients who achieve low
6 MOAA/S scores had -- required rescue sedation
7 with an alternative to fospropofol in order to
8 continue conducting the procedure.

9 In summary, hypoxia, defined as
10 hypoxemia on the basis of a peripheral
11 monitor, occurred in patients who were able to
12 respond purposefully to verbal and tactile
13 stimulation.

14 Hypoxia and airway interventions
15 occurred more frequently among geriatric
16 patients, patients categorized as ASA III or
17 IV and patients weighing less than 60
18 kilograms.

19 The most frequent intervention to
20 manage the airway, ventilation and respiratory
21 gas exchange was to increase oxygen flow.

22 Some patients responded only to

1 pain or became unresponsive during the conduct
2 of sedation.

3 This concludes my presentation and
4 I appreciate your attention. I will entertain
5 questions from the Committee at this time.

6 CHAIR FARRAR: Okay. So, let me
7 open the floor for discussion. We will start
8 by going back to the three people who had
9 wanted to ask questions before the break. I
10 would ask that you remain there but the
11 questions may have been oriented originally
12 towards the sponsor.

13 Dr. Prough?

14 DR. PROUGH: I'm still curious
15 about the --

16 CHAIR FARRAR: If you could use
17 your -- thank you.

18 DR. PROUGH: I'm still curious
19 about the number of patients who were excluded
20 because of anticipated airway difficulties and
21 exactly what criteria were used.

22 CHAIR FARRAR: I'm sorry, could

1 you -- that is directed to the sponsors?

2 DR. PROUGH: Yes.

3 CHAIR FARRAR: Okay.

4 DR. KLINE: The

5 inclusion/exclusion criteria in the study

6 specific to airway stipulated that if a

7 patient had a Mallampati score of four or a

8 Mallampati score of three and a thyromental

9 distance of four centimeters or less, or in

10 the assessment of the investigator had a

11 difficult airway, they were excluded.

12 When we look at the numbers of

13 screen failures -- while we are pulling that

14 up, I would like to point out that the

15 practitioners, the investigators in our study

16 made this assessment on their own. There was

17 no outside expert doing this assessment for

18 them. And this is, a routine assessment of

19 the airway is, something that they typically

20 do before providing moderate sedation to their

21 patients. Not necessarily the specific way we

22 have done it, but they do look at the

1 assessment of the airway.

2 Slide up, please. This is in the
3 colonoscopy study. So, there were 31 screen
4 failures overall. Two patients were noted to
5 not meet the inclusion/exclusion criteria of
6 those 31 patients. So, that could be either
7 a difficult airway or it could also be a
8 hypersensitivity from earlier sedative
9 medications they received.

10 CHAIR FARRAR: Did you have a
11 follow-up, Dr. Prough?

12 DR. PROUGH: No. Thank you.

13 CHAIR FARRAR: Dr. Buchman.

14 DR. BUCHMAN: In terms of the
15 gastrointestinal procedures, I am surprised --
16 this is for the sponsor -- that you did not
17 include ERCP, given that it is a procedure
18 that takes longer, the patients is in an
19 uncomfortable position and, in fact, actually
20 many of those cases today are done with
21 propofol and that, with the upper endoscopies,
22 you only had 26 cases, given that the

1 literature is replete with data showing that
2 hypoxia occurs to a greater degree and in a
3 greater percentage of patients than with
4 colonoscopy.

5 But my question, actually, is in
6 regard to colonoscopy but you can also comment
7 on those two as well. One of the biggest
8 concerns with colonoscopy is the risk of
9 perforation. The published risk of
10 perforation is one in every 1200. Now, I have
11 done about 8,000, I have had one, but I have
12 also reviewed cases in which a physician, for
13 example, one that I can remember had ten
14 perforations in 2,000.

15 And one of the ways in which
16 perforation is prevented is when the patient
17 has discomfort. When the patient has
18 discomfort, it tells you to stop shoving the
19 scope in and get your finger off the air
20 button. And when a patient is sedated heavily
21 and they don't respond with pain, that will
22 significantly increase that risk.

1 Now, in your studies, you
2 basically showed a dichotomy. Either patients
3 were more heavily sedated and they had no pain
4 or they weren't sedated enough and required
5 another agent and had significant pain. And
6 in addition, given the percentages that I
7 gave you for perforation, you had very, very
8 few patients. Now, if there are 23 million
9 endoscopy cases, for safety data, where did
10 you ever come up with the idea that less than
11 300 patients was adequate? Because with that,
12 you wouldn't have any perforations and you
13 would need a study, you know, probably five
14 times this size. And I am really concerned
15 about this safety issue. We only address the
16 hypoxia issue. But I am concerned about the
17 procedural issues.

18 DR. KLINE: I would like to ask
19 Dr. Larry Cohen to address some of your
20 specific questions about ERCP and colonoscopy.

21 In terms of our safety database,
22 we have studied our proposed dose in 455

1 patients. And in addition, we have
2 significant experience in patients who receive
3 a higher initial dose. And the total size of
4 our database is consistent with that of other
5 drugs that are submitted for consideration to
6 the FDA.

7 Dr. Cohen?

8 DR. COHEN: Thank you. I think we
9 have to break the question down into several
10 components because I think, if I am hearing
11 you, there really are several issues. There
12 is the issue of why was colonoscopy chosen as
13 the procedure rather than, perhaps, some of
14 the other, perhaps, more advanced endoscopic
15 procedures.

16 The second question you raise is
17 the safety vis-a-vis the procedure itself and
18 the risk of perforation, as opposed to the
19 safety of the drug. So, let's talk about each
20 of those individually.

21 First of all, let me answer the
22 second question. I think that is more cogent

1 to the reason we are here, which is the safety
2 of the drug with regard to the procedure that
3 is being performed.

4 Keep in mind that this drug is
5 being developed for moderate sedation by use
6 by non-anesthesiologists and there is no
7 reason inherently to think that the risk of an
8 endoscopic complication that is not sedation-
9 related would be any greater than for any
10 other endoscopic complication, assuming it is
11 not related to the sedation.

12 In the very early literature of
13 propofol use for endoscopy, there was some
14 suggestion of an increased risk of perforation
15 and it was speculated that it was related to
16 deeper sedation and the fact that patients
17 were less able to participate. I think as
18 endoscopists have gotten more experience, we
19 have learned that the risk of complications,
20 specifically perforation, is no different in
21 the literature today with propofol than it is
22 with standard benzodiazepine.

1 The issue of different procedures,
2 I can't speak to why colonoscopy was chosen
3 and why they didn't use more advanced
4 therapeutic procedures, I do think that we do
5 need to keep in mind that, again, this was
6 being developed for moderate sedation by non-
7 anesthesiologists. As has been pointed out
8 previously, there will always be a role for
9 MAC sedation during these procedures and I
10 personally believe that some of the more
11 advanced diagnostic and therapeutic procedures
12 are best performed and will probably always
13 been best performed with the use of an
14 anesthesia provider.

15 DR. BUCHMAN: And if you
16 could address how the number of subjects was
17 chosen to address the safety issues. I didn't
18 actually see how the number was chosen for the
19 efficacy data but I'm sure you did some sort
20 of power calculation, but I'm not so concerned
21 about that.

22 I don't think there are any

1 questions on efficacy but the question in
2 terms of safety, how did you choose the number
3 that you thought would be adequate to show
4 both hypoxia as well as procedure-related
5 effects that are at least indirectly related
6 to the level of sedation?

7 DR. KLINE: We powered the
8 studies, as you mentioned on efficacy
9 endpoints. They are not powered on safety
10 endpoints. The size of the studies was
11 predicted to gain experience in the drug. We
12 have 455 patients at our proposed dose and
13 again, that was selected because we felt that
14 it gave a good representation of the sedation-
15 related events we would expect to see in the
16 population.

17 DR. BUCHMAN: Do you think 26
18 patients that had upper endoscopies is
19 adequate to assess the safety of the use of
20 your medication in patients undergoing that
21 procedure?

22 DR. KLINE: Certainly 26 patients

1 to prove one point would not be, but we do
2 have experience in similar procedures. So,
3 the procedure you mentioned is a shared airway
4 procedure. We have experience in the
5 bronchoscopy study. I think you can
6 appreciate the difficulty in studying
7 sedation agents, selecting procedure types,
8 and, you know, you can't study every single
9 procedure where moderate sedation may be used.

10 DR. BUCHMAN: I would like to ask
11 one additional question. One of the other
12 concerns that I have is that I have actually
13 seen the absence of any controlled data
14 presented today. In the primary study that
15 you are using, one of your two studies for the
16 efficacy in Phase 3, I see the comparison of
17 two experimental groups. I don't see any
18 control group. A control group would have
19 been using, obviously you can't use a placebo
20 in this situation, but a control group would
21 have been using conventional therapy, and I am
22 a little bit mystified the absence of why

1 conventional therapy was not an arm of the
2 study. We really have nothing to compare it
3 to, except a low dose that doesn't work as
4 well that is still an experimental group. Why
5 did you not compare the 6.5 milligram per
6 kilogram dose, for example, to conventional
7 dosing of fentanyl and benzodiazepine? As I
8 mentioned before, that could easily be blinded
9 by the pharmacist being blinded and the
10 patient and the physician still being blinded.
11 So that would not be an excuse for excluding
12 a real control arm in this study.

13 DR. KLINE: We elected to conduct
14 our studies as dose-controlled studies. And
15 we did so because of the occurrence of
16 paresthesia and pruritus occurring at high
17 incidence. We used a blinded pharmacist, as
18 you mentioned. However, even receiving those
19 study drugs blinded, if the patient reports
20 the paresthesia and pruritus, you can pretty
21 well identify what group they have been in.

22 Further, we don't think that it is

1 necessary to do an active comparator to
2 demonstrate that fospropofol is an effective
3 sedative. And as you see in our data, in
4 colonoscopy patients, 88 percent and in
5 bronchoscopy, 91 percent, and in the minor
6 procedure study, 95 percent of patients
7 completed their procedure without requiring
8 alternative sedative and without requiring
9 manual or mechanical ventilation.

10 Further, we did include midazolam
11 as an outside comparator in our colonoscopy
12 study. It was not intended for formal
13 efficacy comparisons such that we would look
14 to draw comparative claim from that data. But
15 when you look at our colonoscopy study, our
16 Phase 3 colonoscopy study, you can see that
17 our sedation success rate was higher than
18 midazolam. And when you look at the secondary
19 endpoints, they do trend in favor of
20 fospropofol.

21 CHAIR FARRAR: Dr. Nussmeier.

22 DR. BUCHMAN: Just to be fair,

1 though, very few endoscopists would use
2 midazolam alone without an opiate for
3 sedation. So that is a completely unfair
4 comparison.

5 DR. KLINE: We did use fentanyl in
6 combination with midazolam. All patients in
7 the study received a pre-dose with fentanyl.
8 So, it was a comparison against fentanyl
9 midazolam.

10 CHAIR FARRAR: Dr. Nussmeier.

11 DR. NUSSMEIER: Yes. This is a
12 question for any of the gastroenterologists
13 who may care to answer it. I'm not so
14 concerned about the pulmonologists because I
15 think they are likely to quite facile with
16 airway management. But for the
17 gastroenterologist, in current practice today,
18 how long does one usually wait between
19 supplemental doses of midazolam for fentanyl
20 until the next supplemental dose?

21 And I'll tell you why I am asking.
22 We sell data, I believe that said that most

1 frequently three supplemental doses of
2 fospropofol were required. And that would be
3 perhaps as much as half a minute for the
4 actual injection and then the protocol called
5 for waiting a full four minutes before
6 supplementing again. So, almost five minutes
7 between doses. And I think you are correct in
8 stating that that is a very important part of
9 the protocol or part of the practice to
10 minimize risk but, if four minutes is longer
11 than would be typical in current practice,
12 have you considered how difficult it might be
13 to teach patients in a non-study setting,
14 keeping in mind that there is no reversal
15 agent?

16 DR. KLINE: Dr. Cohen?

17 DR. COHEN: Thank you. If I
18 understood the question correctly, I think you
19 are asking what is standard practice in terms
20 of dosing intervals using the drugs that are
21 currently in use.

22 And I think that, as

1 proceduralists, we dose our medications really
2 based upon understanding of their
3 pharmacology, their pharmacokinetic profile so
4 that, for example, if one is using
5 benzodiazepine such as midazolam, that would
6 normally be dosed at two or three minute
7 intervals at a minimum, again, looking at the
8 pharmacokinetic profile, but, obviously, it's
9 going to be dictated by the kinetics of each
10 drug.

11 CHAIR FARRAR: Is that an answer
12 to your question?

13 DR. NUSSMEIER: Yes. I'm just
14 still concerned that following the protocol as
15 it should be followed would lengthen the
16 duration of the procedure in total and that it
17 is going to be difficult to achieve compliance
18 with the protocol in a clinical setting.

19 DR. KLINE: If we can address
20 that. Can you put up the timeline slide for
21 colonoscopy? And I would like to ask Dr.
22 Brill to speak to how this compares to typical

1 colonoscopy times.

2 CHAIR FARRAR: Please identify
3 yourself.

4 DR. BRILL: I am Joel Brill. I am
5 a gastroenterologist in Phoenix. I was not an
6 investigator in this study, however, I am the
7 GI representative to the RUC and I have done
8 that for over 11 years' time. Most recently
9 in 2005, the RUC, the RBRS Update Committee
10 reviewed the amount of time that it takes to
11 perform endoscopic procedures, specifically
12 focusing on the base code for colonoscopy.
13 And the RUC found that procedure time for a
14 colonoscopy is 30 minutes of intraservice
15 time. Intraservice time is defined from when
16 the intravenous line is started and sedation
17 is first administered until when the endoscope
18 is withdrawn.

19 So, within that time, as you can
20 see here in the procedural milestones, you can
21 see that even if the patient required a second
22 or a third dose, that time for performance of

1 the procedure would certainly be well within
2 what has been established by and recognized by
3 the centers for Medicare and Medicaid services
4 for the intraprocedure time for an endoscopic
5 procedure, such as a colonoscopy in screening.

6 CHAIR FARRAR: Ms. Krivacic?

7 MS. KRIVACIC: Yes, I had a
8 question about the safety information and
9 specifically the age groups, the subgroups.

10 When you look at, for colonoscopy
11 in particular, when you look at the age groups
12 between 18 and 65, did you sort of weight that
13 in terms of more on the higher end being the
14 older patients, given that, you know, the
15 standard treatment guidelines, and I guess in
16 terms of insurance, are 50 and older? Can you
17 kind of comment on that?

18 DR. KLINE: Dr. Sirek?

19 DR. SIREK: Consistent with the
20 guidelines, the median age for the screening
21 colonoscopy, slide up please, oh no, I'm
22 sorry, wrong slide, the median age was a

1 little over 50. And that would be consistent
2 with the guidelines as to when patients start
3 their screening colonoscopies. So yes, it was
4 weighted towards the older part of that.

5 MS. KRIVACIC: I had another
6 question about the alertness component of the
7 study, with regard to being alert five minutes
8 after the procedure. Was there any
9 information regarding recall after that five-
10 minute period in terms of, did the patient
11 understand what the gastroenterologist might
12 have said five minutes or ten minutes after
13 the procedure to that patient? Was there
14 anything done regarding recall?

15 DR. KLINE: We did. We did a
16 measure of verbal learning and memory recall
17 in both the colonoscopy and bronchoscopy
18 study. Slide on.

19 The instrument that we used is
20 referred to as the Hopkins Verbal Learning
21 Test and it is specifically used to assess
22 verbal learning and memory recall. And in

1 this test, you read a list of 12 words to a
2 patient and then immediately ask them to
3 recall how many they remember and you do that
4 three times in a row. Twenty minutes after
5 that, those learning trials as they are
6 referred to, you go back to the patient, and
7 you say tell me how many of those 12 words you
8 can remember.

9 So we use this assessment and we
10 did the initial test, the learning trials were
11 administered 15 minutes after the end of
12 procedure, so 15 minutes after the scope was
13 removed. Slide on. And when we look at the
14 retention score, the retention score is simply
15 a ratio of the number that the patients could
16 recall at 20 minutes versus their best score
17 in the second and third learning trials
18 expressed as a percentage. We see that the
19 retention percentage for patients receiving
20 fospropofol at our proposed dose was about 67
21 percent. And as a marker, the midazolam arm,
22 which you are certainly more familiar with,

1 had a score of 41 percent in that study.

2 MS. KRIVACIC: Then I had one
3 final question. In non-opioid-tolerant
4 patients, what would you suggest using or
5 could you, have you thought about that?

6 DR. KLINE: A specific for
7 analgesia or -- in our studies, we used 50
8 micrograms of fentanyl as the initial fentanyl
9 dose. If patients required further
10 supplemental doses of analgesic medicine, they
11 were allowed 25 micrograms to manage the
12 intraprocedure pain. So that is what we
13 tested, and that is what we would recommend.

14 MS. KRIVACIC: But isn't fentanyl
15 an opioid?

16 DR. KLINE: I'm sorry, maybe I
17 didn't understand your question.

18 MS. KRIVACIC: In, say, non-
19 opioid-tolerant patients, patients that have
20 allergic reactions --

21 DR. KLINE: I'm sorry. I
22 misunderstood the question. I would like to

1 ask Dr. Larry Cohen to speak to that.

2 DR. COHEN: Thank you. As I
3 understand it, I think what you are asking,
4 what does one do in the situation of having a
5 patient who doesn't tolerate using opioids.
6 We traditionally are using opioids and benzos.
7 What we do typically in practice, we give a
8 sedative without the opioid, recognizing that
9 it is going to require somewhat more on the
10 sedation side. There, we are relying upon the
11 amnesia affect, since we can't use the
12 combination for the balanced affect of
13 analgesia and amnesia. So we are using a
14 little bit more of the sedative trying to
15 capitalize, if you will, on the amnestic
16 affect of the benzodiazepines, or in this
17 case, fospropofol.

18 MS. KRIVACIC: So would you use
19 that then with fospropofol?

20 DR. COHEN: Yes. So then I think
21 in a situation where someone was intolerant or
22 allergic to an opioid, one would simply use,

1 presumably use fospropofol as a single agent.

2 CHAIR FARRAR: Dr. Chang?

3 DR. CHANG: I wanted to ask Dr.
4 Schultheis, I'm sorry, if I am pronouncing
5 your name wrong, just the comment that you
6 made or the data that you showed that most of
7 the patients with hypoxia or hypotension
8 actually had purposeful response. And I am
9 just wondering, is that asking for a
10 purposeful response at the time they were
11 hypoxic, since it had to be more than 30
12 seconds, which is not long in the whole
13 procedure, or hypotension. I just wanted to
14 make sure the correlation was at the time of
15 the actual adverse event.

16 DR. SCHULTHEIS: The sedation
17 scores and the purposeful assessments could
18 not be conducted exactly at the same point,
19 but they were conducted at the same frequency
20 so that the assessments were made at the
21 nearest point to when the hypoxic event was
22 recorded.

1 DR. CHANG: So you took that one
2 time point that was the closest?

3 DR. SCHULTHEIS: Yes.

4 DR. CHANG: I see.

5 DR. KLINE: If we may, to further
6 address the hypoxia to when a purposeful
7 response was delayed, we think it is important
8 to take it in the overall context of the
9 opportunity to have an event at a certain
10 MOAA/S score or purposeful response. I would
11 like to ask Dr. Sirek to speak to that.

12 DR. SIREK: Slide up, please. The
13 recommendation, both in the ASA guidelines and
14 in our dosing concerning purposeful response,
15 is not that a supplemental dose should be
16 given when there is purposeful response, but
17 a supplemental dose should not be given if
18 there is no purposeful response.

19 So, this includes the same data
20 presented, sort of, with a control for the
21 time when you had purposeful response versus
22 no purposeful response given, as you can see

1 if you look on the right-hand column, that for
2 all the times that we measured purposeful
3 response, 19,000 of those times the patients
4 had a purposeful response consistent with
5 moderate sedation, whereas there was a much
6 lower rate of no purposeful response.

7 So, in that context it still is
8 true, of course, that most of the sedation-
9 related events occurred when a patient had
10 purposeful response. But when you consider
11 the risk of a sedation-related adverse event,
12 it is greatly increased when you have no
13 purposeful response, consistent with the ASA
14 guidelines, consistent with what we know about
15 sedation in general. And that is the rate
16 there, you see the 1.04 per 100 patient-
17 minutes' exposure, as opposed to the 0.25 rate
18 when you have a purposeful response. A four-
19 fold increase.

20 And the way we understand this is
21 consistent with what, I believe, one of the
22 advisory members said earlier is that a

1 purposeful response does not preclude you from
2 having hypoxia. So, it is not a sign. If you
3 can give a thumbs up, it is not a sign that
4 you don't have some element of hypoxemia. And
5 so before you dose, you need to have both,
6 that a patient has purposeful response and
7 that they are otherwise stable, taking in all
8 of the information that you have for
9 monitoring the patient.

10 DR. CHANG: It just doesn't help
11 you, I guess, to look at -- if you are
12 considering giving a supplemental dose that a
13 patient gives you thumbs up sign, it doesn't
14 necessarily mean that you are going to
15 prevent hypoxia or hypotension with that
16 additional dose.

17 DR. KLINE: That is correct. But
18 if I could, I would like to ask Dr. Candiotti
19 to speak to the idea of purposeful response
20 and the role it plays in determining patient
21 status.

22 DR. CANDIOTTI: Keith Candiotti,

1 University of Miami. I am an
2 anesthesiologist.

3 In the ASA guidelines, they
4 basically stipulate that mild to moderate
5 sedation of purposeful response is considered
6 to be an adequate indicator of adequate
7 ventilation. We know that it certainly
8 doesn't preclude the possibility of mild
9 hypoventilation or some hypoxia to occur, but
10 it certainly is a good indicator that it is
11 less likely to exist and, I would say, is used
12 pretty much as a standard both in the OR as
13 well as in other areas to help guide dosing of
14 medication. As you are well aware,
15 capnography certainly has limitations in MAC
16 patients, non-intubated patients and whatnot,
17 but can also be a source of supplementation.

18 CHAIR FARRAR: I think Dr. Kirsch
19 would like to follow up on that.

20 DR. KIRSCH: So, I'm sorry, I'm
21 confused. Could you help me understand what
22 data you are referencing or is it just your

1 personal experience with regards to the
2 relationship between someone having a
3 purposeful response and demonstrating adequate
4 ventilation?

5 DR. CANDIOTTI: The literature.
6 As a matter of fact, in the ASA guidelines,
7 they even specifically state that the
8 literature is lacking in particular control
9 trials or a demonstration of this. It was a
10 result of a survey, as you are well aware,
11 when the ASA does the policies and whatnot or
12 the guidelines. It is based on consultant
13 recommendations as well as "expert opinion."
14 So I am not quoting from a specific article.
15 I am taking that directly from the ASA
16 guidelines.

17 DR. KIRSCH: And in your own
18 practice, when you give patients narcotics,
19 for example, I suspect you have practicing for
20 some time now, can you estimate how frequent
21 it is that someone can receive a pre-
22 medication of even just a narcotic and respond

1 to your questions yet not be ventilated?

2 DR. CANDIOTTI: Well, they can't
3 verbally respond to me if they are not
4 ventilating at all, obviously. But certainly,
5 as we are both well aware, hypoventilation can
6 occur as a spectrum and to a degree. I think
7 with any form of sedation, some degree
8 including the classical benzodiazepines and
9 opioids, some degree of hypoventilation can
10 easily occur, especially as sleep apnics. In
11 many people it is unrecognized.

12 DR. KIRSCH: And just my last
13 question is just for clarification. What are
14 the monitoring modalities that are suggested
15 by the sponsor to assess ventilation?

16 DR. CANDIOTTI: I am not going to
17 speak on behalf of the sponsor but they do
18 endorse the ASA guidelines. The ASA
19 guidelines specifically indicate, they do
20 specifically say a thumbs up in responsiveness
21 is adequate for moderate sedation. For deeper
22 sedation, they do recommend capnography.

1 DR. KLINE: The monitoring that we
2 have listed here are the specific monitoring
3 guidelines that we have included in our
4 proposed package insert and these are
5 consistent with ASA guidelines for monitoring
6 during moderate sedation.

7 CHAIR FARRAR: Doctor --

8 DR. KLINE: Further, --

9 CHAIR FARRAR: Oh, sorry.

10 DR. KLINE: -- I would like to
11 just read to you from the practice guidelines
12 on how the ASA recommends viewing purposeful
13 response. And what they say is that "The
14 ability to give a thumbs up or other
15 indication of consciousness in response to
16 verbal or light tactile stimulation," which is
17 how we also define it, "suggests that the
18 patient will be able to control his airway and
19 take deep breaths, if necessary, corresponding
20 to moderate sedation."

21 CHAIR FARRAR: Dr. Epstein.

22 DR. EPSTEIN: Yes, I had a

1 question for Dr. Schultheis.

2 Regarding the hypoxemia in the
3 combined data on the slide set that you showed
4 and knowing that the sponsor also had a
5 midazolam fentanyl arm, how did those numbers
6 compare across those two different groups?

7 DR. SCHULTHEIS: Okay. My safety
8 evaluation is preliminary and the sponsor may
9 have different numbers. But if you could
10 compare the incidence of peripheral hypoxemia
11 defined by desaturation below 90 percent, just
12 in the studies, the colonoscopy studies, the
13 0520 and the 0522, there were 78 midazolam
14 patients studied. There was no desaturation
15 below 90 percent and of the 184 fospropofol
16 patients, there were six patients that
17 desaturated below 90 percent. That is three
18 percent. And you may correct those numbers,
19 but that is what I have in my preliminary
20 safety evaluation.

21 DR. KLINE: That sounds consistent
22 with our findings on desaturation. What we

1 did see was in the studies desaturation that
2 led to a sedation-related event of hypoxemia
3 as we defined. So, less than 90 for greater
4 than 30 seconds occurred at a very low rate.
5 I believe one patient in our proposed label
6 dose group. So, while it was greater than not
7 seeing any in the midazolam, it was certainly
8 more. Also, I think, to put the results in
9 the proper context, I would like to point out
10 that the dose of midazolam that we used was
11 the dose that is recommended per label and, as
12 such, was much lower than what is commonly
13 used in practice.

14 Do you want to speak to exactly
15 what those sources are, Dr. Cullen?

16 DR. CULLEN: Slide up. The
17 results here are from the individual trials,
18 not pool data and I think it does make a
19 difference. But as you can see here in the
20 pivotal trial at the recommended dose of 6.5
21 milligrams per kilo, there was a single
22 instance of hypoxemia. And also a reminder,

1 there are three-to-two-to-one ratio in the
2 randomization.

3 So, it looks like the incidence of
4 hypoxemia is pretty comparable if you are
5 talking apples to apples. When you pool the
6 studies, you may have included, for example,
7 in the dose response study, both higher and
8 lower doses.

9 If you have a question on the
10 amount of midazolam, slide up please, the
11 midazolam arm, it is important to remember
12 that the initial dose was 0.02 milligrams per
13 kilo for midazolam, which is much lower than
14 is commonly used. The cumulative total dose
15 oftentimes is comparable to what is given all
16 at once in the initial dose. But you can see
17 here the initial dose for midazolam.

18 DR. KLINE: Thank you. And if I
19 could ask Dr. Cohen to talk about what typical
20 doses of midazolam are that he sees used in
21 practice or is familiar with in the
22 literature.

1 DR. COHEN: Thank you. In
2 practice, during colonoscopy at least, the
3 standard doses of a benzo/opioid would be
4 somewhere between 75 and 100 micrograms of
5 fentanyl and between three and five milligrams
6 of midazolam.

7 DR. CHANG: See, that is a little
8 bit of a concern. If we are all using higher
9 doses than the recommended for your clinical
10 practice application, don't you think there
11 might be some difficulty even if you do some
12 type of education that endoscopists will use
13 more than the recommended dose of fospropofol?

14 DR. KLINE: We don't think more
15 than the recommended doses is required to
16 appropriately sedate patients and I would like
17 to ask Dr. Sirek to speak to how we will get
18 that message out.

19 DR. SIREK: I think that the
20 information that Dr. Cullen provided, that
21 three to five milligram range, is consistent
22 with what was actually given in our study for

1 midazolam. So that was four milligrams, which
2 is within the range of 0.02 milligrams per
3 kilogram because that is how it was given in
4 our study and what is in the label.

5 So, I do think that physicians
6 certainly can and should follow the label.
7 And all of our efforts will be directed
8 towards making sure that they understand the
9 label and understand the importance of dosing
10 properly with our product.

11 CHAIR FARRAR: Dr. Buchman.

12 DR. BUCHMAN: So, it appears that
13 actually what you are seeking for an
14 indication is the combined use of fentanyl and
15 fospropofol, rather than just fospropofol
16 alone. And in addition, many of these
17 patients will receive midazolam.

18 So, now what you are doing,
19 instead of having two agents to sedate a
20 patient, we are going to three. And there are
21 different dosing intervals. Every four
22 minutes for fospropofol, every two minutes, at

1 least is our practice, with fentanyl or
2 midazolam.

3 So, my question is, do you think
4 that training is sufficient, given the added
5 potential for confusion in the GI lab? And
6 also, the faster we can sedate patients, the
7 faster we, maybe I shouldn't say we, but the
8 faster that patients can be sedated and turned
9 over, the more money that is made. And there
10 is a huge push to get these patients in and
11 out. Do you think that training alone is
12 going to be sufficient to prevent any
13 complications here that are seen in the real
14 world outside of a research setting or do you
15 need a full RiskMAP strategy?

16 Now for example, there was a study
17 published a number of years ago from Canber
18 with over 28,000 patients. And most of these
19 patients receive sedation with propofol. And
20 numerically, there were substantially more
21 patients that had anesthesia-related sedation-
22 related complications when administered by the

1 so-called GPs there, rather than when compared
2 with those that had sedation administered by
3 anesthesiologists.

4 Now, they didn't look at actually
5 why that may have occurred but I wonder if it
6 had something to do with confusion or the
7 like. And so again, just to repeat my
8 question. Do you think training is sufficient
9 or do you really need a full RiskMAP strategy
10 with certification and the like?

11 DR. KLINE: Let me first address
12 your question about the agents used and then
13 ask Dr. Sirek to follow up on your questions
14 regarding training.

15 I would like to clarify that
16 approximately 90 percent of patients in the
17 colonoscopy and bronchoscopy studies completed
18 their procedures with only fentanyl given as
19 an analgesic and fospropofol given as a
20 sedative. That was somewhat protocol driven
21 because we only allowed up to three
22 supplemental doses in the initiation period

1 before patients were eligible to receive an
2 alternative sedative.

3 So when you look at, for example,
4 our minor procedure study and that additional
5 requirement wasn't included in the protocol,
6 you saw 95 percent of patients completing
7 their procedure with fospropofol given as an
8 analgesic or fentanyl given as an analgesic
9 and fospropofol given as a sedative. And Dr.
10 Sirek can address the training aspects.

11 DR. SIREK: We agree that training
12 is important. We also agree with the Agency
13 that the label is always the first step in
14 that aspect, making sure that the label is
15 very clear to the prescribers as to
16 appropriate dosing. In terms of a formal
17 RiskMAP, we will, of course, engage in active
18 discussions with the Agency as to what is the
19 most appropriate.

20 In terms of RiskMAPs in
21 particular, part of the criteria, obviously,
22 is whether or not there is a safety margin.

1 And we believe that our high dose study,
2 studies, I should say, do give a good
3 indication of the safety margin for this
4 product. So we are not depending entirely,
5 while we will actively, actively be right out
6 there training everybody that we can in how to
7 dose this properly, we are not depending
8 solely on that.

9 We also do have the safety margin
10 that says for those patients who receive
11 greater than 11 milligrams per kilogram across
12 all of the 400 series, all of the events that
13 were seen were able to be managed by the
14 proceduralist.

15 DR. KLINE: And I would like to
16 ask Dr. Brill to address the third aspect of
17 your comment.

18 DR. BRILL: As the distinguished
19 panelists will recognize, faster endoscopy
20 does not result in an increase lesion
21 detection. In actuality, the study in the New
22 England Journal of Medicine December of 2006

1 from Rockford Gastroenterology, which is the
2 greater Illinois area, definitely shows a
3 correlation between the amount of time spent
4 in performing the procedure and the detection
5 of lesions. More recently, a study by Sateco
6 and Associates at Stanford University
7 published in JAMA in March of this year, also
8 shows the issue of flat lesions in the right
9 side of the colon and emphasizing the need for
10 a careful examination of the colon.

11 Certainly, I recognize that there
12 may be some of our colleagues who may be
13 motivated by factors other than patient
14 safety. However, I will emphasize that the
15 primary concern of the physician first and
16 foremost when performing a screening
17 procedure, should be a careful and complete
18 examination of the colon. And that is what
19 guides us.

20 Last but not least, I will also
21 point out that yes, there are instances where
22 a physician may choose that based on the

1 endoscopist's pre-endoscopic evaluation of the
2 patient, that it may be appropriate for the
3 patient to have monitored anesthesia care
4 provided by a second individual. In fact,
5 that is inherent within the statement issued
6 by the three gastroenterology societies in
7 March of 2004 and has also been incorporated
8 in guidelines established by the majority of
9 the Medicare contractors in this country, as
10 well as a number of the larger commercial
11 insurance companies which look very carefully
12 at the ASA criteria that were published in
13 anesthesiology in 2002, when the use of
14 moderate sedation by non-anesthesiologists as
15 well as by the criteria published by the group
16 at OHSU, the gastroenterology group, published
17 in Gastrointestinal Endoscopy, in 2002, and
18 others, in order to help physicians establish
19 when an anesthesia professional should be used
20 when the endoscopists should be able to safely
21 administer moderate sedation.

22 DR. BUCHMAN: Just a quick follow-

1 up on that, Dr. Brill, though. As you know,
2 the Rockford Group or the ones that originally
3 recommended a 10 minute withdrawal time, which
4 means that given the median time for your
5 colonoscopy of 11 minutes, the average patient
6 had a scope in their secum at one minute. And
7 whether that is perpetuated, the quickness, by
8 the ability to sedate the patient quickly and
9 perhaps to maybe lead to an inadequate exam is
10 not clear because clearly, what was done in
11 your study would not have been, well not your
12 study, but in these studies, the 0520 study
13 for example, would not have been appropriate
14 standard of care if the mean is 11 minutes,
15 when 100 percent of patients should be at
16 least 11 minutes.

17 DR. BRILL: Slide up, please. As
18 you will see, sedation initiation nine
19 minutes. At that point, the scope is
20 inserted. So you have 11 minutes of procedure
21 time. That's nine and 11, that's 20 there,
22 that was preceded in the study. And as I

1 previously indicated, the definition of
2 intraservice times starts when the scope,
3 pardon me, when the intravenous line is
4 started, and sedation is initiated.

5 So, scope inserted in this study
6 here, they have 11 minutes. You have quoted
7 the Rockford study which actually talks about
8 a six to eight minute withdrawal time. So, I
9 don't think those numbers, they may be a
10 little bit showing the experience of the
11 endoscopists, but they are certainly not at
12 the realm of ordinary, sir.

13 CHAIR FARRAR: It is my turn. And
14 I wonder if I could ask, I believe it was Dr.
15 Cohen presented slides relative to the number
16 of procedures that are currently done and the
17 number that are done with airway monitoring or
18 airway specialists in the room. And if you
19 could just review those slides with us because
20 I think part of the issue here this morning is
21 that there is concern about, with the approval
22 of this particular medication and the testing

1 that you have done, that it will increase the
2 number of procedures that are performed
3 without someone present who has specific
4 training in airway monitoring and/or airway
5 care. And so I would like to know what the
6 current status is, review the current status
7 and then come back with another question.

8 DR. COHEN: Thank you. And
9 perhaps I need to make a little bit more clear
10 exactly what it is that we are discussing. We
11 are talking about procedural sedation. If I
12 can go to my slides. Go back a little bit.
13 No?

14 CHAIR FARRAR: Slide number nine
15 of yours, I think, --

16 DR. COHEN: Okay.

17 CHAIR FARRAR: -- is where you
18 presented the CORI database study.

19 DR. COHEN: Well, this particular,
20 I think, let me go back and we can talk to it.
21 I think the first numbers we talked about were
22 40 million cases of procedural sedation. And

1 when we talk about procedural sedation, I am
2 referring to sedation that is being provided
3 by the proceduralists in general, that is, in
4 the absence of an anesthesia specialist. And
5 that was not referring specifically to cases
6 in which an anesthesia specialist has been
7 asked to be present.

8 Regarding the CORI data, this is a
9 national endoscopic database, the 324,000
10 cases, these were performed by a number of
11 sites throughout the country. There are about
12 87 sites that contribute cases to this
13 database. And it is not possible in the
14 database to extract out how many of these
15 cases were being performed with an anesthesia
16 specialist versus how many were performed
17 where the sedation was given by the
18 gastroenterologist proceduralist. And we
19 recall can't analyze the subsets within this
20 study.

21 CHAIR FARRAR: So, let me ask
22 specifically. Is there any data published

1 that indicates the potential risk where the
2 proceduralist is doing the sedation versus
3 having an anesthesiologist involved in the
4 process?

5 DR. COHEN: I will actually ask
6 Dr. Brill to address that.

7 DR. BRILL: Two things. One is
8 that the GAO, the Government Accountability
9 Office, published an evaluation of endoscopic
10 procedures performed in a variety of settings.
11 And several years ago looked specifically, for
12 example, at endoscopy performed in non-
13 hospital settings, in the office setting and
14 concluded that endoscopic procedures could be
15 safely performed in an office setting, which
16 is one of the reasons why Medicare pays for
17 endoscopic procedures in the office setting,
18 such as screening colonoscopy, colonoscopy
19 with biopsy, colonoscopy with polypectomy and
20 the like.

21 Second of all, if one looks at the
22 Medicare database, one will see that in terms

1 of the volume of where procedures were
2 performed, approximately five percent of
3 procedures are performed in the office
4 setting, approximately 29 percent of the
5 procedures are performed in an ambulatory
6 surgery setting. And the remainder of
7 procedures are performed in the hospital,
8 whether the inpatient or the outpatient
9 hospital setting. One would note that the
10 hospital settings are almost uniformly joint
11 commission accredited. The ASC settings are
12 credited by one of four entities; either the
13 joint commission, the AAAC, the American
14 Association of Accreditation of Healthcare
15 Facilities, the AAAASF, or in California, the
16 Institute of Medical Quality. And all of
17 those four entities have specific standards
18 that the facility must meet for accreditation
19 which speaks specifically to the presence of
20 anesthesia rescue training of individuals
21 performing procedures. The individual
22 facility, obviously will set its own standards

1 and the like.

2 With regards to the office
3 setting, the majority of office procedures are
4 performed in two states, New York and
5 Virginia. And we'll note that the State of
6 New York is in the process of enacting, at
7 this point, a requirement that requires all
8 office settings in New York to be credentialed
9 by one of those three entities, again, the
10 Joint Commission, AAAC or AAAASF by June of
11 2009.

12 So, in short, a long answer but
13 there are adequate standards in place that a
14 credentialed facility will have personnel in
15 place who should be able to manage the airway
16 and the complications.

17 CHAIR FARRAR: So the second
18 question relates to actually the next slide of
19 Dr. Cohen's, which indicated that currently,
20 40 percent of the endoscopy procedures, at
21 least the slide says the de facto standard of
22 care, that 40 percent of the procedures are