

1 five-plus-year study of adverse events in
2 psoriasis patients in Sweden treated with
3 ustekinumab in actual clinical practice.

4 There's a 100 percent patient
5 capture in this inception cohort. It will
6 allow potentially 4,000 patients treated with
7 ustekinumab to be followed longitudinally.
8 The ambulatory care, hospital discharge,
9 pharmacy utilization, and the malignancy
10 registers will be combined into a single
11 analytical dataset.

12 Since the denominator of this
13 database is known, and it captures all
14 psoriasis patients, comparisons of adverse
15 events of interest can be made both by
16 disease and indication with and without
17 ustekinumab exposure.

18 PSOLAR, another dataset used for
19 signal replication, is an ongoing
20 disease-specific psoriasis eight-year
21 observational cohort study. It is an
22 international multi-center registry that will

1 expand to approximately 450 sites, with a
2 balance of academic and community centers.

3 PSOLAR is currently enrolling 4,000
4 infliximab patients, and 4,000 patients on
5 other therapies -- biologic, other systemic,
6 phototherapy, or topical therapies. We plan
7 to amend this protocol to allow the inclusion
8 and the study of ustekinumab. We propose to
9 enroll an additional 4,000 patients treated
10 with ustekinumab who will be followed for
11 eight years of observation.

12 In this registry, there is active
13 collection of all serious AEs and other
14 targeted AEs with electronic data case report
15 forms. There is interval longitudinal
16 patient assessment. Extensive data on
17 comorbidities and disease severity are also
18 collected.

19 PSOLAR's managed by a CRO
20 responsible for monitoring, and one that uses
21 active, quality checks of data, both by the
22 sponsor and by site staff. In addition,

1 there's a protocol-driven patient retention
2 mechanism in place, and a steering committee
3 that has been instrumental in its design and
4 its implementation.

5 In contrast to a single product
6 registry, an advantage of PSOLAR is that it
7 can help characterize the
8 pharmaco-epidemiology of moderate to severe
9 psoriasis. By controlling for underlying
10 patient comorbidities, it will be possible to
11 make appropriately adjusted comparisons with
12 adverse events between groups exposed to
13 different therapeutic agents.

14 In addition, health care databases
15 with record access will provide access to
16 claims and patient level data, including
17 exposure to a drug, the clinical
18 characteristics of the patients, and adverse
19 events of interest.

20 These datasets complement
21 claims-only databases like PharMetrics to
22 assure a broad capture of patients treated

1 with ustekinumab. These sources also allow
2 comparisons of adverse events of interest by
3 disease and indication, with or without
4 ustekinumab exposure.

5 We currently are evaluating a
6 number of potential data and population
7 sources, such as I3.

8 I have outlined the elements of a
9 risk assessment program to evaluate the
10 stated theoretical concerns. Ustekinumab
11 data resources will allow us to potentially
12 undertake more formal epidemiologic studies
13 that can quantify the strength of the
14 association, the relevant risk factors, and
15 the identification, if possible, of high-risk
16 subgroups.

17 The final component of the risk
18 management plan is risk minimization. Risk
19 minimization strives to foster appropriate
20 and safe use of ustekinumab. We plan to
21 provide education on the appropriate and safe
22 use, and a care coordination program that

1 facilitates follow-up with health care
2 professionals.

3 The proposed ustekinumab
4 prescribing information will include all
5 appropriate safety information. The primary
6 risk minimization activity is the prescribing
7 information. Here are some examples of the
8 proposed safety information highlights in the
9 U.S. prescribing information -- in the
10 warnings and precautions section, in the
11 infections section, caution -- and use in
12 patients with chronic infection or history of
13 recurrent infection -- screening of patients
14 for latent tuberculosis; avoidance in
15 patients with clinically important active
16 infections; caution in use in patients with
17 chronic infection or a history of recurrent
18 infections.

19 In the malignancy section,
20 immunosuppressive agents have the potential
21 to increase the risk of
22 malignancies -- caution in use in patients

1 with a history of malignancy, or patients who
2 develop malignancy.

3 In addition to the prescribing
4 information, physician and patient education
5 programs are an integral part of our risk
6 minimization plan. It is critical to
7 identify the physician segment that will use
8 the drug, to target educational efforts. The
9 education program will focus on
10 dermatologists and associated physician
11 extenders, professionals best able to make
12 psoriasis benefit/risk assessment decisions.

13 A comprehensive education plan is
14 being developed to address appropriate
15 patient selection, educating on key
16 benefit/risk information, highlighting the
17 need for regular follow-up to assess patients
18 for adverse events, and to provide reminders
19 to dermatologists on their patients receiving
20 ustekinumab.

21 We propose an education plan and
22 materials focused on theoretical risks as

1 well, such as serious infections and
2 malignancy. And as part of this plan, we
3 propose to educate dermatologists on the
4 National Psoriasis Foundation Clinical
5 Consensus recommendations for screening and
6 the American Academy of Dermatology
7 recommendations for yearly skin exam.

8 We also propose a comprehensive
9 patient education program. Patient tools and
10 programs will promote education on potential
11 risks and side effects and how to recognize
12 them, when and who to call with questions or
13 concerns, appropriate technique for
14 administration of ustekinumab, the need for
15 regular follow-up with health care provider
16 to assess for side effects, and appropriate
17 patient follow-up through an individual care
18 coordination program.

19 I would like to address
20 self-administration of ustekinumab. As a
21 background, subcutaneous biologic agents to
22 treat psoriasis are commonly

1 self-administered. Ustekinumab has been
2 self-administered under observation in
3 pivotal trials, as discussed earlier in the
4 presentation by Drs. Guzzo and Yeilding, with
5 no difference in efficacy or safety noted in
6 these patients. This data speaks to the
7 question of the patient's ability to
8 self-administer ustekinumab.

9 The decision on whether the patient
10 should self-administer should be made in
11 concert with the physician and the patient.
12 We propose that the treating physician
13 determine the setting for ustekinumab
14 administration. For the capable and
15 compliant patient, self-administration should
16 remain an option.

17 Ustekinumab patients should be
18 followed regularly by their physicians as
19 recommended in the AAD guidelines and NPF
20 consensus documents. To help ensure
21 appropriate patient follow-up with their
22 physicians, we propose an individual care

1 coordination program. This program would
2 have coverage throughout the United States,
3 and the centerpiece of the program is
4 regular, personal contact with the patient
5 prior to each scheduled treatment. This will
6 prompt the patients to schedule follow-up
7 visits with their dermatologists, provide a
8 reminder to the patient of their next
9 scheduled dose; it will be able to deliver
10 patient education tools with every treatment.
11 And perhaps importantly, provide reminders to
12 the dermatologists on their patients
13 receiving ustekinumab.

14 In addition, Centocor will provide
15 hotline support for any questions or issues
16 that may arise.

17 There are advantages and
18 disadvantages associated with a mandatory
19 registry. In a mandatory registry, all
20 individuals exposed to the drug are captured,
21 with the ability to obtain longitudinal data
22 on each patient. These data tend to be

1 limited to the events of interest. Perhaps
2 most importantly, a mandatory registry does
3 not contain a comparator cohort. Patient
4 retention problems exist, making longitudinal
5 follow-up with patients who withdraw from the
6 registry problematic.

7 Without a proposed risk management
8 plan, comparison cohorts are available for
9 analysis. There are patient retention
10 programs in place for PSOLAR, and with our
11 similarly designed registry in Crohn's
12 disease, we see attrition rates that are
13 approximately 8 percent per year.

14 Longitudinal data is captured in
15 PSOLAR, in our Nordic database imitative, and
16 in health care datasets with access to
17 medical records, but perhaps most
18 importantly, the use of comparator cohorts
19 gives us the ability to corroborate a signal
20 against event rates in the
21 non-ustekinumab-treated patient population,
22 assuring that the potential risks can be

1 evaluated in context.

2 Based on the comprehensive nature
3 of our risk assessment program, a program
4 modeled on the FDA's own Sentinel initiative,
5 and our goal of assuring that we effectively
6 monitor the safety of ustekinumab, we believe
7 that our risk assessment proposal has
8 compelling advantages over a mandatory
9 registry of ustekinumab-treated patients.

10 In conclusion, we propose to launch
11 new and to augment current prospective
12 observational cohort studies; to enhance
13 ustekinumab risk assessment; to conduct
14 targeted risk assessment as specific safety
15 issues arise; and to implement measures that
16 will inform and educate both physicians and
17 patients on the benefit/risk profile of
18 ustekinumab.

19 The use of these measures will
20 allow for the safe and effective use of
21 ustekinumab post-approval. Thank you.

22 I'd like to introduce Dr. Mark

1 Lebwohl, chairman of the Department of
2 Dermatology at the Mt. Sinai School of
3 Medicine.

4 DR. LEBWOHL: Thank you. I am here to
5 tell you why we need additional systemic
6 therapies for psoriasis.

7 This is the list of oral treatments
8 currently approved for psoriasis, and I'll
9 point out first that none of the treatments
10 on this list have been subjected to the
11 thousand-plus patient pivotal trials that are
12 required of the biologics. Some of these are
13 dramatically effective but have their
14 limitations.

15 Methotrexate, for example, is
16 associated with hepatotoxicity, and guidelines
17 for methotrexate call for periodic liver
18 biopsies in patients on chronic therapy.
19 Probably, its most serious side effect,
20 however, is bone marrow suppression, and
21 every year there are cases of pancytopenia
22 and death in patients treated with low dose

1 long-term methotrexate.

2 For cyclosporine, guidelines call
3 for limiting the use of this drug to one
4 year, because kidney damage occurs in
5 patients treated for longer. Acitretin is a
6 drug that by itself has limited effectiveness
7 and is also associated with numerous
8 mucocutaneous side effects and is
9 teratogenic. For that reason, it's often
10 used with phototherapy, which requires visits
11 several times per week.

12 PUVA, Dr. Stern has shown, is
13 associated with an increase in squamous cell
14 carcinomas and malignant melanomas.

15 This is the list of the biologic
16 agents currently approved for psoriasis.
17 Alefacept, the first of these approved,
18 achieved PASI 75 in 21 percent of patients at
19 week 14, and for that 21 percent of patients
20 was a very effective drug, but,
21 unfortunately, a high proportion of patients
22 do not achieve that degree of improvement.

1 It's also associated with a reduction in CD4
2 cells, and you'll see for every drug on the
3 list of biologics -- and also should have
4 applied to some of the oral agents we showed
5 as well -- infection and malignancy listed is
6 potential side effects, because they all
7 effect the immune system.

8 Efalizumab achieved PASI 75 in
9 27 percent of patients at week 12 and its
10 associated with flares of psoriasis,
11 thrombocytopenia and additional side effects.
12 The TNF blockers are associated with a long
13 list of side effects, such as the
14 predisposition to tuberculosis reactivation,
15 worsening of demyelinating disease.

16 And I'll point out that the most
17 effective of these, infliximab, is associated
18 with infusion reactions in a significant
19 proportion of patients.

20 For those reasons, we need
21 additional psoriasis therapies. Many of the
22 therapies currently available do not achieve

1 PASI 75, and many of the ones that do lose
2 that effectiveness over time.

3 I've already elaborated some of the
4 safety concerns we have about other systemic
5 therapies for psoriasis. And most
6 importantly, psoriasis is a lifelong disease
7 that requires sustained remissions for long
8 periods of time.

9 This is a summary of the treatments
10 that our patients enrolled in this trial had
11 been on, and many of them had failed. You
12 see that two-thirds, nearly, of patients had
13 received either phototherapy with UVA or UVB.
14 That's PUVA or UVB. Over half the patients
15 had received conventional oral systemic
16 therapies that I just reviewed. And
17 43 percent had been treated with one or more
18 biologics.

19 The pie chart that I'm showing you
20 here is the result of a survey that was sent
21 out to members of the Psoriasis Foundation.

22 Over 11,000 responded. And what

1 you see here is that over three-quarters of
2 patients report either fluctuation or
3 worsening of their disease. And one of the
4 greatest fears of patients with severe
5 psoriasis, even those who are adequately
6 controlled with the treatments they're on, is
7 that their psoriasis will recur. So we need
8 a treatment that will give sustained
9 clearance -- the kind of remissions that we
10 are seeing with ustekinumab. This is a
11 patient at baseline and follow-up at week 52,
12 and I'll just point out for those of you who
13 are quick at math that this patient did not
14 have a PASI 75 at week 52, but look at the
15 dramatic improvement.

16 Here's a patient again treated with
17 the 45mg dose at baseline and week 52. And
18 again, here's a patient treated with 90mg at
19 baseline and week 52.

20 So what ustekinumab offers is a
21 novel alternative mechanism of action, a high
22 efficacy that we have never seen before with

1 only one or two subcutaneous injections, a
2 maintenance of response of months with only
3 one or two subcutaneous injections that we
4 have not seen before -- convenience with
5 every-12-week injections, the ability to
6 adjust dose based on the patient's weight,
7 and a good safety profile through 19 months.

8 And I will say that this is the
9 first drug where the pivotal trial -- the
10 first psoriasis drug where the pivotal trial
11 required follow-up of all patients enrolled
12 for five years in addition to the standard
13 and more-than-standard post-marketing
14 surveillance. So I ask you to approve this
15 dramatically effective drug for psoriasis.

16 Thank you.

17 DR. BIGBY: I would now like to
18 open the floor to people sitting on the panel
19 for clarification questions to the sponsor.
20 I would urge you not to start the discussion
21 of the question though in this question and
22 answer period.

1 DR. CRAWFORD: Thank you,
2 Mr. Chairman. I'm Stephanie Crawford. These
3 questions are directed to Dr. Yeilding and
4 Dr. Callegari.

5 First one, Dr. Yeilding, if I heard
6 you correctly, most of the patient subjects
7 that were studied in the clinical trials were
8 in their third or fourth decade -- in other
9 words, is there little data available on use
10 of the drug in elderly patients? And if so,
11 how do you propose to provide more data?

12 That's the first question.

13 For Dr. Callegari, as an academic,
14 I'm very attuned to when I hear declarative
15 versus speculative statements, so with the
16 enhanced risk assessment plans, I heard a lot
17 of "might," "could," "may." Would you please
18 clarify what is the commitment of the sponsor
19 to all those aspects of the new risk
20 assessment plan?

21 DR. YEILDING: Thank you for that
22 question. I'll first address your question of

1 subjects in the third or fourth decade of life.
2 I may not have been clear on that. At that
3 point, I was discussing the theoretical risks of
4 blocking IL-12 and 23 -- in patients that have
5 been identified that are genetically-deficient
6 in IL-12 and 23 or their common
7 receptor -- these patients are generally younger
8 patients that are not older than the third or
9 fourth decade of life.

10 That's to be distinguished from our
11 clinical trial population. And if we can
12 have the slide up here, you can see here that
13 the mean and median age in our clinical trial
14 is in the mid-forties. We had patients that
15 ranged anywhere from 18 years of age to 86
16 years of age. So we have a broad
17 representation in terms of age distribution.

18 DR. CALLEGARI: In terms of your
19 question, there was no intent for equivocation.
20 We will commit to these. The reason that I'm
21 not definitive about the datasets themselves is
22 that we need to explore all the additional

1 datasets to make sure we've identified ones
2 where ustekinumab uptake is going to be
3 sufficient enough for us to be able to detect a
4 signal.

5 One of the challenges with claims
6 datasets or health care datasets is that
7 they're very dependent on formulary issues.
8 And so if the formulary doesn't approve
9 ustekinumab, even if I have 50 million people
10 covered, if none of those people are going to
11 receive ustekinumab, it's not a very useful
12 dataset for me.

13 And so that's the reason -- and I
14 apologize if it came across as equivocation.

15 DR. BIGBY: Bob?

16 DR. STERN: Yeah. One of the speakers
17 mentioned about Centocor's proven record in
18 terms of post-marketing surveillance, and I'd
19 like the numbers in terms of enrollment in
20 PSOLAR, which has been going for some time now.
21 It was a much earlier commitment for infliximab.
22 How many people were enrolled? How many people

1 you have definitive direct contact with those
2 individuals at six months and a year -- both
3 among people who received infliximab and other
4 individuals? Was it three years since that
5 commitment?

6 DR. CALLEGARI: No, it's one year
7 since that commitment for PSOLAR. And the
8 steering committee for PSOLAR, a steering
9 committee that's composed of academics and
10 clinicians, had mandated that we needed to test
11 the electronic data capture forms before broadly
12 launching the registry -- so the initial release
13 of user acceptance tests that involved 30 sites
14 has been completed. The revised forms are now
15 active. With the initial release, the current
16 enrollment is 485 patients. We'll expand
17 investigator sites outside of the 30 to 75 to
18 100, and over the next year to 450.

19 By the end of 2008, we'll
20 anticipate 1,000 patients enrolled, and by
21 the end of 2009, we'll have over 5,500
22 patients enrolled.

1 In terms of other regulatory
2 commitments, we have successfully enrolled a
3 5,000 patient registry on time for Crohn's
4 disease called TREAT. We have successfully
5 enrolled a 5,000 patient registry in
6 rheumatoid arthritis, and we've met other
7 regulatory guidelines. We've had first
8 patients -- again, for our pediatric Crohn's
9 disease registry in a commitment in a timely
10 fashion, so we have had a timely fashion for
11 these.

12 DR. STERN: When was infliximab
13 approved for psoriasis? 2005, was it?

14 DR. JONES: Six. Six.

15 DR. STERN: 2006. So in two years,
16 you've enrolled 460 individuals -- with no
17 follow-up, as I understand it. Basically, it's
18 taken two years to get to that point.

19 DR. CALLEGARI: It has taken two years
20 to get to 450 patients, yes.

21 DR. BIGBY: I have a couple of
22 questions. For Dr. Guzzo, on slide 46, where

1 you pick the 12-week cutoff point based on
2 weight, I just need to know, what is the
3 number in each of those figures?

4 DR. GUZZO: Could you bring up
5 slide 46, please?

6 DR. BIGBY: What is the end number
7 of patients in these studies?

8 DR. GUZZO: In this study, 320, with
9 approximately 60 patients per treatment group.

10 DR. BIGBY: Also, in slide 59.

11 DR. GUZZO: If you could bring up 59,
12 please.

13 DR. BIGBY: When the placebo group
14 was crossed over, did they get an injection
15 at 12 weeks?

16 Was that the zero for them? They
17 got an injection at 12 weeks, 16 weeks, and
18 then it was every 12?

19 DR. GUZZO: Correct.

20 DR. BIGBY: Okay.

21 DR. GUZZO: So they mimicked the
22 initial group and then went on every 12-week

1 dosage.

2 DR. BIGBY: For Dr. Yeilding, you
3 mentioned that patients that have a genetic
4 defect in IL-12 or the p40 segment -- at what
5 rate do they get salmonella and mycobacterial
6 infections? What percentage of them actually
7 had those infections?

8 DR. YEILDING: I'm going to ask one of
9 my colleagues to come to the microphone and
10 address that question -- Dr. Michael
11 Elliot -- who's the senior vice president of our
12 clinical R&D immunology group.

13 DR. ELLIOT: Thank you, yes. Those
14 individuals are of course rare. The case series
15 now include around 150 individuals, and the
16 individuals are identified because they present
17 at an early age with an unusual infection, a
18 mycobacterial or salmonella infection.

19 Now interestingly, when genetic
20 studies have been done on the siblings of
21 some of those affected individuals, it is
22 found that the penetrance of the phenotype is

1 limited. So putting that another way, there
2 are individuals who are genetically-deficient
3 who do not appear to present with the
4 infections. The data are fairly limited, but
5 the penetrance is estimated at around
6 40 percent.

7 DR. BIGBY: This is my last
8 question for Dr. Callegari. What do you
9 intend for pregnancy labeling for the drug?

10 DR. CALLEGARI: Actually, I'll ask
11 Dr. Jones to address that question.

12 DR. JONES: Right. We are proposing
13 pregnancy category B -- developmental and
14 reproductor tox (?) studies have been performed
15 in cynomolgus monkeys the dose is up to 45 times
16 the recommended clinical dose of ustekinumab.
17 These studies have revealed no evidence of harm
18 to fetuses due to ustekinumab. So this goes on
19 to describe other studies have not shown any
20 adverse findings.

21 DR. BIGBY: Dr. Heckbert?

22 DR. HECKBERT: Yes. I have some

1 questions to follow up on Dr. Crawford's
2 questions. This drug ustekinumab is not
3 approved anywhere right now, or in any of the
4 European countries; correct?

5 DR. CALLEGARI: That is correct.

6 DR. HECKBERT: Right. So can you tell
7 me -- but infliximab has. So my question would
8 be, how many people use infliximab in Finland,
9 Sweden, Denmark -- my question is, what has been
10 the uptake of that drug in those
11 countries -- just to give us an idea of how
12 readily those countries are likely to use the
13 biologic therapies?

14 DR. CALLEGARI: Over 10,000-plus
15 patients are on infliximab in those three
16 countries.

17 DR. HECKBERT: And the data on those
18 patients would be available in those registries?

19 DR. CALLEGARI: Yes.

20 DR. HECKBERT: Is that for psoriasis?
21 That's for the combined -- for lots of different
22 indications?

1 DR. CALLEGARI: That's for the
2 combined indications.

3 DR. HECKBERT: Is what you're
4 proposing to follow people with all indications
5 that might receive biologics, or just to follow
6 people with psoriasis?

7 DR. CALLEGARI: We propose to follow
8 people with psoriasis who were receiving other
9 therapies as well.

10 DR. HECKBERT: So what proportion of
11 those 10,000 are receiving infliximab for
12 psoriasis?

13 DR. CALLEGARI: Probably 1 percent.

14 DR. HECKBERT: One percent of the
15 10,000?

16 DR. CALLEGARI: Yeah.

17 DR. HECKBERT: So there hasn't been a
18 whole lot of uptake just yet.

19 DR. CALLEGARI: Of infliximab for
20 psoriasis. However, there has been obvious
21 uptake of other biologics for psoriasis in
22 Europe.

1 DR. HECKBERT: I see, and what other
2 ones are you talking about there? What other
3 agents?

4 DR. CALLEGARI: Countercept (?)

5 DR. HECKBERT: For psoriasis? Okay.
6 So what is the total number of people being
7 treated with biologics for psoriasis in those
8 databases, would you estimate?

9 DR. CALLEGARI: The total number -- as
10 I said -- excuse me?

11 It's probably about 1,000 for
12 psoriasis patients.

13 DR. HECKBERT: 'm just trying to get
14 at the issue of how much power you have there.

15 DR. CALLEGARI: Right.

16 DR. HECKBERT: How much power you have
17 there. Then moving on to the PSOLAR initiative,
18 I don't feel like I have much information about
19 that initiative overall. You're asking
20 dermatologists, I assume, to participate in this
21 registry?

22 DR. CALLEGARI: We are asking

1 dermatologists to participate in the registry.
2 There is a steering committee composed of
3 academic community sites that have full access
4 to the data, full access to any analyses, and no
5 analysis will go public without full approval by
6 the steering committee.

7 DR. HECKBERT: What kinds of
8 incentives are there for physicians or patients
9 who participate in the registry?

10 DR. CALLEGARI: There are no patient
11 incentives, and physicians are compensated for
12 their clinical trial efforts alone.

13 DR. HECKBERT: That's on a per patient
14 basis?

15 DR. CALLEGARI: It's as a normal
16 clinical trial -- recognized that Remicade
17 patients as well as other patients are enrolled
18 in it, so there's no differential compensation
19 for that.

20 DR. HECKBERT: I guess physicians are
21 encouraged to enroll all their patients
22 regardless of what treatment they might --

1 DR. CALLEGARI: It is a
2 disease-specific registry, so we would prefer to
3 capture as many patients -- both treated and not
4 treated.

5 DR. HECKBERT: At the present time,
6 what proportion of patients enrolled in PSOLAR
7 are getting biologics versus systemics versus
8 other treatments?

9 DR. CALLEGARI: I might actually ask
10 my colleague, Dr. Keenan, who's more intimately
11 familiar with that number, to come up.

12 DR. GUZZO: One thing that I would
13 point out about infliximab -- it's an
14 IV-administered agent, and therefore does have
15 some limited uptake in the dermatology community
16 compared to subcutaneously administered agents
17 for psoriasis.

18 DR. KEENAN: My name is Greg Keenan,
19 and I oversight the medical affairs-sponsored
20 research at Centocor. So currently, we have
21 approximately 485 patients in the PSOLAR
22 registry. Approximately a third of those

1 patients are receiving infliximab.

2 DR. HECKBERT: And the others are --

3 DR. KEENAN: At this point, the PSOLAR
4 registry inclusion criteria include those that
5 are appropriate for systemic therapy.

6 DR. HECKBERT: So presumably, the
7 other two-thirds are receiving systemic therapy
8 or are off therapy?

9 DR. KEENAN: They're appropriate for
10 systemic therapy. That was the inclusion
11 criteria. And the idea there is to get a
12 broad-based population from which to draw
13 comparison cohorts.

14 DR. HECKBERT: Okay. Thank you.

15 DR. BIGBY: Dr. Katz?

16 DR. KATZ: Dr. Guzzo, you said that
17 the average extent of psoriasis was 20 percent.
18 How small a percentage did that go to? What
19 percentage of patients had 10 percent or
20 5 percent --

21 DR. GUZZO: So the lowest that you
22 could have to be in the study was 10 percent.

1 DR. KATZ: Thank you.

2 On table 72, was any consideration
3 given to patients -- you addressed very well
4 the 70 to 100 kilo patients, but how about
5 less than 50 kilo patients? Or 50 kilo
6 patients who had 100 percent response to both
7 the 45 and 90mg dose? Was any consideration
8 given to a lower dose for that group of
9 patients?

10 DR. GUZZO: We did not test a lower
11 dose. The number of patients who entered the
12 50 -- slide up, please. As you can see, the
13 number of patients who are less than 50kg is
14 small -- 7 and 6, 13 patients. So that would be
15 a very low percentage of patients in that weight
16 range.

17 DR. KATZ: So they'd be obliged to be
18 taking the 45mg dose despite that fact that as
19 far as we know, they might respond as well to
20 half the dose?

21 DR. GUZZO: Well, that is true. They
22 will get a higher dose, but to date, we haven't

1 detected safety signals -- even with 90 versus
2 45 -- or even as Dr. Yeilding showed you, when
3 we look at lower-weight patients who get the
4 highest dose, we don't see a difference in their
5 safety signals.

6 DR. KATZ: My last question is, it is
7 almost implicit in the literature that these
8 drugs are marketed to moderate to severe,
9 whereas moderate is defined as 10 percent. So
10 the insistence on using that term for 10 percent
11 of body involvement -- perhaps the
12 non-dermatologists should know that's like one
13 extremity. Would consideration be given to use
14 in patients with severe involvement, since it's
15 a potentially severe drug?

16 DR. GUZZO: As you know, aside from
17 the biologics, aside from infliximab, the
18 biologics are approved for moderate to severe
19 psoriasis. That is the population we studied,
20 and we do believe that the safety profile
21 supports moderate to severe indication.

22 I'd like to ask my colleague

1 Dr. Alexa Kimball to comment, and then
2 Dr. Lebwohl on the classification of
3 psoriasis. As you well know, there is a lot
4 of overlap, and many other things come into
5 consideration for classification of moderate
6 to severe other than just body surface area.

7 Dr. Lebwohl.

8 DR. LEBWOHL: Not to confuse the
9 non-dermatologist members of the committee, one
10 extremity would be 9 percent -- if 100 percent
11 of the extremity was covered. That would be
12 9 percent of the body surface area. And that
13 usually doesn't happen, so when you have a
14 patient with 10 percent, they've usually got
15 psoriasis that is scattered on several body
16 sites, not limited to -- you know, if somebody
17 has psoriasis on the elbows, that's not
18 10 percent of the body surface area or 9 percent
19 of the body surface area.

20 So 10 percent I think accurately is
21 moderately severe. Severe enough to have
22 many of the emotional impacts that you heard

1 Alexa describe. Think of it: if you have
2 psoriasis involving your palms, just the
3 palms of your hands, that's 2 percent. And
4 think of how debilitating that is to patients
5 who have the palms of their hands affected,
6 or their soles, the soles of their feet,
7 affected.

8 DR. KIMBALL: Just to sort of draw out
9 one of Mark's points, it's not as if there's one
10 spot to treat. When you have 10 percent body
11 surface area, you probably have 20 or 30. On
12 average, a patient with topicals spends 26
13 minutes a day treating with topicals.

14 From a very intuitive standpoint,
15 when I first started doing studies and saw
16 criteria such as 10 percent body surface
17 areas -- I have to say it was very
18 intuitively reassuring, because those were
19 the patients who walked in the door who
20 clearly could not manage their disease with
21 topicals alone, and I think that is a very
22 legitimate boundary to start considering the

1 other therapies and the whole picture to see
2 if they'd be appropriate for treatment, but
3 they really cannot be managed just by putting
4 on creams.

5 DR. BIGBY: We're going to go into
6 the break now, and it'll be 15 minutes.
7 We'll reconvene at 10:30.

8 (Recess)

9 MS. WAPLES: Hello. Will you please
10 take your seat? We're about to begin.

11 DR. BIGBY: We're going to go on to
12 the FDA presentation. I'd like to just
13 reassure the people on the panel here that
14 people who have questions for the sponsors,
15 we'll find time for you to get your questions
16 and clarifications made. It will either be
17 at the end of this session or before we start
18 deliberation.

19 So let's go on to the FDA
20 presentation.

21 MS. FRITSCH: Good morning. My name
22 is Kathleen Fritsch, and I am a biostatistician

1 at the FDA, and I will be presenting some more
2 information on the efficacy of ustekinumab, with
3 special emphasis for maintenance dosing.

4 The two Phase 3 studies were
5 previously introduced by the applicant, T08
6 and T09 -- the 12-week studies with the
7 placebo control period, followed by crossover
8 dosing. The follow-up period for the studies
9 was 52 weeks for the first study and 28 weeks
10 for the second study. And for the efficacy
11 endpoints, the PASI 75 and the PGA of cleared
12 or minimal.

13 I'll just briefly go over the
14 efficacy at week 12, which was the primary
15 time point. As previously discussed, the
16 efficacy is around 60 to 70 percent on the
17 two active doses, and statistically
18 significant.

19 I'll spend the majority of my time
20 talking about the maintenance dosing. I'll
21 first look at the periods from week 16 to
22 week 28. We have information for both

1 studies in this time frame.

2 The study design was to have the
3 initial period with the two initial doses
4 followed by dosing at week 16 for those on
5 the active arms, and the crossover dosing for
6 those on the placebo arm. And this period
7 represents the relatively complete follow-up
8 for the subjects -- the additional
9 randomization determined the dosing during
10 this period.

11 The efficacy response -- again,
12 this was previously presented -- generally in
13 the range of 70 percent throughout this
14 dosing period for both doses. And here's the
15 second study. In general, from here on, I'll
16 be talking about the PASI 75 response.

17 I'll spend a little bit more time
18 on the next phase of these studies, which was
19 the week 28 to week 52 period. And for this
20 period, we have data only from study T08.

21 I'd like to go a little more into detail
22 about exactly how the dosing was conducted

1 during this phase of the study. At this
2 point, subjects were re-assessed, and based
3 on their efficacy at week 28 were assigned
4 into three groups -- those who were
5 non-responders, those who had less than
6 50 percent improvement on their PASI were
7 discontinued from this study and not treated
8 further -- partial responders: 50 to
9 75 percent PASI improvement were accelerated
10 to dosing every eight weeks; and the
11 responders: greater than 75 percent
12 improvement in PASI were continued on the
13 12-week dosing. And these subjects were then
14 re-assessed at week 40.

15 So those that responded and were
16 continued on the week 12 dosing, if they
17 slipped back into non-response or partial
18 response, they were at week 40 then
19 accelerated to every eight-week dosing, and
20 if they were responders again at week 40,
21 then they were entered into either the
22 randomized withdrawal period, which was to

1 continue every 12-week dosing or withdraw
2 treatment.

3 Here's the schematic showing all
4 the phases. We have the initial 12-week
5 period, followed by the maintenance and
6 crossover phase through week 28. Then as I
7 mentioned, there were three choices at
8 week 28 -- either discontinued, accelerated
9 to every eight-week dosing, or continued on
10 the every 12-week dosing for both treatment
11 arms.

12 And finally, for those who had been
13 continued on the week 12 dosing, they were
14 either continued on 12-week dosing or
15 withdrawn from treatment or accelerated to
16 every eight-week dosing.

17 So just to give the full picture of
18 the study design and treatment regimens used
19 through week 52.

20 The proposed dosing regimen is
21 every 12-week dosing after the initial two
22 doses at the baseline and week four. So I'll

1 simplify this diagram here to look back at
2 the number of subjects that we have followed
3 for the every 12-week dosing.

4 So in this study, we had roughly
5 250 subjects per treatment arm. Most of
6 those subjects were followed for the first
7 dosing maintenance dose. Then the responders
8 were continued here, and the responders
9 comprised about 180 subjects per group. And
10 then of those responders, about 150 were
11 still responders at week 40.

12 And of that group, half were
13 continued on every 12-week dosing. So we
14 have roughly 80 subjects that were continued
15 on the dosing through the entire one-year
16 period.

17 So there's the number of subjects
18 that we have for more than one maintenance
19 dose.

20 To see how many subjects were on
21 the accelerated dosing, just to see how
22 everyone was followed up, at week 40, which

1 was the last time point where subjects
2 switched regimens, the first two groups here
3 are those that were responders at both
4 weeks 28 and week 40. That was about
5 67 percent of the subjects.

6 Half of those were randomized to
7 receive the last dose at week 40, and half
8 were randomized to withdrawal treatment at
9 week 12. About 22 to 28 percent of subjects
10 were accelerated at either week 28 or week 40
11 to the every eight-week dosing.

12 2 to 7 percent of subjects were
13 terminated at week 28 for non-response. And
14 of course, every study has a certain
15 percentage of dropouts. In this case, we had
16 about 6 to 9 percent of subjects who dropped
17 out by week 40.

18 Looking at how the efficacy was
19 maintained, during the week 28 to 52 week
20 period in study T08 -- again, I believe this
21 diagram was shown previously by the
22 applicant. We followed the responders at

1 week 28. The graph on the left shows week 28
2 to week 40. By the end of that dosing
3 period, about 90 percent of the subjects were
4 maintaining response. These subjects were
5 then followed to week 40 to 52 week period,
6 and they were randomized to either withdrawal
7 or continue dosing.

8 Again, 87 to 91 percent of those
9 subjects maintained dosing, and fewer
10 subjects maintained dosing after the
11 withdrawal -- though it is notable that
12 60 percent of the subjects were maintaining
13 efficacy a full 24 weeks after their last
14 dose.

15 So just a summary of the number of
16 subjects that have been followed through
17 week 52 for these studies. We have about 650
18 subjects initially randomized. Most of those
19 subjects were followed for one maintenance
20 dose, and about 180 subjects per treatment
21 arm for the second maintenance dose, and
22 about 80 received the third maintenance dose.

1 And these groups represent the people that
2 have responded at week 28 -- and also here
3 responded also at week 40.

4 So in summary, we have the every
5 12-week dosing regimen was continued past
6 week 28 only in subjects who were responding
7 at the key time points of week 28 and 40. We
8 don't have the information -- the information
9 presented here then does not represent
10 subjects who may have slipped back to partial
11 response, because those subjects were all
12 accelerated to more-frequent dosing regimens,
13 and other maintenance strategies such as
14 looking at longer intervals or looking at
15 lower doses were not evaluated in these
16 studies.

17 The next speaker is Pravin Jadhav.

18 He is a pharmacometrician at FDA.

19 DR. JADHAV: Thank you, Dr. Fritsch.

20 Good morning, Mr. Chairman, members of the
21 committee, representatives from the sponsor, and
22 the FDA and the audience.

1 My name is Pravin Jadhav. I work
2 as a pharmacometrics reviewer at the Office
3 of Clinical Pharmacology, and what I am going
4 to present to you is our analysis and
5 evaluation of the dosing proposal given by
6 the sponsor using exposure response analysis.

7 For my presentation I plan to show
8 you for us to establish exposure/response
9 relationship between ustekinumab exposure and
10 response. I'm going to use PASI 75 as one of
11 the response variables. Then I would like to
12 establish relationship between ustekinumab
13 exposure and body weight. Given these two
14 relationships, the exposure response and
15 exposure body weight relationship, I will
16 show you our analysis and assessment of
17 dosing strategy. And the main emphasis is to
18 maximize the efficacy of ustekinumab.

19 To begin with, I've used data from
20 T08 and T09 trial, which had 1331 patients
21 for 45mg ustekinumab and 90mg
22 ustekinumab-treated patients, and 665

1 placebo-treated patients. The analysis that
2 I'll show you will involve analysis of
3 PASI 75 and PGA end point, but as I said, I
4 will focus on PASI 75 as the response
5 variable at week 12, which you know was
6 assessed after two doses -- that is week zero
7 and week four dosing.

8 While I'm presenting this, I would
9 like you to keep in perspective the dosing
10 proposal -- that is, for our labeling
11 purposes, they would like to recommend a 45mg
12 dose to less than 100kg patient, and a 90mg
13 dose to greater than or equal to 100kg
14 patient based on the data that we observe.

15 Here is a relationship between
16 PASI 75 and ustekinumab exposure. What
17 you're looking at is proportional PASI 75
18 responders on Y axis and observed ustekinumab
19 exposures on X axis. The placebo-treated
20 patients are plotted at concentration equal
21 to zero, and as you note that the proportion
22 of PASI 75 responders increases with

1 ustekinumab exposure.

2 The numbers represent number of
3 patients that have contributed to each of the
4 point on the graph, and the point I would
5 like you to take from here is from patient
6 perspective -- to maximize efficacy, it's
7 preferable to be in the last three quartiles
8 than being in the lower exposure range, and
9 that's where our analysis will focus.

10 So when we were looking for the
11 characteristics of patients who are in the
12 high concentration range versus low
13 concentration, we found -- and as already
14 presented by the sponsor -- that it was the
15 heavier patient.

16 What you're looking at is the
17 observed ustekinumab exposures on Y axis and
18 body weight on X axis. The body weight is
19 divided into four quartiles. The 90mg dose
20 is shown in green and 45mg dose is shown in
21 yellow. What you'll notice is that there is
22 a deep (inaudible) with respect to body

1 weight for concentrations, such that the
2 lighter patient which I defined as median
3 body weight of 68kg patients, could have
4 exposure twice as that of the heavier
5 patients, which I define as median body
6 weight of 117kg. So there's almost twice the
7 difference for a given dose.

8 You'll also notice that for
9 concentrations at 45mg for lighter patients,
10 is almost equal to concentrations on 90mg for
11 heavier patients, which our sponsor has
12 already made the point.

13 So given that we have these two
14 relationships, exposure-response relationship
15 and exposure-body weight relationship, it was
16 obvious that there will be a relationship
17 between the proportion of PASI 75 responders
18 and body weight. Again, body weight is
19 divided into quartiles, and a 45mg dose in
20 yellow and a 90mg dose in green.

21 You'll note that on 45mg, the
22 response rate for lighter patients is about

1 80 percent versus almost 50 percent for the
2 heavier patients. Also for 90mg, the
3 response rate in a lighter patient is higher
4 than response rate in heavier patients.

5 I would also like to point this
6 out, that there is a continuum with respect
7 to the exposure of the responder-body weight
8 relationship, which is very similar to the
9 relationship that we see with respect to
10 pharmacokinetics.

11 So given this relationship -- and
12 we have a dosing proposal which was not
13 actually studied in the trial -- we would
14 like to evaluate what other dosing regimens
15 are possible, with the aim that we can
16 maximize efficacy. So what we did is we
17 doubled up an exposure/response relationship
18 for ustekinumab. You're looking at
19 proportion of PASI 75 responders at week 12
20 on the Y axis, and the ustekinumab AUC on X
21 axis, where dots represent the observed data,
22 and these lines and shaded area represent the

1 median and the 95 percent confidence interval
2 for the model.

3 The point I would like you to take
4 from this slide is that the logistical
5 regression model that was doubled up here
6 reasonably predicts the observed data. And
7 given this model we have, we can also note
8 that somewhere at AUC of 200 and above is
9 that -- again, a threshold I was talking
10 about that is preferable to being the higher
11 exposure rates for efficacy purposes. So we
12 evaluated both -- based on this
13 model -- different dosing regimens.

14 Now, the question is what are the
15 different regimens possible for ustekinumab?
16 One of the dosing regimens that we considered
17 is one dose for all at 45mg, or you could
18 recommend a 90mg dose for all for
19 ustekinumab. And these dosing proposals are
20 in fact studied in clinical trials. We know
21 from the empirical evidence, post-doc (?)
22 evidence, that there is a body weight

1 relationship.

2 So the sponsor's proposal -- which
3 is shown here -- that 45mg for less than
4 100kg patients, and 90mg for greater than
5 100mg patient -- is consistent with data.

6 However, we were interested in, how
7 can we maximize this further? Is there a
8 possibility of getting slightly higher
9 response rate by administering a different
10 dosing regimen? So we considered several
11 proposals, and I'm going to show you only one
12 proposal, which is a three-step proposal,
13 where we evaluated 45mg dose for up to 70kg
14 patient, and 70 to 100 will get a median
15 dose, a mean dose, of 67.5mg, and then the
16 matches to the two-step dosing proposal,
17 where body weight greater than 100kg will get
18 a 90mg dose.

19 And the idea was to approximate the
20 continuous milligram per kilogram
21 relationship. That is, because we know the
22 pharmacokinetics is dependent and has a

1 continuous relationship with body weight and
2 it does translate into the response rate.

3 So here are the results based on
4 the model and the different dosing regimens
5 that we evaluated. If you were to administer
6 the one dose for all strategy, 45mg or a
7 90mg, we see that we have a difference of
8 about 10 percent response rate, 65 versus 75,
9 but the majority of that difference is driven
10 by greater than 100kg patient, which have
11 empirical data for.

12 So if we were to administer, which
13 is recommend -- the sponsor's weight-based
14 dosing regimen which gives less than 100kg a
15 45mg dose, and greater than or equal to 100kg
16 gets 90mg dose, we can maximize the response
17 rate from 54 to 70 percent because we changed
18 the dose in this subgroup.

19 However, note that there is a
20 possibility of further maximizing response in
21 other subgroup, so we instead of 90 median
22 dose for 70 to 100kg patient -- and the idea

1 is if we can get similar response rate with
2 the lower dose than 90, why not?

3 So the model, we can improve the
4 response rate from 65 to 73 percent versus
5 70 percent in a two-step proposal, and the
6 improvement really happens in 70 to 100kg
7 patients, an improvement of 68 to 74 percent.

8 So from committee, we are seeking
9 input on what are the advantages and
10 disadvantages of the different dosing
11 strategy -- that is one dose for all, either
12 45 or 90, a two-step dosing proposal, a
13 three-step dosing proposal. What, from
14 patient's perspective -- and again keeping in
15 mind maximizing the efficacy, what are some
16 of the advantages and disadvantages of this
17 proposal?

18 So finally, I hope I have shown you
19 that psoriasis improvement is dependent on
20 ustekinumab exposures, and the exposures, the
21 serum concentrations, AUC, are dependent on
22 body weight so that the lighter patients have

1 more concentrations than the heavier
2 patients. And it does translate into the
3 response rate, so that the psoriasis
4 improvement in heavier patients, the response
5 rate is lower than in lighter subjects.

6 So from our perspective, the
7 weight-based dosing regimen should maximize
8 the effectiveness. I thank you very much for
9 your attention, and with that, I would like
10 to welcome Dr. Jiaqin Yao, from our
11 pharmacology toxicology division.

12 Thank you very much.

13 DR. YAO: Thank you. Good morning. I
14 am Jiaqin Yao, pharmacological reviewer at FDA.
15 Today, I would like to talk about non-clinical
16 evaluation of human monoclonal antibody
17 ustekinumab. First of all, I'd like to talk
18 about the nonclinical evaluation for small
19 molecules and the biologic. General toxicology
20 in two species require recommendation for both
21 small molecules and the biologics.

22 However, general toxicology in one

1 related relevant species is acceptable for
2 biologicals. And also based on the natural
3 biological, immunotoxicology is a required
4 tool of evaluation for the biological.

5 As far as for reproductive
6 toxicology, one single embryo-fetal
7 development in toxicology are required for
8 biologic. However, for other reproductive
9 toxicology such as fertility study and the
10 pre- and the post-natal studies, sometimes
11 (inaudible) can be incorporated with a single
12 (inaudible) productive toxicology studies.

13 For genotoxicology for the small
14 molecules -- a battery of three assays are
15 required. However for the biological
16 genetical toxicology study are not
17 recommended generally.

18 For the carcinogenesis for a small
19 molecule, typically use chronic in human are
20 two chronic carcinogenic studies are required
21 for the small molecules.

22 For the biologic, however,

1 historically no carcinogenic study has been
2 submitted to FDA.

3 As far as ustekinumab, the sponsor
4 has done a program -- non-clinical studies
5 for the pharmacodynamic activity studies as
6 well as tissue reactive (?) studies show that
7 cynomolgus monkey was relevant species for
8 non-clinical evaluations.

9 For the TK studies, the half-life
10 in the monkeys, two to three weeks, is
11 similar to what happens in patients after
12 clinical use. For the genetic toxicology
13 response, there has not been any studies
14 because for the ustekinumab is biological,
15 it's unlikely to go into the nucleus to react
16 with DNA, so that is not a concern.

17 The sponsor has done some general
18 toxicology for two GLP studies. One is IV
19 studied weekly for four weeks. Another is 26
20 weeks, twice weekly up to 45mg per kilo. No
21 significant adverse effects were noted in
22 those studies. However in 1 out of 8 male

1 monkeys given 45 mg/kg was noticed have
2 infections at week 26. That is 1 out of 8
3 males or 1 out of 16 monkeys, including the
4 male and the female.

5 For the developmental and the
6 reproductive toxicology studies, the sponsor
7 has done four different types of studies,
8 including the male fertility studies, two
9 embryo-fetal development toxicology study,
10 and the one combined embryo-fetal and pre-
11 and post-natal development toxicology
12 studies.

13 The sponsor also did one study in
14 mice using analogous antibody to test the
15 female fertility studies. No significant
16 adverse effect was noted for the dose up to
17 45mg/kg subcutaneously twice weekly.

18 Some major concern is
19 carcinogenesis. Non-clinical carcinogenic
20 study has been done with ustekinumab. Since
21 ustekinumab is an immunosuppressant agent,
22 the risk of malignancy is a concern for

1 patients. Generally speaking,
2 immunosuppressant agents have the potential
3 to increase the risk of the malignancies.
4 From the literature data, we can find that at
5 the administration of IL-12 proteins to the
6 mice which has been challenged with tumor
7 cells or in the tumor models, we can see that
8 IL-12 have anti-tumor effect.

9 And the literature data also shows
10 that -- although as the sponsor point out,
11 IL-12 and IL-23 may have a definite role in
12 the carcinogenesis, but in the knockout mice,
13 which is knockout IL-12 and IL-23 p40, and
14 also in the mice are treated with antibody
15 against the IL-12/23 p40, the host defense to
16 the tumor is decreased.

17 Here is one data I can show you
18 that from the literature -- see here, compare
19 with controls here. If treated with IL-12/23
20 p40, the tumor incidents were increased after
21 the mice challenger (?) with PDV tumor cells,
22 and also the size here -- the tumor size is

1 greater compared with the controls.

2 In another study, if the mice
3 challenger was EP2 or breast cancer cells,
4 the tumor size were also increased compared
5 with the controls. So those data suggested
6 that in mice, if challenged with -- if
7 treated with the IL-12 and IL-23 p40
8 antibodies, the host defense, the tumor will
9 decrease.

10 As far as other biologicals
11 approved for psoriasis, we can find that they
12 are for the antibody against the CD11a and
13 the CD2, also TNF alpha blockers -- there's
14 no carcinogenic study has been submitted
15 before the approval -- and in one antibody
16 against the CD2, in the nonclinical chronic
17 study, we find that the B-cell lymphoma was
18 noted in one monkey at week 28.

19 So far, nonclinical study has been
20 done on ustekinumab, there's no positive
21 carcinogenesis signals.

22 What we can see that -- from the

1 literature, we can see that there's an
2 association between the inhibition (?) by
3 IL-12 and IL-23 with increased risk for the
4 carcinogenesis in the mice.

5 Therefore, long-term administration
6 of ustekinumab may have the potential to
7 increase the risk of the malignancy in the
8 patients, particularly for those patients
9 that have been treated with UVB or
10 phototherapy or other immunosuppressant
11 agents.

12 So based on the positive signals
13 from the literature, the information about
14 the carcinogenic potential of ustekinumab
15 should be incorporated into the labeling.

16 Thank you.

17 Now I would like to introduce my
18 colleague, Dr. Carr, to talk about some
19 safety concern. Thank you.

20 DR. CARR: Thank you. Good morning.
21 My name is Brenda Carr. I'm a medical officer
22 with the Division of Dermatology and Dental

1 Products with the FDA. I will be talking about
2 select safety concerns with ustekinumab in the
3 treatment of psoriasis.

4 The talk will cover three topics,
5 the first of which is the assessment of the
6 safety database. It will be broken into the
7 adequacy of the database -- and secondly, the
8 proposed assessment of long-term safety. The
9 next topic of discussion will be the
10 self-administration of therapy. And lastly,
11 immunogenicity of the product.

12 Assessment of safety. The
13 integrated safety database pooled data from
14 three studies in which 45mg and 90mg doses
15 were evaluated. Each of the studies had
16 follow-ups of different durations, and
17 additional safety data were submitted for the
18 Phase 3 studies T08 and T09, which made for
19 follow-up through 76 and 52 weeks
20 respectively.

21 The duration of exposure was based
22 on the interval between the first and last

1 doses of product. Subjects were considered
2 to have had at least six months' exposure if
3 the interval was 14 weeks -- a year of
4 exposure if the interval was 38 weeks, and 18
5 months of exposure if the interval was 18
6 weeks.

7 So for the 45mg dosing group, 994
8 subjects were considered to have had at least
9 six months of exposure -- 645 at least a year
10 of exposure -- and 187 at least 18 months of
11 exposure. And the numbers are similar for
12 the 90mg dosing group.

13 The issues to consider -- the
14 applicant has presented an overview of the
15 safety profile; however, the issues to
16 consider in regard to the adequacy of the
17 database to support approval include the
18 adequacy of its size to detect low-frequency
19 adverse events, the adequacy of the duration
20 to detect long-latency adverse events, and
21 the adequacy of size and duration for
22 first-in-class new molecular entity with a

1 carcinogenicity signal in the literature for
2 treatment of psoriasis, a
3 non-life-threatening condition for which
4 numerous therapies exist.

5 For the assessment of long-term
6 safety, the applicant has proposed a registry
7 of 4,000 patients to be followed for at least
8 eight years. Additionally, the subjects in
9 the Phase 3 trials will be followed for five
10 years.

11 The applicant proposes the same
12 plan for ustekinumab as is in place for
13 infliximab, which had approximately eight
14 years of marketing history when approved for
15 psoriasis. FDA requested more
16 patients -- that is 5,000 -- followed for a
17 longer period -- that is 10 years -- for
18 adalimumab, the most recently approved
19 biologic for psoriasis, which had
20 approximately five years of marketing history
21 when approved for psoriasis in January of
22 this year.

1 Issues to consider in regard to the
2 proposed assessment of long-term safety
3 include the adequacy of the proposed size to
4 detect low-frequency adverse events, adequacy
5 of the proposed duration to detect
6 long-latency adverse events, and the adequacy
7 of both proposed size and duration for a
8 first-in-class new molecular entity with a
9 carcinogenicity signal in the literature for
10 psoriasis, a non-life-threatening condition
11 for which numerous therapies exist.

12 As discussed by Dr. Yao in the
13 briefing materials, IL-12 has been shown to
14 have anti-tumor activity in murine tumor
15 models, and UV-induced tumors in animal
16 models may behave more aggressively in the
17 absence of IL-12.

18 The applicant discussed the
19 comparison that was done to the external
20 database, specifically the SEER base. This
21 comparison was done to assess malignancy
22 rates in the psoriasis studies compared to

1 the expected rates in the general population.
2 Standardized Incidence Ratios were evaluated
3 using the SEER database from the National
4 Cancer Institute.

5 The SEER database presents
6 information on cancer incidence and survival
7 in the United States, and contains
8 information on more than 3 million
9 malignancies. The population is based on
10 U.S. Census data and adjusted for age, sex,
11 and race. Non-melanoma skin cancer are not
12 included in this database.

13 The Standard Incident Ratio, or
14 SIR, is the observed number of subjects with
15 malignancy divided by the expected number of
16 subjects with malignancy, and if the SIR is
17 greater than one, and observed number of
18 subjects is greater than the expected number
19 of subjects -- and as the applicant indicated
20 for the placebo group, the SIR is 1.05 or
21 1.22 for the 45mg group and 0.17 for the 90mg
22 group; therefore, the rates are comparable or

1 lower than might be expected in the general
2 population.

3 Some limitations of comparison to
4 the SEER database are that it does not permit
5 comparison of rates of non-melanoma skin
6 cancer to the general population, and that's
7 because non-melanoma skin cancer are not
8 included in the SEER database.

9 In databases that report rates of
10 non-melanoma skin cancer have not been
11 identified. These limitations may be
12 important because the target population is
13 possibly at heightened risk for non-melanoma
14 skin cancer because of previous therapies,
15 and the role of IL-12 in tumor surveillance.

16 In summary review of our safety
17 data, no apparent pattern to the types of
18 malignancies were seen through 18 months of
19 follow-up. However, the long latency period
20 for development of malignancies may mean that
21 patterns would not be revealed through a
22 follow-up period of 18 months.

1 The next topic is the
2 self-administration of the product. Proposed
3 labeling -- draft labeling proposes that, "A
4 patient may self-inject with ustekinumab if a
5 physician determines that that it is
6 appropriate after proper training in
7 subcutaneous injection technique."

8 Recall that maintenance dosing is
9 proposed for every 12 weeks.

10 Prior to injection, the product
11 should be inspected for discoloration and
12 particulate matter. The product is described
13 as being clear or light yellow in color and
14 may contain a few white or translucent
15 particles of protein. It should not be used
16 if it is discolored or cloudy or if other
17 particulate matter is present.

18 In the Phase 3 studies, the product
19 was self-administered at the investigative
20 side by the subject, under the supervision of
21 an appropriately licensed and authorized
22 health professional. Therefore, no subjects

1 self-administered outside of supervised
2 conditions.

3 Concerns regarding
4 self-administration relate to the long
5 half-life of the product, which makes for
6 relatively infrequent maintenance injections
7 and prolonged immunosuppression. The
8 relatively infrequently injections could
9 result in possible intervals of greater than
10 three months between follow-up visits. This
11 could in turn result in possible delay in
12 diagnosis and/or treatment of clinically
13 significant conditions, some of which could
14 result in a decision to postpone or
15 discontinue treatment.

16 Because of the long interval
17 between injections, it is unclear whether
18 patients could become adept at adequately
19 assessing the quality of product for
20 injection, such as assessing for particulate
21 matter that might preclude injection.
22 Additionally, patients may not become adept

1 at injection procedures because of
2 infrequency of treatments, and both safety
3 and efficacy could be impacted by these
4 concerns.

5 Thus, in-office visits every 12
6 weeks for medical assessment and a
7 determination of appropriateness of
8 continuation of treatment would best serve
9 patients' well-being. The risk-benefit
10 equation would appear to favor in-office
11 follow-up every 12 weeks for assessment and
12 treatment.

13 And the last topic, immunogenicity.
14 The time-points of sampling in the trials
15 allow for possible presence of ustekinumab
16 when immunogenistic testing was done. The
17 presence of ustekinumab could interfere with
18 the detection of anti-ustekinumab antibodies,
19 and could result in inconclusive antibody
20 status due to possible assay interference.

21 These next two slides depict the
22 immunogenicity testing results from the

1 Phase 3 trial, and they're presented by two
2 weight categories -- less than or equal to
3 100kg, and greater than 100kg.

4 There are three categories of
5 results: Antibody positive at any time,
6 antibody negative, and antibody status
7 inconclusive. And the antibody status
8 inconclusive are those subjects who could not
9 be classified as negative due to the possible
10 interference from circulating ustekinumab,
11 and excludes subjects who were antibody
12 positive at any point.

13 The documented number of antibody
14 positive subjects is relatively low in all
15 categories; however, the number of subjects
16 who had inconclusive status is relatively
17 high in all categories. A similar but more
18 pronounced pattern is seen in study T09,
19 wherein again, relatively low numbers of
20 documented antibody positive subjects -- and
21 most subjects in this study had antibody
22 status that was inconclusive.

1 The results revealed that antibody
2 status is inconclusive in approximately 23 to
3 67 percent of subjects in study T08, and
4 approximately 75 to 96 percent of subjects in
5 study T09. Additionally, the results reveal
6 a possible association between subjects
7 heavier than 100kg and antibody positivity,
8 and a possible association between 45mg
9 dosing and antibody positivity.

10 Possible clarifying investigations
11 of immunogenicity of ustekinumab include a
12 clinical trial in which the testing is done
13 at time points that have allowed for
14 clearance of ustekinumab, or development of
15 an assay with which the presence of
16 ustekinumab does not interfere.

17 Thank you. I'd like to introduce
18 now my colleague, Dr. Rizwan Ahmad, from the
19 Office of Surveillance and Epidemiology.

20 DR. AHMAD: Good morning, everyone.
21 My name is Rizwan, and I'm an epidemiologist in
22 the Office of Surveillance and Epidemiology, and

1 I will talk about ustekinumab's safety
2 assessment, and will attempt to guide the
3 committee to the way forward.

4 I will focus on select safety
5 concerns, challenges in assessing safety.
6 I'll talk about sponsor's proposal, and
7 mention some pertinent issues and questions
8 that need to be addressed and considered in
9 the decision-making process.

10 The select safety concerns are
11 malignancies and opportunistic infections.
12 Some of the available options to study these
13 are Adverse Event Reporting System, or AERS,
14 observational studies, registries, and
15 Randomized Controlled Trials, or RCTs.

16 FDA's spontaneous reporting system
17 is best suited to identify rare events with
18 short latency. AERS may not be able to
19 capture events with long latency such as
20 malignancy, but may capture infections.
21 Under-reporting and incomplete or missing
22 information are major limitations of AERS.

1 In addition, we cannot calculate the
2 incidence of an event because of lack of data
3 on numerator and denominator, and hence, we
4 can't quantify the risk of an event.

5 The conventional epi study design,
6 such as case control and cohort, also pose
7 challenges in assessing safety. It can take
8 many years to accrue enough number of
9 patients in the population. Large sample
10 size will be needed for rare events such as
11 malignancy. There can always be questions
12 about unmeasured or residual confounders.
13 Incomplete case ascertainment and
14 under-estimation of risks because of
15 mis-classification are some of the other
16 limitations of observational studies.

17 Another option are registries,
18 which are systematic collection of events or
19 exposures and can be exposure-based, such as
20 drug exposure, or disease-based, such as
21 cancer registries.

22 Registries can be voluntary or

1 mandate free. In voluntary registry, access
2 to drug is not contingent on being in the
3 registry, and hence, it is less burdensome
4 for both patients and prescribers. The
5 limitations of voluntary registry are
6 involuntary registries -- enrollment may pose
7 a challenge, and those patients who enroll
8 may not be representative of the population.
9 Involuntary registries are usually
10 incomplete, and capture only some of the
11 cases and exposed persons.

12 In mandatory registry, since access
13 to drug is tied to being enrolled in
14 registry, complete information on all exposed
15 patients and cases are captured, and this
16 reduces selection bias. However, in
17 mandatory registry, prescriber, patient
18 and/or pharmacist may have to do some
19 additional task which may make prescription
20 sale and use of drug a little burdensome for
21 all relevant parties.

22 Mandatory registry also requires

1 the restricted distribution of the drug.
2 Since there may not be any incentive for
3 patients to continue on registry after they
4 discontinue therapy, it may be difficult to
5 attribute the drug for events with long
6 latency.

7 Another option are RCTs, or
8 Randomized Controlled Trials, which are
9 considered a gold standard. RCTs are
10 primarily conducted to study efficacy of
11 products. RCTs can also be useful for safety
12 if adequately powered. Unfortunately,
13 clinical trials are not normally done to
14 answer safety questions, and that is why we
15 have a question mark. However, if there are
16 important safety concerns with a product
17 prior to approval, FDA has asked sponsors in
18 the past to conduct RCTs to study relevant
19 safety issues.

20 Randomization eliminates selection
21 bias and provides a comparator group. RCTs
22 are more likely to capture events of

1 interest, and have a greater ability to
2 evaluate some safety signals.

3 As I said earlier, RCTs are
4 typically done for efficacy assessment, but
5 they can be conducted to clarify certain
6 safety issues. But RCTs when done for
7 efficacy assessment may have certain
8 limitations. The number is low, focus is
9 narrow, scope is limited, duration is short,
10 and generalizability is limited because of
11 exclusion of patients with serious diseases
12 or comorbidities and concomitant medications.

13 Now let me talk about the sponsor's
14 proposal. The sponsor plans to conduct a
15 registry, PSOLAR, which is the same as in
16 place for infliximab, another of their
17 product, which had eight years of marketing
18 history. The primary objective is to
19 evaluate the safety of ustekinumab in
20 patients with chronic moderate to severe
21 plaque psoriasis. There are also some
22 secondary objectives.

1 The design of the PSOLAR -- as I
2 said, it's a registry. The sponsor's plan is
3 to recruit patients from North America,
4 Europe and Asia. The enrollment period is
5 two years, and the observation period for
6 each patient is eight years, and the total
7 duration of the registry is 10 years.

8 These are the inclusion criteria:
9 adult patients 18 years or older with
10 psoriasis, patients who can receive or are on
11 conventional systemic agents or biological
12 therapy.

13 The sample size of the registry
14 will include 4,000 ustekinumab-exposed
15 patients and 4,000 other patients exposed to
16 conventional agents or biologics with whom
17 comparison will be made.

18 According to the protocol, the
19 sponsor will attempt to capture all serious
20 adverse events, and data will be collected at
21 baseline and at six-month interval. Data
22 includes demographics, medical and family

1 history, previous treatments, history of
2 concomitant medications, health, economic and
3 quality of life indicators.

4 Interim analysis which will include
5 descriptive data will be submitted to the FDA
6 annually. The protocol doesn't include any
7 statistical analysis plan.

8 Limitations of PSOLAR as designed.
9 Patient recruitment may be a challenge.
10 Adverse events with long latency such as
11 malignancy may be difficult to capture. In
12 general, it takes a long time between
13 exposure and clinically apparent cancer.
14 Rare events may be outside power range.
15 Follow-up and case ascertainment may be
16 difficult.

17 Assessing dose and duration of
18 therapy may be difficult as well. The
19 registry doesn't address patients who will
20 switch therapies.

21 Sponsor plans to recruit about
22 40 percent of patients from outside North

1 American, including 20 percent, or 800, from
2 Asia. We know that the background rate of
3 malignancies and infections are different in
4 Asia compared to North America, and also,
5 psoriasis in Asian population is different
6 from North America.

7 Patients may not be representative
8 of the general population. And the logistics
9 of following patients longitudinally and
10 tracking their health outcomes are difficult.

11 Registry size and power
12 calculation. Power is low, about 60 percent
13 for rare adverse events of .01 percent, or
14 with an incidence of 1 in 10,000 according to
15 the assumptions made by the sponsor. For
16 example, according to information derived
17 from the Centers for Disease Control and
18 Prevention website and U.S. Census data, the
19 background rate of non-Hodgkin's lymphoma in
20 people 15 to 49 years is about 8 per 100,000,
21 and this is far lower than the .01 percent
22 cited by the sponsor.

1 If the background rate of an
2 adverse event is .5 percent, or 1 in 200, the
3 registry size has enough power -- and we know
4 that the outcome of greatest concern, that is
5 malignancy, has a far lower background than
6 .5 percent. In other words, the sponsor's
7 proposed registry as currently designed is
8 far too small, and doesn't have the power to
9 identify events of interest even if a
10 substantial increase in risk exists.

11 There are certain pertinent issues
12 that need to be considered when making a
13 risk-benefit assessment of ustekinumab. We
14 need to be aware that ustekinumab is a new
15 molecular entity, first in its class, with no
16 prior marketing history, unlike some other
17 biologics already approved for psoriasis.

18 The total number of patients
19 exposed to ustekinumab for psoriasis in
20 clinical trials is about 2,200, and the
21 maximum duration of exposure has been for
22 about 76 weeks or 18 months, involving under

1 400 patients.

2 There is a potential signal for
3 malignancy for ustekinumab based on the
4 literature as alluded to by previous
5 speakers, and this is unlike other biologics.
6 And as mentioned by Dr. Brenda Carr,
7 psoriasis is a non life-threatening disease
8 for which alternative therapies exist.

9 Now let me come to questions that
10 need to be addressed within the context of
11 the previous issues, which are: what is the
12 risk of malignancy or opportunistic
13 infections after treatment with ustekinumab?

14 The answer to this question is that
15 we have inadequate safety data to clarify
16 significant safety concerns associated with
17 this biologic, so the question is, should
18 ustekinumab be approved when there is sparse
19 safety data, and alternative therapies exist
20 for the treatment of psoriasis?

21 The next question is, when and what
22 other strategies can be undertaken to assess

1 the risk of treatment with ustekinumab? The
2 options before approval are to conduct much
3 larger and longer-term clinical trials to
4 build the safety database, like it was done
5 in the case of some already approved
6 biologics for psoriasis.

7 I have already discussed some of
8 the options after approval, and some are
9 listed in the questions that we have asked
10 you, but the question is, do we need to take
11 this route in the age of safety first and
12 (inaudible) environment? And this is what
13 you as a committee have to advise us. Thank
14 you.

15 And finally, I would like to thank
16 all these individuals who helped me in this
17 talk.

18 DR. BIGBY: I'm aware that there
19 are questions from Dr. Ringel and
20 Dr. Shwayder to the sponsor, but at this
21 point I think we'll take clarifying questions
22 for the agency, and I promise I'll leave time

1 before we break for lunch for the other
2 questions to be asked.

3 Rob and then Mary.

4 DR. STERN: I have two unrelated
5 questions. The first is, at least in my
6 experience, many patients require even lower
7 doses of a systemic therapy to maintain their
8 psoriasis in good extent, and one always has to
9 look at duration and dose. The trials have only
10 looked at constant dose, and essentially with
11 the withdrawal, what the duration where one
12 begins to see flares.

13 Is there any thoughts of in fact
14 requiring or doing trials that would
15 demonstrate whether or not lower maintenance
16 doses than that were required were
17 efficacious for clearing might be required.

18 You know, if you look at the
19 TNF-alpha inhibitor, there is a difference in
20 the first 12 weeks versus maintenance
21 recommendations in psoriasis. And I think if
22 you look at how many of us have used

1 methotrexate for 30 years, there's a
2 difference in clearing dose and maintenance
3 dose, and duration is not quite as flexible,
4 which the pharmacokinetics would suggest.

5 So my question is, is there any
6 thought to looking at whether in fact lower
7 exposures, post-clearing, post-12 weeks,
8 might be as effective and presumably safer
9 for long-term maintenance for this chronic
10 disease?

11 And then I have a second unrelated
12 question.

13 DR. WALKER: I can answer that. I
14 think that's one of the questions we're posing
15 to the committee. Obviously, the elements of
16 dose ranging are important, and your comments on
17 establishing the dose duration and frequency and
18 what the agency should be looking for in
19 clinical trials is of interest to us.

20 We have no specific data for this
21 product, I believe, in some of these areas.

22 DR. STERN: So we'll be a little bit

1 blind in terms of really what's likely to be the
2 long-term exposure, what's the optimal dose for
3 maintenance of clearing?

4 DR. WALKER: I believe we have the
5 data that has been presented today, and any
6 considerations beyond that, we would be looking
7 for the advice of the committee.

8 DR. STERN: My second question has to
9 do with CRO-managed registries. The first
10 biologic was approved for psoriasis nearly six
11 years ago -- I think the fall of 2002. And I'd
12 like to ask the FDA what new substantial safety
13 information for any of the drugs that have been
14 approved for psoriasis in these six years has
15 come from those, and to compare it -- in terms
16 of long-term safety -- and to compare the
17 findings from these with -- for example, the
18 Bonnett's paper which was relatively short-term
19 in terms of a meta analysis of a clinical trial
20 data -- so have we shown efficacy over the last
21 5-3/4 years in terms of new robust safety
22 information?

1 DR. AVIGAN: I'll just make some
2 general statements. I think your question is
3 well-placed, and that we don't yet have a
4 sufficient experience to conclude that
5 observational studies that we set into motion
6 with the sponsors running them have provided us
7 with useful new signals, but it's still
8 something that we need to explore further and
9 work through. And one of the questions that is
10 being posed to the committee is asking their
11 advice about the utility of this kind of
12 approach.

13 Having said that, the logic of
14 doing these studies is to look not only for
15 very rare events, which they may not be
16 powered to do, but also to look at a general
17 clinical experience about other kinds of
18 adverse events in this arena of biologics,
19 which are more common and which give us
20 concern -- specifically infections and also
21 atypical infections, which are not all that
22 rare for some of the agents that have been

1 used -- and not only learning what are the
2 new signals, but learning about what are the
3 situations, the clinical scenarios, in which
4 these occur.

5 DR. BIGBY: Dr. Drake?

6 DR. DRAKE: My question was -- Rob
7 beat me to it. I think I was the acting chair
8 of the first biologic approval -- committee that
9 recommended approval, and it seemed to me that
10 we certainly requested follow-up data on things
11 such as carcinogenicity -- and this is five
12 years out. Do you have -- I want to just
13 follow-up on Rob's question. Has anybody
14 reported out anything, and are you expecting
15 anybody to report out anything in terms of these
16 follow-up recommendations from the sponsors?

17 DR. AVIGAN: Again, we do expect the
18 sponsors to report to us on their experience.
19 One of the road blocks from just implementing
20 these studies is the enrollment step, that these
21 studies which have been proposed -- roughly in
22 the order of enrolling 4,000 or 5,000 patients

1 per treatment group -- has been that to some
2 extent, that's a kind of compromised number
3 based upon what is doable and what would be
4 sufficient to get some empiric experience.

5 But the road block has actually
6 been in the enrollment step; that is, it has
7 been difficult for some of the sponsors to
8 find -- to ramp up quickly patient
9 enrollments to get a sense of what actually
10 is going on. And I think one of the learning
11 experiences that we've had in the last few
12 years is that despite the fact that these
13 studies have been proposed and planned for,
14 they have not been robustly implemented. And
15 so that's, I think, where we are as a general
16 theme with many of these studies.

17 Having said that, some of the
18 cancer signals that we have seen have come
19 from other sources of information such as the
20 Adverse Event Reporting System, where we see
21 rare signals that are sometimes very
22 impressive. A recent example is the

1 hepatosplenic T-cell lymphoma signal that was
2 appreciated from the AERS database in
3 patients with Crohn's disease, primarily
4 pediatric patients -- a very compelling
5 adverse event signal, safety issue that got
6 into the label, as well as in clinical trial
7 meta-analyses where there was randomized
8 datasets that were available in some cases,
9 and have led to labeling for some of these
10 products.

11 So it really ends up being a kind
12 of pastiche of different data streams that
13 come together that together give us a sense
14 of malignancy risk, where in some cases we're
15 looking at very low background rate
16 malignancies, where we see a cluster of
17 events which are compelling because the
18 background rates are so low -- and in other
19 cases where the background rates of some
20 other kinds of malignancies are higher, and
21 where the methodologic challenges require
22 perhaps a different approach, such as

1 randomized datasets.

2 DR. DRAKE: Thank you.

3 DR. WALKER: I think we have another
4 comment --

5 DR. DRAKE: Please do. Yeah.

6 DR. WALKER: From FDA.

7 DR. DRAKE: I'd like another comment.

8 MR. SIEGEL: Hi. I'm Jeffrey Siegel.

9 I'm in the division of anesthesia and
10 rheumatology products. I've been involved in
11 overseeing development of the biologics for
12 rheumatoid arthritis and other rheumatic
13 diseases. The question as I understand it is
14 what's been the usefulness of registries in
15 assessing safety events, and I think it's a very
16 good question.

17 The short answer in my experience
18 is that registries have not been that useful
19 for detecting new safety signals. Most of
20 the safety signals that we've gotten, the TB
21 signal, malignancy signals, demyelization,
22 and so on, have come from either spontaneous

1 post-marketing adverse reports or from
2 clinical trial data. But the registries have
3 really been essential for us -- when we get a
4 signal -- to try to bracket what the level of
5 concern is. So for example, when we got
6 signals about a malignancy risk, there were
7 registries in Sweden and other countries in
8 Europe that showed that the risk of
9 malignancy was no higher in people receiving
10 TNF blockers than in people receiving other
11 products for rheumatoid arthritis.

12 So that was one very useful
13 function of the registries.

14 DR. STERN: But those weren't
15 registries that came from FDA in agreement with
16 the sponsors. Those are very different kinds of
17 registries. I was specific in terms of -- SOCOR
18 is very much like the last five proposals, what
19 we've gotten from those after 5-3/4 years. I
20 understand the utility of the cancer registries
21 in Scandinavian countries and other places,
22 which are very good for pharmacoepidemiological

1 research, but my question was more specific.

2 MR. SEIGEL: I can just make one quick
3 comment on that. So the reason that European
4 registries are particularly helpful is because
5 they're comprehensive -- all patients receiving
6 biologics in those countries -- and because
7 they're linked to malignancy databases.

8 Nonetheless, for each of these signals that we
9 detected from another way, we always look at the
10 FDA-required registries to see what the level of
11 signal is in those populations, and it is
12 helpful, but perhaps not as definitive as other
13 sources.

14 DR. BIGBY: We're going to go on.
15 I just want to sort of caution the table.
16 This is not part of the discussion, it's just
17 clarification, and we can have this kind of
18 weighing of the answers in the afternoon.

19 Eileen?

20 DR. DRAKE: I had a follow-up -- I had
21 a two-part question. I wanted to ask Dr. Yao on
22 his slide on number three, your third slide,

1 where it talked about the non-clinical
2 evaluation for small molecules versus biologics,
3 and under carcinogenicity, it said that the
4 biologics are historically not provided, and I
5 wondered why is that?

6 DR. YAO: Based on ICH is (inaudible)
7 for that guidance for the biological, they
8 generally don't recommend -- guidance don't
9 recommend for the carcinogenicity studied,
10 unless there's some concern, so for those
11 biological approved for the psoriasis, there's
12 no carcinogenesis contacted by the sponsor --

13 DR. DRAKE: But that's still not -- I
14 mean, I understand that's the policy and that's
15 what you do, but I remember one of these gave a
16 signal for a potential B-cell lymphoma on down
17 the road, and I guess I don't understand why
18 it's not part of the requirement.

19 DR. YAO: Another reason is that for
20 the biological, typically we cannot use the drug
21 product in the animal, because we have to
22 develop analogue in the mice or rat. We need

1 another analogue. That means we need to develop
2 another product to test the information
3 regarding the other information so that we can
4 do a two-year carcinogenic study.

5 DR. BIGBY: Dr. Ringel?

6 DR. RINGEL: Thanks. Many questions
7 have been answered by the FDA, and I appreciate
8 that. I'm going to limit this simply to
9 questions not discussion. First of all, has the
10 FDA done an analysis that's stratified the
11 PASI 75 data on the basis of disease severity?
12 Have you looked at the data in that way?

13 DR. SHWAYDER: The malignancy data?

14 DR. RINGEL: No. Just PASI 75 versus
15 disease severity. That's what I'm interested
16 in.

17 DR. FRITSCH: I think we have looked
18 at some of those analyses. I don't have the
19 results at my fingertip, but that's part of the
20 comprehensive analyses that we will be done.

21 DR. RINGEL: That's something I'll
22 probably ask the sponsor later on then.

1 DR. BIGBY: Hold on, Eileen. You
2 can ask them now because -- I mean, you could
3 ask them now.

4 DR. RINGEL: Does anyone have that
5 data for me?

6 DR. GUZZO: I did show the data in my
7 presentation. If we can go back to the subgroup
8 analyses, please, in my main presentation. And
9 we did look at PASI 75 response by disease
10 severity. Slide up, please. And you can see it
11 broken down at both doses. PASI 75, 45 and 90,
12 cutting the data at PASI by less than 20 and
13 greater than or equal to 20, PGA less than 4,
14 greater than or equal to 4, and then baseline
15 body surface area by less than 20 and greater
16 than or equal to 20.

17 And generally we see a consistent
18 response across all those, so it works as
19 well for moderate psoriasis as it does for
20 severe psoriasis using those arbitrary cut
21 points.

22 DR. RINGEL: Second question, what are

1 the exclusion criteria for entry into this
2 study? For example -- I really haven't read
3 that anywhere in the data we've been given.

4 DR. GUZZO: Do you want me to answer
5 that?

6 DR. RINGEL: In a moment. I have two
7 specific -- we don't know how the drug is
8 metabolized, so I'm specifically interested in
9 patients with any degree of liver disease or any
10 degree of renal disease were included. I'm
11 interested, because so many patients are obese
12 and steatohepatitis, fatty liver, with elevated
13 liver enzymes, with diabetes, if they had
14 borderline renal function, were any of those
15 patients excluded, or was any of that tested
16 before they entered the study?

17 Patients -- there was an exclusion
18 criteria for creatinine above 1.5, patients
19 had to -- any patient who had liver function
20 tests above 1.5 times the upper limit of
21 normal. Generally, antibodies are
22 metabolized through the same pathway at which

1 natural antibodies are thought to be
2 metabolized. They're not metabolized through
3 the p450 system so you don't have to worry
4 about issues of drug interaction, but
5 generally thought to be metabolized in the
6 same way as natural antibodies.

7 The last question is probably
8 obvious, but I'm going to ask it anyway.
9 Were all patients who were lost to follow-up
10 treated as treatment failures?

11 DR. GUZZO: So at week 12, there was
12 an ITT analysis, and all patients are accounted
13 for. After week 12 -- can I have 535,
14 please -- so after week 12, we analyzed the data
15 by all observed data. So that means that -- but
16 we also applied treatment failure roles. So
17 anybody who used a prohibitive concomitant
18 medication or had inadequate response to
19 treatment, was treated as a treatment failure.
20 Additionally, we follow all patients who stop
21 study for adverse events for 20 weeks, so we
22 obtain their efficacy data and they're included

1 in the analysis.

2 If you do -- can I have the slide
3 up, please? If you do an intent to treat
4 analysis -- the missing data is small, first
5 of all -- and you can see the numbers at the
6 bottom of the page, where you start out with
7 255 and then 246, 256 in the 90mg down to
8 238. So this was the pre-specified analysis,
9 and if you use last observation carried
10 forward and you do an intent to treat
11 analysis, you see similar responses.

12 DR. RINGEL: So all incomplete
13 responders, all lost to follow-up, everyone was
14 considered a treatment failure; is that correct?
15 At 40 weeks.

16 DR. GUZZO: Not everybody who was lost
17 to follow-up was considered a treatment failure.
18 They're not included in the analysis, but if
19 they were a treatment failure by our predefined
20 treatment roles -- in other words, they stopped
21 treatment because of an inadequate response or
22 they used a prohibited concomitant medication,

1 they're included in the analysis as treatment
2 failures.

3 DR. RINGEL: Thank you.

4 DR. BIGBY: Dr. Thiers?

5 DR. THIERS: I'd like to speak to the
6 remark made by more than one of the presenters,
7 and I'll quote it so I don't get it wrong.
8 "Psoriasis is a non-life-threatening disease for
9 which alternative therapies exist."

10 It may be a skin disease, but I
11 would urge the panel and everyone in
12 attendance not to trivialize it. I mean,
13 psoriasis has a huge impact on patient lives,
14 and as I think Dr. Lebwohl mentioned, even
15 minimal involvement could basically render
16 somebody unemployable.

17 And in terms of alternative
18 therapies, there are alternative therapies
19 out there, but looking at the data, probably
20 the only one that comes close in terms of
21 efficacy is infliximab, which has to be given
22 intravenously, and cyclosporine, which is a

1 non-biological which has huge safety
2 concerns.

3 Now, I certainly share the concerns
4 of probably everybody here in terms of what
5 the long-term safety of this drug is, but I
6 think as with any drug, we have to weigh the
7 risks against the potential benefits. And my
8 question to the FDA presenters, whoever cares
9 to answer would be, somebody mentioned the
10 possibility of doing more clinical trials.
11 What kind of clinical trial would address
12 these long-term latency questions?

13 Are you talking about a trial that
14 would be 8 or 10 years in duration? And are
15 you talking about a trial that would be
16 pre-marketing or post-marketing?

17 DR. WALKER: I'll address that. I
18 share your concerns. I believe that what we're
19 trying to do today is put the options on the
20 table for the committee to discuss. There's
21 certainly no intention to trivialize psoriasis.
22 It's a very serious condition and it