

1 patients who have a disease process in a bad location or
2 patients with a major host defect like diabetes, even if they
3 that can all progress rapidly. And we've all seen patients like
4 that who have scared the daylights out of us because they got
5 way sick very, very quickly. What are the end points you've got
6 to use? Well, we got to deal with this one, are they objective.
7 Be sure about that. Well the things that we look at, you know,
8 I talked earlier about that long conversation that goes on
9 between the patient and the physician resolution of fever,
10 resolution of erythema (ph), resolution of drainage overall
11 clinical care. You actually can define those things and if you
12 look at recent trial designs the wording that's often used is
13 something like well, "complete resolution of signs and
14 symptoms," it's reasonably objective. We may not agree on
15 whether it was today or yesterday that it happened, but if you
16 look over a course of a few days we will all agree on it or not.
17 And speaking of when do we measure it. When do we measure it?

18 It seems to me like you've really got to go towards the
19 end of course of the therapy that's typically 5 to 10 days,
20 that's not weeks after the two doses -- you know it's not weeks
21 and weeks after the two day point at which the FDA looked at the
22 resolution of fever or resolution of -- cessation of spread.

1 It's reasonably brief. It's close enough to the real disease
2 that it feels about right. It does capture the key response
3 variables. But what then about the historical evidence of drug
4 effect and consistency, with these different pools, to what
5 extent to ancillary care and permits general medical process and
6 early diagnosis change things? You know, these things must
7 certainly make a difference, but the underlying pathophysiology
8 is the same. And I got back to the Spellberg thing, untreated
9 these are serious illnesses that can progress with stunning
10 repeatedly. We must, I agree entirely, that we're going to have
11 to discount the historical effects to deal with this, we're
12 going to have to. But we should not discount it to zero because
13 there is an effect that we all can get at. And this where I
14 would like to turn to this question of the clinical meaning of
15 what we've got.

16 The older data really are clinically meaning full
17 and the signals are very, very strong. Now what number can we
18 put on it. And, you know, the number 10 discussed a lot because
19 we have 10 fingers and 10 toes. And as a number it is certainly
20 a lot smaller than the treatment effect for essentially all the
21 meaningful skin infections. But using it a focal point for just
22 a minute not because it's necessarily the right answer, let's

1 think about what it means to do a trial and stay within a 10
2 percent margin and that's actually the key figure here.
3 Remember, margins are a combination of science and clinical
4 judgment so let's think about what a 10 percent margin means. I
5 have a minimal benchmark that I've sometimes talked about. A
6 math experiment -- a thought experiment. Consider a control
7 therapy that works 90 percent of the time in a study of 250
8 patients per arm so that's a 500 patients study. You study your
9 new drug versus the old drug. The control hits it 90 percent.
10 Whatever the end point precisely is, is it at five day, seven
11 days, 90 percent. If the new drug is going to have a margin no
12 worst it can actually be, the worst number it can hit is 87
13 maybe 86.5 lets call it 87. This is the worst case, 87 versus
14 90 that's a 10 percent margin. It's not 80 versus 90 because
15 that would be a much bigger margin. It's 87 versus 90. It can
16 only be three percent away because the 95 percent CI will be
17 three plus or minus six. You might have to round it up maybe a
18 half percent or so but it's going to rough like -9 to +3 three.
19 That's close enough for me to be -10. I find this to be really
20 helpful, 250 per arm, 90 percent effective by control. In this
21 case I can only measure three percentage points away and still
22 be non-inferior, that's the worse case. Now when you talk about

Capital Reporting Company

Page 204

1 that 10 percent margin it may sound like it's a lot. You know,
2 10 percent that's big. And Tom Fleming will quickly say, "John,
3 that means that it could be as much as 10 percent worse, right?
4 It could be as much as 10 percent worse." There's actually a
5 2.5 percent chance that it's more than 10 percent worse. Right?
6 And that's the next thing to think about. Here's your 95
7 percent confidence interval, there's 2.5 percent to the left;
8 2.5 to the right. So there's a 2.5 percent chance that it could
9 be worse. Ah, that's the first study. For everything other
10 than -- we do two studies unless it's not the first indication.
11 If it's the fourth indication for a drug and you've seen lots of
12 things else about it, fine, one study. But for the first
13 indication you do two studies. And what happens if both of your
14 studies are within 10 percent? The likelihood that you're
15 really worse than 10 percent is 2.5 squared, or .0006, point 1
16 percent less than one in a 1,000. With two studies you've
17 actually got a very you have made it unlikely that you're more
18 than 10 percent worse. And indeed you're not even 9.9 or 9.8
19 percent worse. Think about it for a second. If the cosmic truth
20 is a 10 percent difference your drug is really -- from Dr.
21 Fleming's example, a 70 and the control is really an 80 and you
22 do two studies in a row what's the likelihood you're only going

(866) 448 - DEPO

www.CapitalReportingCompany.com

© 2009

Capital Reporting Company

Page 205

1 to be a few percent off? It's not that likely. We'd have to do
2 some modeling to figure it out but it's not that much. So the
3 inner margin we're talking about 10 percent is actually pretty
4 good. We base this on our compelling clinically meaningful
5 data. This a huge bit bigger than that and, you know, we're
6 going to have a come up with a problematic solution here. And
7 somewhere in this range is an answer that I think we can justify
8 medically and for which we've got clinical relevant historical
9 data. It's not exactly what you want, but it's not bad. And
10 I'll just close by saying that we've really got to come to a
11 position on this. You the committee, I don't get to vote, I just
12 get to run my mouth for a minute. We've got to decide. We've
13 got to offer the FDA realistic suggestions on how to provide
14 guidance to the industry. We got to help define what
15 complicated means, what uncomplicated means and point at a
16 source of data that says yes there is a big treatment effect and
17 we're going to discount it way back. And maybe you're going to
18 discount it to 10 percent. That's fine if that's what you choose
19 to do. You actually can do it with a lot of competence and I
20 think that's really the thing that I want to say, is that 10
21 or if you do it in the context of having other approvals for
22 your compound. Thank you.

(866) 448 - DEPO

www.CapitalReportingCompany.com

© 2009

1 BART RELLER: We have three more speakers, I mean
2 persons, who have raised their hands tucked away and maybe we'll
3 come back to this. There's much expertise around the table and
4 we want to hear from everyone who has something to add, but one
5 of the questions lingering in my mind, we've heard 10 percent
6 figure, we've heard 15 percent. We've seen data on weighting
7 these studies giving the thrust of the IDSA presentation was, I
8 think most around the table belief there are appropriate -- if a
9 non-inferiority trial is acceptable at all what the margins
10 would be would be reasonably different for different kinds of
11 infections.

12 Is it, from a statistical standpoint, even possible to
13 come up with a weighting scheme without losing something? If
14 our goal is to have a single number is that flawed from the
15 outset or do these things have to be -- and if it can be
16 weighted in coming up with a single number, what is the cost of
17 that in terms of the edges? So we'll come back to that, but
18 first of all in the order of the hands Dr. Leggett, Fleming and
19 Septimus.

20 JAMES LEGGETT: I'd also be happy to wait because I was
21 going to throw the conversation in a different context after we
22 finish all the number conversation. So I'm happy to wait as

Capital Reporting Company

Page 207

1 long as this is not my only chance, Barth.

2 BARTH RELLER: I shall not forget Dr. Leggett. Dr.
3 Fleming. As past chairman of this committee, Dr. Leggett will
4 be heard.

5 THOMAS FLEMING: Well I do believe there are settings in
6 which non-inferiority's can be done. I do believe there
7 settings in which we can come up with margins and I think a 10
8 percent margin is defensible in settings where you've clearly
9 established major benefit. But just to build a little bit on
10 what Dr. Rex was saying. Most of what he says is, I think, at
11 least in foundation correct. So if you have a 90 percent
12 success rate and you have a 10 percent margin then actually you
13 would declare victory if you had a 4 percent increase, even your
14 estimate was a four percent increase. So you're estimating you
15 have a 40 percent relative increase in failure and that's still
16 a win 90 against 86 is still a win and it's a win based on
17 ruling out that you're 10 percent worse. One though needs to be
18 -- first of all, that 10 percent margin, as we have heard a lot
19 today, has to be based on the fact that you are preserving at
20 least half the effect, taking into account all of these
21 uncertainties about how you are estimating what the effect of
22 your active comparator is. But let's say you've done that. You

Capital Reporting Company

Page 208

1 still in the end and have to able to say it's clinically
2 acceptable to have -- to in fact be up to 10 percent worse. And
3 I always like to turn the tables and say if you had a 20 percent
4 failure rate, an 80 percent success rate with vancomycin or
5 whatever you have and I could come along with something else and
6 I could improve from a 20 percent failure rate to 15 or 10 and
7 it was statistically significant, would you take that to the
8 I mean we heard some discussions about what was presented to us
9 is linezolid superior to vancomycin and the kind of differences
10 you see are 2 to 5 percent. But if you could get that
11 statistically significant, is that in fact enough to say this is
12 important, this is an advance we're reducing the failure rate
13 from 20 percent to 15 or 10? I suspect most people would say
14 yes, particularly if you could reduce it from 20 to 10. Then
15 why is it okay to increase it from 10 to 20? Why is it okay to
16 say this is all right as long as I can rule out -- and the only
17 thing you're saying with confidence is not I'm that you're four
18 percent higher it's not that I'm confident that I'm not more
19 than 10 percent higher just as in superiority if I'm estimated
20 to be six percent better, the only thing I can say with
21 confidence is that I'm at least I'm not the same. So
22 fundamentally, where clinical judgment is really important is,

(866) 448 - DEPO

www.CapitalReportingCompany.com

© 2009

1 think about this point, non-inferiority is being done because
2 we're persuaded that what we have now is importantly better than
3 nothing so is it to the patient's best interest to give back a
4 substantial amount of that? You better, in fact, have a pretty
5 strong argument that it's much safer to other aspects to
6 motivate why you are so willing to give back. And I want shift
7 gears and just quickly touch on what I thought Dr. Rex, maybe
8 I'm misquoting him, was saying the pathophysiology of this is
9 all the same.

10 I'm not the expert. You folks, may of you are far more
11 expert than I am, but at least in reading on this I am wondering
12 whether pathophysiology is the same for all SSSI. For example,
13 with skin abscess which is the very place where the clinical
14 data is weakest for showing an effect aren't these bigger
15 abscess, aren't these bigger infections, isn't there a concern
16 about whether we are giving adequate penetration in a skin
17 abscess compared to a cellulitis or a wound infection? Aren't
18 there issues about the fact that there is antibiotic
19 inactivation with lower pH protein binding and bacterial enzymes
20 and abscesses where in fact skin abscesses do have a lower pH?
21 So my understanding is there is, in fact also some
22 pathophysiology that says that the effects of antibiotics might

1 not be the same acrossed all settings. So it's just not the
2 clinical data and I'm asking it as a question, questioning
3 whether we can say pathophysiology is the same. At least the
4 clinical data isn't the same.

5 JOHN REX: Tom, I was being sloppy. John Rex,
6 I was being imprecise. The pathophysiology over the past 100
7 years of cellulitis, erysipelas, abscesses and wound infections
8 is a very constant phenomenon. You let them progress it creates
9 the same organisms, it's always been these same organisms. I'm
10 sorry I was imprecise on that. So that actually gives me
11 comfort that the historical data from 1900 are meaningful in
12 2008.

13 THOMAS FLEMING: Although in that aspect many
14 other things have changed in terms of supportive care, etcetera.
15 But --

16 JOHN REX: And that's why discounting is such an important
17 thing --

18 THOMAS FLEMING: But pathophysiology was something I'm
19 glad you raised because there has been a debate, as I
20 understand, as to whether the pathophysiology differs across
21 types of SSSI.

22 BARTH RELLER: Dr. Septimus, you're on and you might

1 as an infectious disease clinician also want to
2 comment on your perception of the differences if they
3 are fundamental differences given. I understand what
4 Dr. Rex has emphasized is that cellulitis 50 years ago and a 100
5 years ago and even before that shares more when cellulitis,
6 quite a part from the different treatment modalities, but that
7 does not mean that the fundamental pathophysiology and some of
8 the issues raised by Dr. Fleming in abscess -- that cellulitis
9 and abscesses are different. Dr. Septimus.

10 EDWARD SEPTIMUS: It's hard to go after this
11 erudite group to my right. Let me first what I want to say
12 first, I hear a clear consensus around this table
13 regarding trying to classify these conditions by severity trying
14 to see whether differences based on pathogens, obviously MRSA is
15 very different from Group A Strep, that there's clearly
16 differences in subcategory types as the IDSA has so well pointed
17 out, and there's some differences in end points such as the
18 timing of the fever, drainage, size of erythema, etcetera. And
19 it seems one of the things I'm grappling with, and I agree with
20 Dr. Goetz's point before, is that in order to try to interpret
21 these trials we're dealing with such a heterogeneous group where
22 these thing are not as consistent as you'd like to see, it's

1 hard to get my arms around it. And question about whether we
2 should dealing with the bullet points is one of them.

3 So that was where my point was going to be. As
4 far as the -- I think a lot of things have changed the virulence
5 in the organisms has changed.

6 The host have changed. People are living longer and they're
7 older. We have much more diabetes in our communities, for a
8 variety of reasons. So I think although some of the basic
9 pathophysiology at the cellular level may have some
10 similarities, I think because of organisms, because of the host,
11 there are a lot of things that are changing. So I think we have
12 to taking that into account and looking at historical controls
13 versus where we are at now.

14 My last -- it's really a question. What
15 is the bar we want to set for determining efficacy of new drugs
16 in this arena in terms this arena in term so of -- what bar do
17 we want to say this drug is clearly efficacious?

18 BARTH RELLER: Could our statistical consultants
19 render their perspectives on the fundamental question of whether
20 one can come up with a single margin or whether it must be
21 category specific, quite a part from the definitions of those
22 categories we still have not gotten perfectly clear.

1 DEAN FOLLMANN: You know, I think it has to be category specific
2 basically. And what I'm -- you know, there's a lot of ways to
3 cut up the categories here. And just to try to make things
4 simple. I've been focusing on the different type of infections,
5 from what I've heard and what I've been thinking so far, I think
6 the abscesses are maybe different. And it seems to me that it's
7 possible you could define a size of abscess, where there's
8 equipoise (ph) about whether draining and incision would be
9 sufficient by itself or not. And in that you could do a
10 superiority trial, randomizing draining and incision for -- and
11 placebo versus draining and incision in a comparator. And to me
12 that would be a lot better way to get an answer for an abscesses
13 than a non-inferiority margin where there's, in my mind, a lot
14 of uncertainty about what it would be and so on. I'd be happier
15 making the bridge, you know, from a superiority trial in modest
16 abscesses to major abscesses. I would be happier with that lead
17 than, you know, non-inferiority margin.

18 EDWARD SEPTIMUS: Well, I forgot to mention, a lot of
19 people divide abscesses into size. If it's greater than four our
20 five centimeters that seems to be somewhat of a relative
21 predictor of a response to I&D.

22 BARTH RELLER: One of the things mentioned

1 earlier, if one went that route of not accepting a
2 non-inferiority trials for abscesses (still have addressed the
3 major abscesses), but has been pointed out earlier that these
4 abscesses may also be accompanied by cellulitis and bacteremia
5 that then would fall into a different category so that it would
6 require making clear distinctions about definitions of what
7 would fall into a primary emphasis on the cellulitis as opposed
8 to the drainable abscess as opposed to the drainable abscess
9 with a rim of erythema. Dr. Bennett and then Dr. Fleming.

10 JOHN BENNETT: I hear some general agreement
11 that we need to categorize these patients. But I would like to
12 remind you that the categorization is done by a study nurse
13 filling out a case report form. So this requires making little
14 checks in boxes. So it isn't really the continuing dialogue
15 that Dr. Rex reminds us, between the doctor and the patient,
16 it's the study nurse filling out the case report form that first
17 allows you to categorize the patient and then also determines
18 the outcome. So one of our challenges here is to not only
19 determine the categories, but allow it to be simple enough,
20 clear enough so the study nurse can put it unequivocally into a
21 case report form.

22 BARTH RELLER: Thank you Dr. Fleming.

Capital Reporting Company

Page 215

1 THOMAS FLEMING: Well Dr. Reller, I think you are
2 on target in your comments about factoring in these
3 co-morbid illnesses and other factors. If a patient has an SSSI
4 and had as the example that was shown by IDSA a pneumonia and
5 bacteremia, there's no question this is clearly an setting where
6 I would do a non-inferiority trial with a 10 percent margin as
7 we discussed back many months ago. That is, in fact, a
8 pneumonia with bacteremia. One of the sponsors, I thought
9 quite wisely, was saying within SSSI, you have wound
10 infections, erysipelas and abscess and ordering them
11 in that fashion. And if a person has an abscess and has a wound
12 infection that person is a wound infection. You would
13 categorize them according to what was most serious. And I
14 approach to the abscesses would be uncomplicated to be doing
15 randomized comparative trials and this is happening. In
16 addition to all the other data that I've been talking about that
17 are in fact completed trials that have been done in the
18 abscess setting there are four major trials that are ongoing or
19 are about to be put in the field. Two by NIAID, one by Baylor
20 College of Medicine and one by St. Louis University. So
21 academia is not waiting for us. They're already launching these
22 trials that are placebo control trials that are looking at

(866) 448 - DEPO

www.CapitalReportingCompany.com

© 2009

1 bacterium and other interventions that would be used in these
2 settings in uncomplicated abscesses. So this is something already
3 under way.

4 The last point that was mentioned or the last
5 question that you had raised for the statisticians to address
6 can we do some kind of mixing. And the issue is if you think
7 the answer could be specific to the indication then you really
8 should be looking separately. So let me just simplify the world
9 and say three specific indications, wound erysipelas and major
10 abscesses. So if there are these three settings and you in fact
11 expect that the effect could readily differ in these settings
12 then you probably are well served to be doing studies separately
13 in those indications. Yet if there isn't a strong sense that
14 they would be, it's reasonable to pool, as we do in other
15 settings of the clinical research, to allow us to do studies
16 that are more streamlined. In fact, I think what Dr. Wei put
17 out before today even if you had different margins is it
18 rational to pool there? I think there is some rationality and
19 I'm kind of tipping my hand in the interest of being middle
20 ground here and not highly rigorous, but to be as accommodating
21 without compromising rigor, I could see a justification in spite
22 of all of the uncertainties that we have, in saying in

1 complicated SSSI if your wound or you are cellulitis or
2 erysipelas you could defend a 10 percent
3 margin. While you might think you can defend a bigger margin
4 for wound on clinical relevance I have no sense as to how you
5 would justify that. Well, there you have the same margin so I
6 would think it would be very rational to be doing a trial that
7 would be pooling wound infections and erysipelas and using a 10
8 percent margin. But if you put major abscess into there, I see
9 no basis for declaring efficacy unless you are using a zero
10 margin, I used superiority for those patients, seems logical to
11 study them separately. But theoretically you could put them in
12 and then do a weighted average, as Dr. Wei was saying, but it
13 just seems more logical that if you could have the same margin
14 for wound infections and erysipelas, that you would put those
15 patients in and do a non-inferiority with 10 percent margin.

16 BARTH RELLER: Dr. Kaufmann.

17 CAROL KAUFFMAN: So as a clinician I am sitting here
18 going through the patient's I've in the last few months and
19 trying to figure out can I really put those into little buckets,
20 I think the word was used before, and I can't. Now maybe I'm
21 not such a good clinician, but, you know, I think the patients
22 don't necessarily fall into categories. So you have somebody is

1 they get cellulitis. Or you have the community MRSA person who
2 now comes in with both abscesses and cellulitis. I think they
3 really merge and I guess what Jack was saying, that it's a
4 question of designing right up front where you're going to
5 categorize that patient. I think it is very difficult.

6 THOMAS FLEMING: We said that, in fact I was following
7 suit with what one of the sponsors was doing. If they had an
8 abscess and have cellulitis that would be categorize as
9 cellulitis. They would be in your trial with a 10 percent
10 non-inferiority margin.

11 CAROL KAUFFMAN: Well, I think it has to be decided
12 is that true? Is that where it falls. But I think these
13 syndromes overlap. I don't think they're discrete syndromes.

14 THOMAS FLEMING: It does have to be decided.

15 BARTH RELLER: Dr. Kauffmann, also they progress so
16 how someone presents and what shape they are in at the time of
17 entering a trial may be different and they can progress, as has
18 been pointed out earlier, quite rapidly. So do you think it's
19 possible to categorize, if not into three preps, two broad
20 categories if one has a predominant effect at the time of
21 entering a trial? For example an abscess that is now
22 accompanied by cellulitis, I mean historically it may have

1 started out as a simpler infection, but by the time they come
2 to your care they've got cellulitis
3 they're an impaired host and you put them in the more serious
4 category. What do you think?

5 CAROL KAUFFMANN: Again I think it is just very
6 difficult. You could design trials so you have that very much
7 constructed at the front where to put them. But it has to be
8 something that the level study coordinator, as Jack mentioned,
9 that they're going to understand that. And the individual
10 investigator, out in practice as well as in academic medicine,
11 who is going to understand that. And the other issue is imaging
12 and how much you want to bring imaging into this. You may think
13 somebody has cellulitis, but you do imaging and you find out
14 they abscess have an abscess as well. So it gets more
15 complicated as well.

16 BARTH RELLER: Dr. Rex, Weinstein and then Dr. Hilton.

17 JOHN REX: The definition thing is really
18 frustrating and if you read the 1992 points to consider and then
19 the 1998 FDA guidance on doing trials and try and look at all
20 the recent trials and try to cook it down, you know, what I come
21 to is that it's actually easier to define uncomplicated than
22 anything else. I can say what doesn't scare me as a clinician,

Capital Reporting Company

Page 220

1 anything else is scary enough that I would put it under the
2 label of complicated because I've seen people go awry when
3 inadequately handled. So what's uncomplicated? Lets try that.
4 A normal host, an acute previously untreated process that's
5 typical of skin, no, zero, zip systemic signs or symptoms so
6 their temp's is not up even a little bit, their white count is
7 not 15,000 and one of the simple entities. What are the simple
8 entities? Impetigo, abscesses that even I would be willing to
9 drain, you know, a little bitty one. Okay. Anything else I
10 location that's the other thing. You know, an abscess on my
11 face bad news, abscess on my hand, that's complicated and then
12 finally I have to say that there's got to be some very diminemus
13 version of cellulitis, you know, something that's not very
14 scary, but these people don't come to the ER. I think that's
15 the other thing about this. This human being has gone to the
16 trouble to get into their car or on the bus and come and wait in
17 line to see me in the emergency room. So they're actually
18 selected out. They're not coming because they've got a little
19 pimple that they'd like me to pop. They're coming because it
20 scared them enough, it hurt them enough that they wanted
21 something done about it. So you start off with somebody who is
22 willing to come and get medical attention. And I think I can

(866) 448 - DEPO

www.CapitalReportingCompany.com

© 2009

Capital Reporting Company

Page 221

1 describe the people that don't scare me. Everybody else, a
2 diabetic, even with a small process, Dr. Kauffman's shaking her
3 head up and down, yes, those people scare me because I have seen
4 them go downhill in a course of a day because I was, you know,
5 dumb enough not to get serious about it. So it is really a
6 slippery slope with abnormal host. And I think you can define
7 uncomplicated. Everything else even if it looks pretty mild at
8 2:30 p.m. today is probably in the complicated category and
9 requires -- and actually is the kind of thing in the old days
10 that would take you down. It's the kind of things in the old
11 days that gave you the 11 percent mortality even 20-year-old
12 with erysipelas. It's that kind of process. I'll just throw
13 that out as an observation. Because I think when I think about
14 the collected wisdom of what everything's been published in this
15 era what I have seen personally, what we've been discussing
16 today, if you start with that and say draw a circle around un
17 and now deal with complicated -- there may be milder versions of
18 complicated and there may be milder presentations of complicated
19 more severe presentations of complicated, but ultimately
20 complicated is complicated these are people who can deteriorate
21 quickly. So there's a thought.

22 THOMAS FLEMING: can we ask for a clarification?

Capital Reporting Company

Page 222

1 BARTH RELLER: Sure.

2 THOMAS FLEMING: Just a quick clarification from Dr.
3 Rex. You've just defined uncomplicated -- you've just defined
4 uncomplicated, and I thought
5 you just said everything else scares me and it's the kind of
6 thing that used to give us 11 percent mortality. So you're
7 saying everything other than what you just described as
8 uncomplicated scares you and would be 11 percent mortality
9 including the major abscesses that have been studied now
10 repeatedly in controlled trials with questionable differences
11 relative to just incision and drainage, those are 11 percent
12 mortality?

13 JOHN REX: I'm sorry I forgot to take up specifically
14 major abscesses. Let me do that and then I'll come back to what
15 scares me.

16 THOMAS FLEMING: All right, so if you simply check
17 off and say major abscesses are different then I'm happy to go
18 on.

19 JOHN REX: Well, but the thing I wanted to say was I
20 think that you must be very careful to distinguish minor
21 superficial abscesses, which is what I understand to be the
22 subject of these placebo controlled trials, and you can correct

1 me if I'm wrong, but they are studying people with no -- who fit
2 otherwise into my uncomplicated category, they have a mild
3 process. Now please correct me if I am wrong,
4 but a major abscess that's associated with fever, and
5 those are people who are occasionally bacteremic, and I find it
6 hard to imagine --

7 THOMAS FLEMING: Well, but your saying sometimes and
8 if you start throwing in things in like bacteremic then of
9 course we have a different world. There are an awful lot of
10 major abscesses that aren't in your definition of uncomplicated
11 that surely wouldn't be 11 percent mortality.

12 JOHN REX: But staring at the patient I don't
13 know, and that's actually the difficulty with it. Is that as a
14 clinician, you know, you learn ultimately you can't always
15 predict how it's going to work out and staph aureus is the
16 scariest thing that one deals with routinely as a clinician.
17 It's an organism that can take a healthy human being and do them
18 in the space of 48 hours. It's an impressive bug. You know in
19 a big abscess, deep, yes I'm going to drain it. Yes, I know
20 that makes a big difference, but some of the time those folks
21 are bacteremic and just looking at them, you know, I can make a
22 guess, but I'm no soothsayer, I don't know for sure. And I do

Capital Reporting Company

Page 224

1 know that I need to -- but, you know, you should ask the other
2 clinicians going around the table, I mean --

3 BARTH RELLER: I need to invoke the skills of the
4 NPR interviewers. Dr. Weinstein and Hilton and Goetz.

5 MELVIN WEINSTEIN: Well I support a lot of what Dr.
6 Rex is saying, but I think part of what we're struggling here is
7 still the definition of complicated and uncomplicated. And I
8 think complicated is more than just as Ed said earlier, deeper
9 infections and those that require surgical intervention. I mean
10 cellulitis in somebody with morbid obesity or peripheral
11 vascular disease or lymphatic obstruction or other
12 immuno-compromise, I mean, that's complicated infection and
13 that's going to require more aggressive approach, but I think
14 the other issue here is we need better definitions. I think the
15 people who are conducting this studies in industry are going to
16 need better definitions so that they can enter patients
17 appropriately in these clinical trials.

18 BARTH RELLER: This is a great challenge. It's
19 apparent to everyone here the subtleties and complexities of
20 clinical medicine and reducing that to a study sheet that's
21 reproducible is a huge challenge. Dr. Hilton.

22 JOAN HILTON: I just have one follow-up comment to

1 Dr. Fleming's comment a few minutes back that you would be
2 willing to give up 10 percent efficacy. And I just wanted to
3 remind all of us that that's almost a worse case scenario, so
4 that's our boundary. And the mean is not 10 percent lost of
5 efficacy, the mean is less lost of efficacy than that.

6 BARTH RELLER. Dr. Goetz.

7 MATTHEW GOETZ: As we think about uncomplicated
8 versus complicated skin and soft tissue infections, I think it's
9 important to differential between that which we would do as
10 clinicians, taking care of individual patients, where the
11 boundaries may exist in one place and where the boundaries might
12 exist for the purposes of going clinical studies where we want
13 to have homogeneity, relatively speaking, in the patients we
14 enroll. And it may be that not every patient enrolled in a
15 clinical study because some categories -- some patients aren't
16 categorizable as clearly uncomplicated or complicated. And no
17 matter which category we put those patients if we put too
18 many patients on the border in the wrong category we will
19 dilute out the power of our studies. So I think -- I
20 propose that we consider that what we define for the purposes of
21 drug studies be different then how we would approach individual
22 patients in our practice for management.

Capital Reporting Company

Page 226

1 BARTH RELLER: Thank you. Now before we, I think
2 we're approaching the time where we the the question
3 and we can implify on the subcomponents thereof. Dr. Cox, how
4 do we vote yes or no if we do not wish to have -- if we believe
5 non-inferiority trials are acceptable for some indications but
6 not all indications.

7 ALAN COX: Yeah, we put the bullet points in for
8 exactly this point recognizing that, you know, somebody who
9 answer yes, I mean they're answering yes for a specific patient
10 population. So perhaps the way to go through this would be the
11 vote but when voting having in mind which groups of patients
12 you're specifically referring to, which and points, what time
13 you would assess it. So in essence you would describe the
14 scenario where you think yes is appropriate. It would probably
15 also be fine for folks then to also -- in addition if there
16 certain groups that they don't think should be included, to
17 specifically enumerate that too. Does that help? I mean that
18 may getting to the issue of if somebody's saying yes, what in
19 fact they are saying, yes to. And if there are specific groups
20 that would be excluded, just to specifically state, you know,
21 which groups should be excluded. If there's no scenario where
22 somebody feels that a non-inferiority study could be done,

1 well then that could be straightened no.

2 BARTH RELLER: Thank you. I believe that what the
3 agency wants is that if we delineate these then we would have a
4 yes, if there are one or more situations with cSSSI that would --
5 where they may be appropriate and then get the proportion. And
6 we'll try to get a sense after the additional discussion of what
7 those categories might be and what the criteria might be. Again
8 the procedure for voting is three options, Yes, no, or abstain.
9 Twenty seconds later we will announce the results and then
10 we'll go into the reasons why. Yes, Mr. Levin?

11 ARTHUR LEVIN: Sort of just a point of
12 information. Is the question, Dr. Cox, really are
13 non-inferiority trials ever acceptable; isn't that the question?
14 I mean we can't answer it any other way. It seems to me --

15 ARTHUR LEVIN: -- the question is, are they ever
16 acceptable, yes or no. Because question two is
17 we're really what we've been talking about, about grouping them,
18 extension. So it seems to me that's the question.

19 EDWARD COX: I think that is fair. It's always
20 difficult to write, you know, a really good question. And we've
21 tried to do that and I think, you know, if there is a scenario
22 where you think a non-inferiority study could be appropriately

Capital Reporting Company

Page 228

1 done and then describe that scenario that could be very
2 helpful information to us. So yeah, essentially the way
3 you're describing it is a fair way to describe the question.

4 BARTH RELLER: It's time to vote. The lights on
5 your lower panel are blinking. Press the one that you believe is
6 the most appropriate answer, please. Excuse me?

7 [Off-microphone conversation]

8 BARTH RELLER: Amazing as it may seem, despite is
9 flashing lights, it will capture what you did once.
10 And we'll have a double check on that because we have the tally
11 of the voting members and we'll add up the yes's, no's and the
12 abstains and we should come up with
13 the same number as the voting members. The voting is complete.

14 So the committee has recognized there is a role for
15 non-inferiority trials. Lets start this time at the light Dr.
16 Weidermann, do you want to qualify your yes since they are all
17 yes's, if qualifications may be needed.

18 MELVIN WEIDERMANN: Right. Thank you, Dr. Reller.
19 I'm in the camp that abscesses are different
20 enough that I think we need to separate them out, you know, and
21 I have concerns that non-inferiority may
22 not be appropriate for many cases of abscesses.

1 BART RELLER: I think it would be very useful to get
2 the separations out and then we can come back to the
3 subcomponents. And in part this will supersede, you know,
4 question number two which should be very efficient after this
5 exercise. Dr. Kauffman.

6 CAROL KAUFFMAN: Yes. I voted yes. I cannot
7 come up with a specific margin and there's all these numbers
8 running around the table, but I think you target something like
9 10 percent but it's clearly going to depend on the patient
10 population and the end point where you end up, but it's probably
11 in that target range. I think the appropriate end point is a
12 composite score that takes into account several different
13 clinical aspects in terms of whether the patient has truly
14 responded and the timing for that, I think should be either at
15 the end of therapy or a very few days after that certainly not
16 early on during therapy and certainly not several weeks later.

17 KATHLEEN GUTIERREZ: Okay. I also voted yes and I
18 also think I would try to separate simple abscesses from
19 everything else. And I'm struggling with defining what is
20 simple versus major abscesses and I know there will be more
21 discussion about that. I can't really comment on the margin
22 dependent, antibiotic dependent, all the different factors we've

1 brought up although 10 percent sounds within a reasonable range.
2 Do you want us to comment on the end points also at this point?

3 BARTH RELLER: Sure.

4 KATHLEEN GUTIERREZ: I'm a little conflicted on
5 this. I know as a clinician I like to know at about 48 to 72
6 hours whether I'm seeing an effect in my
7 patients before I decide whether or not I need to add therapy or
8 change therapy. And so I guess I would maybe argue for an
9 earlier end point as an end to therapy end point, if that's at
10 all feasible. So those are my comments.

11 BARTH RELLER: Thank you.

12 DEAN FOLLMANN: I voted yes. I thought we should
13 break out abscesses from cellulitis and wound infections
14 thinking maybe abscesses could be done in a
15 superiority trial. Based on the data that the FDA and
16 Theravacin provided I thought, you know, I'm inclined to think a
17 10 percent margin is acceptable for the
18 wound infections and cellulitis. The appropriate
19 primary end point, I guess, the test of cure that we've
20 been seeing in the more recent studies seems find to me. That's
21 all I have to say.

22 MELVIN WEINSTEIN: Yeah this is going to start

1 sounding repetitive. I also agree with the breakdown of
2 wounds and cellulitis/erysipelas on the one side and having a
3 less confidence in the issue of abscess because I think the
4 pathophysiology is different. And I think the treatment is
5 often different where abscesses get drained and antibiotic may
6 simply be adjunctive therapy once the drainage takes place.

7 I concur with the 10 percent margin and like
8 Dr. Gutierrez, I would like to see more than one time end point
9 measurement, maybe 48 to 70 hours and then at the end of
10 therapy.

11 ARTHUR LEVIN: Obviously, I voted yes, from
12 the tally. I guess I would separate out abscesses. I think the
13 margin of 10 percent would be acceptable. However, I would like
14 to sort of raise an issue which is informed consent process,
15 that conversation between doctor and patient. It seems to me it
16 would be incumbent on the prescriber to inform
17 the patient based on the current evidence there is a
18 possibility of either an average or worse case scenario, that
19 that treatment would actually be less effective than another
20 known treatment. So while I think it's acceptable I think it's
21 acceptable in the context of a disclosure with patients, between
22 the prescribers and their patients. That this is -- we have

1 limitations on our knowledge and to the best of
2 our knowledge this could actually be an inferior treatment. And
3 I'll wait for the rest of the discussion on the rest of
4 the parameters.

5 BARTH RELLER: Dr. Hilton.

6 JOAN HILTON: I voted yes and I agree with Dr.
7 Gutierrez, that two time points might be sensible in this
8 setting and with Dr. Follmann's other comments.

9 ALAN CROSS: I voted yes, but did want to comment on
10 a concern of about at least how we defined non-inferiority
11 trials. We haven't really gone back to the M1 issue and I think
12 based on the evidence we heard in the discussion --I think while
13 IDSA did a heroic job in trying to reviewing the literature,
14 it's so heterogeneous and for all the differences that we saw
15 I think that the concept of having an M1 based on those
16 data, compared to a comparator is not very meaningful. And
17 actually I was impressed with the fact as --
18 in Dr. Forest's presentation he said since 2000, he pointed out
19 that we do have Phase 3 trials done, seven of them with
20 vancomycin which were within a reasonable spread in terms of
21 efficacy, On the one hand. But then on the other hand, Dr.
22 Fleming pointed out if you look at linezolid there are perhaps

1 some significant differences. I think a way around that is that
2 perhaps there are -- if one were to go back and analyze the
3 different linezolid trials to see how different those buckets
4 are that perhaps the spread would not be as large. So the point
5 is it is possible to do non-inferiority trials. But I think
6 perhaps analyzing the comparators, where we do have good data in
7 reasonably modern performed trials, according to our current
8 standards, may be a better comparison point than the idea of
9 trying to extrapolate some data out of the historical
10 literature. Having said that then, I think it is possible to
11 separate out the different groups and perhaps wound abscesses
12 would fall out separately and I think that may be an an analysis
13 of both the linezolid and vancomycin trials may give us some
14 reasonable modern estimate of what to expect in those different
15 groups.

16 Also having been on this committee earlier with you,
17 Bart, at one point we did have a discussion about delta drift.
18 And I was concerned that if we did in fact focus on quote "the
19 placebo effect" that we had have a danger of having a very
20 dangerous delta drift. So my preference for going after the
21 vancomycin and linezolid would at least set a floor under future
22 trials. But on the other hand Dr. Rex did point out that if we

1 do 10 percent of 10 percent, you're not going to get down to a
2 very low level quickly in terms of a drift downward in
3 acceptable efficacy. So I think I'll stop here. I do think
4 that the timing of it ought to be at the end of therapy.

5 BARTH RELLER: Dr. Steckelberg.

6 JAMES STECKELBERG: Thank you. I agree with the
7 previous comments and just maybe a couple of others from a
8 clinician point of view. I agree with something in the ballpark
9 of a 10 percent Marge but maybe for a different slightly
10 different reason. I think maybe putting on my clinician's hat
11 I'm already convinced that there's either a large or very large
12 effect of antibiotics in most of these situation and it doesn't
13 really matter to me how large that is at this point. What I'm
14 really concerned with is that a non-inferiority issue. And so I
15 have an established treatment that I'm giving patients. And I
16 reasonably certain that a new therapy isn't worse.

17 And what does reasonably certain mean? To me 10
18 percent less effective would probably be unacceptable unless
19 there were a major advantage in terms of safety or something else.
20 But a 10 percent margin doesn't mean it's 10 percent less effective
21 because we have to take in -- it's the point estimate, but there
22 are also practical issues about study size for the confidence

1 interval that gets you there. And to me 10 percent is a
2 reasonable compromise that should be doable and yet give me
3 reasonable certainty that this is not inferior to what I'm using
4 now. And I really don't need to be convinced, maybe with the
5 exception of major abscesses, that it has a larger effect
6 relative to placebo. With represent to abscesses, I think
7 that's an area we're probably going to need some more discussion
8 and data. Some of the references are unpublished and so forth.
9 But it's really hard for me to imagine a clinician seeing
10 someone whose a large say MRSA soas abscess in their back, five,
11 six, seven centimeters surgically rained that is not getting to
12 get antibiotic. And I think all of us have seen those patient
13 bacteremic and very ill. It's just, you know, there's some
14 discussion that there's lack of compelling evidence basis for
15 the antibiotic treatment there, but I would also say that
16 there's lack of compelling evidence that's not necessary. So I
17 think we may put that in the parking lot for now.

18 BARTH RELLER: Dr. Steckelberg's pointing out
19 there's a huge difference where that abscess is, paraspinous
20 (ph) abscess, soas abscess versus something that's readily
21 accessible with a scalpel, to the naked eye. Ms. Thomas?

22 JEANNINE THOMAS: I think the abscesses should be

1 separated. A 10 percent margin is acceptable. I think full
2 disclosure to patients at all times is vitally important. And
3 the timing of assessment should be within 72 hours.

4 BARTH RELLER: Dr. Septimus.

5 EDWARD SEPTIMUS: Good afternoon. No. In answer to
6 the question I believe that 10 percent is probably acceptable
7 but I would include abscesses and I would like to see actually a
8 superiority trial on those. As far as the primary end point is
9 concerned, I think we are talking about two different issues
10 that Dr. Gutierrez brought up. One is response to therapy. So
11 I think a three day response to therapy seems reasonable. And
12 then the end points, what everything talked about, well that
13 would be done at the time of completion of therapy, or a day or
14 two after. In relationship to that, you know, we talked about
15 this this morning, perhaps in response to therapy how fast the
16 patient responds and how fast they get back to work and how fast
17 they get back to productivity might be another parameter we
18 might want to think about as some of these new drugs come on
19 line. I was just thinking about that. So I think that's it.

20 BARTH RELLER: Dr. Nelson.

21 LEWIS NELSON: Thank you. I voted yes as well. I
22 think that the concept of the margin has to be figured in light

1 of other issues involving the drug, in particular safety issue,
2 maybe this is something I could have brought up earlier, but
3 just because a drug isn't -- the drugs we're looking at
4 here are not to replace existing drugs, so just because a drug
5 is inferior to an existing drug I'm not sure that is -- I mean
6 it's obviously a bad thing if it was going to replace the drug
7 but if it does cover the infection in a subgroup or a different
8 group of people, perhaps having an inferior profile, as we're
9 going to define it, while it's not ideal might not necessarily
10 be an unacceptable factor And maybe I don't completely
11 understand the issues and clearly I want the drug
12 to be as effective as it can be. So I'm not sure where to put
13 the margin I think the margin has to be relative to a lot of
14 other issues including safety and other drugs that are out there
15 and how the drug is going to be used in practice. Because I
16 really , as I said before I'm a little bit concerned that things
17 we label drugs for here don't necessarily translate into how
18 they're used once they're released into the real world.
19 I feel, you know, as somebody who does see a lot of infectious
20 disease, even tough I'm not an infectious disease specialist, I
21 tend not to follow my patients very carefully in emergency
22 medicine. Sometimes they come back, we bring them back in a day

1 or two to have them checked, so that 48 hour mark is nice. But
2 ultimately it would seem to me that a longer term outcome is
3 loot more important so at the end of therapy perhaps would be a
4 good place to look. Although I would like to see both end points as
5 well. Since when they come back to me I have to make a decision too,
6 whether I'm going to change their antibiotic or add another one or call
7 somebody for help. Yeah, and I think the issue with abscess has
8 to be looked at. But I was under the impression, when we talked
9 about abscesses, we weren't really talking about skin abscesses
10 and we really weren't talking about deep space infections inside
11 the body. So, you know, for skin abscesses things are a little
12 bit easier because for the most part part they do get drained.
13 You know, and most people don't just treat them with
14 antibiotics.

15 BARTH RELLER: Well again, as has been mentioned
16 earlier, the importance of delineating those criteria in --

17 TIMOTHY LESAR: I voted yes that non-inferiority
18 trials are acceptable generally. My comments probably echo some
19 of Dr. Nelsons, is that in thinking about how this applied to
20 the real world and what margins -- so the margin, I believe,
21 tells us something about effectiveness not risk benefit. Much
22 less about risk benefit.

1 Again, I think when we assess risk benefit assuming that
2 it's something that showed efficacy it shows, you know, universal
3 benefit, it becomes problematic. I also, in terms of primary end
4 points I think it's important that that's well defined as was
5 mentioned before. The issue of abscesses may consider a margin
6 less than 10 percent if that can't be clearly defined in trials.
7 In other words, you'd have to have a lowest common denominator
8 as an appropriate margin.

9 In terms of the timing I heard the comment that so many
10 that times of an early end to end point measurement of efficacy
11 is important, simply because that's how people practice. And I
12 think some of the dalbavancin studies were very interesting that
13 you give weekly doses and there's a big difference between a
14 single dose and a dose at day seven (inaudible) whether they
15 were going to get a second dose at day seven or not, but there's
16 a tremendous difference in efficacy
17 rates. So I think that that was very informative.

18 BARTH RELLER: Dr. Bennett.

19 JOHN BENNETT: I think-we not only can, but we must have
20 non-infriority trials. We need newer drugs for this category even if
21 they are not superior, they might be cheaper or safer or available
22 orally. There's a rising problem of resistance that's been reported.

1 So yes, we must have non-inferiority trials of this group. I
2 think we need to develop a categorization based on prognostic
3 factors these types of infections so that we can look at
4 different trials and see if they are treating patients with
5 equal severity. In terms of the end point, remember you only
6 get one global end point. So you can't keep evaluating on day
7 2, 4,10 and 14. I would pick the end of therapy being the
8 global end point. And yes, we can have secondary end points
9 along the way. I think a Delta around 10 percent looks good to
10 me. Let's remember too when we're thinking about other types of
11 difficult to study infections, like diabetic foot infections,
12 that not every infection is amenable to study. And it might
13 just turn out that diabetic foot infections are one of those.

14 JIM LEGGETT: Jim Leggett --

15 BARTH RELLER: Dr. Leggett, right.

16 JIM LEGGETT: Yes, I voted yes, of course. A few
17 comments. One, I think that one of the reasons that I voted
18 that non-inferiority margins are acceptable is because of the
19 historical evidence that I really do think shows the sensitivity
20 to the drug effect. I think there has been constancy over time.

21 And as an aside to that constancy over time, you've got to
22 remember, in talking about people dying from abscesses of this

Capital Reporting Company

Page 241

1 -- none of us have ever followed, hopefully, anybody with staph or
2 strep of death without treating them. So none of us have seen that.
3 So we really do need to rely on that background that Dr. Rex was
4 talking about. I'm not one to argue if it was 11 percent not 11
5 percent. I've never seen it. But what I would like to point
6 out is a question of a lot of the discussion, to me, forgot the
7 second part of that M2 calculation which was the clinical
8 judgment part of it. And I think while there's a lot of
9 statistical evaluation in M1 evaluation part of it, there's a
10 lot of noise and a lot of how many angels on the head of a pin.
11 We cannot, with our blunt measurements actually get down -- I
12 think if we're talking about of a lot of the discussion, to me,
13 forgot the second part of that M2 calculation which was the
14 clinical judgment part of and I think while there's a lot of
15 noise and a lot of how many angels on the head of a pin. We
16 cannot, with our blunt measurements actually get down -- I think
17 if we're talking about 10 percent margins, we can -- or 5
18 percent or 15 percent, that's all well and good. But in
19 reality, based on dealing with noisy systems I can't reliably
20 tell the difference between 10 and 12.5 and 15 percent in terms
21 of saying yes to one drug and no to another, because I don't
22 really trust the placebo effect on which we've decided to base

(866) 448 - DEPO

www.CapitalReportingCompany.com

© 2009

1 all this.

2 And then upon the end points that change from study to
3 study and all the homogeneity or heterogeneity in between. So
4 I'm a lot less -- we need it from the FDA regulatory standpoint
5 but I think we also have to take it with a big grain of salt and
6 I wouldn't necessarily kick out drug X because it's NI was 10.1
7 percent in a situation or 15.1 percent if we decided. I also
8 think there's a lot of -- everything touched about the
9 variability of the clinical course. We also have to try to make
10 this as much as we can generalizable to the public. So I think
11 we need to recognize in trying to fill out the boxes that Dr.
12 Bennett was talking about, somebody has to fill out the box, so
13 we need to weigh the fact that lots of people with that abscess
14 also have cellulitis around it, which should automatically, in
15 my mind, kick somebody up to the cellulitis part of it from the
16 abscess part of it.

17 Historically when the IDSA thing looked at that 14
18 percent with major abscesses, I'm almost sure that they were
19 only dealing with skin and soft tissue because they didn't have
20 C. T. Scans, MRI scans, they wouldn't have picked up that soas
21 abscess. That person would have died of bacteremia. So I think
22 in that very slow margin of -- very low margin for major abscesses

1 we got to remember that we're probably talking about skin and
2 soft tissue structures, very easily palpable. Finally, in
3 terms of end points I think end of therapy makes most sense to
4 me things before then, to me, are mostly surrogate in nature.
5 The end of the favor, the end of the spread, that sort of thing.
6 It has to be a clinical end point, no longer mortally. I would
7 like people to remember that we probably, in looking at these
8 trials are not going to be able to factor in relapses of
9 infection, which is so common as Ms. Thomas
10 pointed out regarding MRSA and how many times you had
11 the infection and it's going to come back. But also on the fact
12 that after somebody has been transient bacteremic from the skin
13 and soft soft tissue infection, a week later, two weeks later
14 they're going to pop up with their septic arthritis or their
15 discitis. Are we going to be able to capture those people?
16 Probably not in this end of trial therapy. And then there's a
17 lag faze for things to come up. And that brings up to mind the
18 whole issue of the wound infection part of this, because you may
19 have infection there but not see it for five to seven days
20 later. I think I'll just shut up there. Thanks.

21 BARTH RELLER: Dr. Fleming.

22 THOMAS FLEMING: Well I think there's been a lot of

1 answered this my thought was the question isn't whether we could
2 do non-inferiority but in what manner, how and what setting.
3 Where the clinical setting matters, end point that we choose
4 matters, the nature of the margin, it depends on all of this.
5 So to try to condense a lot of what we've said into a small
6 number of comments, regarding clinical setting, if we are to do
7 non-inferiority it needs to be in a setting where we have
8 sufficiently serious diseases, sufficiently serious conditions
9 that would match what we've seen historically as we've tried to
10 assess the effect of the active comparator. And I agree with
11 comments made before that we really do need better clarification
12 of the definition of uncomplicated verse complicated and we
13 need better clarification of the definition of what's major
14 abscess.

15 I tend to think of it as subcutaneous abscess. But
16 this needs to be more clearly clarified. And that is important
17 because, I believe to do non-inferiority based on what is
18 currently evidence based, we can but we can in settings of wound
19 and ulcer infections and cellulitis and erysipelas, but not
20 currently based on what we know for major abscess. In terms of
21 the outcome the outcome should be a clinically relevant outcome.
22 It should capture the essence of what patients care about.

1 Caregiver's appropriately used signs and symptoms to judge how
2 to manage a patient, that's fully appropriate. Ultimately the
3 assessment of effect should be based on what is the patient
4 specifically really cares about. So worsening of symptoms,
5 redness, swelling and pain. In IDSA I thought they did a very
6 nice job in categorizing eight categories of elements that would
7 be important tangible failures: death, septic complications,
8 progressive worsening of infection, persistence of lesions for
9 at least 28 days, relapse, recurrence of infection, failure to
10 heal wounds, failure of skin grafts, amputation.

11 Of course, as we discuss the IDSA document correctly
12 pointed out you change that end point and you change the margin
13 that you're able to justify. So the end point does need to be
14 clinical relevant. And ideally should have these components
15 that were just noted. In terms of timing this is a tough issue.

16 We want to be inconclusive and yet we want to have sensitivity.

17 The end point has to be chosen at a time where we have
18 historical data that that points out that we have benefit. And
19 the Snodgrass papers, which are two of the important ones that
20 FDA was using the show benefit, those were assessment at two
21 days. So my own sense about this is the optimal times is
22 probably 10 to 14 days after initiation of therapy in order to

1 have fairly comprehensive assessment of what we're doing, and
2 yet to still be able to capture the essence of a treatment
3 induced effect verse a natural history resolution. There's no
4 data to base a margin if you
5 use a later point in time. The data that we have aren't
6 establishing a margin if you use test of cure as the time period
7 for assessing. Historical study show that there is - and for
8 most of these infections, a high level of resolution later in
9 time. But I do support the interest in looking later in time.
10 later in time for a supportive measure makes sense. Or, if we
11 wanted to do a superiority trial then I'm perfectly comfortable
12 with later in time for a superiority trial. My concern is using
13 a later point in time for non-inferiority where had that very
14 nice example that FDA was showing earlier on where we were
15 looking at prevention of increase in lesion size. And if you
16 looked at two days, four days you were seeing a difference. If
17 you looked out past then you were not seeing a difficult of the
18 antibiotic.

19 The issue of margin is obviously a highly
20 challenging issue. I think there are a number of features that
21 go into this we are are doing non-inferiority we accept that
22 antibiotics work in the setting in which we're doing

1 non-inferiority. And I believe we've established antibiotics
2 play an important role in providing benefit in wound infections and
3 erysipelas. And therefore you because we have important benefit
4 and it wouldn't be appropriate to do a placebo controlled trial,
5 it equally isn't appropriate to lose a significant amount of
6 that efficacy. So it's not purely arbitrary that people have
7 forward with the idea that you've got to preserve at least half
8 the effect. And while people have said it's arbitrary, it could
9 be less, well that same arbitrariness means it could also be
10 more. How much of the effect is someone willing to give up.
11 And Dr. Levin, I think, nicely pointed out there's an ethical
12 issue here too, you're randomizing against an established
13 intervention against an experimental intervention that actually
14 you're hoping is the same, and you're trying to rule out is
15 worse. It's an interesting informed consent.

16 And in fact there was an article in 2007 that
17 challenged the ethics of doing this. I think it is acceptable
18 ethically, but it certainly, as Dr. Levin pointed out, it's
19 something that informed consent as to address. And the
20 clinically relevant loss of effect needs to be factored in.
21 This is a critically important -- this is not statistics this is
22 clinical judgment from the prospective what the patient really

1 cares about antibiotic that we have as our active comparator are
2 we willing to give up.

3 And I think it was nicely pointed out earlier, by
4 Dr. Paganini, that we have to factor in safety as well And if we
5 in fact anticipate that we are safer that gives me a greater
6 comfort zone with using a 10 percent margin. If we in fact
7 though anticipate safety risks, I really struggle with the
8 justification of a margin of 10 percent. And one other aspect
9 in thinking about the margin is we need to choose an active
10 comparator from among those that have the best evidence for
11 benefit. There are multiple choices of active
12 comparators. And we don't have great scientific, evidence-based
13 medicine to compare them but we do have some evidence. And I
14 think somebody was talking earlier about what we often call
15 bio-creep (ph). We have studied antibiotic in this setting by
16 repeatedly looking at non-inferiority trials on top of
17 non-inferiority trials on top of non-inferiority trials. And
18 what bio-creep means is eventually after two or three
19 generations, while maybe you're only losing a little bit of
20 efficacy each time, that can compile. Well it's less likely to
21 compile if you're really careful to try to bring would, as the
22 active comparator, the regiment for which there's the best

1 evidence of benefit. That's just ethical that's just good
2 clinical practice to offer patients what we think is in their
3 best interest. And it also can help to diminish the bio-creep.
4 So context where one takes all these factors into account,
5 preserving a substantial fraction of the effect making sure
6 that what we are looking at isn't giving up what patients care
7 about as clinically relevant loss of effect, where we factor in
8 the safety profile, where we are choosing active comparators
9 that are among the most effective, with all of those things I'm
10 persuaded we can do non-inferiority
11 and I think the margin could be as great as 10 percent
12 But that is in a setting where you're not including major
13 abscess. I don't see that we can conclude benefit unless, in a
14 major abscess setting we're actually showing superiority.
15 And in the last issue, and it's really somewhat
16 related to the first thing I said about clinical setting, one
17 of the concerns I have is when we look at the IDSA document, we
18 were discussing this in the Q&A that occurred earlier, we're
19 looking at penicillin at a pretty pristine point time. And the
20 IDSA pointed out, by their own words, "antimicrobial agents
21 continually lose efficacy over time." And Dr. Spellberg's
22 response to my concern about that, that we in fact might be

1 overestimating vancomycin's in our non-inferiority trial by
2 estimating penicillin's effect in a pristine time of lack of
3 resistance, was we're going to make sure no one on our trial has
4 resistance to the active comparator. So if that is your
5 justification for a margin as large as 10 percent, then we need
6 to do that. We need to make sure. Now some people might say,
7 "But Fleming, you're leaving out the very patients we can best
8 benefit those that have VRE, but those patients have minimal
9 benefit from vancomycin." Well I don't want to leave them out.
10 If a patient has resistance to anything that we have then I
11 would put them in and do superiority, because if what we have is
12 no longer effective against a given person's infection, we don't
13 want to leave those patients out but we don't do non-inferiority
14 to establish their effect we do superiority. So I don't want
15 to leave those patients but out if we're including them then
16 it's got to be superiority.

17 BARTH RELLER: Dr. Goetz.

18 MATTHEW GOETZ: All right, many of my points have
19 been discussed, not surprisingly. I did vote yes.
20 I believe that major abscesses need to -- that minor abscesses
21 need to be considered in a different category and we do need to
22 pay some attention as to what the the

1 non-inferiority limits should be for major abscess. It may be
2 different than it is for the other categories where I'm
3 persuaded that 10 percent is likely to be correct. In terms of
4 the timing for the primary end point, I believe that is the end other
5 have talked about safety being an important consideration in
6 establishing where the non-inferiority limit might be set for a
7 particular agent, I think it's also critically to look at where
8 the antimicrobial advantages of the new agent might be. While
9 we continue to have very effective agents for Group A
10 streptococci, there's really been no resistance, no emergence of
11 resistance to beta-lactams, clearly we're in a very different
12 domain with our methacillin resistance staph aureus, where we
13 have considerable concerns about the creeping emergence of
14 resistance to vancomycin. So were there to be an agent coming
15 along that offered potent activity against MRSA just as we
16 consider potentially having a more narrow limit for M1, if
17 there's toxicity issue, we might consider a little bit of
18 wavering there if we have potential hopes for much greater
19 activity as to pathogen which I believe is of far greater
20 concern than the streptococci.

21 BARTH RELLER: Thank you. Dr. Alston.

22 KEMPER ALSTON: I guess at the end here I would

Capital Reporting Company

Page 252

1 comment on something that I don't think has really been stressed
2 today which is the design and conduct of the studies. And it
3 just seems to me when you're doing non-inferiority trials that
4 you're encouraging mediocrity and lack of attention to detail.
5 And it strikes me that we see patients who have been pretreated
6 before enrollment. We see patients getting active drugs during
7 study, we see some people getting surgery some people aren't,
8 it's it's hard to tell who. And all of that obviously is a
9 recipe that all of the patients are all going to turn out the
10 same way. And obviously encouraged in this study design. And I
11 just think that -- and I'm not a statistician, but I have no
12 idea why we would look at this data as intent to treat. As
13 clinicians we accustomed to looking at superiority trials and we
14 hinder superiority trials by looking at intent to treat and
15 that's fine. But it seems like we have to fiercely look at
16 those who actually were treated per protocol. And I would even
17 argue to over enroll and really throw all those out who violated
18 the protocol. And really ask you're are these drugs something
19 other than equivalent. and I think we're so accustomed to
20 looking at superiority that this is really a huge shift for us.
21 I wonder how many these trials have really shown inferiority or
22 in fact superiority. And I would suspect that most of them show

(866) 448 - DEPO

www.CapitalReportingCompany.com

© 2009

1 non-inferiority because of the way they are set up.

2 BARTH RELLER: Dr. Katona.

3 PETER KATONA: I voted yes it's hard to be
4 origin when you're at the very end here, but I'll try you know,
5 we've talked about abscesses and that they might be going into a
6 different category. But I just wonder --abscesses are not all
7 the same, even large abscesses, I mean some of them are
8 multi-lockular (ph) some of them have to be drained multiple
9 times, you know, in addition to their location and size which
10 has been talked about already. They're recognized at different
11 times in the course of a patient's illness. So I'm not quite
12 confident where they will actually fit in the whole scheme of
13 can't pin down a number very well. I mean we have one drug
14 that's being looked at in this meet which came up with 10 and 15
15 percent in two different trials for example. And you know, so
16 can you have really something that's quantified that's based on
17 a compounding of all kinds of quantities? I mean you're talking
18 about what the effect of a placebo is, what the effect of a
19 comparator is, you know, what the educate of a compare TOR is,
20 you know, what the Y definitions that are involved here, the
21 methodologies being different. And you take that whole number
22 and then you arbitrarily say 50 percent of that.

Capital Reporting Company

Page 254

1 So to me there's a lot of ambiguity there, so it's
2 very hard for me to pin that down to a number. So can you have
3 a range? Well if you have a range then the people will take
4 whatever is most advantageous in that range so you really can't
5 do a range. But can you have a sliding scale, for example, that
6 might work a little bit better. I mean do you take into account
7 safety conditions, as Dr. Fleming said, if something is really,
8 really safe do you give it a little more leeway when it comes to
9 a non-inferiority margin for example, would be something to
10 consider.

11 And my last point has to do with a historical
12 perspective. When you look at the IDSA analysis, which I think
13 was done very well you're talking a time we were using
14 penicillin and now the bugs are different, the drugs are
15 different, the people are different, the imaging is different,
16 the supportive care is different. How accurate is that going to
17 be. I mean we know that antibiotics help but to quantify it
18 that way that they have, to a specific number is little bit more
19 troubling and difficult for me to appreciate. And now I could
20 understand how maybe wound infections might get more play than
21 major abscesses do (inaudible) put that into a qualitative
22 rather than a quantitative way. But it's hard for me to kind of

1 go with these numbers to say, well this is based on this
2 historical data, we should use seven percent for major
3 abscesses. Those are my comments.

4 BATH RELLER: One of the difficulties we
5 face, and this has been mentioned earlier is the
6 need for doing clinical trials and to encourage
7 clinical trials is to have a reasonable target that is
8 adequately defined and not have shifting ground
9 during the conduct or certainly after completion
10 of the trial. Therefore I think the 10 percent is
11 is a reasonable balance between wanting a defined
12 target and recognition of the reality that the
13 effectiveness of penicillin when there was no
14 penicillinase (ph), no MEC-A (ph) and vancomycin and or
15 linezolid or other compounds with the mechanisms of resistance
16 that we are now aware of are different margins of efficacy. And
17 consequently the less the antibiotic effect, the smaller the
18 margin. Consequently, 10 percent, it's lower than the
19 14 and the 20 percent -- 21 percent of IDSA, but it seems to
20 me entirely appropriate given all of the things
21 that have been mentioned about changing patients and
22 changing organisms. Clinical end points, I think

1 standpoint, up improving on therapy is important so somewhere
2 out two, three, four days out into it, where as Dr. Septimus
3 pointed out, there's
4 there's decisions that are being made to continue or not continue,
5 completion of therapy and some reasonable timeframe afterwards
6 to pick up the relapses. One of the things that these drugs --
7 the newer drugs and the newer organisms, I think do more so than
8 in the past is they come back grieve the patient and their
9 provider. So I think that assessment at multiple points, on a
10 clinical basis is important. And the 10 percent, if one is
11 going to have a single figure it's imperative that there be as
12 much homogeneity as possible, the definitions as strict as
13 possible, as Dr. Alston has pointed out, so that we don't lose
14 something in the process with the utilization of non-inferiority
15 trials for the ethical and other reasons of getting
16 patient to enroll in these studies.

17 So Dr. Cox I think there's a clear prospective of
18 the committee, some wider range, but that cutaneous --
19 subcutaneous abscesses that are palpable, visible and drainable
20 should not dilute the rigor of a non-inferiority trial with
21 regard to the more serious complicated infections that would
22 fall in the category of cellulitis, erysipelas and wound

1 infections.

2 Lets shift to question two.

3 Now in recognition of the size of the group and
4 physiologic, realities I would like to suggest that rather than
5 everyone exiting, I mean we're sort of in a dilemma, everyone
6 can exist for a 10 or 15 minute break but we may not finish by
7 five o'clock. So what I would like to suggest if there be no
8 judgment involved, if anyone needs to take a brief break just
9 take it and get back and enter the discussion discussion is not
10 evenescent, it continue on, it will be extensive and it will be
11 importantly somewhat duplicative.

12 Question two is up for discussion before we vote.

13 In question two, "Please discuss if it is justify a
14 non-inferiority margin complicated SSI as a group or should it
15 be justified by specific type of infection"? we can have
16 complete splitting or some degree of lumping discussion.

17 THOMAS FLEMMING: Clarification? So there are three
18 parts to this question. Haven't we answered the first part? We
19 all -- because it was so integral to the first question hasn't
20 that already been answered?

21 BARTH RELLER: I think it has.

22 EDWARD COX: Dr. Reller, yes and I think we've heard

Capital Reporting Company

Page 258

1 a number of comments that are, you know, relevant to the same
2 types of things that we're asking in question two. If there
3 were additional comments or however you'd like to proceed. But
4 I think we have heard information that is helpful to our --

5 THOMAS FLEMMING: So it's the third component though
6 is -- the third component is something beyond what we've
7 discussed, I think, the foot --

8 BARTH RELLER: And also some aspects of the second
9 more appropriate superiority trials in -- the more superficial
10 abscesses that are
11 accompanied by drainage is the division between wound infections
12 and cellulitis -- should there be some balancing there if one is
13 going to have a single margin of 10 percent? Dr. Rex.

14 JOHN REX: Two comments. One is that to answer that specific
15 question you would need to have a mixture of
16 the two in your trial in order to get the broad label of
17 activity for complication skin infections. And you can't just
18 have five percent of one and 95 of the other, that would -- but
19 I think they would have the same margin mainly because the true
20 effect size is much, much bigger and 10 percent is what the
21 group has
22 discussed as being the least amount of deviation from

1 the active control that any of us or willing to tolerate

2 BARTH RELLER: would you like to suggest boundaries
3 of 30/70, 40/60?

4 JOHN REX; You know, it would -- if all you're
5 including are cellulitis and wound infections, then you know,
6 30/70 maybe. But let me actually -- I want to back up one step
7 and say something about distinguishing these things on the fly.
8 Wound infection is pretty clear but when you get into cellulitis
9 with and without abscess and sometimes you come in and image it and
10 you discover there's a little pocket of what looks like something a
11 bit deeper is that -- is that now out because it's an abscess?
12 I want to make a plea about abscesses that we be very careful.
13 We've used the term major abscess I think kind of in a sloppy way so
14 far. Because sometimes we say, well if I can feel it that's okay,
15 but are you really talking about things like maybe in the arm or
16 some really nonthreatening location? But if it's on the side of
17 your head, you know, going up into the ear, that's quite
18 palpable but not something that I would treat as a minor
19 process, because of it's threat to major structures. So I think
20 it's important -- and then we talk about soas abscess, but deep
21 abscesses.

22 I think there's an approach to abscess that needs

1 distinguish -- and we struggle for words, and I'm not sure what
2 the best ones are, we need to distinguish really relatively safe
3 looking, nonthreatening location, healthy host, no fever,
4 abscesses from deeper, scary spot, bad host abscesses and be
5 careful that we've not lost the fact that the later category
6 probably does have a real treatment effect. And so I feel like
7 the three things can be harder to distinguish on the fly than we
8 give credit for. So I would run them together, I'd give them a
9 ten percent margin, because I think that's less than the real
10 effect.

11 BART RELLER: Dr. Kauffman.

12 CAROL KAUFFMAN: Yeah, I would agree. I don't
13 we've defined what a major abscess is. I mean I can think of
14 something that is palpable, which actually is an abscess in the
15 parotid (ph) gland. Or you see a carbuncle on a diabetic's neck,
16 clearly palpable, you're clearly going to drain it, everybody is
17 think NIH study, for example that's ongoing is little
18 ditzels that you feel comfortable just draining but I don't
19 we've come up with a good definition for the FDA.

20 BART RELLER: Anyone willing to make some further
21 stabs at what -- in language that could be put into a protocol?
22 Dr. Goetz.

Capital Reporting Company

Page 261

1 MATTHEW GOETZ: Well I think we've touched on it
2 before but the presence of fever, I guess I can give it a
3 number, call it 101 degrees for point of reference. When we
4 talk about a white count greater than 15,000. We can talk about
5 critical anatomical
6 locations. I think the head and neck locations, in --
7 head and neck locations go a long way to that. Now it gets a
8 little combinatorial and it's the ands and the ors that get us
9 into problems with making up a case report form which can be
10 easily used by our clinic nurse. But I think those are many of
11 the essential definitions. Perhaps the perineum as well, deep
12 seated abscesses in the perineum as being another site for
13 complicated abscess that frankly in any clinical practice I
14 would routinely give antibiotics as well as making sure there
15 was adequate surgical drainage.

16 BARTH RELLER: Dr. Leggett.

17 MATTHEW GOETZ: The size of the question that I
18 heard my personal perspective is that size is less than
19 important than the physiological consequences of that, expect
20 perhaps if we start to operationalize in the perineum, than size
21 may be important, you can get a small buttocks abscess that
22 maybe doesn't always require drainage, so five centimeters would

1 be a place where I'd draw the line, because it's been used other
2 places. But I certainly But I certainly can't call that a
3 validated prognostic indicator.

4 BARTH RELLER: Dr. Leggett.

5 JAMES LEGGETT: To follow up on Matt's point about
6 the size, we got into is size thing because that's what
7 antibiotics can defuse into and that's about two centimeters so
8 that we treat -- when we can't open it up we give antibiotics
9 and it defuses in about two centimeters. But other than that I
10 don't know of any data that the size makes any importance. I
11 mean I'd worry about a two centimeter labia major abscess or a
12 Para-rectal abscess of two centimeters as much as I would worry
13 -- more than I worry about a ten centimeter abscess on would
14 worry about a ten centimeter abscess on somebody's thigh. I
15 mean, so that the critical anatomical sites which I would also
16 include glutial, right by a joint or on the face those kind of
17 things have to be rules into this. But I don't think size per
18 se is as important the surrounding rim of erythema and
19 cellulitis that goes along with that abscess that you can't
20 really based on just the size of the abscess.

21 BARTH RELLER: Septimus.

22 EDWARD SEPTIMUS: I probably didn't make

1 myself clear. I don't think size in and of itself should be a
2 criteria. Be in separating ones that are
3 more complicated, without some of the other things that
4 etcetera, that may be one of the elements to consider in making
5 it complicated, if it doesn't have some of the other elements
6 that you mentioned. That's what I meant to say.

7 BARTH RELLER: Dr. Fleming.

8 THOMAS FLEMMING: Well certainly if it does
9 Have other elements, if it has elements that are wound infection
10 or cellulitis or erysipelas, than certainly they already fall
11 into the other categories we talked about. There are
12 many studies that exist that have looked at incision and
13 drainage and antibiotics added to an abscess that can be managed
14 with incision and drainage is the area of uncertainty. So
15 there's evidence here and that evidence needs to be more
16 carefully examined by the agency before one would be, as the
17 committee indicated, before one would be doing non-inferiority
18 trials with major abscess or I call a subcutaneous abscess
19 patients included for the 10 percent margin.

20 So we were talking about the issue of the 30/70 or 40/60
21 or whatever you were defining. And it certainly would be
22 important if you're were going to allow a single trial I think

1 it's rational to do so, a single trial to study non-inferiority
2 for an antibiotic in a setting where you have wound infections
3 or cellulitis, erysipelas. If there is a sense of uncertainty as
4 to whether the effects apply equally
5 in those settings, that would argue for having an adequate
6 representation of both. So it really comes down
7 what somewhat to a very difficult question and that is, how
8 likely is it that the intervention's effect would differ in a
9 wound infection from cellulitis. And your not powering the
10 trial typically to each group but you want to know that the
11 study gives you a representative sample so that if the
12 approval is given to both groups there's a basis to do so.

13 BARTH RELLER: Dr. Goetz.

14 MATTHEW GOETZ: Just in response to that question, I
15 think that part of the answer is that there is some expected
16 difference in response because although certainly Group A
17 streptococci both domain, the relative distribution of pathogens,
18 causing cellulitis and wound infections differ. We expect to
19 see a higher proportion here proportion of beta-hemolytic
20 streptococci in patients with cellulitis or erysipelas and a
21 higher proportion of patients with staph aureus and the wound
22 infections. Certainly those rules aren't pure, but they are

1 general principles that we still observe epidemiologically and
2 our relative effectiveness to antibiotics may differ across
3 those two groups of pathogens.

4 BARTH RELLER: Recognizing on their absolute numbers, but
5 their relative numbers, coming back to the IDSA presentation the
6 cellulitis -- the 14 number that was mentioned and the wound
7 ulcer 21 percent, and I'm translating that into relative rule
8 of antibiotics with all the caveats that have been mentioned,
9 for someone who's mathematically statistically talented, did
10 those two numbers give you a sense of what the boundaries should
11 be? For example, no fewer than -- or no more than -- no fewer
12 than 25 percent in either of the two categories, or 40 percent?

13 Can one draw any sort inferences for what would be a good
14 14,21 not the absolute number? Dr. Rex.

15 JOHN REX: I don't claim vast mathematical talent. But
16 one thing you could work back into this is to say what does it
17 mean in terms of actual number of cases of each flavor. Just as
18 a thought experiment, let's pretend we do a 400 patient trial,
19 because that would divide easily. A fourth of that would be a
20 hundred and two arms, that means you would have 50 on each
21 drug and 50 of each drug means the granule -- or one person cha
22 ge up or down is two percent change. So that sort of feels like

1 that's enough granularity that 50 is the denominator that feels
2 kind of okay. If the denominator was only 25 in a group I might
3 be kind of cranky. So, you know, something like 25 percent of
4 each of the groups just has a quantitative feel you're not
5 trying to power it but it's enough to give you a sense of being
6 able to at least look at that group and you wouldn't say well
7 that's too small to be even analyzable at all. You might start
8 with just a practical analysis like that.

9 BARTH RELLER: Dr. Bennett.

10 JOHN BENNETT: Let's remember that once a drug is
11 approved for skin and soft tissue infection that the majority of
12 use will be for more severe infections. Every study has been
13 shown that most drugs are used for off label indications so when
14 we put our mind to having a barrier to what degree of confident
15 should we have that this for drug should be marketed for this
16 indication? Let's not forget that it'll be often used in fact
17 maybe even more commonly for more severe infections.

18 BARTH RELLER: Let's tackle part three here.

19 That has got relatively -- some attention but not
20 sufficient. Should patients with diabetic foot infections
21 be studied in a separate clinical trial or should they be
22 included cSSSI trials? Dr. Kauffman.

1 CAROL KAUFFMAN: I think it's a different beast and would
2 require extensive imagining study to make sure you don't have
3 osteo-myolitis underlying. And the treatment would then be
4 different. And I think they're best serve by studying them a
5 separate entity and not included in the others.

6 BARTH RELLER: Dr. Katona and then Dr. Alston.

7 PETER KATONA: I agree with Dr. Kauffman.
8 You know, they have a completely different epidemiology. I mean
9 the whole epidemiology of it is different, the microbiology is
10 different. They have other circulation issues that have to be
11 addressed. The overlap between cellulitis and
12 wounds also have to be considered compliance comes into it,
13 accessibility to good wound care comes into it. So in
14 my view it's a completely different entity that should be looked
15 separately looked at.

16 KEMPER ALSTON: Yeah, I agree. I handle them
17 separately because the chronic ones have bone involvement and
18 the acute ones -- it's not a reliable history because of their
19 neuropathy. And even the expensive radiology is totally
20 unreliable with a sharco (ph) foot. So I would conduct trials
21 separately for diabetic foot infections.

22 BARTH RELLER: During Dr. Alston's comments

1 Dr. Septimus was shaking his head in concurrence.

2 BARTH RELLER: So how important guidance is it to
3 delineate the category of diabetic patients with foot infections
4 at high-risk for osteo-myolitis in patients who have diabetes
5 not accompanied by micro vascular injury neuropathy, etcetera,
6 least at the time of their current infection, not saying what
7 would happen down the road. Dr. Leggett.

8 JAMES LEGGETT: Yeah I was going to play
9 devil's advocate about this diabetic foot thing. Because look at
10 our epidemic of obesity, okay how are we going to define
11 diabetes, look at the number of people who have then have
12 peripheral vascular disease, who don't have diabetes but have
13 the chronic ulcers.

14 I note that in the FDA's thing they had wounds/ulcer, okay.
15 So then most of those ulcers were presumably diabetics or something
16 that we're basing our non-inferiority margin on on those past
17 data. Also if we want this to be generalizable, and as Dr.
18 Bennett pointed out, the drugs are going to being used in other
19 situations, I would like to have some diabetes in our skin and
20 soft tissue infection group upon which to have a better idea of
21 who we're actually treating once the drugs come on the market.
22 So I would sort just play devil's advocate and say yes, we ought

1 to throw those people in. We would worry about how we're going
2 to figure out whether they have osteo-myolitis or not later. But I
3 wouldn't throw out diabetic foot infection unless by that you have
4 another diagnosis that excludes it from skin and soft tissue
5 infection because I can't deny that there's skin and soft tissue
6 infection in a diabetic who has a foot.

7 BARTH RELLER: Dr. Cross.

8 ALAN CROSS: I think that perhaps may be true, but
9 from a practical point of view assuming that there's a finite
10 number of people who might be entered into a clinical trial, it
11 seems that we already have an interesting mixture between
12 cellulitis, wound infection and abscesses. And we then start to
13 add in the diabetic foot, we're going to enter into a more
14 difficult realm of do we limit the amount of each category in
15 that overall group. Because we can easily dilute out, at any
16 one center, I might add, the number of diabetic foot infections.

17 So I think in practical terms, it would be difficult
18 to include them overall and for the reasons mentioned. I think
19 there's more than an ample number of patients with diabetic foot
20 infections that we could do a separate study in them and at
21 least have a more homogeneous baseline from which to make
22 comparisons

1 BARTH RELLER: So is it -- do diabetes and obesity
2 and what everyone comes quickly to mind of diabetic foot
3 infections are clinically different entities with different
4 prognosis. So is it possible to define what would not exclude
5 including in a cSSSI based on having diabetes or etcetera, but
6 not having evidence of impaired neurological or vascular
7 function such that it becomes such an impairment? I mean what I
8 think Dr. Kauffman was alluding to and Dr. Katona and Alston
9 quickly reiterated. So can it be defined such that it enables
10 some to be included in the general category and others to have
11 specific indications and ancillary therapy for them? Dr.
12 Kauffman.

13 CAROL KAUFFMAN: Well I think one simple way to
14 approach that would be plantar pressure ulcers to have those as
15 a separate category. That's the diabetic ulcer to me. So a
16 diabetic who happens to have cellulitis on their shin could be
17 included in the study, but it's the pressure ulcers that I think
18 we treat very differently.

19 BARTH RELLER: Dr. Alston, Dr. Katona do you --
20 would that be an acceptable delineation?

21 KEMPER ALSON: Well, you know, you were talking about
22 complicated and uncomplicated SSSIs, you kind of have to pick

1 the uncomplicated diabetic foot ulcer into the complicated SSSI
2 category, which would make it a little cumbersome and a little
3 difficult to work with. Because once you get into the
4 complications of it, then again it brings up these whole new
5 categories that I mentioned.

6 MALE VOICE2: Dr. Steckelberg and then Dr. Goetz.

7 JAMES STECKELBERG: I would just add to that you
8 know, in the diabetic foot a lot of those infections do involve
9 abscesses as well within the foot. And there are neuropathic
10 sharco feet that are more than pressure ulcers. There are
11 non-healing amputation sites and a whole variety of things which
12 just are simply a different disease process.

13 You could, as you're suggesting, include sort of
14 what looked like ordinary cellulitis in diabetic patients who
15 have normal TCP-02s or normal vascular supply and normal
16 neurologic findings. But I'm not sure the juice would be worth
17 the squeeze in terms of the proportion of diabetic patients that
18 have that kind of infection relative to the rest of the accrual
19 in a study.

20 BARTH RELLER: On the other hand, would you want to
21 obviscate the effectiveness of therapies for what Dr. Kauffman
22 was describing by patients who had diabetes and cellulitis

1 without the vascular and neurological sequelly (ph)?

2 JAMES STECKELBERGB: I think that's a very valid
3 question. And on the other hand, if I had my druthers I'd
4 rather have a well designed study in the diabetic foot
5 infection.

6 BARTH RELLER: Dr. Goetz.

7 MATTHEW GOETZ: I think that Dr. Kauffman'
8 suggestion about excluding people with plantar ulcers and to try
9 exclude people who had gas and soft tissue is another obvious
10 severe complication to the diabetic foot infection, there might
11 be a workable solution in this regard.

12 BARTH RELLER: Dr. Rex.

13 JOHN REX: So what we're talking about is the
14 difference between diabetic with an infection and this entity
15 that gets its own textbook chapter called diabetic foot
16 infection, right? And we might need to spend a little time
17 looking at what some of the recent trials have done. I just did
18 a very slight sidebar. And an idea to think about is the --
19 what is worthy of study would be an acute, probably
20 gram-positive infection, even if it's in a foot, even if it's in
21 a diabetic. The thing that we're trying to not study -- or the
22 thing that we're -- or rather, I'm sorry, the thing that we're

1 trying to say is really, really different are the more chronic
2 infections of the foot and perhaps neuropathy.

3 I don't know how much neuropathy figures into it.
4 But what you want to do is look for an acute infection that can
5 then conceivably resolve as opposed to a chronic one that
6 involves (inaudible). Now I know full well that sometimes you
7 see an acute infection and it turns out that it had gone quickly
8 to osteo. So it's a slippery slope here.

9 But I do think it's important to incident diabetics.
10 You know, we're going to want that trial experience with our
11 drugs. And so to say that all diabetics with lower extremity
12 infections are out, I wouldn't want to do that. And maybe it's
13 something about polymicrobial ideology as well that figures into
14 this. We probably need to spend a little time chewing on this
15 before it gets nailed down.

16 I think it is slippery, but you don't want to
17 exclude such a major part of it, because how much of diabetes is
18 diabetes? That's the other thing, you're talking about the
19 obese patient with some glucose intolerance.

20 BARTH RELLER: Dr. Alston.

21 KEMPER ALSTON: You know, the other thing to come to
22 mind is that the true diabetic foot infections that we're

1 talking about are by definition typically polymicrobial. And
2 since we're, in this example, studying gram-positive drugs,
3 we're going to have to use other drugs. And it just seems such
4 a muddy category. Again, it's so easy to show non-inferiority.
5 I think if we make this a very heterogeneous group with
6 abscesses which may or may not be drained, surgical wounds that
7 may or may not be debrided and diabetic feet which may or may
8 not have osteo-myolitis and polymicrobial infections, you're
9 going to end up with this tremendously heterogeneous group and
10 everything will look the same.

11 BARTH RELLER: Dr. Leggett.

12 JAMES LEGGETT: I would also throw out anybody whose
13 had any amputation for ischemia, because if their stump gets a
14 wound infection it's not like anybody else we're talking about
15 here. So I mean I think it's not -- we can't just limit it to
16 diabetes. I think if you're trying to limit it to acute staph
17 and strep infections, that's one thing.

18 And then my point was just to say just because
19 you're diabetic doesn't mean you can't have a staph and strep
20 infection that's like everything else. But I would also, if
21 we're talking about who to throw out, anybody who's had a BKA
22 (ph) before ischemia is not going to be like anybody else we're

1 talking about if they have a wound infection.

2 BARTH RELLER: Dr. Weidermann.

3 BERNHARD WEIDERMANN: Being a pediatrician I'm
4 probably one of the two people, two members up here who doesn't
5 deal with diabetic foot infections and doesn't know a thing
6 about them. But another analogy is spinal injury or spina
7 bifida patients with foot infections. And again it's not the
8 same vascular component, but neurological component, it's
9 polymicrobial, it has the same chronicity dealing with diagnosis
10 of osteo-myolitis. And I wonder if that's another separate
11 entity as well.

12 BARTH RELLER: Dr. Cox. Do you want a vote on this?

13 Because I think there's, to me at least, there's a consensus
14 that may require more effect on the precise definitions for
15 protocol development. But that there are patients with diabetes
16 with sufficient ischemic vascular impairment and neuropathy,
17 particularly associated with persisting plantar ulcers, that may
18 -- and are frequently complicated with osteo-myolitis, that
19 those patients should be delineated as to where would be
20 excluded, but that diabetes in and of its, of the different
21 varieties and levels of glucose tolerance and hemoglobin A-1s,
22 etcetera, that that in and of itself is not an exclusion with

1 acute cellulitis or acute other problems in this category,
2 post-operative wound infections, for example.

3 There are a lot of diabetes with wound infections
4 that we haven't discussed. So I think it would seem to me to be
5 a mistake to categorize all patients with diabetes the same, but
6 that there is an entity where other factors are so crucial to
7 outcome that it would be -- it would dilute the precision with
8 which one could evaluate antimicrobial effect in the other
9 larger group of patients.

10 ED COX: Dr. Reller, yes I think this discussion has
11 been very helpful. I don't think we need a vote on this one.

12 BARTH RELLER: Question number three. Of course,
13 Dr. Septimus.

14 EDWARD SEPTIMUS: I'm sorry, I just want to follow
15 up on Dr. Rex sort of got in the cobwebs of my brain, but not
16 all diabetic foot infections are the same. And in fact, as I
17 recall the fettet foot article from the Reviews of Infectious
18 Diseases, I think it was 1979, in fact categorized them into A,
19 B and C. And (inaudible) acute, most of them were
20 staphylococcal and some of them were strep. And one of the
21 things that distinguished that from C, which was the
22 polymicrobial, the one that needed multiple operations and

1 debridement which I think we all agree should be excluded, was
2 that they had -- that they didn't have neuropathy and other
3 things.

4 So I think there is a subgroup of diabetics that
5 would fit into the acute soft tissue infections that would be
6 acceptable. And I'm sorry I didn't -- I remember where I -- is
7 that correct, fatted foot, 1979?

8 [off microphone conversation]

9 BARTH RELLER: The year is impressive enough.
10 Question three. "Given that the data evaluated for determining
11 treatment effect in skin infections included data from various
12 types of skin infections are non-inferiority trials acceptable
13 for the indication of uncomplicated SSSI? Some discussion and
14 then the vote. Dr. Kauffman.

15 CAROL KAUFFMAN: Could I ask a question of the
16 pediatricians. My sense is impetigo is a risk factor for GN,
17 post-streptococcal GN. And I assume you treat all of them, or
18 am I off base and do you not treat some of them?

19 KATHLEEN GUTIERREZ: Okay, the question is about
20 impetigo. We treat some of them and we treat almost all of them
21 in various ways. I mean it depends. Sometimes they're treated
22 topically, sometimes with oral antibiotics. It's -- you know,

1 I'm sort of grappling with uncomplicated -- I mean I think of
2 impetigo as being the least complicated, I guess of all of the
3 staph and skin infections and then everything after that is
4 incrementally worse.

5 But in general the answer to your question is we do
6 tend to treat it. And I don't know if Bud wants to comment.

7 BERNHARD WEIDERMANN: Yeah, well I'll just say that
8 there aren't good perspective randomized study but there's
9 pretty impressive retrospective data that treatment of skin
10 infections doesn't prevent post-streptococcal glomerulonephritis
11 so that would not be a reason to treat.

12 You don't gain any prevention like you would for
13 rheumatic fever of streptococcal pharyngitis.

14 BARTH RELLER: Thank you, Dr. Weidermann. Dr.
15 Alston.

16 KEMPER ALSTON: Just from a trialist's standpoint, I
17 wonder in this day and age, with the changes in healthcare,
18 whether the patients that have been described today with
19 uncomplicated infections are actually going to be admitted and
20 are going to actually be under our eyes and available for
21 conduct of these trials.

22 BARTH RELLER: Dr. Fleming.

1 THOMAS FLEMING: We've had a lot of difficulties in
2 defining complicated and uncomplicated. As we try to define
3 uncomplicated there are a number of categories that we've talked
4 about. Minor skin abscesses, folliculitis, furuncles and I
5 think I've pretty consistently heard that surely we couldn't
6 include those categories and do a non-inferiority.

7 Impetigo is a setting where we actually can do
8 randomized, placebo controlled trials. The Ultabox (ph) trial
9 was just finished. And there are several, many ongoing
10 randomized, placebo controlled trials in this setting.
11 Everything is benefit to risk. The lower the level of benefit
12 the greater the concern that risk could be at a more moderate
13 level and trump benefit. And so understanding level of efficacy
14 very clearly is very important, also as you have lower levels of
15 efficacy.

16 And understanding efficacy in a setting like this
17 would clearly be more straightforward in a superiority trial. A
18 superiority trial also allows more inclusiveness in who you
19 allow in. If you did a non-inferiority trial right now I could
20 think of at most being able to include impetigo, and I'd
21 struggle with justifying that non-inferiority margin on the
22 positivity of data that we have for what the actual benefit is.

1 Maybe uncomplicated cellulitis could be in there
2 too, but we haven't even defined it clearly yet and we don't
3 have the data upon which to base non-inferiority margins. So it
4 would be premature to include them.

5 So actually it would be a whole lot easier to assess
6 efficacy with a placebo controlled superiority trial than in
7 this setting with non-inferiority. And then Dr. Alston's
8 comments are right on target when it comes to the issue of
9 interpreting non-inferiority. He's exactly right about the fact
10 that any trial needs high quality conduct, but a trial that is
11 done with non-inferiority requires a higher level of assurance
12 of high levels of quality conduct, to be able to understand.

13 Because he's right, when there's noise in a trial
14 that leads to lesser detection of a difference, in superiority
15 (inaudible). If there's noise towards no difference you might
16 be underestimating benefit. And if you see benefit then you can
17 be confident. But in non-inferiority, if there's noise and it
18 leads to making things look the same, then that could lead you
19 to concluding things are the same when they're really not.

20 So if I were a sponsor in this area, the concept of
21 trying to do this with non-inferiority, having essentially
22 impetigo as my basis, struggling really to justify a margin and

1 then having to deal with all of the aspects of higher levels of
2 quality of study conduct, it would be infinitely more
3 straightforward to be doing a far more interpretable placebo
4 controlled trial where there is equipose (ph) because of all the
5 things -- when we heard earlier from Dr. Thomas about issues of
6 injection site pain or the associated down side that would
7 occur, the unintended off target effects, those are fully
8 acceptable in pneumonia when you are preventing death. They're
9 fully acceptable if you're preventing a major morbidity.
10 Impetigo's not a major morbidity.

11 And so to truly understand benefit to risk in this
12 setting and to make the study much easier to conduct, why
13 wouldn't you do it as a superiority trial?

14 CAROL KAUFFMAN: Could I ask one more --

15 BARTH RELLER: Dr. Rex and Dr. Kauffman.

16 JOHN REX: I actually have a question to the
17 pediatricians about impetigo and placebo controlled studies.
18 There's actually, at this point, I think a reasonable amount of
19 data that says that therapy of impetigo, if only topically,
20 actually does have a pretty good size treatment effect. I mean
21 it's actually more than is -- I should say that when you start
22 digging around the literature, you know, you found some other

1 papers, Brad found some other papers, there are actually a lot
2 of papers out there that we didn't all find. Actually I had
3 (inaudible) do a search as well.

4 And between the literature search that we did, the
5 literature search the FDA did and the literature search that
6 Brad Spellberg did, there were 231 papers, or 230 odd papers.
7 Some of them are things like guidelines but about 200 that were
8 sort of clinically relevant. There's actually only one that
9 everybody found and there were about 20 that two of the three
10 groups found. So there are lots of papers out there that we
11 haven't really used yet.

12 But for impetigo, our summary of it suggests that
13 there's a pretty good size effect. And my question is post
14 altabacks (ph) post (inaudible) where we've got these reasonably
15 good size effects, are you comfortable in the current era, with
16 recommending another placebo controlled study? And I'm actually
17 cognizant of the fact that there is a downside to a kid having
18 impetigo. And that is that school sends him home and mom can't
19 go to work. So I mean there's actually a limitation there as
20 well.

21 So what would be, to the extent you think you can
22 represent it, do you think it's okay in 2009 to do that as

1 opposed to several years ago? Where are we with impetigo?

2 BARTH RELLER: Dr. Gutierrez.

3 KATHLEEN GUTIERREZ: Okay, well I think one of the
4 things that's changed slightly with impetigo recently is the
5 issue of MRSA. And you know, so that may be a factor to
6 consider in this. Looking at, you know, in thinking about the
7 altaback study, I mean if you look at the group that got -- I
8 don't have the numbers with me, but if you look at the group
9 that got the placebo, there were no bad, long term side effects.

10 And so that's why I'm thinking that if you could show
11 superiority of an antibiotic that was safe, that was probably
12 oral, that had a good benefit/risk ratio, then I think a
13 superiority study would be useful in those children. And that's
14 what, you know, my impression of that is.

15 BARTH RELLER: Dr. Kauffman.

16 CAROL KAUFFMAN: I just had another question and that
17 is are there any public health aspects of this, should we not
18 treat impetigo and just let it go from kid to kid in the school
19 setting? Or is that not a major problem or in the family?

20 KATHLEEN GUTIERREZ: Dr. Weidermann, do you want to
21 take that question?

22 BARTH RELLER: So Dr. Weidermann --

1 BERNHARD WEIDERMANN: Gee, thanks.

2 KATHLEEN GUTIERREZ: We'll go back and forth here.

3 BARTH RELLER: Is there evidence that antibiotic
4 intervention truncates spread?

5 BERNHARD WEIDERMANN: Short answer, no. That's the
6 -- you know, we'd love to have more data on that and I guess
7 some is on the way or being assembled anyway, larger studies.
8 You know, and in answer to Dr. Rex, I think still the magnitude
9 of the benefit of treatment is low enough that maybe I wouldn't
10 get ethically concerned about a placebo controlled trial. But
11 if I put myself in the investigator role in trying to explain
12 this to a family, you know, I can explain it with a good
13 conscience, but I don't think I'm going to get a lot of takers.

14 JOHN REX: You know, I think it is it's ethics, it's
15 not not pragmatics. That's the challenge.

16 BARTH RELLER: Dr. Fleming and then Mr. Davis.

17 THOMAS FLEMMING: Everything is benefit to risk, as
18 we've said repeatedly. But it's not a novel situation to say,
19 even in settings where you have shown much more clearly than we
20 have, that an intervention is effective, much more clearly than
21 we've shown antibiotics truly provide benefit in impetigo.

22 There are settings of pain relief, analgesic studies, there are

1 anti-asthmatic studies, there are anti-psychotic trials where
2 you have interventions that have shown effect nevertheless with
3 informed consent it's still very ethical to randomize patients
4 to an experimental therapy against a placebo, because the nature
5 and the level of the effect isn't that profound.

6 Plus, every intervention has risk. Even antibiotics
7 have risk. And it isn't so obvious that minor benefit exceeds
8 the risk. And so benefit to risk has to be factored in. It's
9 -- and there's ample precedent to indicate that when you have an
10 intervention that is not a major morbidity/mortality outcome
11 that you're going to find, ethically, the most reliable evidence
12 about whether this is an intervention that should be used when
13 the overall level of effect is so limited and controversial that
14 randomizing to a proper control and looking at superiority
15 allows you to strengthen your sense of what that benefit is and
16 to put it into context of what the risk is for judging whether
17 it's favorable benefit to risk.

18 And again, there are -- it's not just that we have
19 recently finished the altabox trial, there are other studies
20 including a number of ongoing studies that are now underway that
21 are looking at randomization against a placebo control in
22 uncomplicated disease. These are recognizing that in this

1 setting the level of benefit, while potentially important here,
2 needs to be established in order to justify that it exceeds the
3 ongoing risk that is apparent for any intervention.

4 BARTH RELLER: We'll next hear from Arthur Levin the
5 consume representative on the committee.

6 ARTHUR LEVIN: I would just second -- I mean Tom
7 said what I was going to say that it seemed to me this was the
8 kinds of issues people are concerned about are the issues that
9 people raise with all placebo controlled trials. The level of
10 discomfort of subjects in possibly getting a placebo, the level
11 of discomfort in the investigator in giving people placebo. So
12 that's always present. But again within informed consent
13 process and people volunteering in an informed way to go into
14 these trials, it doesn't seem to me to be an ethical issue.

15 BARTH RELLER: Dr. Rex.

16 JOHN REX: Tom, I thought about the schizophrenia
17 case as a parallel and there is a difference between that and
18 impetigo which is the case of offering a schizophrenic a placebo
19 for a period of time. The only person who is involved in that
20 is that human being, you know, that person's the one not getting
21 therapy for schizophrenia. Whereas, with impetigo I guess -- I
22 am not a pediatrician, but I can imagine being on the other end

1 of it, I'm thinking about the other family members, I'm thinking
2 about the kid not being able to go to school and I'm thinking
3 about MRSA spreading.

4 And so I'm just wondering about that. But it really
5 would be easier to do the superiority study because that's
6 clear, it's probably a smaller study. There are lots of
7 advantages to it.

8 I guess my wish would be that guidance in this area
9 retains some flexibility because you might go from a point where
10 you felt like you were comfortable doing superiority studies to
11 one where you thought well, I've kind of crossed the bridge on
12 this and, you know, it's now clear that MRSA 5000 that's now
13 circulating is bad news if you don't treat it, and so we've
14 moved on to where we can't -- we can no longer do placebo
15 control even for this setting.

16 I mean you know, you can imagine that occurring. So
17 I would encourage guidance to anticipate the possibility that
18 you might find in the future that you would -- that for some
19 reason you need to do non-inferiority. And, you know, there is
20 an increasing body of data to support the idea that there is a
21 treatment effect. It's probably enough to get you a margin.

22 BARTH RELLER: I think it's time for a vote. Oh --

Capital Reporting Company

Page 288

1 KATHLEEN GUTIERREZ: Actually can I just respond?

2 BARTH RELLER: -- and then the vote.

3 KATHLEEN GUTIERREZ: I'm just thinking of the
4 transmission issue. I mean the reason that we treat impetigo in
5 children is, you know, the primary reason is not to prevent
6 transmission of organisms, you know, through the school, it's
7 mostly to treat the child itself. And so we do talk about
8 issues in terms of good hygiene and that sort of thing. And,
9 you know, even if you're treating -- I mean if you're treating a
10 MRSA infection one of the things that's most crucial is the
11 issue of good hygiene. And it's not so much, you know, that we
12 think that treatment is going to eradicate colonization, for
13 example.

14 So I mean I think we would have to figure out a way
15 to quantitate what that would be, you know, that effect of
16 preventing transmission.

17 BARTH RELLER: Dr. Septimus wants clarification
18 before voting.

19 EDWARD SEPTIMUS: Just a point of clarification.
20 We've been talking a lot about impetigo but I -- unless I
21 misunderstood the question, that's just one of several
22 uncomplicated SSIs that we're talking about We're not voting

1 specifically on just impetigo, I just -- is that correct?

2 BARTH RELLER: It is a major component of
3 uncomplicated, but you're correct. It's uncomplicated as a
4 category of SSIs.

5 EDWARDS SEPTIMUS: And a lot of the small abscesses
6 we talked about previously probably would fit under this
7 category as well, I'm assuming.

8 BARTH RELLER: Correct. Dr. Fleming.

9 THOMAS FLEMING: Just on this point, because you're
10 right. And the agency had already qualified, in their
11 discussion before, minor skin abscesses, folliculitis,
12 furuncles, these wouldn't be in a setting where you would do a
13 non-inferiority, you could do superiority. We focused on
14 impetigo because that's the one setting in which there is some
15 evidence here, there other settings too, uncomplicated
16 cellulitis, and we're sitting here trying to find out what the
17 definition is, much less having data to defend a margin. So I
18 think that's why the focus of the discussion got into impetigo,
19 because you need data.

20 And just one -- if you're going to look at other
21 people, and we've already refuted this issue of spread, then
22 okay then a counter-balancing argument is if you're using

Capital Reporting Company

Page 290

1 antibiotics in a setting where it's not really well established
2 you have favorable benefit to risk, you're enhancing development
3 of resistance. That's going to affect other people negatively.

4 BARTH RELLER: Thank you. Three options, yes, no,
5 abstain, 20 seconds please. We have an overwhelming proportion
6 of the committee that things that non-inferiority trials are not
7 ideal for uncomplicated skin and soft tissue infections. At
8 this time we'll go left to right for any additional comments
9 that one wishes to make with this decisive vote for what trials
10 would be appropriate. Dr. Katona.

11 PETER KATONA: Well I think any time you can justify
12 a placebo controlled trial, a superiority trial, over a
13 non-inferiority trial I think you should go for it. And I think
14 this is the case here. You know, antibiotics have risks, you
15 have to take that into account, you can probably get this
16 through human subjects committees a lot more easily. And so
17 voted no.

18 BARTH RELLER: Thank you, Dr. Katona voted no. The
19 overall voting was 16 no's for the record, four yes's. So when
20 one introduces the comments, particularly the four who voted
21 yes, to way why you voted yes, but also the 16 who voted no, as
22 to why you voted no and what your alternative strategy should be

1 -- or would be recommended. Dr. Alston.

2 KEMPER ALSTON: I voted no. I agree that if
3 there's any change of doing a superiority trial you should
4 because the non-inferiority design is so flawed, as we've come
5 to understand.

6 BARTH RELLER: Dr. Goetz.

7 MATTHEW GOETZ: I'm actually chagrined because I
8 pressed the wrong button. (inaudible) stop and almost start
9 right there. I believe that there are important challenges in
10 doing a superiority study in terms of defining what is an
11 uncomplicated skin and soft tissue infection. The issues of
12 where that dividing line in cellulitis is will be particularly
13 challenging. I recognize that protocol development enrollment
14 will be complicated for reasons that we previously discussed.
15 But on the whole, given the severity of the infections, the list
16 of complicates that may ensue if a person's not immediately
17 treated and given the data which are available at present, a
18 superiority trial, I believe is the right strategy.

19 BARTH RELLER: Dr. Fleming.

20 THOMAS FLEMING: I agree with my colleagues. You
21 are in a far more interpretable mode to be doing a superiority
22 trial. Patients join trials not just for their own benefit but

1 for altruistic purposes as well. And those altruistic purposes
2 involve trying to find reliable insights about the questions
3 that need to be answered. And the far and away most reliable
4 insight you're going to get here is from a proper controlled
5 superiority trial that allows you to understand whether there is
6 a magnitude of benefit that truly does over weigh or outweigh
7 the risk that is apparent with any intervention.

8 And the quality of study conduct issues are much
9 more easily addressed in a superiority trial. And the
10 inclusiveness of the enrollment is much broader if you're going
11 to do a superiority trial. So the superiority trial has many
12 specific benefits. In terms of the end point in a superiority
13 trial it would be resolution of symptoms or time to resolution
14 of symptoms, clearing of crust or lesions from impetigo,
15 clearing of redness, swelling, warmth, tenderness, etcetera. It
16 would be looking at getting more timely resolution or a more
17 substantial fraction that have resolution by some point in time.

18 BARTH RELLER: Dr. Leggett.

19 JAMES LEGGETT: I voted no on the basis -- on what's
20 already been said, but also really on limited data on which to
21 base a non-inferiority trial, a limited definition, expect for
22 impetigo, of what we're talking about. And then with all those

Capital Reporting Company

Page 293

1 limitations I can't understand why any company would bother
2 applying for this unless they were a company with a topical
3 antibiotic.

4 BARTH RELLER: Dr. Bennett.

5 JOHN BENNETT: I guess I was lulled into a
6 disinterest by an increasingly repetitive discussion about trial
7 that no company would be interested in doing, so I punched the
8 wrong button.

9 BARTH RELLER: Dr. Lesar.

10 TIMOTHY LESAR: I voted no. And again echo the
11 comments about limited data as well as difficulty assessing risk
12 and benefits.

13 BARTH RELLER: Dr. Nelson.

14 LEWIS NELSON: Yeah, I voted no as well, for the
15 most part it relates to the relatively low risk of the infection
16 and the relationship of the risk/benefit ratio to that.

17 BARTH RELLER: Dr. Septimus.

18 EDWARD SEPTIMUS: I voted no for all of the reasons
19 already stated.

20 BARTH RELLER: Miss Thomas.

21 JEANINE THOMAS: I too am guilty of pushing the
22 wrong button. But -- and from anybody has stated too, I think

Capital Reporting Company

Page 294

1 superiority trials are just the way to go with this. And sorry
2 about that.

3 BARTH RELLER: Dr. Steckelberg.

4 JAMES STECKELBERG: No. Further would be
5 redundant.

6 BARTH RELLER: Dr. Cross.

7 ALAN CROSS: I also voted no for all the reasons
8 mentioned. But I would also be interested in the efficacy if a
9 superiority study were done on just the hygiene issues that Dr.
10 Gutierrez mentioned. How good is the hand washing and other
11 issues (inaudible).

12 JOAN HILTON: Well I voted yes and I guess I'm the
13 only one standing by my yes vote. And I was moved by a comment
14 by someone on this side of the table who said why don't we leave
15 that option open. Why don't we just allow non-inferiority
16 trials even if we might prefer superiority trials. So just
17 building on that thought.

18 There's also kind of a hybrid between these two
19 where often non-inferiority alternative hypotheses seek equality
20 and a possibility is to seek superiority as the alternative. So
21 I just am trying to be more flexible in this setting.

22 BARTH RELLER: Mr. Levin.

1 ARTHUR LEVIN: I voted no for all the reasons
2 stated. And maybe we need to investigate these voting machines,
3 I'm not sure given the amount of false negatives.

4 BARTH RELLER: While this question is fresh, I did
5 the same thing as two other members of the wrong button, but I
6 had the advantage of right hand Dr. Kim telling me what to do.
7 You can press the button as often as you want to, you're not
8 going to get any reward for doing it, but you can press it. And
9 it's the last touch that counts for tomorrow and the next day.
10 Dr. Weinstein.

11 MELVIN WEINSTEIN: I voted no for the same reasons
12 as the others.

13 BARTH RELLER: Dr. Follmann.

14 DEAN FOLLMANN: I voted no for pretty much the same
15 reasons. The only thing I might add is you know, instead of a
16 pure placebo controlled trial you could consider a delayed start
17 trial where you randomize to the immediate drug, or placebo
18 followed by drug for everyone after a period of time. And your
19 end point for that would probably be time to cure rather than
20 cure at a specific time. So you'd have to measure whether
21 there'd been cure repeatedly throughout the study. But that
22 might be a superior trial design. It could be used sometimes

1 where pure placebo couldn't.

2 BARTH RELLER: So you would -- it would be
3 improvement resolution of symptoms after initiation of therapy,
4 be it immediate or delayed?

5 DEAN FOLLMANN: Well I would probably just look at
6 time to cure basically, thinking that if you delay treatment by
7 seven days probably, you know, their cure times would be seven
8 days later than the other. And so, you know, you could work it
9 out and it should be a fine design.

10 BARTH RELLER: Dr. Gutierrez.

11 KATHLEEN GUTIERREZ: Well I voted no for some of the
12 reasons I stated previously. But in thinking about this it's
13 going to be a little complicated because I think impetigo is
14 different than superficial skin abscesses. And I, you know,
15 trying to figure out a way to -- I guess the next discussion
16 would be whether we have to separate or lump those. Because,
17 you know, with superficial abscesses you can incise and drain
18 and impetigo you can use topical treatment. So I think there
19 are some differences within that group already.

20 BARTH RELLER: Dr. Kauffman.

21 CAROL KAUFFMAN: I voted no after hearing from the
22 pediatricians that impetigo wasn't as worrisome as I thought it

1 was. I'm worried that in fact it'd be hard to enroll into that
2 study because a lot of parents are going to want something and
3 they'll go to the next doctor and get (inaudible) if you try and
4 put them in a study. But that's neither here nor there right
5 now.

6 BARTH RELLER: Dr. Weidermann.

7 BERNHARD WEIDERMANN: Well, I voted no but, and just
8 to add something different here, I think -- you know, I voted no
9 because I can't think of a situation where a non-inferiority
10 trial, you know, would have the justification for it. But it
11 may be in something like what Dr. Fleming was talking about, a
12 mild cellulitis, whatever that is, if we could get information.
13 I've certainly treated mild cellulitis, however I've defined it
14 with oral antibiotics as an outpatient. And maybe whether
15 combining that with Dr. Follmann's idea of delaying start of
16 therapy, maybe there's something in there. But we just don't
17 have the data right now to know how to go about that.

18 BARTH RELLER: I voted no. The reasons have been
19 articulated. But just one point of emphasis. I was persuaded
20 by the epidemiological considerations and emergence of
21 resistance. And where there is a small treatment effect these
22 other components become much more important. And as Dr.

1 Weidermann pointed out, with informed consent and honest
2 exposition, I think, at least I would hope over time that
3 there's greater public recognition of the importance of
4 antimicrobial resistance. And if we honestly do not know
5 whether these antibiotics make a difference, it would be
6 legitimate to show it. So that when an antibiotic subsequently
7 be used, if it's shown to be superior, it would be with
8 recognition that that utility overrides the downside.

9 Question number four, we have in our allotted time
10 about 25 minutes and given the complexity of the discussions,
11 the extent of the discussions that are taking place, I think it
12 is entirely doable to give at least balance or fair
13 consideration to this question in the 25 remaining minutes.

14 "Should uncomplicated studies only enroll patients
15 with infection such as impetigo, erysipelas and cellulitis and
16 exclude those with abscesses?"

17 This question is more complicated than what meets
18 the eye, I think, given our earlier discussions. But it is now
19 open for discussion.

20 MATTHEW GOETZ: I'm somewhat troubled by the
21 conclusion of erysipelas as we've defined and discussed that
22 earlier this morning with a 10 percent mortality rate. Is that

Capital Reporting Company

Page 299

1 what the FDA intended or am I misinterpreting the language here.

2 EDWARD COX: You know, I think we're talking about
3 milder manifestations. And I guess the question with some of
4 the discussions that we've had here today, would be -- maybe the
5 way to address the question would be what types of infections
6 would you include in an uncomplicated skin and skin structure
7 infection.

8 BARTH RELLER: Because I think that you're
9 absolutely right and that's why it's more complicated than meets
10 the eye. I think there is a consensus, in fact the last vote
11 with corrections for electronics was actually 19 to 1. And the
12 previous one 20 to 1 for utility under defined circumstances
13 versus better alternatives.

14 So that the key is in the definitions. And what one
15 would be talking about here with uncomplicated is the kinds of
16 infections that would be legitimately included in a superiority
17 trial.

18 So is that all right to rephrase it? I mean after
19 discussions along those contours?

20 EDWARD COX: Yeah, that sounds good.

21 BARTH RELLER: So what are the committee's views on
22 what kinds of infections that have been discussed today would be

1 legitimate targets of superiority trials or the obverse of that,
2 would with the limitations discussed, not be best studies given
3 some flexibility that Dr. Hilton referred to by a
4 non-inferiority design? So comments? Dr. Fleming.

5 DR. FLEMING: And still maybe just a clarification
6 or a context. I think in view of question three it would be --
7 I would focus on the answer of question four in the context of
8 doing a superiority trial which allows, in my view, more
9 flexibility in who you would allow into the trial, because
10 you're not needing to restrict to those sub elements where you
11 have already established a non-inferiority margin.

12 So while we had clearly left out minor skin
13 abscesses, folliculitis, furuncles and because we don't have any
14 data on margins on complicated cellulitis, those can all now be
15 on the table for potential consideration in superiority along
16 with obviously the impetigo. So I would just say it -- there is
17 to me a wider spectrum of potential cases that you could allow,
18 under the understanding that they're all meeting the definition
19 of uncomplicated, where it would be appropriate to be doing a
20 superiority trial.

21 So having said that, the question would be how broad
22 a setting is it likely that your intervention would have