

1 UNITED STATES OF AMERICA
2 NUCLEAR REGULATORY COMMISSION
3 Advisory Committee on the Medical Use of Isotopes
4 Nuclear Regulatory Commission
5 One White Flint North
6 Conference Room 1F7-9
7 Rockville, Maryland
8 Sunday, February 21, 1993

9 The meeting convened, pursuant to notice, at 2:05
10 p.m., Barry Siegel, Chairman of the Committee, presiding.

11 PRESENT:

12 Barry Siegel, M.D.

13 Peter Almond, Ph.D.

14 Judith Brown

15 Steven Collins, State of Illinois

16 Daniel Flynn, M.D.

17 Melvin Griem, M.D.

18 Donald Hamilton, FDA

19 E. Eric Jones, M.D.

20 Robert Bernero, NRC

21 Larry Camper, NRC

22 Richard Cunningham, NRC

John E. Glenn, NRC

Carl Paperiello, NRC

- 1
- 2
- 3
- 4
- 5
- 6
- 7
- 8
- 9
- 10
- 11
- 12
- 13
- 14
- 15
- 16
- 17
- 18
- 19
- 20
- 21
- 22

P R O C E E D I N G S

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

MR. GLENN: Good afternoon, ladies and gentlemen.

I'm pleased to welcome you to Rockville, Maryland, for a meeting of the Advisory Committee for Medical Use of Isotopes. My name is John Glenn. I'm chief of the Medical, Academic and Commercial Use Safety Branch of the Nuclear Regulatory Commission.

This is a meeting of the Advisory Committee on the Medical Use of Isotopes and it is being held in accordance with the rules and regulations of the General Services Administration and the Nuclear Regulatory Commission.

The meeting was announced in the Federal Register on February 9, 1993, and the Federal Register notice stated that the meeting would begin at 2:00 p.m.

The function of the Committee is to advise the NRC staff on issues and questions that arise from the medical use of byproduct materials. As such, it is an advisory committee. It does not direct the staff but provides counsel.

Today's meeting is a little different than normal meetings in that this a pre-meeting for the Committee to get together and share its thoughts with each other prior to having a briefing of the Commission tomorrow at 9:00 a.m.

Members of the Committee have been provided with

1 handouts and transcripts from three recent briefings of the
2 Commission on the topic of the NRC's medical use program.

3 The first of these briefings was held by the staff on
4 January 22.

5 The following week the Commission was briefed by
6 representatives of several agreement states who described the
7 implementation of the medical use program in their states.

8 Finally, on February 8, 1993, the Commission was
9 briefed on the findings of its independent investigation team
10 into the tragic circumstances of a recent misadministration in
11 Indiana, Pennsylvania.

12 I would like to introduce some of the members of the
13 Committee who are seated here today. Beginning on my left, we
14 have Eric Jones from the Food and Drug Administration.

15 Melvin Griem, who is a physician involved in therapy.

16 Dr. Daniel Flynn, who is another physician involved
17 in therapeutic treatments.

18 We have Larry Camper, who is the chief of the medical
19 and academic section of the NRC.

20 We have the Chairman of the Advisory Committee, Dr.
21 Barry Siegel.

22 To my right, we have Judith Brown, who represents the
public and patient interests on the Committee.

1 We have Steve Collins, who is a member of the
2 radiological staff of the State of Illinois, who is our state
3 representative.

4 Finally, we have Don Hamilton, who is also
5 representing the FDA.

6 I will just take a note of a few of the members of
7 the Commission who are in the audience. If I miss anyone, I
8 will apologize.

9 We have Robert Bernero, who is the office director in
10 charge of the medical use program.

11 Dick Cunningham, who is the division director with
12 oversight over the medical program.

13 We have Janet Kotra, who is representing Commissioner
14 Curtiss.

15 Carl Paperiello is seated in the audience. He was
16 the leader of the independent investigation team that looked
17 into the Indiana, Pennsylvania, event.

18 We have Sally Merchant, who is a member of the
19 medical and academic section.

20 We have Josie Picone, who is a member of the medical
21 and academic section, and Janet Schlader, who is a member of
22 the medical and academic section.

 With that, Dr. Siegel, I will turn the meeting over

1 to you.

2 DR. SIEGEL: Although we maintain our sign-in sheet
3 as a record of public attendance at the meeting, I would
4 actually appreciate it if the individuals in the audience who
5 have not already been introduced would please introduce
6 themselves.

7 MR. FRANKLIN: Ben Franklin of the McGraw-Hill
8 Nuclear Publications.

9 MR. BERICK: David Berick. I'm with the Environment
10 and Energy Subcommittee of the House Government Operations
11 Committee.

12 MR. MOSELY: Michael Mosely with Syncorp
13 International.

14 MS. KENNY: I'm Shannon Kenny with the American
15 College of Cardiology.

16 MR. DAVIS: Dave Davis with Plain Dealer.

17 MR. MARQUIST: Chris Marquist with Knight-Ridder.

18 DR. SIEGEL: Thank you.

19 Our job today is to prepare for tomorrow morning's
20 9:00 a.m. briefing. As all of you know, we have been given a
21 large amount of information to digest and have been posed with
22 a series of questions that we should try to grapple with for
tomorrow's briefing.

1 To put this in perspective, it was about seven weeks
2 ago when I first learned that there was a possibility that the
3 Commissioners would like to hear our perspectives on both the
4 Cleveland Plain Dealer series as well as the Indiana,
5 Pennsylvania, event. It seemed to me that it would be
6 reasonable for us to provide that input. So we put together a
7 meeting and here we are today.

8 Several weeks thereafter, as a consequence of a
9 meeting that occurred between Commissioners Curtiss, Remick and
10 De Planque, we got a small list of questions that we should
11 digest. That list was subsequently added to, and then with
12 assistance of staff in NMSS, some of those questions were
13 reframed and put into perspective. Those are the primary goals
14 that we need to deal with.

15 Given all the information we have received, given the
16 questions we have received, given the opportunity that I have
17 had as Chairman to speak with nearly all of you individually
18 but not in an officially convened meeting, I put together a
19 series of slides that constitute the talking points for our
20 briefing tomorrow. I sent those to all of you last Sunday, on
21 Valentine's Day, forgetting that many of you would not be at
22 work last Monday for Presidents' Day, and had a chance to talk
with most of you later in the week.

1 I have since modified those slides slightly. The
2 version of the document that has one per page and gives you
3 lots of room at the bottom of the page to take notes is the
4 document I would like to work from. You will see that the
5 changes I have made are relatively minor.

6 Consequently, what I would propose we do is go
7 through the issues as I plan to present them with Dan Flynn's
8 assistance. Dan has specifically asked to address the
9 brachytherapy questions that come out of the Indiana,
10 Pennsylvania, event. We can determine whether others of you on
11 the Committee have a specific desire to make specific
12 statements as part of the briefing or wish to respond to
13 whatever questions we get from the Commissioners.

14 As we did last time in the July briefing, although I
15 might make the initial attempt to address a question, it is
16 open to any of you either in dissent or to make a point more
17 clearly than I was able to do and to add to. I don't see any
18 other way we can do it. Unlike the staff, which at its
19 briefings had had, if you will, weeks and weeks of intensive
20 effort to develop a consensus staff position, it will be more
21 difficult for us to have a clearly defined consensus, but I
22 think nonetheless we can try our best to come up with some
general principles.

1 The initial question list from the Commissioners was
2 very broad. The purpose of focusing the list somewhat is so
3 that this Advisory Committee can comment on the things that it
4 has particular expertise to comment on.

5 The question list gets into issues of broad national
6 policy. The Advisory Committee's input might be relevant to
7 those questions, but perhaps at a point when those questions
8 are framed more carefully or more completely than they are
9 currently framed. That's why I have focused on issues that I
10 consider to be predominantly medical issues, not necessarily
11 big picture policy issues, although, as you will see, one
12 towards the end is a big picture policy issue.

13 We can deviate from this if we choose to. Sally will
14 be delighted to retype all these slides tonight if we have to
15 and have the 100 copies prepared for the public for tomorrow
16 morning. Hopefully the snow will stop so that there will be a
17 public tomorrow morning.

18 With that, let's start, unless any of you have a
19 comment as to process or approach. If we are good, we don't
20 have to be here four hours either, as scheduled, which would be
21 okay with all of us.

22 The issues I propose to discuss are shown on the
introductory slide that says we want to address the NRC's

1 response to the series that occurred in the Plain Dealer
2 briefly. As you will see on the next slide, I will be brief.

3 Then to talk about matters of patient notification
4 and what are physician responsibilities, institutional
5 responsibilities, and NRC responsibilities.

6 Then patient follow-up, with the same concerns.

7 Whether or not this Advisory Committee has any better
8 data on under-reporting of events. As you will see, that slide
9 currently doesn't have anything on it other than just "under-
10 reporting of misadministrations."

11 The issue of NRC regulatory purview.

12 And some issues related to brachytherapy regulation
13 and other radiation therapy issues as they relate to this
14 Advisory Committee.

15 Just from first glance, did I leave out any major
16 elements relating to the Commissioners' questions or the
17 staff's analysis of those questions?

18 [No response.]

19 DR. SIEGEL: In terms of NRC response to the Plain
20 Dealer series, I propose to say that we believe that the Plain
21 Dealer series raised a number of very important questions and
22 that we agree that an appropriate scientific dispassionate
analysis of those problems is appropriate and are indeed glad

1 to see that the NRC staff is planning a senior management
2 review and planning an outside review to be conducted by the
3 Institute of Medicine, or at least preliminary thought it will
4 be conducted by the Institute of Medicine of the National
5 Academy of Sciences. I assume no contract has been let yet.
6 And would stand ready as an advisory committee to assist senior
7 management and the Institute of Medicine in its deliberations.

8 Recognizing full well that this Advisory Committee is
9 composed largely, although not exclusively, of individuals who
10 work for licensee institutions and therefore, recognizing, as
11 we said in July, that this Advisory Committee is in some ways
12 inherently conflicted, nonetheless it is precisely because of
13 what we do for a living that we have the expertise that allows
14 us to address some of the questions that are being considered
15 by the Commission. In any way we can help the Commission we
16 are ready to do so.

17 With that positive note in mind, a few negative notes
18 relating to the Plain Dealer series and the NRC response to the
19 Plain Dealer series.

20 It is my personal opinion, and correct me if I don't
21 speak for you, that in many ways the Plain Dealer series, while
22 it was loaded with facts and useful information, presented
those facts in a way that was oft sensationalistic. Perhaps

1 that's the way newspapers have to do things to get the
2 attention that they are eager to get.

3 But given some element of sensationalism in what was
4 presented, the NRC in response to the Plain Dealer series had
5 an opportunity early in the process and in fact even during the
6 course of being interviewed for the Plain Dealer series to
7 point out certain things that seemed not to have been
8 adequately emphasized in the series.

9 One, the denominator which we as a Committee have
10 talked about so much, although mentioned in the series, is
11 really mentioned in passing rather than thoroughly emphasized.

12 Two, the relative risks of radiation uses in medicine
13 could have been emphasized. Everything that happens when
14 patients encounter physicians and the health care system is
15 risky. Every single thing that happens. Modern technology is
16 very risky.

17 In the old days when all you could do was hold a
18 patient's hand, it was unlikely you could do much harm, but you
19 also couldn't do much good. With the tools we currently have
20 we can do a lot of good; we also can do a lot of harm.
21 Sometimes it just goes with the territory. Radiation therapy
22 is risky; chemotherapy is risky. Sometimes it gets multiplied
because of medical malfeasance, malpractice, misadventure --

1 choose whatever term you like -- bad judgment, but it is part
2 of medicine. There is nothing we are going to do that is going
3 to change that. Medicine is always going to be associated with
4 risks as long as we use those tools.

5 I think pointing out somewhere along the way that
6 byproduct radioactive material is not uniquely hazardous as
7 compared to the rest of medicine would have been something the
8 NRC could have done early and something that the Plain Dealer
9 could have figured out a way to incorporate in the series.

10 I personally found statements in the series and in
11 follow-up relating to the level of NRC awareness of the problem
12 to be troubling. To me it seemed hard to understand that the
13 NRC was unaware that patients experienced pain and suffering as
14 a result of medical malpractice. That shouldn't be a
15 revelation. In fact, the jury awards related to medical
16 malpractice are as oft due to pain and suffering as they are to
17 actual damages. So I think getting that point across would
18 have been important.

19 Finally, the issue of the limits of NRC statutory
20 authority. The Plain Dealer repetitively made the point that
21 the NRC refused to accept responsibility for things other than
22 byproduct material, for linear accelerators, for naturally
occurring radioactive materials, for non-byproduct accelerator

1 produced radionuclides for diagnostic x-rays.

2 I think the NRC response early could have included
3 statements to the effect that if Congress had asked by way of a
4 statute, the NRC would not have refused to accept the
5 responsibility, but it's important to understand that what NRC
6 currently regulates is limited by its statutory authority, not
7 by the whim of staff.

8 That's what I want to say about the Plain Dealer
9 series. I don't know if it will come out as clearly tomorrow
10 as it just did now.

11 Any comments on that? Do you want to add to it,
12 Steve?

13 MR. COLLINS: As representative of the states, based
14 on my 20 years of experience with various states, except for
15 byproduct source and special nuclear material, which is by
16 federal statute the NRC's, the states don't want to give up the
17 rest to any federal agency. Most of them do all the rest of
18 those things and they don't want to give it up to a single
19 federal oversight, although we would like to have uniform
20 standards in place. The Conference of Radiation Control
21 Program Directors has some model things out there but not all
22 states have put those into practice as we have.

DR. SIEGEL: For the purposes of understanding this,

1 John, Larry, Dick, have there been official congressional
2 overtures to take over other aspects of the medical use that
3 you are aware of?

4 MR. CUNNINGHAM: Not that I'm aware of.

5 MR. GLENN: Not that I'm aware of.

6 DR. SIEGEL: There was some notion that you had been
7 approached at sometime by perhaps CRCPD about taking this over.

8 MR. GLENN: There was a proposal a few years ago that
9 accelerator produced isotopes might legitimately come under our
10 purview. That was limited to that one particular area, not all
11 sources of radiation.

12 DR. SIEGEL: You supposedly refused to do that.
13 First of all, did that happen, and second of all, what was the
14 basis for refusing to do that, if you can recount?

15 MR. GLENN: It was referred to the CIRRPIC, which is
16 the federal group that exercises broad oversight over the use
17 of radiation. The recommendation that came out of that was
18 that there was no compelling reason for the NRC to seek such
19 authority and we have not sought such authority.

20 DR. SIEGEL: For the record, what does CIRRPIC stand
21 for?

22 MR. GLENN: I was afraid you were going to ask that.

MR. BERNERO: The Committee on Interagency Radiation

1 Research and Policy Coordination.

2 MS. BROWN: I have one thing.

3 DR. SIEGEL: Yes, Judy.

4 MS. BROWN: Just my opinion. I think the points you
5 brought up are good but I also wanted to say that I thought the
6 Cleveland Plain Dealer performed a public service in bringing
7 all of these things together, doing the very tedious
8 investigation. From what I heard, viewing the tapes of the
9 Commission meetings, no one disputed any of the facts. The
10 sensationalism -- I don't know what they have to do to sell
11 papers in Cleveland. I would probably give them some of that.
12 I just wanted to say I thought they did a great job in bringing
13 it together.

14 DR. SIEGEL: Thank you.

15 DR. FLYNN: May I add something?

16 DR. SIEGEL: Yes.

17 DR. FLYNN: I think the denominator is very
18 important, though. This year somewhere between 30,000 and
19 40,000 brachytherapy procedures will be done. In fact,
20 tomorrow is Monday. We expect about 200 will be done in the
21 country. Probably every one will go extremely well.

22 I think you have to understand the anxiety patients
go through when preparing for a very complex technological

1 treatment that hopefully is going to either cure them of their
2 cancer or at least have a prolonged remission from their
3 cancer.

4 I projected from the College of Radiology patterns of
5 care studies that for 1993 -- in Massachusetts some of them are
6 being taken out of commission because they are replacing them
7 slowly with linear accelerators that can do other things like
8 electrons and high energy beams and everything -- that there
9 are about 400 cobalt machines in actual operation. There are
10 more than that licensed but not all the ones that are licensed
11 are actually treating patients right now. There are about
12 2,000 linear accelerators. So there is about a 5 to 1 ratio of
13 linear accelerators to cobalt machines. Most patients are
14 being treated on linear accelerators now for their cancers by
15 external beam.

16 I think you may find that in Illinois. I'm not sure
17 what the ratio is in Illinois, but in Massachusetts we have 48
18 megavolt machines of which eight are cobalt, but three are
19 basically not treating patients anymore. So the ratio between
20 linear accelerators to cobalt is increasing.

21 I called up the Cancer Society. For 1992 the
22 estimated number of patients with cancer newly diagnosed is
1,130,000. About half the patients with cancer got radiation

1 that year as part of their treatment for cancer. That's
2 550,000 people in 1992 who were treated with radiation for
3 their cancer as part of their treatment or all of their
4 treatment. Of that number, probably close to 100,000 patients
5 were treated on cobalt machines which the NRC regulated.

6 My point is the denominator. The number of patients
7 that were treated poorly in terms of major errors being made is
8 small. How small? I don't know if we can come up with a
9 number, but it's very small. So I think the denominator is
10 very important.

11 Many of these patients have no alternate treatment.
12 Many of these patients are sent to us to treat because either
13 the results with radiation are better than surgery, there is no
14 effective chemotherapy for the cell type of cancer they have,
15 or radiation added to surgery will decrease the chances of
16 recurrence and increase their survival rate. If these 550,000
17 patients were not treated, I'd hesitate to tell you how many of
18 these patients would die because of not getting radiation.

19 MS. BROWN: I would hope that would not be the
20 alternative. What I see as a public service is focusing
21 attention on how dangerous an area this is and the
22 practitioners in it, albeit very careful, could be more
careful. If something like this makes them think the fourth

1 time as opposed to the first, second and third, and in that
2 fourth they might catch it, I think that's a service. If they
3 think there might be a chance that there is going to be a
4 stronger look over their shoulder as a result of NRC action in
5 response to this article, I think that is good too.

6 DR. FLYNN: Here is where the crucial debate comes.
7 I think there are two kinds of errors out there. There are the
8 errors that are going to be very rare, that the good programs
9 with good quality assurance in place with people double
10 checking charts, with different people checking charts every
11 week, are going to discover a very rare error that was
12 classified as a misadministration, many of which will not cause
13 harm to the patient, but we have to know about them because we
14 have to afford quality.

15 Then there are some other practitioners where they
16 may not have as well developed a quality assurance program as
17 might be expected and they might not have everything in place
18 to catch the problems. That's probably a very small minority.
19 You can argue if it's one percent of all the licensees or half
20 of one percent, but I think that's where the attention should
21 focus. I don't think it's possible to reduce the errors to
22 zero, but I would like to see the attention being focused on
those licensees who need help.

1 MS. BROWN: I think you are right, Dan. I think the
2 ripple effect will do that. Because of the attention the
3 Cleveland Plain Dealer brought to this, we are finding out all
4 sorts of things, from what I saw at the Commission meetings,
5 about how RSOs aren't even visiting the facilities, how they
6 are being excluded from the process. We are finding out more
7 about the people who aren't up to speed in terms of quality
8 assurance. I don't think we would be having these discussions
9 or we would be asking these agreement states such pointed
10 questions if this series of articles weren't written.

11 DR. GRIEM: I just calculated that about 25 million
12 procedures were done last year. In other words, a patient who
13 is treated where the goal is a curative procedure will get 30
14 treatments in which two fractions are given. So it's 60
15 procedures. If you figure in the patient where you are
16 attempting to relieve symptoms, the palliative procedure is
17 generally about half that effort. You come up with about 25
18 million procedures being done. That's the denominator in this
19 whole thing.

20 DR. FLYNN: Are you saying that each patient has 60?

21 DR. GRIEM: If you treat the patient for six weeks,
22 30 treatments, and you usually treat two fields a day, you come
up with 25 million procedures.

1 DR. SIEGEL: I think it's safe to say that the
2 dominator is large. You can make the denominator appear to be
3 larger because the patient doesn't care if I come to this
4 radiation therapy department, will I be mishandled on treatment
5 19. What the patient wants to know is what is the probability
6 when I walk into this radiation oncology department that I'm
7 going to have a good therapeutic experience. The fact that a
8 therapy actually might consist of 30 or 40 individual
9 procedures is a way to make the numbers look bigger, but
10 actually it's ultimately probably not relevant, in all
11 fairness.

12 MS. BROWN: I think that's right, Barry.

13 DR. SIEGEL: But the denominator is still very big.

14 MS. BROWN: True, but I think you put your finger on
15 something that I felt as a consumer who is not in this field at
16 all but jumping right up there on the learning curve. Even as
17 informed as I am about this area, the first thing I asked Barry
18 was, if anything happens to me or my immediate family, can we
19 come to St. Louis? Because I have no idea, with Maryland being
20 an agreement state, who is doing the dosimetry, who is doing
21 anything. I can't look over anybody's shoulder with any
22 knowledge. Even my husband, who has got a doctorate in
pharmacy, can't do anything in this area. You guys have to be

1 so good, because we don't have any way to check.

2 DR. FLYNN: I think, though, if I were a cancer
3 patient reading a series, I would be extremely frightened. I
4 don't know if that would help me at all. I would have liked to
5 have seen a comment -- which is accurate, by the way -- that if
6 half a million people a year in the country are getting
7 radiation as part or all of their treatment for their cancer --
8 right now there are 250 million people in this country --
9 several million are walking around, having been cured of their
10 cancer where radiation was part of their treatment. I'm
11 talking about people who were treated 10, 20 years ago. There
12 are several million people walking around right now cured of
13 their cancer. Several million. Some of them might be in this
14 room right now.

15 MS. BROWN: I don't dispute that, Dan.

16 DR. FLYNN: I would have liked to have seen that
17 balance.

18 MS. BROWN: You're right. But if I'm frightened by
19 this article and I'm frightened into asking the doctor, who has
20 already intimidated me in most cases -- even being who I am for
21 the last 15 years and being a consumer advocate, I'm still
22 intimidated by a doctor who seems rushed. Oh God! Can I ask
him this question? To ask the question, Excuse me, is this the

1 dose that was prescribed? Is this millicuries or microcuries?
2 Can you check for me? I know I need to ask that question. I'd
3 be real nervous doing that. But if this article frightens me
4 into helping me find the courage to do that, I think there is a
5 service there.

6 DR. FLYNN: As long as it's not frightening people
7 without the education that you have into denying the treatment
8 and having people go out and get themselves killed because they
9 were frightened beyond rational judgment about not accepting a
10 treatment which was going to help them. We've had patients who
11 have turned down treatment not because they have read an
12 article, but usually because they are just frightened in
13 general by the stories they've heard.

14 MS. BROWN: Since the series was in December, have we
15 had any feedback from the community about any patients who have
16 read this? I'm just curious whether anybody has had any
17 problems.

18 DR. GRIEM: Not in Chicago.

19 DR. SIEGEL: We did not have any in St. Louis. There
20 was some small amount reported in Cleveland and the Plain
21 Dealer in a follow-up article a few days after the series
22 indicated that their contact with the local hospitals indicated
that it was a very minor problem. The medical community

1 concern was perhaps thrown of proportion.

2 MS. BROWN: I am even more interested that the effect
3 of this series of articles may have been not to frighten
4 individual patients but to focus national attention. That's
5 the best outcome I can imagine.

6 DR. GRIEM: As far as the question of where you
7 should go, there was a patterns of care study. In other words,
8 how you treat certain benchmark cancers, breast cancer,
9 prostate cancer, the two big ones. This was done by a
10 voluntary group where they surveyed small hospitals, large
11 hospitals, training programs. About five years later they came
12 back and said, well, given what we know about this practice,
13 what are the outcomes? So there is the patterns of care and
14 the outcomes of this. They looked at these specific cancers to
15 see the outcomes. It's a very monumental piece of work. So
16 there is data.

17 MS. BROWN: Good.

18 DR. SIEGEL: Larry.

19 MR. CAMPER: We agree that the denominator is very
20 important for all the reasons mentioned. We have been looking
21 recently, somewhat frantically, I might add, to try to find
22 sources for the denominator. We have simply not been able to
find the rainbow with the pot at the end that has all the

1 answers. We can find bits and pieces from different sources.

2 A couple thoughts come to mind. If you are really
3 going to consider the denominator and realize that a
4 misadministration is a failure in the delivery system, I think
5 both numbers are important, the number of patients, the number
6 of procedures, and also the number of times radiation is in
7 fact applied in total. Every time that application occurs the
8 possibility for error occurs, and if you are going to look at
9 delivery problems, then you ought to know how many times does
10 that opportunity present itself.

11 There are ways to get a handle on the denominator,
12 but they involve time, they involve expense, and they most
13 likely would involve some approval from OMB. We have to
14 wrestle with that and determine what we are going to do about
15 it. We agree it's very important, but there is no simple,
16 quick source, unfortunately.

17 Another point to be made is that when you talk about
18 misadministrations right now in the agreement states the
19 definition for a misadministration is different in most of the
20 agreement states than it is currently with NRC. The agreement
21 states have not yet been required to put in place the
22 definitions that were set forth in the quality management rule.
So in most cases they are still using the definitions that we

1 used to use.

2 Until such time as we are all working with the exact
3 same definition for misadministration it will be difficult to
4 get data that is all talking in the same terms and have a good
5 handle on what the risk factor really is.

6 DR. FLYNN: Unless you take the number of
7 misadministrations in the NRC states and multiply it times the
8 fraction of licensees in agreement states versus NRC states.

9 DR. SIEGEL: I think there actually are a number of
10 other issues that were raised in the Plain Dealer series and
11 other points that one might have contention with. Many of
12 those the NRC both in terms of its interviews that contributed
13 to the series and in subsequent responses has dealt with quite
14 effectively.

15 This list could be bigger. The things I picked are
16 the things that I think are particularly important. But we
17 could go on. I don't want to, because in fact one of the
18 things I did last night was re-read all the articles and the
19 letters to the editors and the editorials again with my pen
20 out, looking for things that troubled me. I figured we could
21 spend the entire four hours with Dave Davis out there just
22 picking apart what he wrote and he'd have no opportunity to
publicly comment. We are not going to do that, because that's

1 really not our job.

2 I will make one comment, though, and that is when the
3 Plain Dealer speaks the forests of America weep. You have
4 helped to kill a lot of trees. That's okay. We like reading.

5 Steve.

6 MR. COLLINS: I have one more thing. The bottom line
7 on all of this from my perspective and from talking with
8 several other states is that we have by this focused attention
9 figured out a few ways where we can improve as regulators what
10 we are doing and we are going to add a few more regulations to
11 help in this area, and it's as a result of some of the
12 increased focused attention on this.

13 One thing I didn't think was brought out. I would
14 like to see if you all agree that this is a true and accurate
15 statement. When you take the radiation treatment of cancer and
16 compare that to any and all other treatments that could be used
17 for this, even before this series of articles came out the
18 radiation treatment would still be the safest mode of treatment
19 as far as frequency of accidents or misadministration or
20 whatever you want to call it. Is that an accurate statement?

21 DR. SIEGEL: I'm not sure we have clear information
22 about accidental events associated with surgery or
chemotherapy. One can certainly look at complication rates.

1 Dr. Polycove (phonetic) has in fact done that.

2 MR. COLLINS: The overall risk from radiation?

3 DR. SIEGEL: To look at the overall complication
4 rates of risk related to radiation, chemotherapy and surgery,
5 and the overall risks of radiation actually look like they are
6 lower for more or less equivalent cure rates of particular
7 cancers. But obviously that's on a cancer-by-cancer basis.

8 I think one of the things that the Chairman and the
9 NRC have been very careful to point out is that the NRC's
10 purview is to regulate the proper application of the radiation,
11 not to regulate the prescription itself. Some of the things
12 the Plain Dealer described were in fact properly applied bad
13 prescriptions, and they in fact resulted in very bad result.
14 No one is happy about that. But that's not something the NRC
15 has considered to be within its statutory purview up to this
16 point in time. I actually address that point a little bit
17 later in the slides.

18 Judy.

19 MS. BROWN: It occurs to me that in no other form of
20 treatment is misadministration or a mistake going to affect
21 anybody's health but the patient. In your field the public can
22 be unknowingly adversely affected.

DR. SIEGEL: Although exceedingly rarely. The kind

1 of event that occurred in Indiana, Pennsylvania, where not only
2 was the patient badly injured -- killed -- but the general
3 public was injured, is at least a couple of orders of magnitude
4 below.

5 MS. BROWN: We've talked about early discharge with
6 I-131.

7 DR. SIEGEL: We talked about the issues of where the
8 threshold should be set. There are rules in place.

9 MS. BROWN: So if someone were to let that patient
10 out early and he comes and sits next to me on the bus, I'm in
11 trouble, right? That's what I'm saying.

12 DR. SIEGEL: On a very long bus trip.

13 MS. BROWN: If I'm pregnant, I'm going to be very
14 concerned.

15 DR. SIEGEL: No matter what the dose? One of the
16 problems that people who use radiation in medicine always have
17 to deal with is the concept that there is no dose, no matter
18 how small, that can be considered safe. We are bathed in
19 radiation continuously. We all get 300 millirems a year from
20 ionizing radiation and radon in our houses. Whether we like it
21 or not, we can't do anything about it. Most of us are married.
22 We pick up an extra 10 millirems a year by sleeping next to
another human being, or a few millirems per year, and we choose

1 to do that.

2 So the concept that no risk from radiation is
3 acceptable, which I have personally heard some
4 environmentalists speak sitting across the table from me, is a
5 little bit extreme. We have to acknowledge that for society to
6 achieve some good with radiation society, not just the
7 individual patient, is going to incur some potential
8 exposure. It's just there.

9 MS. BROWN: I agree. I hope you didn't think that
10 was my point.

11 DR. SIEGEL: I didn't.

12 Let's go on. The next slide is not one that's a dig
13 at the Plain Dealer, although I re-read the articles last night
14 and I actually only found one place where the issue of this
15 slide was a concern to me. It's more, if you will, an object
16 point for the Commission itself and for the staff, and that is,
17 there is a tendency to refer to the medical use program as the
18 nuclear medicine program.

19 Carol Marcus, who will be here tomorrow, and I are
20 very proud of what we do, and we call it nuclear medicine. I'm
21 also a diagnostic radiologist. Mel Griem and Dan Flynn are
22 very proud of what they do, and they call it radiation
oncology. The two are not the same. They acknowledge that

1 what they do is intrinsically much more dangerous nearly all of
2 the time from a radiation safety point of view than what I do,
3 which is more dangerous because I could just make a bad
4 diagnosis which actually is the way people really get in
5 trouble from nuclear medicine or diagnostic radiology
6 procedures. Much less likely from the radiation.

7 I would just hope that the Commission and the
8 Commission staff would be careful to distinguish the two
9 specialties. If you want to regulate us, you should understand
10 that we are a little different. Well loggers would be upset if
11 we called them radiographers or reactor operators. The same
12 applies in the medical program.

13 MR. GLENN: Do you have a proposed generic term for
14 us? Radiation medicine? Would that be a good one?

15 DR. SIEGEL: Sure. If you like.

16 DR. GRIEM: That covers both.

17 MR. BERNERO: Or nuclear medical activities.

18 DR. SIEGEL: No, because that says nuclear medicine
19 again, and then it will automatically be assumed to equal
20 nuclear medicine. If you are going to miscall anything, then
21 label it radiation oncology so that they get the bad press.

22 DR. GRIEM: The diagnostic imaging people use
magnetic resonance. They used to call it nuclear magnetic

1 resonance. People were so scared that it has now become
2 magnetic resonance imaging. They took the "nuclear" out
3 because of the bad connotation.

4 DR. SIEGEL: The next issue is the issue of patient
5 notification as a result of misadministrations.

6 We all know that the NRC has required patient
7 notification for misadministrations for almost 13 years now and
8 with the quality management rule the components of patient
9 notification have been crystallized in some ways. What I
10 propose to do with this slide is to make some comments from the
11 Advisory Committee perspective about where we think patient
12 notification fits in.

13 The first is that truth telling is in fact the
14 standard of care. When doctors make mistakes truth telling is
15 what doctors are taught ethically to do. Fraudulent
16 concealment cannot ever be considered the standard of care and
17 in fact becomes a reprehensible act when bad care results in
18 tort proceedings. Fraudulent concealment can be one very
19 important piece of evidence that is used against a
20 malpracticing physician.

21 The responsibility for notifying patients who have
22 been subjected to medical injury is a physician responsibility,
an institutional responsibility where an institution is

1 involved, a hospital or a treatment center.

2 The NRC regulations that are already in place already
3 exceed the usual extent of government intervention in the
4 process of medical truth telling. To the best of my knowledge
5 -- and I have now checked with hospital attorneys, university
6 attorneys, professional society attorneys -- I am not aware of
7 any other federal agency that requires patient notification as
8 a result of an event that occurs during the course of medical
9 practice. If that point is wrong, I would like to see it
10 corrected by anything any of you all know now.

11 The concept that the NRC is inadequately protecting
12 the patient is in fact really in the wrong direction because
13 NRC rules make it more difficult for physicians who choose to
14 conceal to conceal. There is an NRC audit mechanism; there are
15 NRC inspections; and there is the risk of being exposed not
16 only by a malpractice attorney, but by a big federal agency.

17 You are going to tell me about reporting devices, but
18 that doesn't involve telling the patient. Go ahead.

19 MR. HAMILTON: Under the Safe Medical Devices Act of
20 1990, FDA has authority to institute patient notification when
21 a medical device constitutes some danger or some harm to the
22 patient. If it's not clear that the manufacturer or the
facility has the resources to do that, then the FDA would have

1 to go back and the government would actually notify the
2 patients. There is patient notification.

3 DR. SIEGEL: But it's not required within 24 hours as
4 a matter of FDA regulations currently.

5 MR. HAMILTON: That's true.

6 DR. SIEGEL: Judy.

7 MS. BROWN: I don't have any problem with the content
8 of this statement but I do want to dissent on the tone, because
9 it makes it seem like it's a bad thing.

10 DR. SIEGEL: I didn't say it was a bad thing. If my
11 tone came across that way, I didn't mean it to.

12 MS. BROWN: Or, "Gee, we have to do this and nobody
13 else has to." I'm hoping the rest of the world will approach
14 the standard and go in your direction. If you want to present
15 that as a dissenting opinion.

16 DR. SIEGEL: I think one thing that is increasingly
17 getting clear to me as we talk is that the notion that I'm
18 going to make the whole presentation is less clear and I may
19 well just go through the material as quickly as I can and we
20 may decide before the day is over that each of you should have
21 a chance to make commentary about what I just said in the way
22 of amplification or dissenting opinion. We'll come back to
that later. Because it's going to be hard for me to capture

1 all of the dissent in my comments. This one was a matter or
2 the tone of my voice.

3 MS. BROWN: Not the tone of your voice, but "already
4 exceed."

5 DR. SIEGEL: They do. That's a true statement.

6 MS. BROWN: Yes. I would see you reading that and
7 saying "and at least one of us thinks that the rest of the
8 world should come up to that speed."

9 DR. SIEGEL: It's actually in the revised slides.
10 The concept is actually coming down the line under the
11 regulatory purview issue.

12 MS. BROWN: I apologize for not reading ahead.

13 DR. SIEGEL: That's okay.

14 Next point. With respect to patient notification,
15 the place where the NRC logically can intervene to determine if
16 notification occurred and if notification was adequate is the
17 licensee's report, because the quality management rule now
18 requires that the patient either get a copy of the report that
19 was sent to the NRC or the NRC get a copy of the alternative
20 report that was given to the patient.

21 Correct?

22 MR. GLENN: Correct.

DR. SIEGEL: Therefore the NRC with the aid of

1 medical consultants, who I believe should be brought early into
2 the process when there is any reasonable likelihood of injury,
3 can make a determination: was that patient properly informed or
4 was that patient inadequately informed?

5 That's where I think the NRC should intervene. Not
6 sending an IIT out for every -- I'm not saying that anything is
7 wrong with an IIT -- but not sending one out for every
8 misadministration to make sure that the doctor talks to the
9 patient with NRC people in the room. There is a perfectly
10 logical way to address this problem that will work quite
11 effectively.

12 MR. CAMPER: Why don't we add to that, Barry, that we
13 are currently preparing information notices to go to the
14 medical community that will reiterate the requirements
15 currently in Part 35 for patient notification. I think that
16 will go out in the very near future.

17 DR. SIEGEL: The next slide deals with an issue of
18 what are the justifications for not informing a patient. Mark
19 Rottman (phonetic) is not here. Do we have a better handle on
20 his numbers yet, on what fraction of misadministrations result
21 in the patient not being informed? The number was somewhere in
22 the 10 percent range.

 MR. CAMPER: We have some other information that I

1 would have to characterize as preliminary information, not a
2 complete analysis as of yet. We have gone back and taken a
3 look at therapeutic misadministrations that have occurred over
4 the last three years. We are still communicating with our
5 regions to get detailed answers.

6 Preliminary information indicates that the referring
7 physician was informed something on the order of 80 percent or
8 so, the patient was informed 80 to 90 percent, but the number
9 we don't have a complete handle on yet is that about 50 percent
10 of the time it appears, based upon preliminary information,
11 that in fact a written notification was provided to the
12 patients in those instances where the patient was informed. We
13 need to further analyze that information, but it appears to be
14 roughly 80 to 90 and 50 percent.

15 DR. FLYNN: Will it depend whether the state is an
16 agreement state or an NRC state in terms of an agreement state
17 which has not adopted yet the quality management rule and
18 doesn't have to integrate that until 1995, or may not do it in
19 the exact same fashion as the NRC states?

20 MR. CAMPER: There was a notification requirement
21 previously in the misadministration requirement as well.

22 DR. FLYNN: I know there is. Is it the same as is in
the quality management rule?

1 MR. CAMPER: There is a slight difference. The
2 difference in terms of notification to the agency is different.
3 The patient notification, though, is essentially the same, I
4 think, as before.

5 DR. SIEGEL: I think the written part is modified.

6 MR. CAMPER: That's right. You can provide now a
7 summary as compared to the detailed misadministration report.
8 That's one subtle different. The other difference is the
9 notification process to the regulatory agency is different.
10 The notifying of the referring physician and the notifying of
11 the patient is the same.

12 DR. GRIEM: In the accidents and misadministrations
13 that you have analyzed are you seeing a certain system error or
14 human error? Can you characterize any of this from the last
15 three years? What is happening? Is it that a filter is being
16 left out? What are the common errors, and are these human
17 errors or machine errors or machine failures? What would you
18 say? And are some of those fixable?

19 MR. CAMPER: We've jumped from the question of the
20 notification to the actual misadministrations themselves?

21 DR. GRIEM: Yes. You said you had looked at the last
22 three years.

 MR. CAMPER: We looked at them. What we have been

1 looking at is the question of this issue of was the referring
2 physician notified, was the patient in turn notified, and did
3 the patient then receive a written notification. That's what
4 this particular analysis is focused upon as opposed to the
5 misadministrations themselves, what caused them, and this type
6 of thing.

7 I do think, though, that historically we have got a
8 pretty good handle on what is going on with most
9 misadministrations.

10 MR. GLENN: It's clearly human error. The Indiana,
11 Pennsylvania, incident where there was a machine failure that
12 precipitated the incident is the rare occurrence. Most often
13 it is a communications error, simply someone not recognizing a
14 problem that causes most of these misadministrations.

15 DR. SIEGEL: A machine failure precipitated the
16 incident but human error resulted in the injury.

17 MR. GLENN: There is always human error involved.

18 MR. PAPERIELLO: I have read recently a compilation
19 that the staff gave the Commission of abnormal occurrences. I
20 think what I am struck by is the really serious cases,
21 something like Riverside Methodist or the one that happened in
22 Maryland several years ago, is the common mode failure.

In other words, an error is made and it affects a lot

1 of patients. In particular, you don't tell the computer that
2 you use for planning therapy that you've changed your source.
3 Or you don't decay the source properly. That, in my mind,
4 results in greater consequences to a greater number of people
5 than the case where a technician irradiates the left lung
6 rather than the right lung. In many cases that's caught before
7 you complete the whole series, but the events which seem to
8 have the greatest amount of injury to the greatest number of
9 people involve some kind of common mode failure.

10 Just an observation.

11 DR. SIEGEL: Thank you.

12 Larry.

13 MR. CAMPER: One other observation. Again, these
14 things are in the early stages and I need to characterize it as
15 such.

16 One of the things we are doing right now as a result
17 of the QM rule is that we have what we call a QM Review
18 Committee. Every violation that occurs throughout the five
19 regions that are associated with the quality management rule
20 are reviewed by this committee. We do this for a number of
21 reasons. We really wanted to find out what impact the QM rule
22 was having: Were licensees putting in place proper QM programs,
and the like? Were we seeing programmatic problems as opposed

1 to isolated instances where a mistake simply happens, it's an
2 oops, I didn't mean to do that kind of thing?

3 Ultimately we will compile all these findings into a
4 document we will share with the regulated community. We will
5 brief the Commission in due course, and what have you.

6 I must admit I think that those of us on the QM
7 review committee, which includes Dr. Glenn and I and some
8 others, have been somewhat struck that the misadministrations
9 that occur are really not stand-alone events where an error is
10 simply made. Much of the time there are programmatic problems.
11 Either the QM program was not properly developed to cover all
12 the contingencies, or a QM program was developed that was quite
13 adequate and would stand up to good scrutiny and peer review,
14 but that the technologists involved were not informed as to the
15 details of the quality management program. In some cases we
16 find radiation safety officers have an inadequate awareness of
17 their QM program.

18 I think we are finding that the QM program has really
19 identified not only the proper focus, but we think in time will
20 give us information to share with the community that will
21 further fine tune this thing so that hopefully we will be able
22 to further reduce the number of misadministrations.

 So we really are not finding this great frequency of

1 stand-alone mistakes in the absence of programmatic problems.

2 DR. SIEGEL: Steve.

3 MR. COLLINS: The medical regulations are really no
4 different from the industrial regulations in that they assume
5 that mechanical failures can occur, and so you've always got
6 this backup procedural method. In this case it's always use
7 this calibrated survey meter to do an independent check.

8 You've had two incidents. One place the guy did
9 exactly what the regulation required and you had no adverse
10 effects because they took effective remedial action on the
11 spot.

12 This other place they didn't believe their
13 instruments. They didn't even use the independent survey
14 instrument. That's the same thing that has caused the major
15 problem in industrial radiography. They didn't use the
16 equipment that was there and available to them and they got
17 hurt as a result of it.

18 Going back to assuring patient notification, it's
19 indicated that out of this small number of misadministrations
20 that occur there may be up to 20 percent where the patient ends
21 up not getting notified for some reason. Is there anything in
22 the QM rule or any of the NRC regulations that are being
developed that would specify what kind of documentation would

1 need to be in place as to the reasons why the patient wasn't
2 notified?

3 MR. CAMPER: The regulation is very emphatic on that
4 point. It basically says that the referring physician is to
5 inform the patient unless he determines in his or her medical
6 judgment that it would be harmful to the patient.

7 As we look again at this preliminary information --
8 I keep emphasizing that, because we have not completed the
9 analysis yet -- there are two observations I would make.

10 One is that I think in some cases when the patient
11 hasn't received a written notification it was because there was
12 some confusion on behalf of the licensee once they had informed
13 the referring physician and the referring physician indicated
14 they were going to inform the patient. This question of the
15 written notification subsequently going to the patient is
16 something there was some confusion about. In their minds
17 perhaps it wasn't clear whether they were to provide it or
18 whether the referring physician was going to provide it. It's
19 incumbent upon the licensee to do that. I'm simply saying I
20 think that's an area where some confusion exists.

21 The second point is that we have gotten some feedback
22 that indicates that referring physicians in some cases felt
that the exposure that occurred as a result of the

1 misadministration did not carry with it any deleterious effects
2 and therefore in their opinion it wasn't worth informing the
3 patient about. Technically that does not satisfy the
4 requirement in Part 35.

5 As this thing proceeds and we gather more information
6 and look at this more closely, it may be that it will be
7 appropriate for us to go back out and get a handle on this very
8 problem you are talking about and maybe clarify what is
9 acceptable and what is not acceptable.

10 MR. COLLINS: That was one of the things I was
11 getting at. You could have an extremely good reason but not
12 satisfy the rule right now. That's a shortcoming of the rule
13 that needs to be fixed.

14 Another one is, since the referring physician is not
15 usually a licensee or the radiation oncologist, that means he's
16 not a licensee or an authorized user, which means under the law
17 in the state I work for now we can't really get at that
18 physician anyway. We have no jurisdiction over that particular
19 physician.

20 DR. SIEGEL: Let me have Part 35. Who has got it?

21 DR. FLYNN: I have the one little clause I would like
22 to read, if you wouldn't mind:

Whether the licensee notified the patient, the

1 patient's responsible relative or guardian, and if not, why
2 not. If the patient was notified, what information was
3 provided to the patient.

4 MS. BROWN: Are you reading the regulation?

5 DR. FLYNN: Yes.

6 MR. COLLINS: It says if not, why not. You've got to
7 document your reasons.

8 DR. FLYNN: Here is the section here: Unless the
9 referring physician personally informs the licensee either that
10 he will inform the patient or that based on medical judgment
11 telling the patient would be harmful.

12 MS. BROWN: What does it say about the patient's
13 family or next of kin? What would be the harm in telling them?
14 Does that also extend to the family?

15 DR. FLYNN: I don't think it should.

16 MS. BROWN: I don't either.

17 DR. SIEGEL: It's in the regulations, though.

18 MS. BROWN: It seems too easy an out to me for the
19 referring physician to just say, in my judgment it would do
20 harm to the patient. I don't necessarily accept that the
21 referring physician is the adequate patient advocate all the
22 time. So I wonder why there isn't some requirement to tell
somebody who would act as a patient's advocate, or in lieu of

1 that, have more hoops to jump through to bypass telling the
2 patient or the patient's family member or someone who could
3 really serve as an advocate.

4 MR. COLLINS: I think I'm going to disagree with that
5 some. It is the referring physician. That's not the physician
6 that is giving the radiation treatments. That is a physician
7 whose primary interest with regard to that patient is what's
8 best for that patient. He doesn't have any responsibility with
9 regard to whether or not that administration of radiation was
10 right or wrong. He's the patient advocate at that point.

11 DR. SIEGEL: You are getting at the heart of a very,
12 very complicated -- by complicated I don't mean to imply that
13 it's murky and it can't be dissected -- ethical issue which
14 relates to the quality of the physician/patient and the
15 physician/patient family relationship. In those instances
16 where that relationship is nothing more than a contractual "I
17 don't know you from beans but I'll provide the following
18 service" your very aggressive patient advocate role makes
19 sense. In circumstances where a physician has the trust of a
20 family and has been that family's physician for years and years
21 and years, that physician is potentially indeed in a position
22 to make a judgment that this family will gain no benefit from
knowing that the radiation therapy department at this hospital

1 made the following mistake that injured grandma.

2 MS. BROWN: I just said that I didn't necessarily
3 accept that the referring physician is always in the position
4 to be the patient advocate.

5 DR. SIEGEL: I understand, but I think that past
6 experience teaches me that if we try to write a government
7 regulation that gets into the middle of that relationship we
8 will invariably mess it up, because we will not effectively
9 think of all the circumstances in the right way. That doesn't
10 mean you shouldn't try and you shouldn't deal with the issues.

11 MS. BROWN: Right now we don't even have to write it.
12 Right now they don't even have to write down anyplace, do they,
13 why they chose not to?

14 DR. SIEGEL: Yes, they do.

15 MR. GLENN: They do.

16 DR. SIEGEL: Let me go on with the next slide. The
17 next slide says justification for not informing the patient.

18 First of all, let me just tell you that the standard
19 of care in a legal sense is that there is no legal compulsion
20 to inform the patient or the patient's family if there is no
21 actual injury or likelihood of injury. Once again, that is
22 exceeded by the current NRC regulatory requirements to inform
the patient unless the referring physician makes the judgment

1 that doing so would actually harm the patient.

2 MS. BROWN: How is it documented or who goes back and
3 says why did you make this decision?

4 DR. SIEGEL: I am proposing that the report to the
5 NRC is the proper focus of trying to decide whether there was
6 adequate justification for not informing the patient. If your
7 report currently does not require that to be stated, then your
8 reporting form needs to be modified.

9 I can think of circumstances where it would be pretty
10 easy. Palliative therapy being given with no hope of cure.
11 Even in circumstances with a very, very high strung, nervous,
12 reactive patient, simply telling them anything may make the
13 patient worse.

14 Let me just read you something from a medical risk
15 management textbook. Admittedly, risk management is written
16 from the perspective of doctors protecting what they do. I'm
17 sure you can find another textbook written for plaintiffs'
18 attorneys that will have a different set of rules and
19 guidelines.

20 This is what doctors are actively being taught as
21 part of medical risk management:

22 There is no legal duty to disclose negligence that
caused no injury.

1 The title of this chapter, by the way, is "When there
2 is Obviously Negligence."

3 Indeed, in some circumstances such disclosure may
4 harm a patient. For example, a patient who is told of
5 negligence in medical care may become obsessed with the
6 possibility of future negligence, become fearful of all medical
7 care -- to wit, the concerns that were raised about the Plain
8 Dealer series -- and cooperate less with treatment advice.

9 In addition, a patient who is informed of negligence
10 may assume a negligent cause for any future complications and
11 be more likely to initiate unwarranted litigation.

12 You may say, well, who cares? Go for it.

13 MS. BROWN: No, I don't say who cares. I think those
14 are good reasons. I would like to see that doctors are saying
15 I did not inform the patient not solely because I thought to do
16 so would cause more harm than good, but because I thought this
17 patient may take the information and refuse further necessary
18 care. Something more than just as required now, as I
19 understand it, to do so would do more harm than good. That to
20 me is too easy an out. I'd like to see why and some
21 requirement that they say why. There could be a million
22 reasons and I'd like to know whatever that individual referring
physician's judgment was.

1 DR. SIEGEL: How would you adjudicate that?

2 DR. FLYNN: In the regulation it says if you do not
3 report to the patient, why not; give reasons to the NRC.

4 DR. SIEGEL: That's in the regulatory guide or in
5 Part 35?

6 DR. FLYNN: Part 35.

7 MS. BROWN: It seems to me you can say to do so would
8 do more harm than good and get off on that.

9 DR. FLYNN: No. You have to give more reason than
10 that.

11 I would consider this to be an extremely small
12 minority of cases. Let me give a point to you. I think that
13 the referring physician will act as an advocate to the patient,
14 but if he doesn't, you have the report to the NRC and the NRC
15 can turn this over to a medical consultant. The medical
16 consultants, at least in my experience, have been giving
17 appropriate advice to the NRC. They are not protecting some
18 radiation oncologist they don't know.

19 You have to trust somebody. You have several layers.
20 You have the referring physician trying to protect the patient;
21 you have the NRC getting a report that the NRC can turn over to
22 any medical consultant they wish or internally themselves look
at it and decide whether that was appropriate or not. They are

1 going to be the final judge.

2 MS. BROWN: And you think that is something that is
3 going to ensure that the patient gets notified somehow. The
4 medical consultant is not going to do it if the referring
5 physician doesn't deem it beneficial.

6 DR. FLYNN: Some of the misadministrations can cause
7 probable injury, but some of the misadministrations, let's say
8 for palliative care, involve maybe a dose which is slightly
9 outside the guidance of what needs to be reported which will
10 not cause any harm to the patient. Those should also be
11 reported to the patient except in some small minority of cases
12 where the referring physician believes that notification will
13 be harmful. The double check on that is that the NRC gets that
14 report, and then they can choose any medical consultant they
15 want as a third opinion.

16 MS. BROWN: Can the NRC tell me how they are listed?

17 DR. SIEGEL: If you get a misadministration report
18 that says the referring physician judged that the patient
19 should not be informed because, what kind of "because" do you
20 get? That's what Judy is asking.

21 MS. BROWN: Yes.

22 DR. FLYNN: We've seen some where it may be an
improper answer, that it was a mistake and there was no harm to

1 the patient.

2 DR. SIEGEL: "Because I might be sued."

3 MR. GLENN: No. You can follow up and instruct them
4 to notify the patient if you wish.

5 MS. BROWN: I'd like it at the front end, not at the
6 back end.

7 DR. SIEGEL: Except for one thing. We're talking
8 about, at least under NRC's purview, with the new
9 misadministration reporting requirements a relatively small
10 number of events each year each of which carries a radiation
11 dose where the dose itself may indeed have some potential to
12 harm the patient. Through analysis by staff either in the
13 regions or at headquarters and with the appropriate use of
14 medical consultants, if you think medical consultants can
15 indeed help to adjudicate this, it should be possible to
16 analyze each event on line and make a decision whether or not
17 the justification for informing the patient makes sense. If
18 not, as part of a management conference with the licensee you
19 say, explain to us why you didn't do this, and we disagree with
20 you.

21 MS. BROWN: Does NRC have the resources to do that?

22 DR. SIEGEL: They have unlimited resources. They
just raise the user fees and then they have more resources. I

1 had to get that in.

2 MR. CAMPER: I got a note from a member of the Office
3 of General Counsel who had expressed concern that something may
4 have been said that was misleading about this reporting
5 requirement. If you will just bear with me for a moment, I'm
6 going to read exactly what the regulation says. There was a
7 concern that we were saying that the referring physician had a
8 responsibility to inform the patient. He does if he informs
9 the licensee that he's going to do so, but it is the licensee's
10 responsibility to see that this happens. But let me just read
11 it so there will be no confusion.

12 The licensee shall notify the referring physician and
13 also notify the patient of the misadministration no later than
14 24 hours after its discovery unless the referring physician
15 personally informs the licensee either that he will inform the
16 patient or that based on medical judgment telling the patient
17 would be harmful. The licensee is not required to notify the
18 patient without first consulting the referring physician. If
19 the referring physician or patient cannot be reached within 24
20 hours, the licensee shall notify the patient as soon as
21 possible thereafter. The licensee may not delay any
22 appropriate medical care for the patient, including any
necessary remedial care, as a result of misadministration

1 because of any delay in notification.

2 The next point.

3 If the patient was notified, the licensee shall also
4 furnish within 15 days after discovery of the misadministration
5 a written report to the patient by sending either a copy of the
6 report which was sent to NRC or a summary of the
7 misadministration report.

8 MS. BROWN: Is there more in the regulatory guide
9 that says you have to list the reasons other than just say "in
10 my medical judgment informing the patient would be harmful"?

11 MR. CAMPER: No.

12 MS. BROWN: I'm left with the same point. I don't
13 like that. Maybe we just agree to disagree and you saying Ms.
14 Brown has a dissenting opinion.

15 DR. FLYNN: I'm looking at five misadministrations
16 right now involving radiation oncology. This is very current.
17 I don't see in a single one of those five a reason not to
18 notify the patient and in each case the patient was notified,
19 but if they weren't, when I write up my end of it I would
20 specifically look to that.

21 I see this as one out of a hundred cases. The
22 patient who is suicidal, who gets a slight deviation in dose,
which is not going to harm the patient, who is going to die in

1 30 days with their metastatic cancer, and the reason for that
2 radiation was to take away some of the bone pain they are
3 getting --

4 MS. BROWN: All good reasons. I agree.

5 DR. FLYNN: The five cases I'm looking at right now
6 there is absolutely no reason not to notify the patient, and in
7 fact in all five cases the patient was notified.

8 MR. COLLINS: That's the point I was trying to get a
9 little bit earlier. There is not a list of reasons for an NRC
10 inspector to check against to see if these were adequate
11 reasons. The NRC and all the states typically have health
12 physicists, not physicians. Now the NRC has one consultant
13 physician, which is fairly new, or one visiting fellow to look
14 at these things. Your HPs still aren't the right people and
15 our state HPs aren't the right people for looking at these
16 reasons. It is independent physicians that need to be looking
17 over and it's not typical NRC staff and state staff that could
18 do this.

19 DR. SIEGEL: We do have to retype one slide, slide 5.
20 I think I'm hearing a consensus. I want to add a third bullet
21 on that slide. Maybe we can just add it. There will be enough
22 room: Very few circumstances would justify not notifying
patient under current NRC requirements.

1 I agree with Dan completely. If the rule stands that
2 says you must notify unless doing so would harm, that's a very
3 small fraction of the events. Nonetheless it still exceeds the
4 current legal duty in general medical malpractice, not related
5 to NRC regulations.

6 MR. CUNNINGHAM: I don't think there is a
7 disagreement between what Judy is saying and what Dan or you
8 are saying. I do think that as we gain experience with this
9 quality management rule there will be an elaboration of
10 guidance on what is acceptable reporting to the patient and
11 what is not acceptable. I don't think we can ever develop a
12 checklist that says this is acceptable or not acceptable, but
13 there can be more guidance. That's one of the things on the
14 to-do list.

15 The other thing I wanted to mentioned was the
16 function of the visiting fellow, although he is very helpful in
17 situations like this, is not to evaluate the misadministration
18 reports. This is one where we would use our medical
19 consultants, including members of this Advisory Committee.

20 I don't think that the number of misadministrations
21 reported under the QM rule is going to be sufficiently large
22 that it is going to be a significant impact on any resources we
have.

1 MR. CAMPER: I would add one more point to that to
2 embellish what Mr. Cunningham said, Steve, and that is we have
3 used medical consultants to look at misadministrations for a
4 long time now. In fact, if one goes back and looks at the ones
5 we have been looking at recently that occurred in that last
6 three years, we used consultants about 30 percent of the time.
7 We have been using medical consultants in a number of
8 misadministrations for sometime now.

9 MR. GLENN: I thought I was hearing one more
10 consensus, and that was that if there is in fact a case where
11 it is decided not to inform the patient that we should involve
12 a medical consultant to review that decision.

13 MS. BROWN: Yes.

14 MR. COLLINS: Yes. That's what I was saying, and
15 either the ACMUI or a member of it would be a good place to
16 look for those people.

17 DR. SIEGEL: Actually, the focus of using medical
18 consultants with respect to misadministrations is a theme that
19 is repeated on these slides over and over. As I pointed out in
20 the Commission briefing in July, one of the reasons that the
21 Advisory Committee was opposed to Policy Statement No. 3 is
22 that the NRC is not licensed to practice medicine and if you
want to get into these issues that are really medical

1 judgments, you need to take advantage of physicians whose
2 expertise and judgment you trust.

3 MS. BROWN: One of us liked No. 3.

4 DR. SIEGEL: I know you did. Judy dissented again,
5 for the record.

6 MS. BROWN: Thank you.

7 DR. SIEGEL: Next slide, No. 6, "Content of Patient
8 Notification."

9 I would say that I think the patient should be
10 informed in full of all of what happened and also of all
11 reasonably probable medical consequences.

12 This is an issue that there is very little problem in
13 understanding how to do that with respect to deterministic
14 effects of radiation, but it's a little bit trickier when you
15 get into the issue of stochastic effects. What I propose to do
16 tomorrow is just make some points about that, because I think,
17 speaking stochastically, the probability that we can figure out
18 where the threshold ought to be set is zero. That's a policy
19 issue, not a scientific issue.

20 There are two things related to stochastic effects.

21 First of all, the reasons for wanting to make full
22 information about stochastic effects might be twofold. One is
because you would believe that they are medicolegally relevant,

1 that the patient deserves to know that they have a certain
2 probability of developing a cancer at some time in the future
3 because that's an important piece of information for their
4 lawyer to use in recovering damages from the physician who did
5 the bad thing.

6 MS. BROWN: And for themselves to use to tell their
7 physician to watch that particular organ.

8 DR. SIEGEL: That's the next thing. But in fact you
9 have to get to very high doses before either or those makes
10 sense.

11 First of all, from a tort point of view -- I know
12 there is at least one lawyer in the room -- you have to
13 actually be injured before you can collect for injuries.
14 Although there is some psychological injury related to worrying
15 about future cancer, that is less often awarded than an injury
16 for a cancer that actually occurred. The more likely than not
17 test will often apply except in circumstances of strict
18 liability, which is not likely to arise in these medical
19 events.

20 Consequently, you have to get up to a very high
21 stochastic probability using the probability of causation
22 tables even when you have a cancer in hand to be able to use
that information in a proactive medicolegal way. So the

1 patient probably is not benefited very much by being told that
2 you have a one percent chance of developing a cancer at some
3 time in the future.

4 From a patient follow-up point of view, Judy, since
5 you raised the point, here's how the information would have to
6 be used. You would need to tell a patient -- and I think most
7 physicians would think that this would not be really in the
8 patient's interest -- you have a 22 percent lifetime chance of
9 developing cancer. Based on the fact that we just gave you a
10 10-rem whole body inadvertent exposure, which we really didn't
11 mean for you to have, you now have a 23 percent lifetime chance
12 of developing radiation-induced cancer.

13 Medical follow-up wouldn't change at all for one
14 percent; it probably wouldn't change for a 10 percent
15 increment; it might change with a doubling.

16 MS. BROWN: Are there medical situations -- I guess
17 this would be more in radiation oncology -- where a certain
18 organ would need to be watched more closely?

19 DR. SIEGEL: Those are deterministic effects.

20 MS. BROWN: "You might get breast cancer in 20 years
21 because of what we just did"?

22 DR. SIEGEL: That's stochastic.

MS. BROWN: That's what I'm asking.

1 DR. SIEGEL: This is why I don't think we can set a
2 threshold. This is a policy debate that I don't think we are
3 going to resolve in the next hour and a half.

4 DR. GRIEM: There is some data from breast cancer
5 where women have been treated and now they are looking for how
6 many more cancers were induced because they got the treatment.
7 This was recently published in the New England Journal of
8 Medicine. And they couldn't find it. It has been done. The
9 100,000 women who have been treated for cancer of the cervix at
10 various centers in the world have been followed up for 30
11 years, and it turns out there is a little increase in leukemia
12 and myeloma in that group. But they are alive to have this
13 happen 20 to 30 years later.

14 MS. BROWN: I understand your point and appreciate
15 it.

16 DR. GRIEM: I think you finally come to one of these
17 medical decision-making things. If you don't treat, the answer
18 is obvious.

19 MS. BROWN: I'm just asking is there a reason to tell
20 the patient that would be medical, that you ought to have more
21 frequent pap smears or mammograms because of what just happened
22 here today. That's all.

DR. FLYNN: It would have to be a case-by-case basis.

1 For example, if the prescribed dose was 5,000 centigrade to the
2 pelvis and instead a misadministration occurred during the
3 third week whereby the dose was exceeded by 20 percent for that
4 week and they got instead of 1,000 centigrade that week they
5 got 1,200, so they got a total of 5,200 for the whole course of
6 treatment instead of 4000, they are going to be followed in the
7 traditional manner whether they got 5,000 or 5,200 for
8 complications that can occur during treatment which would occur
9 even if they were treated properly.

10 MS. BROWN: If this Committee can't come up with any
11 medical reason, I accept that there aren't any.

12 DR. FLYNN: The answer is they have to be followed
13 anyway.

14 MS. BROWN: You're supposed to get a mammogram every
15 year after age 40 anyhow, but there is no situation where this
16 patient because of what happened ought to be getting more
17 frequent something.

18 DR. FLYNN: Not unless something else occurs, like
19 the wrong site is treated to such a dose that that organ at
20 that other site which is outside the cancer, like the lens of
21 the eye, is going to be subject to some problem.

22 DR. SIEGEL: That's the deterministic effect.

DR. FLYNN: Right.

1 DR. SIEGEL: I think we would all agree that for
2 deterministic effects and where you have exceeded thresholds
3 where you can predict quite logically that the deterministic
4 effect has a high likelihood of occurring that you must in fact
5 inform the patient. In fact the next point on the slide says
6 the patient notification should include clear instructions
7 regarding the need for follow-up and the need for continuing
8 care.

9 The only point I was trying to get into is I
10 personally do not know where to set the threshold with respect
11 to stochastic effects, and in fact, I think that that threshold
12 is a difficult one because there is a probability of inducing
13 lifetime cancer phobia that can be disabling. That doesn't
14 mean people shouldn't be well informed, but if there is a
15 substantial majority of individuals who are sufficiently unable
16 to deal with probability concepts that if you tell them you've
17 got a one percent higher chance of lifetime developing cancer,
18 that will incapacitate them. I don't know whose interest that
19 is in.

20 MR. ALMOND: Barry, the NCRP issued last year,
21 September, NCRP Statement No. 7, the probability that a
22 particular malignancy may have been caused by a specified
radiation. In that they say it is not possible on the basis of

1 medical evaluation to unequivocally prove or disprove a claim
2 that a specific malignancy was caused by a specified radiation
3 exposure, which we know.

4 They have what is called the probability of
5 causation. There is no way before the fact of figuring out
6 what that is. It's an after the fact thing. If the cancer
7 shows, you can say that there is a specific probability that it
8 might have been caused by, but you still don't know even when
9 you've done the calculation here.

10 So the NCRP has addressed this, but it is
11 retrospective and there is no way of doing it looking into the
12 future.

13 DR. SIEGEL: This little quote here from American
14 Jurisprudence, Proof of Facts, an interesting chapter on
15 radiation injuries with respect to causation says, "Causation
16 for a late radiation injury is more than merely complicated; it
17 is indeterminate." It goes through a series of legal tests
18 that would be required to prove that the injury resulted from
19 the negligence under such cases.

20 Peter, do you have a comment you want to make?

21 MR. CRANE: A question. My name is Peter Crane. I'm
22 not a doctor and I don't hold myself out as a medical expert.

If we know that of the 5,000 kids irradiated at

1 Michael Reese Hospital who were followed, who got head and neck
2 radiation in the neighborhood of 750 rads, 2,000 of them would
3 wind up with thyroid abnormalities of which a third are
4 malignant, and if we know that the Marshall Islanders who were
5 irradiated in the Bravo blast of March 1954 at Bikini now are
6 showing up with extremely high numbers of thyroid
7 abnormalities, retrospectively I think we can go back and link
8 that illness to those exposures.

9 DR. SIEGEL: There is no argument that radiation
10 causes cancer. All respectable scientists agree with that.

11 MR. CRANE: Let me finish my paragraph, or sentence,
12 or whatever.

13 If that is so, is it not also so that if you know
14 that a certain person has received inadvertent radiation in
15 large doses to the head and neck that there may be no immediate
16 visible harm but there may be a risk of thyroid neoplasms down
17 the road and that warrants that person be followed with
18 palpation of the neck every year or so? That was Ms. Brown's
19 question?

20 DR. SIEGEL: The answer to your question is yes,
21 there probably is, but it would be difficult certainly in the
22 time we have available to say exactly what the threshold should
be for each case. If presented with the specific facts of a

1 particular patient, if you tell me that a four-year-old, or
2 better yet, let's say a four-week-old has a 20 rem exposure to
3 the thyroid gland, then I would recommend that that patient
4 have thyroid follow-up.

5 On the other hand, if you tell me that a 50-year-old
6 had one rem exposure to the thyroid gland or even the same 20
7 rem, I would recommend nothing different be done. It will be
8 difficult to come up with a clear set of rules when to inform
9 related to stochastic, because they will need to incorporate
10 age, organ and dose in all cases. I think it's very
11 complicated. That doesn't mean that patients shouldn't be
12 informed.

13 MS. BROWN: Can you say what you just said, that it's
14 difficult and it's complicated?

15 DR. SIEGEL: What I said at the beginning is that
16 this is a complicated policy issue, and frankly, it's one that
17 I still think is best adjudicated on a case-by-case medical
18 reviewer basis.

19 DR. FLYNN: I think, Judy, that for a
20 misadministration where something like this comes up I hope the
21 NRC will choose the proper medical consultants. If it's
22 nuclear medicine, choose an expert in nuclear medicine who can
advise what additional steps might happen in the case of a

1 four-year-old as opposed to someone who is 85 years old.

2 I think what Barry is saying is that it would be so
3 difficult to try to come up with all the rules and guidelines
4 and thresholds ahead of time that it's better to address
5 everything on a case-by-case basis.

6 MR. PAPERIELLO: I would like to make an observation.
7 It goes beyond the patient. I speak as a health physicist
8 outside of nuclear medicine. If we know that somebody has
9 received a significant inadvertent exposure to radiation, not
10 just in the practice of medicine, I think in my mind the
11 question that arises is what is our responsibility, the NRC's
12 and the licensee's responsibilities for providing an equivalent
13 communication to the patient?

14 Let's suppose I'm a graduate student and I happen to
15 be labeling with iodine and something happens and I wind up
16 getting a 50 or 100 rem exposure to the thyroid because the
17 research went badly? I've never had a case that big, but I've
18 had cases where there has been overexposure.

19 The question then is, the same situation. How they
20 got there is different but the ultimate physiological effects
21 are the same. I'm concerned about that but it's a bigger issue
22 than just patients. It's also anybody who gets an exposure.

In the case of Indiana, Pennsylvania, we did send letters to

1 everybody that we evaluated dose for, but that was an ad hoc
2 thing and there is not a policy that addresses how we do that.

3 DR. FLYNN: In Indiana, Pennsylvania, for example,
4 Judy, I arrived there before Carl had arrived. There was one
5 dietician there who was pregnant. I felt that she did not
6 receive a significant dose. I can do a little basic physics,
7 having had a physics background originally. But because she
8 was pregnant I was worried that she would do something foolish
9 and then we'd have two deaths instead of one. So I got the
10 chromosome studies on her also sent out. It came back
11 negative, and the blood test was negative. That was so she
12 wouldn't do something foolish.

13 DR. GRIEM: Dr. Siegel, I would like to get back to
14 the Michael Reese situation for a moment. At the same time the
15 treatment for the tonsils was being done with radiation there
16 were four surgeons, each one of them doing a tonsillectomy a
17 day. So about 1,000 tonsillectomies were being done a year.
18 Then you've got to say, well, a tonsillectomy is not
19 necessarily a benign procedure surgically with the anesthetics
20 and so forth. This was a time before penicillin when this was
21 being done for prevention of mastoid disease and so forth. So
22 the control series really is about 5,000 surgical
tonsillectomies, and that control series has never been

1 followed up. It was a big tonsil center.

2 DR. SIEGEL: Nonetheless, Peter, your point is well
3 taken. The whole point of the slide is to emphasize that
4 knowing what to tell patients about stochastic effects or
5 occupational workers or members of the general public is not an
6 easy matter. It's a complicated policy issue.

7 By the way, my pediatrician did not believe in
8 radiating tonsils. Consequently my tonsils were removed at
9 Michael Reese Hospital at about the same time that they could
10 have just as easily been irradiated. So I'm a member of the
11 follow-up group and I don't think I have thyroid cancer yet.

12 Steve.

13 MR. COLLINS: If we are ready to move on, I was
14 wondering, what does "medicolegally relevant" have to do with
15 the radiation protection or something NRC has authority over?
16 You've got it listed.

17 DR. SIEGEL: The issues with respect to stochastic
18 effect notification. Potential concerns that one could raise
19 is that a patient needs to have all of that information because
20 it will help them take a legal action; they also need to have
21 that information because it will help them taken an appropriate
22 course of medical follow-up. The only point I was trying to
make is that in the case of stochastic effects neither is

1 clear.

2 Next slide, Patient Follow-up. Medical consultants
3 can and should help to evaluate reports of misadministrations
4 and extending to the issue of helping to design follow-up.

5 I think that when deterministic effects are likely
6 that a follow-up plan should indeed be necessary and laid out
7 for the patient as part of what the patient was informed, and
8 as I just said 30 seconds ago, whether the follow-up plan
9 exists for a stochastic effect will depend on the particular
10 likelihood of a stochastic effect, which, of course, can only
11 be assessed in a probabilistic sense.

12 The evaluation by the NRC of patient notification
13 should include a consideration of the follow-up plan: Has the
14 licensee laid out a proper plan for at least transmitting to
15 the referring physician?

16 An important issue that comes up in this context is
17 the patient isn't going to stick with the licensee for their
18 follow-up. In the case of a misadministration they are going
19 to run, not walk, as fast as they can to some other
20 practitioner, because they won't necessarily want to stick with
21 the licensee who has just injured them. The licensee
22 nonetheless has the responsibility for making some advice about
what the likely injuries are and getting that into the hands of

1 the patient and the patient's care givers. I believe that.

2 On the other hand, I don't think NRC follow-up
3 intervention should go any further than looking at the focus of
4 the report to the patient. The NRC should not itself get into
5 the business of patient follow-up and exit interviews with
6 patients to find out what they were told and what their level
7 of comprehension is of these events. If the NRC wants to get
8 in that business, then I think we need a fundamental change in
9 the way the federal government and the state government
10 oversees everything that happens in medicine and with respect
11 to medical misadventures. That's just getting too far down
12 into the process to make sense both in terms of the need to
13 protect patients and the use of federal resources.

14 Now, dissent, please.

15 MS. BROWN: Do you think I'm going to dissent? I'm
16 just going along whole hog here the whole time.

17 I agree that NRC follow-up intervention should go no
18 further, but I wonder what parts of the follow-up plan could be
19 included as appropriate. I'm thinking particularly of the
20 economics of following somebody and giving them extra tests.
21 I'm thinking specifically of the Tripper baby. Who is paying
22 for his medical bills lifelong? Does anybody even let that
family know that they have a right to not incur those costs?

1 That should be part of the follow-up plan where
2 appropriate or deemed necessary by the medical consultant or
3 whoever. I would like to see that someplace.

4 DR. SIEGEL: Let's go back to the point that was on
5 the original slides that you asked me to remove. Do we really
6 think that patients and their attorneys are defenseless?

7 MS. BROWN: As far as I know, in the Trippler
8 incident they don't have an attorney. I don't think they did
9 anything.

10 DR. SIEGEL: They do in fact have an attorney and it
11 is my understanding that they are in fact suing Trippler.

12 MS. BROWN: Is this well after the fact? How after
13 the fact is that, years or so?

14 MR. PAPERIELLO: A couple years, maybe.

15 MS. BROWN: I understood that they didn't, so I'm
16 wondering how old my information is.

17 DR. SIEGEL: What are you asking for? What the
18 Trippler baby needs is a lifetime supply of thyroid hormone.

19 MS. BROWN: And a doctor to administer it.

20 DR. SIEGEL: No. You take a pill. You don't need a
21 doctor to administer a pill. It needs a periodic check. An
22 annual supply of thyroid hormone currently runs probably \$25 to
\$50 a year. Fortunately, Synthroid is one of the cheapest

1 medications around.

2 MS. BROWN: No generic.

3 DR. SIEGEL: Yes, there is, absolutely.

4 MS. BROWN: Don't you need somebody to titrate that
5 on occasion?

6 DR. SIEGEL: For openers and then periodically maybe
7 once a year.

8 MS. BROWN: That's exactly it. That's the kind of
9 thing that I would look for a medical consultant to say.

10 DR. SIEGEL: So would I.

11 MS. BROWN: Is that in here and I've missed it, the
12 economic consequences?

13 DR. SIEGEL: You are saying you want the NRC to
14 provide?

15 MS. BROWN: No, I certainly don't. I want them to
16 let the patient know or let anyone know that this is going to
17 be the likely economic consequence to the family of caring for
18 this person who has had this misadministration happen to them
19 and that, further, this probably is the right place to pick the
20 pocket, the institution, the licensee, somewhere they should go
21 to get those funds.

22 MR. COLLINS: That's not a radiation safety question
at all.

1 DR. SIEGEL: I think you are getting into a pretty
2 tricky issue in terms of laying out a compensation plan at the
3 point of notification which occurs within 15 days of the event.
4 You are jumping the gun.

5 MS. BROWN: Yes, but that gun never gets brought up
6 again. It's left smoking. So five years later these people
7 figure out it's real expensive.

8 DR. SIEGEL: The American way is to not take
9 responsibility for anything that happens to you. The American
10 way is to assume that if you are injured in any way, shape or
11 form you find an attorney and sue somebody. That,
12 unfortunately, is the American way, and consequently people
13 don't need help getting compensated for medical injuries.

14 MS. BROWN: By and large I agree it's a litigious
15 society, but I have a personal friend whose parent is a nurse
16 and said, when she was totally messed up by the medical
17 situation, well, you know, he was only human; I'm not going to
18 sue him; that can happen to anybody. She is stuck with the
19 consequences of this and incurring the medical bills too
20 because she didn't want to take any course of action. I'm just
21 saying someone should apprise them of that.

22 DR. SIEGEL: If you want to develop a no-fault
approach to compensation of people who are injured, then go for

1 it. We are getting into the issue of medical malpractice and
2 what our society's response to it should be, and that's really
3 not the focus, I don't think, of the NRC.

4 As you will see in a moment, we are forgetting the
5 big picture. We are forgetting the big picture of all of
6 medicine. As my friend E.E. Cummings once said, nothing, not
7 even the rain has such small hands.

8 When we realize that this is such a tiny fraction of
9 all medical care, we've got to prioritize this in terms of the
10 national big picture. I think whether the NRC is the focus for
11 making sure that patients get compensated adequately for things
12 that go wrong in medical care is very questionable.

13 MS. BROWN: Maybe not making sure, but at least
14 letting the family know that this is going to have some
15 consequence.

16 DR. SIEGEL: Could that policy debate please occur at
17 the level of Capitol Hill, the White House, and the Department
18 of Health and Human Services?

19 MS. BROWN: Well, maybe Capitol Hill could pick it up
20 from here.

21 DR. SIEGEL: Indeed, and that's intentional. I just
22 don't see that as an NRC focus.

Bob.

1 MR. BERNERO: I would like to have a clarification.
2 If I understand what I've heard, the report on the
3 misadministration should include enough information about
4 recommendations for follow-up care or follow-up treatment in
5 order that the NRC at that point and at that point only can
6 make suitable review and comment on that, to say that's
7 essentially on the mark or no it's not.

8 DR. SIEGEL: That's the logical point of first
9 intervention. Anticipating your concern, there will be certain
10 events where the NRC might say it's too early to tell and we on
11 a negotiated case-by-case basis want to get further information
12 from the licensee about what happened. To build a regulatory
13 system that has periodic follow-up set up as a given I think
14 does not make sense, but I can acknowledge certain
15 circumstances under which the NRC might say, you know, at 15
16 days out we don't really know for sure what's going on here,
17 and your information to the patient may not be adequate; we
18 would like you to report back to us in six months about what is
19 going on.

20 One of the things that happens with your licensing
21 actions is that you sit down with licensees across a table as
22 part of those lovely conferences that we all enjoy so much and
 you do have an opportunity to work out a logical settlement to

1 an individual problem.

2 Continue.

3 MR. BERNERO: If we regulate a circumstance where a
4 licensee has to report to the patient on proper follow-up to
5 the misadministration, and that's the 15-day sort of cycle, and
6 the patient goes to another physician or another practitioner
7 for that follow-up care, are you suggesting that in some
8 circumstances the NRC might follow the patient?

9 DR. SIEGEL: I don't think so. I don't think the NRC
10 wants to set up a clinic. I can think of -- well, maybe I
11 can't think. Dan, Mel, what do you think?

12 DR. FLYNN: I guess my question is there is the 15-
13 day report, there is the report that the patient gets, and then
14 if new information becomes available, let's say with an NRC
15 medical consultant, that it may be recommended that a couple of
16 additional steps be in the follow-up plan as a recommendation.
17 It may not be the only recommendation, but a recommendation.

18 As long as the patient gets that and the patient has
19 that in their hand, then they and their family and their
20 referring physician and their attorney, or whoever they want to
21 get involved, can make sure. As long as the patient is
22 notified of a follow-up that has been recommended.

It may be modified. The 15-day plan may not be the

1 total plan. Maybe something else amends that plan. For
2 example, if the NRC through its medical consultants review it
3 and come with some additional recommendations, that may be
4 incorporated in the follow-up plan. As long as the patient
5 gets it, then that should be the end of it.

6 When we follow up patients who are treated for
7 cancer, we may see a patient every three months, and then after
8 awhile it's every six months; then after awhile it's every
9 year. Then, depending on the patient's condition, how far they
10 travel, how much trouble you put them to coming in for this
11 follow-up visit, we follow them more frequently or less
12 frequently depending on many, many factors. I don't think you
13 can regulate how often, because the decision as to when the
14 patient's next visit should be is determined on that visit.

15 MS. BROWN: I wouldn't at all suggest that you
16 regulate how often or regulate anything specific but that you
17 include in the follow-up plan if there is an economic
18 consequence that that be somewhere included. That's all.
19 "It's going to cost you a bundle to monitor this for the rest
20 of your life." I think someone should tell the patient if that
21 is a possible outcome.

22 DR. SIEGEL: I may let you make that as a dissenting
point.

1 MS. BROWN: Thank you. If we are trying to reach
2 consensus here, we're going to be here a long time. So if you
3 could just let me dissent, that would be fine.

4 DR. SIEGEL: That's fine.

5 MR. CAMPER: During the 22nd of January briefing for
6 the Commission there was a great deal of discussion about the
7 role of the NRC medical consultant.

8 MS. BROWN: Which one was that?

9 MR. CAMPER: The 22nd of January where the staff
10 briefed the Commission.

11 At that time Mr. Bernero was commenting about the
12 role and us taking a look at the role and redefining the role
13 and making clear what the role of the consultant is to be. I
14 suspect tomorrow from the Commission you will get a number of
15 questions along this line.

16 The staff has currently already initiated some
17 efforts to go back and look at Manual Chapter 1360, which is
18 the medical consultant. In due course we are going to perhaps
19 modify that chapter. As we do that, I'm certain it will come
20 to the Committee and get specific recommendations on what the
21 role of the consultant can be. So there will be ample time to
22 iron out the details.

DR. SIEGEL: I think we have already indirectly

1 answered that. The consultant should evaluate whether the
2 patient was adequately informed, whether the justification for
3 not informing the patient makes medical sense, whether the
4 patient has been told of all the reasonable consequences, and
5 whether the patient has been provided with guidance as to what
6 kind of medical follow-up is necessary short of economic
7 consequences.

8 You can say that one yourself.

9 MS. BROWN: Dissent.

10 MR. BERNERO: I would just remind you that this
11 discussion is focused on those who have been exposed to
12 radiation beyond plan in the medical environment and use of
13 medical consultants in dealing with those who are victims of
14 unintended radiation in the non-medical environment:
15 radiographers, people in the fuel cycle of plants, or wherever.
16 That manual chapter reconsideration involves those as well.

17 DR. GRIEM: I think each situation has to be taken on
18 a case-by-case basis. Currently there are a number of cancer
19 chemotherapeutic agents which have profound influence on the
20 response of the tissue. For instance, with Methotrexate and
21 radiation in childhood leukemia we've already identified how to
22 put these two together and how not to put these two together
and when you do it wrong what the outcome is. I think before

1 each particular case you may need to get specific consultants
2 in a particular area. I think you are going to have to do it
3 on a case-by-case basis.

4 DR. SIEGEL: Okay.

5 The next slide states that an NRC-sponsored follow-up
6 registry or other data gathering mechanism is potentially
7 appropriate to address unanswered scientific questions. But I
8 can't think of any.

9 In other words, I don't think a patient registry for
10 misadministrations is going to tell us anything more about the
11 stochastic likelihood of developing cancer, because we all know
12 that the size of the population we need to study is so large.
13 I think we know as much about the deterministic effects of
14 ionizing radiation as we are likely to know for the near term.
15 This is as well studied a series of effects as any. In fact,
16 it's hard for me to conceive why the NRC would want to get into
17 a registry business.

18 DR. GRIEM: Dr. Siegel, in the children's cancer
19 study group they are looking at all children that have been
20 treated, and they have a late effects follow-up group. It's a
21 very tightly controlled proposition. Julio Dangou (phonetic)
22 runs the radiation effects on children who have been treated
and it has been going on for 10 or 15 years. So I think there

1 are people out there.

2 Likewise the radiation therapy oncology group has a
3 late effects of neutron radiation. There have been about 5,000
4 patients treated for neutron radiation and about another 5,000
5 treated for proton radiation in Russia and elsewhere. These
6 are all being followed up at the present time. There are
7 databases that can be used for specific situations like this.

8 DR. FLYNN: I think it's very important to have a
9 registry of accidents. Let's say a source falls out of the
10 applicator upon insertion into the Fletcher suit and it
11 happened in New Haven, Connecticut, and let's say it happened
12 in Colorado, and if it happened again Seattle, Washington, it's
13 important to know that a focus to the practitioners should be
14 at those areas, at those points along the treatment process
15 where events have occurred in the past and to be more diligent
16 at that point.

17 DR. SIEGEL: I think this Committee is on record that
18 even though no physicians are in love with the concept of
19 misadministration reporting and potentially exposing themselves
20 to malpractice litigation, it is entirely appropriate for the
21 federal government to take a role in looking at the big picture
22 to try to define if there are systematic or programmatic
problems that only a national perspective can give you the data

1 to address. Any individual practitioner, the probability that
2 he or she will encounter the event often enough to form an
3 opinion about what's wrong is pretty unlikely.

4 A national focus addresses that. Consequently, even
5 though we hate having government interfering with the way we do
6 our business, that kind of data gathering is appropriate. The
7 FDA does it a different way. The FDA requires the
8 manufacturers, at least for drugs, to continue to collect data
9 on side effects and then report those back to the FDA for
10 modifications of the labeling as they are uncovered. Now, with
11 devices there is a mandatory requirement for institutions to
12 report device defects or failures, and that's how the FDA gets
13 a handle on what is going wrong with devices.

14 The FDA, on the other hand, does not require, as Don
15 pointed out earlier, that the patient be notified under those
16 circumstances.

17 You had a comment, Dick?

18 MR. CUNNINGHAM: Just to follow up what Dr. Flynn
19 said. We do record misadministrations and we do send out
20 information notices based on analyses to notify people.

21 I think it's also important to note that we do fund
22 research on human factors related to brachytherapy,
teletherapy, radiopharmaceutical therapy. We are also funding

1 research at Lawrence Livermore Laboratories in Idaho on the
2 human-machine interface with some of these devices where there
3 is a human failure. So it goes well beyond just looking at
4 instruments. We are trying to understand better what happens
5 with the human-machine interface that can lead to accidents and
6 prevent it by design.

7 DR. SIEGEL: The second part of this little phrase
8 says NRC follow-up registry is not needed to address
9 medicolegal or regulatory issues.

10 First of all, regulatory. It seems to me highly
11 unlikely than an NRC follow-up mechanism of the small numbers
12 of patients even if we extend to agreement states would gather
13 the kind of data over time that would cause you to change your
14 regulations. It would be an expensive effort for very little
15 gain.

16 I'm not sure what I meant by medicolegal. Sally, we
17 are going to cut the "or regulatory issues" and paste it over
18 "medicolegal."

19 DR. FLYNN: Would you object if the first sentence
20 said "NRC-sponsored follow-up patient registry"? On the
21 patient registry I agree with you.

22 DR. SIEGEL: I wouldn't object. Would you object if
I said it so that it's one last thing Sally won't have to

1 retype? Can I just remember to say it? And if I don't, when
2 you speak you can make the point. I'm trying to only make
3 changes that are additions and won't cause us to have to retype
4 the whole slide, but if you feel strongly, we'll do it.

5 DR. FLYNN: No. It's all right.

6 DR. SIEGEL: I'll remember.

7 Then the point, assuming you all agree with me, that
8 the NRC's role need not extend to that of becoming the
9 plaintiff's attorney in these issues, that the government
10 intervention should stop at the point of making sure that the
11 information gets out there, and then we've got a perfectly good
12 system with lots of muscle in the United States for defending
13 people who have been injured.

14 DR. GRIEM: Is there a place along the way for some
15 of the new technology that is being developed on certain linear
16 accelerators to prevent the filter being not placed in
17 correctly and so forth?

18 There is a whole bunch of new check devices being
19 developed on what you might say are third or fourth generation
20 linear accelerators. We have one right now and we have two
21 Ph.D. students looking at this whole question of, okay, now
22 this is in place and computer controlled and the machine can't
be turned on until everything matches what the particular

1 patient's recipe should be. These two Ph.D. students are
2 looking at the question of how much more accurate is the
3 treatment and whether some of this which is being developed by
4 one of the manufactures can be retrofitted on cobalt machines
5 to bring them up to, say, 1994 or 1995 standards. I think that
6 is something that the NRC could encourage.

7 DR. FLYNN: I don't think that all the errors are
8 being made in treatment verification systems. I think the
9 wedge or the filter isn't being put in in the first place. I
10 think the cobalt machines are dwindling away as they are aging.
11 It would be extremely costly to refit the current 400-and-some
12 odd cobalt machines with these systems. I don't know what Dr.
13 Almond thinks about this.

14 MR. ALMOND: I tend to agree with you. That whole
15 question of computerized quality assurance or control of linear
16 accelerators is a very, very tricky subject. Just the
17 verification that those computer programs are going to do what
18 they say they are going to do without faulting on you is a very
19 difficult subject. It's what got the THERAC 25 situation.
20 They went through that program and through that program and it
21 was a good program and it wasn't going to fail. And yet it
22 failed.

Certainly people are looking at that. That, I think,

1 is one way of doing it. But there is an easier way, and that
2 is you make sure that your people are trained and they follow
3 certain procedures and do their job right, and that's a whole
4 lot less expensive than putting on expensive computers to do
5 it.

6 DR. GRIEM: Should these people be recertified?

7 DR. SIEGEL: Which people?

8 DR. GRIEM: The people running the machines. What do
9 you do in Illinois?

10 MR. COLLINS: We have a technologist accreditation
11 requirement where the techs do have to be accredited. That's
12 the term that we use. They maintain that by obtaining CEU
13 credits to get it renewed every two years.

14 DR. FLYNN: I think there is something I need to
15 bring out at this point. A lot of times the big programs, the
16 larger centers may report an occasional misadministration while
17 treating thousands of patients. The reasons why they may
18 discover the misadministration is because they have a large
19 physics group; they have a different physicist checking the
20 chart every week. What I worry more about is the small,
21 isolated center with one physicist, and how will he discover
22 his own error to even know to report it.

It may be the centers that don't report any

1 misadministrations are the ones that you have to worry about if
2 they have limited staff, if they don't have the redundancy
3 built into their system to discover the misadministration.
4 Those are the centers I would worry more about. I don't know
5 how you get to that.

6 DR. SIEGEL: That brings me to the next slide. The
7 next slide is intentionally blank but it was one of the
8 questions that was asked: under-reporting of
9 misadministrations.

10 I think it is safe to say that as an Advisory
11 Committee we have no better data about under-reporting of
12 misadministrations than the NRC currently does, but the smart
13 money is on letting the quality management rule work itself
14 through and let it be the source of gathering better data.

15 You now have a system in place that will bring all
16 the states into line in another couple of years. You have an
17 audit system in place where licensees are required to look at
18 what they are doing, and you now have inspectors specifically
19 instructed to go out and look and see whether the audit is
20 working and whether things are being missed.

21 To speculate now that 20 percent or 50 percent or
22 5,000 percent of misadministrations are being under-reported
frankly doesn't make a lot of sense. My personal belief is

1 that it's a very small number and that most people in fact do
2 report misadministrations. But that's my personal ambiguous
3 data.

4 DR. FLYNN: I think most do report them that know
5 about them. Some may not. But maybe more don't report them
6 because they are never discovered.

7 The American College of Radiology patterns of care
8 study showed that the outcome and some of the quality issues
9 became more important the smaller the center. I hope the NRC
10 will look into the future as to those small licensees who lack
11 redundancy, who lack the backup system whereby Dr. Smith is
12 checking Dr. Jones or Physicist Johnson is checking Physicist
13 Smith. It's the centers that have a lack of redundancy which
14 are most at risk for having misadministrations that are never
15 discovered.

16 DR. SIEGEL: Which is worse, covering up a
17 misadministration or being too stupid to know that you made a
18 misadministration?

19 MS. BROWN: Covering up is worse.

20 DR. SIEGEL: I'm kidding. It's a rhetorical question
21 before the break.

22 Bob.

MR. BERNERO: I'm not sure it's worse. I think

1 Riverside is a classic example of not discovering.

2 DR. SIEGEL: Let's take a 10-minute break.

3 [Recess.]

4 DR. SIEGEL: If we can come back to order, John has a
5 statement to make.

6 MR. GLENN: The first is to note for the record that
7 Peter Almond has joined the discussion. He is a member of the
8 Advisory Committee.

9 The other thing is to correct the statement I made
10 about CIRRPIC and whether there had been any request for us to
11 involve ourselves in some of the non-byproduct material
12 aspects. I said that it had been limited to accelerator
13 produced isotopes. That was slightly incorrect. What the
14 CRCPD had actually requested was discrete sources of NARM. So
15 that would be discrete sources of either naturally occurring or
16 accelerator produced isotopes but it would not include
17 environmental sources of NARM, such as radium and uranium that
18 occurs naturally in soil.

19 DR. SIEGEL: But they didn't ask you to regulate
20 linear accelerators.

21 MR. GLENN: No. We were never asked to regulate
22 machines.

DR. GRIEM: Would you regulate two minute oxygen

1 produced on a cyclotron?

2 DR. SIEGEL: No. It's not a byproduct material.

3 MR. GLENN: We certainly don't now.

4 DR. GRIEM: It's accelerator produced.

5 MR. GLENN: If that had gone through, I think there
6 certainly is a potential that we would have.

7 MR. COLLINS: Radium needles and
8 radiopharmaceuticals.

9 DR. JONES: We still have the radioactive drugs.

10 DR. SIEGEL: We can save this discussion for March
11 5th down at the Parklawn Building when PET radiopharmaceuticals
12 become the focus.

13 Next slide, NRC Regulatory Purview. Let me just tell
14 you what points I plan to make here.

15 One of the points the Plain Dealer raised was the
16 expansion of NRC's regulatory purview. We were asked the
17 question: should that occur?

18 The point I want to make is to once again point out
19 that byproduct radioactive material is not uniquely hazardous
20 in comparison with other ionizing radiation used in medicine.
21 There is nothing special about byproduct material. It's just
22 that the Atomic Energy Act limits NRC's authority to byproduct
material insofar as medicine is concerned. That authority is

1 really remarkably limited.

2 Let me give you some data. These are estimations but
3 nonetheless they are interesting. These data I obtained with
4 the help of the American College of Radiology, who tapped into
5 the 1991 Medicare database which they have sitting out there on
6 their computer.

7 If you take all radiology codes, the entire 7000
8 series in current procedural terminology, and subtract from it
9 the ultrasound codes, which don't involve any ionizing
10 radiation, the Medicare database has 87 million procedures
11 performed in 1991. That's diagnostic radiology, nuclear
12 medicine and radiation therapy.

13 All oncology procedures, the entire 7700 series in
14 CPT, is 6.3 million. All nuclear medicine procedures plus
15 brachytherapy is 3.9 million. An estimate could be made that
16 of the remaining oncology procedures, which are teletherapy,
17 about 20 percent of those are cobalt, as Dan alluded to
18 earlier, and 80 percent are done with linear accelerators.

19 So that you end up with, of all radiology procedures,
20 about 6 percent under NRC regulatory authority, or at least the
21 authority given by the Atomic Energy Act. And that's an
22 overestimate, because for nuclear medicine I'm including,
because I had no clean way of excluding it, non-byproduct

1 material.

2 Now if you say one-third of the licensees are in the
3 NRC, you are down to two percent. You indirectly have some
4 control over the other four percent by way of your negotiated
5 agreements.

6 So I am led back to my E.E. Cummings quote, and I'm
7 saying there is nothing more dangerous intrinsically about
8 byproduct material.

9 Admittedly, the procedures that NRC regulates,
10 particularly the teletherapy and the brachytherapy, have
11 potential to do more harm than most diagnostic procedures, but
12 not all. There is substantial concern in the medical community
13 about cardiac catheterizations, for example, that run into
14 fluoroscopy doses that approach 50 to 100 rads and may in fact
15 induce deterministic effects as a result of diagnostic
16 procedures.

17 Consequently, the Committee would say that there
18 really does need to be some look at a need for uniform national
19 standards -- not necessarily regulations; I use standards as a
20 starting place -- relating to all diagnostic and therapeutic
21 uses of ionizing radiation in medicine and this is an important
22 policy issue that the government needs to deal with.

The question that I posed is, what is the appropriate

1 forum and whether the forum is the existing structure of the
2 Atomic Energy Act or whether the forum is some much broader
3 policy look at radiation use in medicine probably beginning in
4 a Capitol Hill or White House level. That is something I
5 simply lay on the table, because I'm not prepared to answer
6 that question, and I don't think any of us would be.

7 I'm not going to say this tomorrow, but one thing
8 that troubles me is kind of this idea that, well, we can't
9 worry about the rest of medicine, we don't have any authority
10 over the rest of medicine.

11 In a sense that is kind of wrapping yourself in the
12 Atomic Energy Act and developing tunnel vision as a result of
13 it. I think Atomic Energy Act tunnel vision is not sensible
14 when we've got big time national priorities that we have to
15 look at for all of medical care. We are about to enter an
16 upheaval in American medicine. The initials HCFA, I am now
17 told, stand for Hillary Can Fix Anything.

18 [Laughter.]

19 DR. SIEGEL: Maybe she can fix this one. But big
20 changes are going to occur in American medicine over the next
21 five years. Rather than have ionizing radiation use in
22 medicine, rather than have patient notification in medicine
limited to the tiny little focus of the Atomic Energy Act, this

1 ought to be looked at on a national basis for all of medicine.

2 That's really what I believe. Sure, Congress could
3 pass a quick law to just give all radiation to NRC, but to do
4 that without thinking about -- not that Congress ever passes
5 any quick laws -- but to do that without looking at the overall
6 programmatic effects on all of medicine would be a mistake.
7 The cost-benefit has to be done with the big picture in mind
8 and not with a small focus in mind.

9 MR. CAMPER: Let the record show that Dr. Siegel is
10 only an adviser to the agency and not a member of the staff.

11 [Laughter.]

12 DR. SIEGEL: As a member of this Committee I've
13 developed a very warm relationship with most of the staff and
14 have now met and developed a relationship with several of the
15 Commissioners. I'm not trying to put you all out of business.
16 You can just move down to Health and Human Services, which is
17 maybe where this ought to be. Maybe where this ought to be.

18 MR. CAMPER: I was thinking about your comment about
19 the First Lady, actually.

20 DR. SIEGEL: I heard that on nighttime television
21 somewhere.

22 [Laughter.]

DR. SIEGEL: There are actually two versions of slide

1 10, one with the question mark "forum" and one without, but I
2 actually think that the issue of whether the Atomic Energy Act
3 is the forum for debating this issue is an appropriate thing to
4 leave in.

5 Are you with me, Sally?

6 MS. MERCHANT: I'm with you. Is that what we are
7 going to do?

8 DR. SIEGEL: Yes.

9 MS. BROWN: I'm sorry. I lost you.

10 DR. SIEGEL: There are two slides ten, one with ?"
11 forum" and one without that bullet, and I want to leave the
12 bullet in, because I want to raise specifically the question of
13 the forum.

14 MS. BROWN: Sure. Go ahead.

15 DR. SIEGEL: I wasn't sure what you all would want to
16 do it, so I made two slides. So that slide ten gets thrown
17 away when we Xerox.

18 At this point in the program I was planning on
19 turning things over to Dan, who wanted to make some specific
20 statements relating to the regulation of brachytherapy based on
21 his extensive experience as a consultant to the IIT that
22 investigated the Indiana, Pennsylvania, event. And if these
slides don't work for you, we'll change them tonight.

1 DR. FLYNN: No, they work.

2 I think the NRC Bulletin No. 92-03, which was
3 released on December 8 and was put together very quickly and
4 done very well, had three requested actions of Omnitron 2000
5 users to solve this problem, at least for Omnitron 2000.

6 One was to make it very clear to the licensees,
7 although they should have known it already, that there should
8 be a radiation survey of the patient with the appropriate
9 instrument to confirm that all sources have been removed.

10 This isn't new. This was a recommendation that is in
11 the American College of Radiology quality assurance program
12 given to everyone that uses radiation in 1991. Of course
13 that's a voluntary standard.

14 And that this survey should be done immediately
15 before removal of the patient from the shielded room and
16 appropriately documented with initials and a signature.
17 Something that would only take a few moments in time.

18 Secondly, that the licensee should not conduct any
19 procedure from which a decoupled source could not be removed
20 expeditiously from the patient and placed in a shielded
21 condition; that written emergency procedures are in place and
22 assure the appropriate staff and equipment are available
immediately at the site of the HDR procedure to implement these

1 emergency procedures.

2 All this is common sense and it does not require a
3 great deal of effort.

4 Section 3 involved the training, which can be a
5 little bit tougher issue to address.

6 Certainly the first two steps, surveying the patient
7 and having the appropriate staff and the appropriate equipment
8 to emergently remove a source, such as was done in the second
9 incident with Omnitron 2000, is absolutely mandatory.

10 I know that was my opinion and the NRC agreed. They
11 put together this bulletin which I helped with. But I also
12 talked to the people in my professional societies. There was
13 unanimous agreement. There was no doubt or hesitation that
14 this should be done.

15 Making a survey of a patient after an HDR procedure,
16 if that source is in place -- you do not have to do a five-
17 minute toe to head survey. You only have to turn on the
18 instrument and it goes off scale. That only requires one
19 second or two seconds of your time.

20 DR. SIEGEL: I thought Carl's comment in the IIT
21 briefing about using a micro-roentgen meter to detect the
22 source in the dumpster was pretty good when you said that they
could detect it from 100 meters away.

1 DR. GRIEM: I would like to make one comment. This
2 was done in a Midwestern state and unfortunately the detector
3 was faulty. It involved a radium source and so the patient
4 went home with the radium source in and two weeks later finally
5 it was discovered.

6 DR. SIEGEL: You're saying the survey meter was
7 faulty?

8 DR. GRIEM: Yes. In other words, the battery was
9 down or something.

10 DR. SIEGEL: But then the fault had to have developed
11 from the time the survey meter was checked prior to its
12 implementation on a particular day of use and its actual use on
13 that day.

14 DR. GRIEM: The point is I think you need two
15 devices. In the case of the London accident on a linear
16 accelerator they had one power supply for two detectors and the
17 power supply went bad and about eight patients were burned.

18 DR. SIEGEL: Do you require two devices?

19 MR. GLENN: No. We do require a daily operational
20 check.

21 MR. COLLINS: A dedicated check source to check it
22 with a radiation source to make sure it functions properly
before you actually use it to do the survey.

1 DR. SIEGEL: That's what I just said. If you check
2 the survey meter in the morning and then you are releasing the
3 patient at three o'clock in the afternoon and it died between
4 the morning and three o'clock in the afternoon --

5 MR. PAPERIELLO: I guess it's theoretically
6 conceivable, but it's pretty unlikely. When you turn it on you
7 do a battery check. That's going to certainly tell you whether
8 or not something drastic happened to the electronics. Most
9 survey meters will show background radiation. You know what
10 the background radiation is. If you don't get it, you say
11 there's got to be something wrong with this meter. This thing
12 is really a go-no go. It's not a little bit of radiation.

13 MR. ALMOND: But you really have a backup in your
14 room monitor.

15 DR. SIEGEL: Yes, but they don't require room
16 monitors.

17 MR. GLENN: Yes, we do. The licensing guide does
18 talk about the room monitor. There was some confusion as to
19 whether that was in place of the hand-held survey meter. We
20 have a legal interpretation that the regulation does in fact
21 require the personal survey with the survey meter.

22 Let me raise another issue. The question has come up
whether the room monitor is the right way or should we require

1 something like alarming rate dosimeters to be worn by
2 personnel. You might want to at some point give us some
3 suggestions along that line. We will probably come back to you
4 in the spring meeting with more specific proposals of the
5 staff.

6 DR. SIEGEL: Where is the room monitor requirement in
7 Part 35?

8 MR. GLENN: It's not. It's a part of a licensing
9 guide.

10 DR. SIEGEL: Part of what I meant with my first
11 bullet in the slide I prepared for you was in addition to you
12 making a specific point also right now subpart (g) really deals
13 mostly with brachytherapy before HDR was really conceived and
14 that subpart (g) needs to be reworked, or subpart (g)(1) needs
15 to be developed that specifically addresses HDR. I know you
16 agree with that because we have talked about that.

17 DR. FLYNN: Yes.

18 DR. SIEGEL: I'm proposing that we as an Advisory
19 Committee would in fact recommend that, that it not get lost in
20 a regulatory guide, because it's pretty important.

21 DR. FLYNN: Right. The point I was making is that
22 I've asked the officers of the Society of Brachytherapists and
I've talked to the President of Astro and I've talked to the

1 people in the ACR; I talked to the chairman of the Education
2 and Training for HDR. It's just unanimous opinion that there
3 is no problem in translating this Omnitron 2000 bulletin to all
4 HDR.

5 I think the only mistake that I made in giving the
6 NRC advice in trying to look at loopholes was whether the term
7 "appropriate staff" should be replaced by the medical radiation
8 physicist and the radiation oncology physician.

9 I think it should be, because I think some people are
10 going to try to do the loophole, saying that some 19-year-old
11 technician is the "appropriate staff" and I'm going to be in my
12 car driving, a half hour away.

13 DR. SIEGEL: That's why I put the second bullet on
14 the slide for you.

15 DR. FLYNN: The medical community in radiation
16 oncology is very embarrassed about this accident. They felt it
17 should not have happened; it's not representative of the
18 community; and that people who are doing this treatment need to
19 recognize their obligation to take specific steps.

20 With HDR you don't have time to react if you are a
21 half hour away. This is like someone doing brain surgery who
22 then decides during a critical part of the operation that it's
okay to leave to go somewhere else and let the intern do the

1 next five steps of the brain surgery. I think he cannot
2 delegate that responsibility to somebody else. I think it's
3 important that he has to be physically present there.

4 Let's say in another patient like this in some other
5 accident like Indiana. If the catheters are sewed to the
6 patient, sewed to their skin, sewed to their brain, the
7 technician is not trained to surgically remove with suture
8 removal kits the catheters if the source has broken off inside
9 the catheter. So the physician has to be there and we
10 shouldn't allow that to be bypassed.

11 DR. GRIEM: If this is being introduced into the
12 brain, should there be some sort of neurosurgical backup?

13 DR. FLYNN: I hope that the radiation oncologist
14 would be able to just cut the sutures and pull the catheters
15 out if he had to. I would have no trouble pulling the
16 catheters out.

17 DR. SIEGEL: Peter.

18 MR. ALMOND: I just wanted to comment on the general
19 thing. My state, which is an agreement state, has already
20 required that we submit to them quality assurance procedures
21 that include all of this, including room monitoring, including
22 surveying the patient, and including a whole list of procedures
that they wanted to see. They were on this very, very quickly.

1 DR. FLYNN: As a matter of fact, when Dick Cunningham
2 and John Glenn called me before I even left Boston to go to
3 Indiana we had made sure that we had a log book. We all of a
4 sudden had a log book within one hour, voluntarily doing all
5 these things to make sure the medical physicist did not let the
6 technician use the survey instrument. The physicist wanted to
7 do it every single time. Although the room monitor is checked
8 every day and it's not ignored, they felt it important.

9 DR. SIEGEL: Do you want to continue with the slide?

10 DR. FLYNN: I'm not sure about the adequacy of RSO
11 training, adequacy of medical physicist training, adequacy of
12 ancillary personnel training. That's going to be a major
13 issue.

14 DR. SIEGEL: If you will recall our discussion at the
15 last meeting under medical issues, I think there was consensus
16 on the Committee that not just anybody can be an RSO on any
17 type of license and that I shouldn't be an RSO on your
18 brachytherapy license and you probably shouldn't be an RSO on
19 my nuclear medicine license.

20 You don't necessarily have the training needed to
21 supervise me and vice versa, but a health physicist with the
22 right kind of experience could be an RSO for both of our
licenses. Particularly in the setting of brachytherapy and HDR

1 brachytherapy it's important that the RSO have the right kind
2 of background. Medical physicists right now are not currently
3 licensed.

4 MR. ALMOND: Except in the State of Texas.

5 DR. SIEGEL: Except in the State of Texas, and they
6 can be certified by the American Board of Radiology and the
7 nuclear medicine folks by the American Board of Science in
8 Nuclear Medicine. But here is a very important person in the
9 therapy team, also a professional, and much akin to the way you
10 are thinking potentially about the licensing of authorized
11 radiopharmacists, considering authorized physicists might be a
12 parallel professional licensing activity.

13 DR. FLYNN: I think too much reliance is placed on
14 the RSO. Let's say in terms of the Indiana, Pennsylvania,
15 accident. I think the key thing is that people who are on site
16 in the trenches, the radiation oncologist, the medical
17 radiation physicist, and the radiological technologist -- the
18 RSO has to make sure that the program is in place and the
19 program is being implemented and followed, but he's not going
20 to be the one on site to do the checks and to actually remove
21 the source if it breaks off. It has to be the radiation
22 oncologist together with the medical radiation physicist. The
RSO has to make sure that that program is in place and that

1 they are doing what they should be doing.

2 I think the key person in HDR is going to be the
3 physician and the physicist. Usually it's three. In our
4 institution it's the physicist, the physician and the
5 technologist, three people. It could be other people watching,
6 the resident and somebody else, but there are three people
7 minimum at the console during the treatment.

8 MR. ALMOND: I have to disagree a little bit with
9 what you said about the RSO, especially if it's a large
10 institution. One person is RSO. He should work, in our case,
11 through the isotope committee where the various users sit and
12 make sure that the program is working. I got the impression
13 what you said that you need an RSO in nuclear medicine and an
14 RSO in brachytherapy. Did I misunderstand you?

15 DR. SIEGEL: Maybe so. I am trying to recall the
16 focus of the discussion at the last meeting. It really came up
17 in the issue of relatively small licensees and what kind of
18 person could be the RSO in a very small entity licensee. In a
19 broad license institution an RSO is a person who directs a
20 staff of assistant RSOs and it's a full-time job, and needs to
21 be. It usually will end up being a health physicist who won't
22 be either a radiation oncologist or a nuclear medicine
physician but needs to be a little bit of a policeman, needs to

1 be a good health physicist, needs to know how to write letters
2 and manage a team and correspond with the NRC and correspond
3 with the EPA and the local and state authorities. It requires
4 a set of management skills that the average physician probably
5 would have trouble fulfilling in a big institution.

6 On the other hand, in a nuclear medicine office
7 practice the nuclear medicine solo practitioner can be his own
8 RSO essentially, and in fact is, because a radiation safety
9 committee isn't required under those circumstances.

10 DR. FLYNN: The RSO needs to know what has to be
11 done, without any question. He has to know all the details of
12 the HDR regulations, whatever they might be. For example, in
13 the second Pennsylvania accident it was the physicist who
14 removed the source from a catheter that was taped to the skin.
15 What happens if that was a patient where it was an interstitial
16 implant and the catheters were sutured to the skin? The
17 physicist wouldn't be feeling comfortable about doing something
18 invasive surgically.

19 DR. SIEGEL: Absolutely.

20 DR. FLYNN: I don't know. Was the physician there?

21 MR. PAPERIELLO: The physician was present at the
22 console.

DR. FLYNN: All right.

1 DR. GRIEM: Suppose it broke off in the esophagus?

2 MR. PAPERIELLO: The catheter could have been
3 immediately removed.

4 DR. FLYNN: It's inside the catheter.

5 MR. PAPERIELLO: This was an endobronchial treatment.
6 In other words, it's a closed catheter. It couldn't have
7 fallen out in the lung. As soon as the patient was moved out
8 of the room and surveyed the second time, the catheter was
9 immediately removed. But it still would have been a sizeable
10 exposure.

11 DR. GRIEM: I have a second question. Suppose this
12 high dose rate after-loader device is in a truck and it drives
13 around to 20 different hospitals. Should there be 20 licenses?
14 Should there be one RSO in the truck? How do you handle the
15 truck?

16 DR. SIEGEL: Are you asking me?

17 DR. GRIEM: No.

18 MR. GLENN: You are recommending that the physicist
19 and the doctor be physically present, right?

20 DR. GRIEM: In the truck.

21 MR. PAPERIELLO: Does anybody know whether this is
22 practical? I know this licensee that was involved at Indiana
tried it and discontinued it quickly. When you think about the

1 need to install the device in a shielded room and there is a
2 computer on one side of the wall and this thing on the other
3 side of the wall, is it practical?

4 DR. GRIEM: I sent the announcement which came in a
5 nice glossy package to NRC.

6 MR. GLENN: There is a manufacturer who is in fact
7 designing such a truck.

8 MR. ALMOND: But does the device stay in the truck?

9 MR. GLENN: Actually, there are two different
10 manufacturers and there are two different modalities. One, the
11 truck would be the shield and it would stay in the truck.

12 MR. ALMOND: I would empty the parking lot.

13 MR. CAMPER: We've had manufacturers come in to meet
14 with the staff to discuss this concept of mobile HDR. We know
15 that as we look at Part 35 and adjust it to deal with HDR in
16 general we're going to have to take a long hard look at what we
17 are going to do about the mobile, because it is in fact coming.

18 DR. FLYNN: Doesn't the Department of Transportation
19 regulate the transporting of source and the device that that
20 source is in?

21 MR. PAPERIELLO: But they would only worry about if
22 the device was involved in an accident.

DR. FLYNN: Suppose the truck crashed?

1 MR. PAPERIELLO: That happens all the time.
2 Radioactive material is moved in interstate commerce and there
3 are rules for that along with all other hazardous materials.

4 The issue in my mind is not whether you can move the
5 source safely. You certainly can do that. That's the easiest
6 part. The question is the quality assurance in a mobile
7 situation of assuring the patient gets the right dose and all
8 the health physics and the medical physics and things like that
9 that are done and not the issue of safely transporting it,
10 because the sources are shipped to the machines when they are
11 changed out.

12 MR. GLENN: The other point is that in fact
13 industrial radiography is using radium sources of 100 curies
14 plus routinely, and that can be dealt with. The use of the
15 machine is a different thing.

16 DR. SIEGEL: Can I propose a strategy, that we delete
17 bullets three through five from this slide and not really
18 address the training as an issue that we've adequately debated?

19
20 I think there is a sense that we all think that
21 especially for high dose rate brachytherapy that training is a
22 very important issue and that making sure the radiation safety
officer is fully up to speed on HDR is important, making sure

1 that the medical physicist has been trained is important, and
2 that the technologist and nurses, when we get into the low dose
3 rate situation -- I know you've made that point before -- are
4 important, but I think my sense is that these are things that
5 we should probably talk out at some length before we just
6 casually drop something on the Commission and then find the
7 staff requirements memorandum appearing a week later saying
8 develop rules for medical physicist licensure. I would like to
9 debate them in full at a subsequent Advisory Committee meeting.

10 DR. FLYNN: I agree with you.

11 MS. BROWN: Does that preclude bulleting them here?
12 This is our one opportunity to bring them to their attention.

13 DR. SIEGEL: No, it's not.

14 MS. BROWN: We talked about that last time.

15 DR. SIEGEL: We've kind of got a built-in annual
16 opportunity to talk to the Commission, at least in theory.

17 MS. BROWN: There seemed to be some urgency last time
18 when Dan was talking about it, like the sources that fell out
19 and the nurses.

20 DR. SIEGEL: I don't want to kill the slide. We
21 could even make this read "adequacy of training" and then just
22 have one bullet at the end and let Dan say a few words about
where he considers the gaps are.

1 By identifying specific people on the team right now
2 the implication is that we are in five minutes endorsing that
3 the NRC ought to be licensing all these people. We have on
4 previous discussions said that we didn't think it was
5 appropriate or necessary and we are willing to re-explore it,
6 but let's not do it in five minutes is what I'm proposing.

7 Dick, you had a comment?

8 MR. CUNNINGHAM: Yes. I think this was addressed in
9 much broader terms in the medical management plan that we are
10 developing, and that is both adequacy of training and
11 responsibilities. For example, there was a question whether
12 the authorized user physician should be responsible for
13 everything that happens in the nuclear medicine or radiation
14 oncology facility. Should that responsibility be more
15 explicitly defined with responsibilities for an RSO, a medical
16 physicist, and what have you, and coupled with their training?

17 This incident, the IIT evaluation gives us more
18 detailed knowledge of a particular procedure, namely,
19 brachytherapy. But I think this is a more broad question that
20 needs to be looked at. I think if you take three, four and
21 five bullets out and just note that this is part of a broader
22 issue that is going to be addressed by the staff and by the
Advisory Committee, it might be sufficient.

1 DR. FLYNN: Oncology radiology has a group working on
2 physics right now in terms of what is necessary for the
3 physicist in HDR. They had also credentials for physicians.
4 They are debating as to what the credentials should be for a
5 physician who performs HDR. Should he have a fellowship
6 training? Should he have specialized training? Is the low
7 dose rate brachytherapy training he had adequate enough? So
8 some of these issues are being debated by the professional
9 societies in terms of training.

10 In terms of the practitioners who are out there, who
11 are actually doing it, who have a license to do it, I think
12 certainly guidance should be provided by someone. I think it
13 has to be the NRC in terms of mandatory guidance and not just
14 the ACR.

15 I think maybe the right way to do that is in Reg
16 Guide 10.8. The weaker practitioners who meet the standards to
17 keep doing this but who may be in a gray area where their
18 training may not be as extensive as others, they may need some
19 more firm guidance as to what they should be doing. What
20 should the physicist be capable of doing? What should the RSO
21 be doing? What should the nursing staff be doing for
22 brachytherapy in terms of more firm guidance than some of the
practitioners have at present?

1 I think Reg Guide 10.8 could help them, because those
2 will cause, I think, weaker practices in terms of quality
3 assurance to become stronger.

4 When I've talked to RSOs, I've said the nurses are
5 afraid to go in the room. Why don't you tell them about time
6 distance shielding? The answer I got from one RSO is, well,
7 it's not in 10.8 and I don't have to.

8 They are going by 10.8 as if it's carved in stone,
9 and that's all they have to know, what the sources look like
10 and get people out of the room who shouldn't be there and keep
11 people in the room who should be there, the patient, and go
12 through a few steps and think that 10.8 is all that is
13 necessary, and because these weaker practices are using 10.8 in
14 such a serious fashion, then we had better make 10.8 stronger.

15 DR. SIEGEL: Judy.

16 MS. BROWN: I'm not sure this fits in here with this
17 slide, but I was concerned about how many times problems with
18 RSOs came up during the material that we viewed on the videos,
19 the Oncology Services Corporation where the guy didn't even
20 show up at one of the facilities for six or seven months, where
21 they are being bypassed by the different departments with
22 territoriality. Can we, should we, whatever, somehow address
the problem that seems to be pretty real out there, that RSOs

1 aren't able or responsible for what they are supposed to be
2 doing?

3 MR. CAMPER: The staff is in the early stages of
4 developing a NUREG on the duties and responsibilities of
5 radiation safety offices and how to properly conduct the
6 various types of audits. At some point in that process we
7 would bring that to the Committee for your input and thoughts
8 on it.

9 MS. BROWN: I remember someone made a comment to one
10 of the Commissioners who had stated that it's not going to be
11 fast enough, and I wonder if there is that same sense of
12 urgency here. I certainly felt it. If we are talking about a
13 number of years, whether we as a committee could help the
14 effort along instead of the staff asking us when its time comes
15 whether we make an issue of it and push it.

16 MR. PAPERIELLO: It goes beyond nuclear medicine. I
17 feel more akin to a radiation safety officer in terms of job
18 function than any of the other people that are involved in the
19 thing, because it's similar to what I do and have done over a
20 number of years. Somebody mentioned both management ability,
21 bit of a policeman and will. It's easy to write down technical
22 qualifications for an RSO. A medical physicist in most cases
may be technically very well qualified to be an RSO but not

1 qualified by inclination of will and management ability.

2 In the case of Oncology Services, the RSO was a
3 certified health physicist and in addition was certified by the
4 American Board of Radiology and the American Board of
5 Physicists in Medicine. He was an excellent medical physicist.
6 I don't think, and I give a very personal opinion here, that by
7 will he wanted to be RSO, which means playing cop to a certain
8 extent when you have all these satellite facilities. His
9 interest was medical physics, which is a different discipline
10 in terms of a goal than a radiation safety officer.

11 So there is more to it than just written academic
12 qualifications. It deals with personality and will. I come
13 from Chicago, so we use the word "clout," which is a factor.
14 We have had a number of problems over the years in universities
15 where the radiation safety officer didn't have clout. Where he
16 was put in the organization he could be very easily thwarted by
17 those above him. It's a complicated issue.

18 DR. SIEGEL: But is it your sense, Carl, that it is a
19 pervasive problem or it's a problem in occasional licensees?

20 MR. PAPERIELLO: It's occasional. It's not a
21 pervasive problem. And it's not just the RSO; it's the
22 institution. Sometimes they don't give the RSO the authority,
so they don't want the RSO to do his job. Not in reality.

1 They will usually be a cop. They don't want him to be a cop.
2 Or they just overload the person.

3 In other words, the RSO responsibilities are an
4 ancillary duty to a dozen other things the person has to do. A
5 manager of a branch office of a company that has a lot of
6 satellite facilities, not necessarily nuclear medicine, being
7 also the RSO may have economic goals that compete with his RSO
8 responsibilities and a lot of other things. I've seen that
9 happen. Not just in nuclear medicine. That is a problem.

10 DR. SIEGEL: He's doing it too. You keep saying
11 nuclear medicine.

12 MR. PAPERIELLO: I'm sorry.

13 DR. SIEGEL: I'm going to cure you of it, though.

14 MS. BROWN: Can you tell me, Larry, what is the time
15 table for this NUREG?

16 MR. CAMPER: To complete the entire process would
17 probably take us 12 to 24 months.

18 MS. BROWN: Does that include comment periods and
19 everything else, or just drafting it up?

20 MR. CAMPER: It wouldn't go through a comment period
21 per se. We have developed a task force that consists of
22 regional personnel and headquarters personnel. The task force
will meet late next month. We've also developed a charter that

1 we are going to use if we need to to get additional outside
2 contractual support from perhaps something like the Health
3 Physics Society or the American Association of Physicists in
4 Medicine. So it's a little bit different process than the
5 rule-making process.

6 As this whole thing unfolds, if we find that there
7 are RSO problems that we can specifically identify, be they
8 with HDR or be they with something else, we have mechanisms
9 available to us to get information out or to demand things of
10 licensees if need be in a prompt fashion.

11 The reason we are doing the NUREG is the very thing
12 that Dr. Paperiello is pointing out. Effective radiation
13 safety management in the medical institutions is a complex
14 problem. It is multifaceted, as Dr. Siegel has pointed out. I
15 believe that a lot of institutions and institutional management
16 really doesn't fully understand what we expect of RSOs.

17 The reason to put it into a NUREG is so that you have
18 a comprehensive document. We might, for example, talk about
19 what are some of the person power implications of radiation
20 safety staffs and different sizes of institutions, and who are
21 the best kinds of players to assist the RSO in their job; this
22 idea of the RSO having autonomy to carry out their
responsibilities and making it clear to institutional

1 management that you must do this.

2 It's a very complex thing and it will take some time.
3 But we will react if need be to specific issues.

4 MS. BROWN: I still think some kind of Band-Aid could
5 be applied in the interim before you overhaul the procedure,
6 because it seemed like a real problem, especially what they
7 were saying about within hospitals and universities with
8 competing departments. Disconnecting the RSO is one of the
9 terms used.

10 DR. SIEGEL: You are basing that on 20 years worth of
11 Plain Dealer reporting.

12 MS. BROWN: No, not the Plain Dealer at all. I'm
13 basing this on the Commissioners talking to the agreement
14 states and the staff report and the fact that you scratched
15 into the surface of this one situation which the IIT looked at
16 and you find out that the person hadn't been there for six or
17 seven months at one of the satellite facilities. I'm basing it
18 on that.

19 DR. SIEGEL: My sense, based on discussions that
20 we've had previously, is that that is not a major problem; that
21 is an occasional problem.

22 MS. BROWN: But you all come from great institutions.

DR. SIEGEL: It's not a problem at all at my

1 institution. I've got an RSO who is a policeman and he does a
2 great job, and he's also a good manager.

3 MS. BROWN: And you give him respect.

4 DR. SIEGEL: I'm saying the word "occasional" means
5 it's an occasional licensee who has that problem.

6 MR. ALMOND: It's clearly spelled out in Part 35 what
7 the RSO's responsibility is, the organizational structure, what
8 the radiation safety program should be. It's very clearly
9 spelled out here. It is a complex problem and it's really the
10 implementation of this which sometimes runs afoul because it
11 can get complex and it may get complex without you realizing
12 it, especially if you've got an expanding program. You do have
13 a mechanism for dealing with it.

14 MR. COLLINS: And it was not complied with in the
15 case where all these troubles occurred.

16 DR. GRIEM: Could Region I have spotted this coming
17 down the road like a train and saying, gee, I smell trouble,
18 there are 15 units out there, or whatever it is, and we had
19 better go and check up on them?

20 MR. PAPERIELLO: What happened was this. They did
21 the initial inspection after one facility where the RSO resided
22 and had his office got a unit. The staff there was
knowledgeable.

1 There was a possible clue in that they did not pursue
2 whether or not there was a written training program. But the
3 people were knowledgeable. We emphasize performance-based
4 inspections and the staff could perform. When they questioned
5 the six individuals who were involved in use of the device,
6 from the medical physicist to the user to the technicians, they
7 were knowledgeable. They knew it.

8 DR. FLYNN: But that was at one facility.

9 MR. PAPERIELLO: One facility.

10 Two months later the license was amended to add six
11 more facilities. Actually they added more but some of them
12 were in agreement states. They went out and bought nine or ten
13 Omnitron units and put them in all their facilities. That's
14 where the problem began.

15 Absent a strong formal system, there was no assurance
16 that at each of these various other facilities --they are not
17 really satellites; they are just separate facilities -- the
18 training and the knowledge base was the same as in the home
19 office. They were still developing procedures at the time of
20 the IIT. This is a year after they got the machines.

21 From my viewpoint as an NRC regulator it should have
22 been a flag to us. And it's not just in the medical area. It
can be in radiography. I've seen the problem years ago in

1 nuclear pharmacies. When a business like this mushrooms the
2 control problem is different. Where we should have intervened
3 as an agency is when that amendment was so significant it
4 should have been, in terms of the inspection program, looked on
5 as a new license, and it wasn't.

6 DR. GRIEM: You wouldn't do that with nuclear
7 reactors, would you?

8 MR. PAPERIELLO: We live at a nuclear reactor. It's
9 a different issue.

10 We talked earlier about the denominator. I don't
11 consider the RSO problem to be pervasive when you consider the
12 denominator, but when it occurs it is a common mode problem,
13 what I call a common mode problem. Now all kinds of things can
14 happen because a major protection that you have you've lost.
15 Particularly in a big institution where the RSO isn't
16 functioning, you can have a problem where somebody labels; you
17 can have a problem in radiation medicine; you can have a
18 problem in the biology department, in the physics department.
19 You have a lot of opportunities for bad things to happen by
20 just one person not performing their function, and couple it
21 with a radiation safety committee that doesn't do their audit
22 function.

DR. FLYNN: I think in this accident it doesn't take

1 another regulation for an RSO to realize internally within
2 himself that he had better do site visits, he had better make
3 sure that people are trained, and hopefully he has the capacity
4 to supply the NRC with accurate calculations as to dose.

5 I believe it's pretty clear that that has not
6 happened, including a month or two later and still unable to
7 provide accurate doses.

8 I am very concerned about either the competence or
9 the honesty of the data that is being reported by the licensee.
10 I think to blame it all on the fact that we don't have enough
11 regulations is not addressing the point in this particular
12 accident.

13 I think to have a coroner with no radiation training
14 whatsoever to go out on his own and obtain a medical physicist
15 and come up with much more accurate numbers in a matter of a
16 couple days than this big corporation can come up within a
17 couple of months is quite amazing.

18 DR. SIEGEL: Would it be safe to say, Dan, that what
19 you could address tomorrow is the Indiana, Pennsylvania, event
20 and as part of the reason for your speaking briefly would be to
21 say that questions are raised with respect to training of RSO,
22 medical physicists, ancillary personnel, and that we recognize
as a Committee that this is being looked at as part of the

1 medical issues paper and will want to participate in helping to
2 guide the NRC in that process?

3 We're not going to resolve this now. These are
4 pretty complex issues that we have talked at length about
5 before and probably will talk at length about again.

6 And, Judy, it's not a coverup. It's not a desire to
7 sabotage it.

8 MS. BROWN: I didn't infer that.

9 DR. SIEGEL: I know you didn't, but there is a limit
10 to how much we can logically accomplish today and also
11 logically accomplish in what is a limited period of time
12 briefing tomorrow.

13 MR. CAMPER: Just a footnote to that. At the
14 upcoming ACMUI meeting in May the agenda will be heavily laden
15 with brachytherapy and radiation therapy issues. So we will be
16 exploring this in a lot more detail.

17 DR. SIEGEL: Which leads Dan to his next slide.

18 What I am trying to do by way of your last two slides
19 is give you an opportunity to make on the record before the
20 Commission some of the recommendations you've already made as
21 part of your medical consultant's report.

22 DR. FLYNN: I'll do that.

DR. SIEGEL: Your recommendations are on the record

1 already. They are in the public document room or will be
2 eventually, but this gives you a chance to do it before the
3 Commission, to get some interplay and some questioning directly
4 from them. So do your thing.

5 DR. FLYNN: I think the medical consultant should be
6 a member of the IIT for serious misadministrations. The
7 appropriate medical consultant chosen, that is, for nuclear
8 medicine area problems, a nuclear medicine physician.

9 DR. SIEGEL: He means nuclear medicine.

10 DR. FLYNN: This time I mean nuclear medicine.

11 A nuclear pharmacist for nuclear pharmacy problems; a
12 radiation oncologist for radiation oncology problems. Not only
13 for the IIT, but for any of the misadministrations, I think to
14 make a judgment as to the probability of injury is not
15 necessarily an easy judgment to make. I think for that reason
16 it would be helpful to have the appropriate medical consultant
17 who is well matched to whatever the problem is.

18 MR. CAMPER: Did I hear you say for all
19 misadministrations?

20 DR. FLYNN: For all misadministrations. A medical
21 consultant does not have to fly on site. He may only have to
22 spend one or two hours reviewing a document.

DR. SIEGEL: I think that's what we are recommending.

1 DR. FLYNN: Right.

2 DR. SIEGEL: We are really saying that if you get a
3 misadministration report, you ought to fax it to the
4 appropriate medical consultant. He or she may need to go
5 somewhere and get help looking into the problem, but most of
6 the time it will be, yes, I agree that they said the right
7 things in that report.

8 DR. FLYNN: Right. In the future, depending on the
9 number of misadministrations, if necessary, if the economy
10 comes into play, one could use the medical visiting fellow
11 program as a filter. In that respect, I think that the medical
12 visiting fellow program should be balanced in terms that there
13 is a radiation oncologist as part of that program in addition
14 to a nuclear medicine physician.

15 On ACMUI membership my point with this is that it
16 should be balanced in terms of realizing that we all come from
17 different backgrounds. I think there should be equal
18 representation from nuclear medicine-related areas, which
19 includes nuclear pharmacy and cardiology, and radiation
20 oncology-related areas, which includes brachytherapy and
21 teletherapy and medical radiation physics.

22 Because some of these issues are really complex, I
think it requires more than one or two opinions to address

1 them. That's why I'm constantly making phone calls to
2 subcommittees in our medical societies to get additional advice
3 and guidance. I think since the therapy issues are obviously
4 of equal importance as the nuclear medicine issues that the
5 membership should be balanced right down the middle.

6 MS. BROWN: How big do you envision the committee
7 given that we are getting X number of new members? How many
8 new members?

9 MR. GLENN: Three.

10 MS. BROWN: How big do you think we would have to be
11 to balance it?

12 DR. FLYNN: I think that if the Committee becomes too
13 big it would be hard to manage. There are some individuals on
14 the Committee who I would classify as neutral. In other words,
15 not being either radiation oncology or nuclear medicine. The
16 FDA representative, the member representing the public, the
17 states representative as being so-called neutral, but as far as
18 the rest of us, there is a tilt right now of either two or
19 three more in nuclear medicine-related areas versus radiation
20 oncology areas.

21 DR. SIEGEL: I think I may have said this at a
22 meeting previously or in discussions with staff. As the
 Committee has evolved under its new role of meeting more

1 frequently, having a civilian chairman rather than an NRC staff
2 chairman, and we've had an opportunity to talk to the
3 Commission, we have evolved into trying to tackle issues of
4 policy rather than just tackling issues of technical advice.
5 If you will remember, discussion about whether the Committee
6 should be expanded at all were met with some concern because
7 how can we have consumer representatives if you want us to give
8 medical advice? That will just dilute the value of our advice.

9

10 In truth, there may be some correctness to that point
11 if we are giving technical advice only, but clearly not if we
12 are giving policy advice.

13 Even though this violates Clintonomics and the new
14 approach to reduction of advisory committees, I wonder if we
15 would want to consider having technical advice working groups
16 that would strictly deal with working out the nuts and bolts of
17 technical issues. Subcommittees, if you will, for
18 brachytherapy, radiation oncology issues. That might include
19 consultants who are not members of the Committee. Another
20 subcommittee that would deal specifically with nuclear
21 medicine-related technical issues, and then bring that back to
22 the whole Advisory Committee, let the members who are on the
subcommittee carry it to the whole Advisory Committee, and sort

1 of not use all 15 people to work through technical details, but
2 rather use all 15 people to look at technical details from a
3 policy point of view.

4 That's just a thought I throw out on the table for
5 Bob and Dick's digestion, for something you ought to think
6 about.

7 As we get bigger and bigger it's going to be harder
8 and harder to reach intelligent conclusions about technical
9 issues. When we talk about what a nuclear pharmacist is
10 supposed to do it probably is very boring to you, and when we
11 get into the nuts and bolts of HDR brachytherapy, I'm going to
12 listen politely, but it's going to be hard for me to express an
13 expert opinion. I can have an opinion as an expert in
14 radiation medicine but not as an expert in HDR. Judy, no
15 offense. You can't have an expert opinion at all.

16 MS. BROWN: We'd all be in real trouble.

17 DR. SIEGEL: But you can certainly have an important
18 policy perspective, and that's why you are on the Committee.

19 We may want to think about how the Committee operates
20 within the limits of your budget and how you want us to be
21 effective for you.

22 Bob.

MR. BERNERO: I would just say on the current

1 Clintonomics that we have no inclination at this time to reduce
2 this Committee.

3 MR. ALMOND: A couple of comments. Perhaps you've
4 already done this. There are within the professional and
5 scientific organizations a lot of the technical stuff being
6 worked on, and that is a resource this Committee has made use
7 of in the past and should make use of again.

8 With regard to bullet No. 1, I have nothing against
9 that, but I would like to see it say medical consultants and
10 other professionals where appropriate. I've been on the
11 physics ones and it's very clear that you at times need someone
12 on hand who can delve into the intricacies of computer programs
13 or whatever that is going on.

14 MR. PAPERIELLO: The IIT procedure does allow for
15 doing that. In fact, when you deal with nuclear power plants
16 we can bring in people from the vendors, GE, Westinghouse, and
17 from utilities and things like that. So there is no hesitation
18 to do that.

19 MR. ALMOND: I understand that. This is sort of a
20 statement from the Committee and I would like to see that just
21 a little broader than it is here.

22 MR. PAPERIELLO: I would make an observation, and I'm
talking about my region. We generally use medical consultants

1 for serious misadministrations. I want to say all of them, at
2 least therapeutic misadministrations, and we use medical
3 consultants for serious exposures. Not just medical exposures,
4 but rather occupational worker exposures.

5 DR. FLYNN: Having a physician on site at the time of
6 an accident, somehow there is a transfer of information that
7 occurs at that point in time from a physician to a physician.
8 I'm not saying why that should be as opposed from a physician
9 to a health physicist.

10 We are so used to in medicine transferring medical
11 records back and forth. It's just a common habit that the
12 radiation oncologist will turn over the entire records to me
13 before any of them become lost or misplaced. I can go to a
14 nursing home and all of a sudden the entire medical records are
15 turned over to me. They would not be turned over to a
16 physicist, whether it's a nuclear medicine IIT or whatever.
17 There is something that occurs when a physician is on site in
18 terms of being able to gather the information that would become
19 difficult if the physician wasn't there. The communication
20 occurs from a physician to a physician and you can somehow get
21 information that you wouldn't otherwise get very easily. At
22 least that's what my experience was in Pennsylvania before you
arrived.

1 DR. SIEGEL: Judy, did you have comment?.

2 MS. BROWN: Not on this slide. Are we done with this
3 slide?

4 DR. SIEGEL: I have a question. What's the
5 difference between an IIT and an AIT?

6 MR. PAPERIELLO: IIT is the highest level team. It's
7 headed usually by somebody like myself, somebody in the Senior
8 Executive Service. This was an unusual one. We used people
9 from the region. Usually you don't use people from the region,
10 and your charter goes beyond just what the licensee did wrong.
11 You also take a look at the whole waterfront.

12 DR. SIEGEL: Internal affairs, as it were.

13 MR. PAPERIELLO: Yes, in a sense.

14 I had to look at how the region in fact licensed and
15 inspected this facility as well as looking at the way the NRC
16 regulates HDR and that sort of thing. So the charter is
17 broader than an AIT.

18 MR. BERNERO: Barry, I would like to clarify that
19 even further. A regular inspection and an augmented inspection
20 team are relatively similar. They differ only in level of
21 effort and focus. The responsibility for investigation or
22 analysis rests still with the line organizations who are put in
place to do that work.

1 In the IIT the responsibility for inquiry is taken
2 away from the line organizations and put in a specially formed
3 organization with senior management and under the direct
4 control of the Executive Director. It's separated from me or
5 from Tom Murley, whoever is regulating that arena. That is
6 quite significant in order to have true independence.

7 DR. SIEGEL: Judy.

8 MS. BROWN: I have two concerns that I don't think we
9 have addressed and wondered if we should. One would be in
10 response to the Cleveland Plain Dealer article, and that's
11 about bad doctors moving to another state, which concerns me
12 greatly. I might go to someone and not know that he has messed
13 up.

14 DR. SIEGEL: It wasn't on our specific list of
15 questions.

16 MS. BROWN: The general heading "Response to Plain
17 Dealer Article," I thought that was a pretty big part of the
18 Plain Dealer series that this can happen.

19 MR. CAMPER: That is an interesting point, Judy. I
20 was struck by the fact that they said a physician can cause a
21 misadministration or be involved in a misadministration and
22 move on. It's unusual for the physician to cause the
misadministration. There are certain cases where a physician

1 will in fact be the one that actually performs the
2 misadministration, but more times than not it's someone working
3 under the supervision of the authorized physician user.

4 You may argue in some cases the authorized physician
5 user wasn't actively involved to the degree that they should
6 have been, and I think that does hold up in cases of iodine
7 radiation therapy.

8 MS. BROWN: Is there any kind of registry, some kind
9 of Better Business Bureau kind of thing I could check?

10 DR. FLYNN: Yes, there is. The way it is working now
11 in Massachusetts and many other states -- and Mel can speak to
12 this -- is that if Mel comes to Massachusetts to practice
13 radiation oncology, he puts in an application for licensure in
14 Massachusetts. Massachusetts asks him what other states has he
15 ever been practicing in. Massachusetts contacts every one of
16 those states, the boards of medicine, and they will now get a
17 history of, let's say, malpractice cases or various things,
18 like was he ever an impaired physician, on drugs, or whatever.
19 That happens now.

20 MS. BROWN: That's great, except I don't live in
21 Massachusetts.

22 DR. FLYNN: It's not just Massachusetts.

 MS. BROWN: What is it? How far?

1 DR. FLYNN: Credentialling is a big issue in medicine
2 now.

3 MR. COLLINS: It's outside the purview of the NRC or
4 any of the state radiation regulatory agencies. There is no
5 black list maintained by radiation regulatory agencies with
6 regard to their practice. It's totally medical boards that
7 track who does what kind of practice.

8 MS. BROWN: So it's up to the individual states.

9 DR. SIEGEL: As part of the hospital credentialling
10 process you are required to indicate whether you have ever been
11 convicted of a felony, indicted for some sort of malfeasance,
12 license been suspended.

13 MS. BROWN: How did I misread the article then? It
14 seemed that those questions were not asked.

15 DR. FLYNN: This is today, not back then.

16 DR. SIEGEL: Things have changed. The physician
17 credentialling process has gotten much more stringent.

18 The Plain Dealer folks are gone for me to holler at
19 them specifically. Their approach allows for no penance.
20 Their approach suggests that once you did something wrong you
21 are branded and you can't ever pay your duty and get back in
22 the business of recognizing the error of your ways and being a
doctor again.

1 MS. BROWN: I see your point, and that you could do
2 more training or something. As a consumer, I would like to
3 know between Dr. X and Dr. Y who may have been cited before,
4 because I'm going to choose Y. I'd like to know where I could
5 find that information. I don't think it should be impossible
6 for me to get.

7 DR. SIEGEL: Let's see what level you can find out
8 with respect to malpractice action at the moment in terms of
9 the national database.

10 MS. BROWN: Or any of the things. You mentioned the
11 criminal or the substance abuse.

12 DR. SIEGEL: Criminal records you can get, can't you?

13 MS. BROWN: I guess. I don't know. It seems
14 overwhelming.

15 DR. SIEGEL: I think that it is reasonable for the
16 NRC and the states to share information about actions but I do
17 think that the system has to have a built-in way for people to
18 have paid their penalty and then go on about their business.

19 MS. BROWN: You look at it that way and I look at it
20 as just hanging out a shingle in another state, not paying
21 their penalty and going about their business.

22 DR. SIEGEL: The Plain Dealer quotes the example of
Maynard Freeman who was a nuclear medicine physician at the

1 Heinz VA Hospital in Illinois who willfully covered up several
2 diagnostic misadministrations, which are events that in fact
3 resulted in the typical diagnostic misadministration effect,
4 namely, no harm, but likely for reasons of fear induced by NRC
5 regulations, he chose to cover them up. It would have been far
6 easier just to report them. It would have been a no-action
7 problem. He ended up having a felony conviction. He got his
8 penalty for the felony conviction. Does that mean he should
9 never practice medicine again? Does he have to be a truck
10 driver now?

11 MS. BROWN: No. It just means that if I had my
12 druthers and he's not the only doctor in town, I don't want to
13 go to him.

14 DR. SIEGEL: Maybe the Texas radiological health
15 authorities didn't know about it, but I find it hard to believe
16 how the State of Texas licensing authorities when he got his
17 medical license couldn't have known about it, because you are
18 required to say whether you have been convicted of a felony
19 when you apply for a medical license. If he didn't tell the
20 State of Texas, then he committed another felony.

21 DR. FLYNN: They don't turn down your license for
22 that reason. They ask you to explain it. They most likely
grant it if you have paid your dues, whatever that might be.

1 DR. SIEGEL: Judy, I don't disagree with your concept
2 and I think that sharing information is relevant within all the
3 limits imposed by the Privacy Act and all the other things.
4 I'm a little bit -- not a little bit. I'm a lot objecting to
5 the concept of radiation medicine physicians out there with
6 scarlet M's branded on their forehead that say
7 "misadministrators." I just think we need to strike the
8 balance somewhere.

9 MS. BROWN: I would agree on a balance.

10 DR. SIEGEL: Part of my response to reading those
11 things last night was, who finds investigative reporters when
12 they misadminister the news?

13 MS. BROWN: My last question was something that was
14 raised in the videos and I didn't think the staff response was
15 very clear, at least not to me. They said that one of four of
16 the patients that were involved in a misadministration in
17 Arizona, Good Samaritan Hospital, was not recorded as a
18 misadministration because the patient died. That was in the
19 agreement states video.

20 MR. BERNERO: I thought it said it wasn't reported to
21 the patient because the patient died. That's my recollection
22 of it.

MS. BROWN: That makes sense. I was pretty clear

1 that they were saying it wasn't reported as a misadministration
2 and that there was some kind of loophole or something.

3 MR. BERNERO: It's similar to the Indiana,
4 Pennsylvania, Mrs. Colvin. Of course her family was informed
5 through the whole process.

6 MS. BROWN: I'll look back on the video tonight.
7 Thanks.

8 MR. COLLINS: Do you want to strike that name from
9 the record?

10 MS. BROWN: It's everywhere.

11 DR. SIEGEL: It's in every newspaper in the United
12 States, unfortunately.

13 Bob.

14 MR. BERNERO: I would also like to register a concern
15 about the reporting of misadministration. I thought that was
16 the line of the question. The Tripler Army Hospital case I
17 believe to this day is technically not a misadministration
18 because the patient wasn't the one who suffered the maiming; it
19 was the child. We have problems with the definition of the
20 medical unit or the person.

21 DR. SIEGEL: If we want to spend the next half hour
22 on it, we can go through my notes here on the Plain Dealer
series. There were things that were, as I said earlier, just

1 bad prescriptions that resulted in injury that were not in fact
2 misadministrations. They made a lot of point about, gee, how
3 come the NRC didn't know about things that the NRC didn't know
4 about, but there was no requirement that you had be told about
5 some of those things.

6 The article loses sight of the fact that there were a
7 series of signal events each of which led to a signal
8 correction. There has been progressive progress in the
9 development of the program in response to the events.

10 MS. BROWN: That might be important to say tomorrow.

11 DR. SIEGEL: It has actually been said repetitively.
12 It was said in the staff policy document and it really is true.

13 MR. COLLINS: There is no news in what government
14 agencies or big business did right. That doesn't sell.
15 They're not going to print that part.

16 DR. GRIEM: Aren't there some other models, the
17 thalidomide thing, the DES proposition, and now we have the
18 cocaine babies? There is going to be a lot of this. There is
19 probably some model that will come out of the FDA or some
20 shared responsibilities that will say what you do with the
21 unborn child.

22 DR. SIEGEL: We have been through that.

Tomorrow. The briefing is at 9:00. Is there a sense

1 that each of you wants to say something specifically after I
2 and then Dan finish our little bits?

3 The only reason I singled out Dan was because of the
4 fact that he was on the IIT and had made some recommendations.

5 DR. FLYNN: My two slides will just take a couple of
6 minutes.

7 DR. SIEGEL: I am going to try to capture as many of
8 your dissenting points as I can.

9 MS. BROWN: I think that worked well the last time.
10 I'm happy with that. I don't need to speak directly unless
11 spoken to.

12 DR. FLYNN: Will the entire presentation be given,
13 which might take 20 minutes, and then questions? Or will it be
14 broken up?

15 DR. SIEGEL: The presentation will be given until one
16 of the Commissioners in fact asks a question, based on past
17 experience. I'm going to answer the questions as best I can.
18 I think to the extent that it's possible the presentation will
19 tie together better if we can give it and then answer
20 questions, but I have no control over that and I know better.

21 Did you have a comment, Bob?

22 MR. BERNERO: I was just going to say that as a
practical matter how it will work out. They tend to drive

1 dialogue during the presentation.

2 DR. SIEGEL: That's fine. I'll just have to try to
3 remember what it was I wanted to say, which is fine.

4 Any other comments or concerns?

5 [No response.]

6 DR. SIEGEL: Thanks for coming here on a snowy
7 Sunday.

8 MR. GLENN: I declare the meeting officially closed.

9 [Whereupon at 5:40 p.m. the meeting was adjourned.]

10

11

12

13

14

15

16

17

18

19

20

21

22