

1 in essence, of this burden of illness beyond the
2 actual reduction in incidence of herpes zoster cases.

3 What is your statistical test here that
4 you're using? Is it one that, in fact, exaggerates
5 emphasis of the right hand tail and how do you justify
6 that you have integrity of randomization since it
7 appears this is an initial analysis?

8 DR. SILBER: Sure. Okay. I would like to
9 call Dr. Chan, our biostatistician, to talk about the
10 statistical methodology here.

11 DR. CHAN: So the question is relating to
12 the supplementary analysis result, the severe duration
13 among zoster cases as shown in slide 52. First of
14 all, all the pre-specified analysis would support the
15 indication based on the intention-to-treat analysis.
16 So we did this pre-specified analysis just as a way to
17 quantify the -- a second component about the duration
18 of pain. And this, obviously, was done based on post-
19 randomization comparative --

20 DR. FLEMING: What's your test statistic?

21 DR. CHAN: The test is based on a normal
22 approximation stratified by age based on comparing the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 mean between the two groups. And in that comparison,
2 we also adjust for the age between the two groups.

3 DR. FLEMING: And how do you address the
4 fact that this is not based on all people? This is
5 based on a conditional sub-cohort of people that, in
6 fact, had diagnosis of HZ. Integrity of randomization
7 doesn't hold here. What's the validity of your P-
8 value?

9 DR. CHAN: That's true. So what we have
10 done is also done a couple of the sensitivity analyses
11 that, one, are based on the bootstrapping techniques
12 and that sort of doesn't take into account the
13 distribution of some things.

14 DR. FLEMING: Well, that doesn't address
15 the issue of integrity of randomization. Dan, did you
16 want to comment?

17 DR. SCHARFSTEIN: Do you have data showing
18 the --

19 CHAIRMAN OVERTURF: Please, identify
20 yourself and use the mike.

21 DR. SCHARFSTEIN: Sorry. Do you have data
22 presenting the demographic characteristics or health

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 status characteristics of these two groups?

2 DR. CHAN: Yes, we --

3 DR. SCHARFSTEIN: So you can present?

4 DR. CHAN: Yes. The question is whether
5 we have demographic data about the zoster cases and we
6 do.

7 CHAIRMAN OVERTURF: I'm going to ask that
8 we suspend discussion after he answers this question.
9 If you want to wait until this afternoon when we have
10 time to address it, that would be fine.

11 DR. SCHARFSTEIN: Yes.

12 DR. CHAN: Can we -- can I have slide
13 1015? This slide shows the demographic
14 characteristics by trimming group among the zoster
15 cases that developed. And as you can see, there is
16 slight imbalance in the age distribution between the
17 two vaccine groups. Apart from there, all the other
18 characteristics are very similar across the trimming
19 group. And one thing to know, obviously, the age
20 imbalance, actually what you can see is there are
21 about 61 percent of older individuals in the vaccine
22 group that have zoster compared to 48 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So that in effect, there is actually in
2 the comparison that was performed that is reflected in
3 the other slide that comparison takes into account the
4 age differential in there.

5 DR. SCHARFSTEIN: Are there other
6 clinically relevant factors that you are not including
7 on this slide that could explain differences between
8 populations?

9 DR. CHAN: The questions are there in the
10 clinical characteristics.

11 DR. SCHARFSTEIN: But relevant
12 characteristics you're not including on this slide
13 that could explain differences between these two
14 cohorts.

15 DR. CHAN: We haven't identified any other
16 characteristics that are different between the two
17 groups.

18 DR. SCHARFSTEIN: Can I just ask one more
19 important question about this? I'm sorry. You
20 considered cases as being evaluable or non-evaluable.
21 The rate at which you considered cases as being non-
22 evaluable was much higher in the ZOSTAVAX group as

1 opposed to the placebo arm. Can you explain?

2 DR. CHAN: Yes. For that question, I
3 would probably turn to Dr. Silber.

4 DR. SILBER: Now, this question relates to
5 the rate of non-evaluable or cases that were not found
6 to be evaluable cases of herpes zoster in the Shingles
7 Prevention Study. And I think that it would be useful
8 to turn quickly to slide 45, please. What one sees is
9 that the fraction of cases that turned out not to be
10 herpes zoster is the same in the two groups if one
11 uses as the denominator the entire population who
12 would come in with anything.

13 The ones who develop suspected herpes
14 zoster, in fact, were more likely to be in the placebo
15 group than in the vaccine group. So the fact that the
16 rate of non-evaluability is higher in the vaccine
17 group reflects the smaller denominator, because the
18 vaccine was, in fact, efficacious.

19 CHAIRMAN OVERTURF: I'm going to ask that
20 we go ahead and take a break, because we're a little
21 bit limited on time, I ask everybody to be back at 15
22 minutes after the hour for the FDA presentation.

1 Thank you.

2 (Whereupon, at 11:09 a.m. a recess until
3 11:24 a.m.)

4 CHAIRMAN OVERTURF: I would like to ask
5 the Committee Members to, please, take their seats,
6 members of the audience, sponsors, please. Dr. Rohan
7 will provide the FDA presentation.

8 DR. ROHAN: Good morning again. I would
9 like to just give you a brief overview of my
10 presentation. We will discuss the proposed
11 indication, a little bit about the introduction and
12 background behind this disease, the ZOSTAVAX Clinical
13 Development Program and particularly we will discuss
14 Protocol 004, the pivotal study and Protocol 009,
15 finish with a summary and then presentation of the
16 questions, which will be discussed later today.

17 I'm going to kind of skip over some of the
18 slides, Dr. Gutsch, Dr. Silber did a great job in
19 presenting a lot of the background, the indication as
20 well, and just point out that, you know, the very
21 serious problem with postherpetic neuralgia, the pain
22 that can be debilitating and it can last for months or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 a year or longer, particularly in the oldest age
2 cohorts and that pain control may often be inadequate
3 in those with the most severe cases and there may be
4 complications or side effects from the treatments as
5 well.

6 VARIVAX was licensed in 1995 and I might
7 be able to answer some of the questions here, but I
8 know that we have some CDC colleagues as well present
9 in the audience. By 2003, the United States had
10 achieved an 85 percent vaccination rate nationwide in
11 the population for whom this vaccine is recommended.
12 At the same time, CDC had been monitoring varicella
13 zoster virus disease and had seen a decrease of
14 primary varicella infections over that same period by,
15 approximately, 85 percent.

16 And I would like to point out that the
17 epidemiology of this disease may be changing and that
18 future adult populations, these young people that are
19 coming up that have had vaccination and we don't have
20 circulating varicella zoster vaccine, chicken pox, out
21 there, they may be relying on vaccination for
22 protection from primary VZV infection.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 In addition, the CDC has been interested
2 in rates of herpes zoster. Again, we discussed
3 earlier that there is a concern that if circulating
4 varicella zoster virus is not out there in the
5 community, we may see some impact on the HZ incidence
6 as well as its manifestations. We saw that from 1999
7 to 2003, age standardized rates for overall herpes
8 zoster have increased in the adult population and that
9 upward trends in both the crude and adjusted rates
10 were both statistically significant with P-values of
11 less than .001 in specifically the 25 to 44 year age
12 group and the 65 year and older age groups.

13 And this is from Dr. Yih and Dr. Seward,
14 who I believe is here today, too. This is just a
15 schematic you've seen before that older age groups
16 have higher rates. This is a bar graph of some of the
17 data that Merck presented earlier. I'm showing the
18 large number of elderly subjects with pain with
19 duration of at least one year or 6 to 12 months, 1 to
20 6 months and at least one month. And I would say
21 that, you know, this data is derived from a study that
22 was published, it was noted earlier, in 1957. And I

1 don't think you will see this high rate of pain with
2 long duration from the studies that we will be
3 discussing later.

4 This is an overview of the ZOSTAVAX
5 clinical development. As I mentioned, VARIVAX was
6 licensed in 1995. Lydick published an article in 1995
7 comparing subjective responses to area under the curve
8 based on the brief pain inventory measure. The
9 ZOSTAVAX IND was actually submitted in the fall of
10 1996. The last vaccination in the pivotal study
11 Protocol 004 was administered in September of 2003.

12 The last case of herpes zoster was accrued
13 in that pivotal study in the fall of 2003. We would
14 note that the PHN definition was changed from at least
15 30 days to at least 90 days in December of 2003.
16 Protocol 004 was completed in April of 2004. The
17 incidence of herpes zoster, the duration of herpes
18 zoster pain and the interference, significant
19 interference with activities of daily living were all
20 endpoints that were elevated from tertiary to
21 secondary endpoints and success criteria were provided
22 in June of '04.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Protocol 009 was completed in June of '04.
2 The publication validating the use of the HZ BOI
3 instrument was published in August of 2004. And in
4 April of this year, the ZOSTAVAX BLA was submitted.
5 This is a comparison of the dose ranges for VARIVAX,
6 which is licensed for primary infection versus
7 ZOSTAVAX. A summary of the clinical trials that are
8 included in the BLA and again the focus will be on the
9 pivotal study, 004. That's the study that has
10 efficacy data.

11 I can probably go through this quickly,
12 but the Committee can stop me if I'm going too quickly
13 to try to not be too redundant with the previous
14 speakers. The primary objectives were to reduce the
15 incidence and severity of herpes zoster in those at
16 least 60 years of age as measured by the BOI and to
17 reduce the incidence of PHN.

18 Secondary objectives included reduction in
19 the incidence of herpes zoster, reduction in the
20 duration of HZ pain and reduction in interference with
21 activities of daily living in subjects with HZ.

22 The ZBPI was published, as I mentioned, in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 2004. It was based on 121 subjects who were enrolled
2 within 14 days of the onset of their rash and showed
3 that the ZBPI severity duration associated with
4 severity duration as measured by ADLI and worsening of
5 quality of life measurements, also that a score of at
6 least 3 on a 10 point scale occurring at 90 days or
7 more after the HZ rash had high agreement with pain
8 worse than mild using a modification of the present
9 pain intensity scale taken from the McGill Pain
10 Questionnaire.

11 As you can see, there were nearly equal
12 randomization in the older and younger age cohorts.
13 Although, the majority in the older age cohorts were
14 in the younger range of that, 70 and above. There
15 were 12 clinical lots. Nine were accelerated-aged to
16 mimic end-expiry potency.

17 Pertinent exclusion criteria included any
18 subject that had more than intermittent use of topical
19 or inhaled corticosteroids, life-expectancy less than
20 five years, bed-ridden or homebound, cognitive
21 impairments or severe hearing loss and those two
22 latter criteria were not specifically defined and

1 weren't specifically measured or tested.

2 This is to point out that this study
3 employed the 12 clinical lots. When you entered the
4 study, you weren't necessarily randomized to one of
5 the 12 lots. They were ruled out in sort of a dose
6 de-escalation fashion, if you will. However, I would
7 point out that the group 2, 3 and 4, which each
8 include three of the clinical lots, these were the
9 accelerated-aged.

10 We don't really know how the parental lot
11 and the aged lot compare. So by saying that the
12 nominal potency was 50,000 to 62,000 PFUs in group 1
13 versus 21,000 to 26,000 in group 4, we don't know
14 whether the effect of what the parental lot was
15 measured at may have an impact, because these are
16 measuring live virus, but there is also a proportion
17 of no longer living virus in the lots.

18 You can also see that the follow-up time
19 is different. That the number of subjects enrolled in
20 each of these different sort of dose ranges are
21 different. The CMI was combined to the last group 3
22 and group 4. And there were different proportions of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 subjects in each of these groups across the dose
2 ranges enrolled into the Adverse Event Monitoring
3 Substudy as well.

4 We talked about the vaccine report card,
5 the automated telephone response system. We also
6 talked a little bit about the HZ rash onset, which
7 then triggered additional follow-up, immunogenicity
8 and other test instruments, particularly the IZIQ and
9 ZBPI, which measured the pain severity, which was used
10 to calculate the BOI.

11 We talked about the populations used and
12 analyses as well. I would point out that one of the
13 very nice aspects of the study is that all potential
14 HZ cases were evaluated by the Clinical Evaluation
15 Committee and then the analyses were compared using
16 these different populations and that the results were
17 very similar. So that subjects that were evaluated
18 and determined to have HZ by clinical laboratory
19 methods, PCR or culture, were also evaluated in a
20 blinded fashion by the CDC and the results were really
21 quite similar.

22 We can see that the groups were balanced

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 in terms of gender, race and age, although it says
2 previously mentioned, there are very few non-white
3 subjects in this group, in this study. There was a
4 high proportion of follow-up. Only 0.3 percent and
5 0.2 percent respectively of the subjects were lost to
6 follow-up at the end of an average of three years of
7 follow-up.

8 The PCR detected not only wild type VZV,
9 but it also could detect the Oka/Merck attenuated
10 strain in the vaccine and HSV. And as previously was
11 mentioned, no Oka/Merck attenuated strain was isolated
12 from any of the lesions in this study. You can see
13 that the majority of the cases that were determined to
14 be evaluable HZ were determined by PCR and very few by
15 viral culture and the remainder by the Adjudication
16 Committee.

17 The co-primary endpoint, co-primary is
18 used in the study not meaning as might be thought that
19 you would have to win on both endpoints. It's really
20 an alternative endpoint so that you could win on this
21 endpoint or you could win on the other co-primary
22 endpoint and the study would be declared a success.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There was a decrease in the HZ burden of
2 illness of 61.1 percent in the ZOSTAVAX group. There
3 was a decrease in incidence of PHN of 66.5 percent in
4 the ZOSTAVAX group. And as I pointed out, the
5 definition of PHN was changed after the last HZ case.
6 The secondary endpoints included a decrease in
7 incidence of HZ of 51.3 percent. And this endpoint
8 was elevated from a tertiary to a secondary endpoint
9 after the last HZ case was approved, but prior to
10 formal unblinding.

11 The duration of clinically significant
12 pain was found to be 20 days in the vaccine group. 22
13 days in the placebo. It was using clinical scores of
14 at least 3 on a 0-to-10 point pain scale. The P-value
15 was less than 0.001 in the MITT group overall. The P-
16 value was 0.041 in evaluable cases only. And again,
17 this was an endpoint that was a tertiary endpoint, but
18 was elevated to a secondary endpoint after the last
19 case of HZ, but prior to formal unblinding.

20 And this is a statement from the Clinical
21 Study Report and I think this to me sums up the
22 issues, the impact, all the endpoints. This is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 related to the secondary endpoint substantial
2 interference with activities of daily living or SADLI.
3 "Because Substantial ADLI can only occur among HZ
4 cases, the benefit of vaccination in reducing the
5 incidence of Substantial ADLI was confounded by the
6 benefit of vaccination in reducing HZ incidence."

7 As you can see, the rates were 36.2
8 percent in the ZOSTAVAX group versus 39.4 percent in
9 the placebo group with an 8.2 percent reduction beyond
10 the reduction in HZ incidence with a non-significant
11 P-value. And as I mentioned in the last slide, this
12 is the one endpoint that does not include the impact
13 of HZ incidence on the vaccine effect of this
14 endpoint. It was also elevated from tertiary to
15 secondary endpoint after the last HZ case was accrued.

16 The sponsor did a number of sensitivity
17 analyses and modeling very nice to develop more
18 information about something we don't know enough about
19 yet. And as you can see, the thing that stands out is
20 that age is the big factor in the severity-by-duration
21 scores. Obviously, analgesic use, but that's sort of
22 not considered causal and that the vaccine versus the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 placebo group had a significant P-value as well.

2 There was a question about
3 immunosuppression in the two groups. And as you can
4 see, they were fairly well-balanced as far as
5 difference, causes of potential immunosuppression. I
6 think if you look at the rates at the top, this is
7 sort of the flip side, the issue with looking at rates
8 when you already have a big difference in the
9 incidence of HZ. You have a higher rate of subjects
10 that are immunosuppressed getting zoster, herpes
11 zoster in the ZOSTAVAX group. It doesn't mean that
12 the vaccine is causing that, it's just that you have
13 fewer cases, so the rate is higher in this case, in
14 this group.

15 This is a table showing some analyses by
16 the sponsor looking at the efficacy for the major
17 endpoint of HZ BOI by year. And, obviously, up to the
18 first year, the subjects aren't randomized, but you
19 can see that there is a decrease in the differential
20 between the placebo and the ZOSTAVAX groups over time.
21 You can also see that after year three, year four,
22 half of the subjects are no longer in the population

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and the follow-up is maybe about half a year or a
2 little over half a year. And then in the fifth year,
3 there are even relatively fewer subjects and even
4 relatively less follow-up.

5 You can see with PHN again a decrease over
6 time in the comparison between the two groups for this
7 endpoint. And finally, you can see HZ efficacy, which
8 was the secondary endpoint, you see a decrease after
9 the first year, but then it seems to not be dropping,
10 just from a non-statistician's perspective, as much as
11 the others.

12 This is a somewhat truncated summary of
13 the mean worst pain in both of the groups over time.
14 And you can see that the numbers are fairly similar.
15 On day one, the rash onset there are not too many
16 subjects in that group relative to the other time
17 points. Most people were seen relatively rapidly
18 after the onset of their rash. But you can see that
19 day 2, 3, 4, 5, 9 to 11, week 4, 6, 8, 12, 16 and 26,
20 there are not huge differences on that 10 point pain
21 scale.

22 This is looking at the effect of age on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 efficacy. We can see that there is some decrease in
2 the 70 and above age group in terms of the BOI
3 endpoint. The incidence of PHN is similar, but the
4 incidence of HZ appears much lower in the group that's
5 70 years of age and older.

6 FDA did a number of exploratory analyses.
7 We wanted to try to see if we could understand further
8 this difference in the incidence of HZ efficacy
9 endpoint. And this is exploratory and the numbers as
10 you get into the oldest ranges are very small, but you
11 see a consistent decrease, a trend towards decreasing
12 efficacy as you increase age.

13 We also looked at the major endpoints
14 looking at the impact of the vaccine beyond its affect
15 on the incidence of herpes zoster. The median HZ BOI
16 score among the HZ cases were fairly similar and
17 didn't appear to be statistically significant. The
18 percent of HZ cases with PHN slightly higher in the
19 placebo group, but did not appear significant. And
20 the duration of clinically significant pain did not
21 appear significant, either.

22 This is a graph looking at the rates of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the BOI among the HZ cases, not the rates, excuse me.
2 And you can see that among cases those in each --
3 instead of looking at numbers, you are looking at
4 proportions of subjects with HZ among each of the
5 treatment arms and they look relatively similar.

6 Comparison of the BOI between a vaccine
7 and placebo group, I would like to point out that the
8 median HZ BOI among the HZ cases is very similar and
9 not significant. And using a variety of approaches,
10 the comparison of the BOI between the placebo and
11 ZOSTAVAX group among the HZ cases, except for the Log-
12 Rank, the age-adjusted P-value, the other P-values
13 don't appear significant.

14 Comparison of the PHN incidence between
15 the vaccine and placebo groups, the percent of PHN
16 among HZ cases 8.57, 12.5, this was presented in an
17 earlier slide. And this is looking at the
18 distribution of the BOI scores between the placebo
19 group and the ZOSTAVAX group. To get an idea, very
20 few people had high scores. Very few people had
21 scores, you know, as time went on. Most of the cases
22 resolved. So, you know, when you are looking at 90 or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 120 days, you are looking at a relatively small
2 proportion of subjects.

3 Comparison of the BOI among the PHN cases,
4 you can see that the median HZ BOI among the PHN cases
5 again is not that different and it's not statistically
6 significant. Comparison of the BOI between the
7 placebo and ZOSTAVAX groups among the PHN cases using
8 a variety of approaches, the P-values, even age-
9 adjusted, don't appear significant.

10 Now, I would like to switch gears and look
11 at the immunogenicity. There was a lot of very
12 interesting data. The sponsor used three different
13 assays, but it didn't seem the Responder Cell
14 Frequency or the gamma interferon ELISPOT provided any
15 additional data, at least at this point, compared to
16 the gpELISA. So I'm going to focus and limit my
17 comments to the gpELISA data.

18 Here we can see the various -- these are
19 the clinical lots that were -- then the data were
20 pooled between two of each of these lots to represent
21 the parental lot from which these age lots were
22 derived. Then the efficacy data from the paired lots

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 were then combined and then compared pair-wise
2 representing the three parental lots for the lot
3 consistency.

4 No differences were seen. There were
5 based on clinical efficacy endpoints. As well, this
6 was not part of the endpoint analysis, but you can see
7 that the geometric mean fold rises were similar
8 between these accelerated-aged lots.

9 Looking at the gpELISA by HZ status, you
10 can see that in people that developed HZ, whether you
11 are in the ZOSTAVAX group or the placebo, the GMTs at
12 6 weeks were much lower than the people who did not
13 develop HZ. Even among the placebos there is a
14 difference in the gpELISA levels. Looking at the
15 geometric mean fold rise from day 0 to week 6, you can
16 see that there is a 1.7 GMFR in the ZOSTAVAX group in
17 those subjects who didn't develop HZ and that that's
18 much different than the GMFR seen in people that went
19 on to develop HZ.

20 We then wanted to look at the GMTs that
21 were observed and look at the risk of HZ by 6 week
22 gpELISA titer. And as you can see, once you reach

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 about 400, there are very few cases. There are three.
2 I did go back and look at those three cases and at the
3 end of the study they were not considered to have been
4 immunocompromised. During the study there were no
5 adverse events reported with these subjects. So there
6 wasn't any particular explanation, but it looks like
7 the majority of the cases are occurring about that
8 level, on the chart below as far as in terms of the
9 quantitation of the gpELISA titers.

10 There were no clear differences in the
11 rates of the various reported complication among the
12 HZ cases in the treatment groups. Again, when you
13 account for the difference in the incidence of HZ.
14 Also, there didn't appear to be any HZ association
15 with immunosuppression differentially seen between the
16 two treatment groups. The absolute numbers were
17 similar. And as was previously mentioned, there were
18 three subjects, two placebo and one ZOSTAVAX, who
19 developed two cases each of herpes zoster, evaluable
20 cases, but data from the first case, data were used in
21 the analysis.

22 Comparing the immune response in terms of

1 gpELISA in subjects who were vaccinated to people who
2 developed HZ on study, whether they received ZOSTAVAX
3 or placebo, if you look, the GMT 6 weeks after
4 vaccination in the ZOSTAVAX recipients compared to the
5 GMTs seen 6 weeks after their onset of herpes zoster
6 rash in the two groups are quite different, as well as
7 the GMFR.

8 The safety follow-up was quite a huge
9 undertaking in such a large group. Most of the
10 subjects were randomized and that were randomized to
11 the two treatments were followed in the Routine
12 Monitoring Cohort. However, a subset were in the
13 Adverse Event Monitoring Substudy. As well, the CMI
14 Substudy, this is really the immunogenicity subgroup,
15 they were a subgroup of the routine monitoring. So
16 you couldn't be in both. They were separate.

17 The Adverse Event Substudy, I have to
18 watch where I'm leaning, involved 6,600 subjects who
19 used vaccine report cards, which specifically queried
20 for solicited adverse events on day 0 to 4,
21 specifically queried for temperature day 0 to 21 and
22 allowed for subjects to report other complaints on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that vaccine report card.

2 The automated telephone response system,
3 which was to be conducted on or around day 42,
4 specifically queried for the occurrence of rash, any
5 unusual reactions, hospitalizations, disability, life-
6 threatening events, new diagnoses of cancer, overdose
7 of any medication. ATRS follow-up was conducted
8 monthly for surveillance of suspected HZ and in the AE
9 Monitoring Substudy for hospitalization.

10 In addition, investigators could review
11 available medical records on or around day 42 to look
12 for other information related to adverse events or
13 possible herpes zoster.

14 The Routine Monitoring Cohort, which is
15 the remainder of the study, basically relied on the
16 ATRS monitoring for 42 day safety follow-up, the same
17 questions asked as I have mentioned before, available
18 medical records could be reviewed for adverse events
19 or herpes zoster, and otherwise the safety monitoring
20 in this cohort was basically passive and, as I
21 mentioned before, the monthly ATRS monitor for HZ in
22 this group and monitor for HZ and for hospitalizations

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the AE Monitoring Subgroup.

2 Looking at the day 42 ATRS data set that
3 was submitted to us, we note that there were 38,546
4 subjects enrolled. However, there are only 25,613
5 subjects accounted for in the day 42 ATRS subsets. 66
6 percent of the subjects. We saw that there were 55
7 percent of the total cohort who called in or either
8 called or called the ATRS as was planned by the
9 protocol. We also see that an additional 11 percent
10 of the total study population had data answered by the
11 staff for the subjects into the ATRS system.

12 We also noted that only 9 percent of the
13 subjects from the AE Monitoring Cohort had data
14 included in this database. And in addition, although
15 subjects were to have a report on or around day 42,
16 there are subjects, there are 1,240 additional reports
17 for subjects that already have data in this data set
18 and the data were entered sometimes one, two, three
19 years after the initial report.

20 In looking at the source and time course
21 of reporting, you can see that most subjects called in
22 on or around day 42 and that their reporting rates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 dropped over time. After this point, we have been
2 told that subjects -- the system was disconnected or
3 unplugged and so subjects couldn't call in. You can
4 see also that staff were calling for the subjects over
5 time and that after this point, over 4,600 subjects
6 are having data entered by the staff. This can go out
7 two and three years afterwards for the day 42 safety
8 reporting. In addition, you can see that some of
9 these, not very many, are entered well before day 42.

10 This is a table showing the AE rates based
11 on the vaccine report card monitoring. You can see
12 that temperatures didn't seem to be wildly different
13 between the two groups as far as high temperatures or
14 even feeling like your temperature was abnormal, even
15 if the documented temperature was less.

16 The statistically significant differences
17 were seen in erythema, pain and tenderness and
18 swelling. And I would point out those were three
19 things that were specifically queried for on the
20 vaccine report card. All had P-value for the
21 difference of less than 0.001. Regarding unsolicited
22 adverse events, I would note that there was a higher

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rate of pruritus in the ZOSTAVAX group and some
2 increase in reporting of warmth.

3 This is looking at systemic adverse events
4 reported in the AE Monitoring Substudy between 0 and
5 42 days. And you can see that in the different body
6 systems, they are fairly similar. Now, looking at
7 serious adverse events, in the Routine Monitoring
8 Cohort, the group that was more passively monitored,
9 the large portion of the study subjects, there is a
10 slightly higher rate of SAEs reported in the placebo
11 versus the ZOSTAVAX group.

12 If you look in the Adverse Event
13 Monitoring Substudy, there is a higher rate of serious
14 adverse events reported in ZOSTAVAX versus placebo.
15 And although this difference is not as marked in the
16 younger group, it is even more notable in the older
17 age group. The rate of deaths reported in the first
18 42 days were similar between the two groups.

19 These are the report causes of death.
20 Obviously, things could be coded in multiple ways, so
21 something that was coded, reported to us as a
22 myocardial infarction sometimes it would also say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 heart arrest or cardiovascular disease. So these are
2 sort of in a hierarchial, if you will, exploratory,
3 obviously for safety. There were similar rates of
4 serious adverse events resulting in death in the first
5 42 days. The cardiovascular causes appear fairly
6 similar.

7 So we didn't really see a difference as
8 far as cause. I know that was a question that had
9 come up earlier. But I would note that of the deaths
10 that are reported, 26 of these are coming from the
11 Routine Monitoring Cohort. They were more intensively
12 monitored in that first 42 days, but not afterwards.
13 We also had a question about hospitalization and you
14 can see that the overall rate of hospitalization was
15 similar and didn't seem to be a huge difference in the
16 rate for HZ-related causes as well.

17 Deaths overall for the entire study period
18 appeared similar in both age cohorts and overall.
19 There is no information that has been submitted to
20 date on the proportion of subjects with ATRS contact
21 at each month overall by group and by site. And this
22 is important in terms of safety follow-up, because the

1 AE Monitoring Cohort were being queried for
2 hospitalizations. Also, "Due to the passive and
3 inconsistent nature of the safety data collection in
4 the Routine Monitoring Cohort from day 43 through the
5 study end, caution should be exercised when
6 interpreting these particular data."

7 Looking at the AEs that occurred at rates
8 of at least 1 percent in either group from day 43 to
9 study end, again we don't see huge differences in all
10 the various body systems that were being monitored.

11 And now, I'm going to have a few comments
12 on Protocol 009. The objectives, comparison of the
13 safety and tolerability of a higher potency ZOSTAVAX
14 vaccine with that of a lower potency dose. And also,
15 that among adults 50 years of age and older, the
16 higher potency ZOSTAVAX will be generally well-
17 tolerated as compared to the lower potency of
18 ZOSTAVAX. And I think most of this was already
19 reviewed.

20 The endpoints were, the primary endpoints
21 included the difference between the higher and the
22 lower potency vaccine groups in the risk of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 investigator-determined serious adverse experiences
2 occurring up through day 42, postvaccination, and the
3 other primary endpoint is that the upper bound of the
4 95 percent confidence interval for the incidence rate
5 of moderate or severe injection-site pain, tenderness,
6 soreness or swelling, composite endpoint of those
7 events occurring on days 1 through 5 postvaccination
8 would be higher -- in the higher potency vaccine group
9 would be less than 21.5 percent. And this is based on
10 the historical rate reported for PNEUMOVAX²³.

11 Secondary endpoints included monitoring
12 varicella, varicella-like rashes, HZ and HZ-like
13 rashes and fevers as well. The primary endpoints,
14 there were no investigator-determined vaccine-related
15 serious adverse events. The rate of the composite
16 local adverse events in high potency group was 17.2
17 percent. The upper bound being 21.0, which met the
18 pre-specified criteria that it be less than 21.5
19 percent.

20 The secondary endpoints, there were no
21 occurrences of varicella or varicella-like rashes.
22 The zoster or zosteriform rashes were similar in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 two groups and temperatures were similar as well.
2 These are a listing of the serious adverse events that
3 were reported day 0 to 42. You can see that there was
4 one in the low potency group. There were four in the
5 high potency group. And these two were in the lower
6 age cohort. These two were in the 60 and above age
7 cohort.

8 There were no deaths reported in comparing
9 the injection-site reactions based on the composite
10 endpoint. You can see that there was a higher rate in
11 the high potency group, not surprisingly. And also
12 that higher rates of injection-site reactions were
13 seen in the younger cohort compared to the older
14 cohort, but this was particularly notable in the
15 higher potency vaccine comparing the two age ranges.

16 So in summary, the ZOSTAVAX issues and
17 summary of the data. Reduction in HZ incidence is 51
18 percent in relatively healthy adults age 60 years and
19 older, postvaccination. 64 percent in those 60 to 69
20 and 38 percent in those 70 years and older. There is
21 a reduction in the PHN incidence of 67 percent at 90
22 days following HZ rash onset. There is a reduction in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the HZ BOI score of 61 percent over the six month
2 period following HZ rash. And the effect on PHN
3 incidence and BOI appear relatively small after
4 accounting for the affect of the vaccine on the
5 incidence of HZ.

6 In persons with HZ, there is no clear
7 correlations seen between the reduction of BOI scores
8 and measures of clinical benefit. For example, things
9 like mortality, serious morbidity, hospitalization,
10 use of pain and medication of or interference with
11 activities of daily living. In the completeness of
12 the safety, the ATRS and study termination follow-up
13 is unclear at this point.

14 And age appears to be the strongest factor
15 in determining vaccine effect and in an exploratory
16 analysis, efficacy appears minimal starting in around
17 the 75 years of age and older, which I would suspect
18 would be the age group with potentially the largest
19 burden of disease as far as prolonged and severe pain.

20 The relative increase in the rate of
21 serious adverse events seen between day 0 and 42 in
22 the AE Monitoring Substudy was most notable in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 subjects age 70 and above. However, there was no
2 specific pattern of serious adverse events seen.
3 Exclusion criteria, those not expected to live at
4 least five more years, not ambulatory, chronic use of
5 corticosteroid use, cognitive impairment, make it
6 difficult to draw conclusions as to the
7 generalizability of Protocol 004 efficacy and safety
8 analyses to a typical population aged 60 years and
9 older.

10 Protocol 009, this includes a younger
11 cohort of subjects 50 to 59 years of age, but there is
12 no comparison of the older age strata to previous age
13 groups based on ZOSTAVAX studies, particularly the
14 pivotal study. The vaccine dose is four times higher
15 than any previously studied, but again there is no
16 comparison or bridging to the previous ZOSTAVAX
17 studies.

18 The clinical relevance of the study
19 endpoints that were chosen, the primary endpoints are
20 not clear. And I wanted to also acknowledge there are
21 too many people to thank that have made this project
22 what it is, but I would like to specifically thank Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Ahnn, the statistician, Dr. Pratt, Dr. Finn, who I
2 wouldn't be here without their help, Dr. Goldenthal
3 and Captain Matrakas.

4 So I would like to go back to the
5 questions for the Committee to reiterate from earlier
6 today. Are the available data adequate to support the
7 efficacy of ZOSTAVAX when administered to individuals
8 50 years of age and older in preventing herpes zoster;
9 in preventing postherpetic neuralgia; preventing
10 postherpetic neuralgia beyond the effect of the
11 prevention of herpes zoster; decreasing the burden of
12 illness; decreasing the burden of illness beyond the
13 effect on the prevention of herpes zoster? And, if
14 not, what additional information should be provided?

15 Are the available data adequate to support
16 the safety of ZOSTAVAX when administered to
17 individuals 50 years of age and older? If not, what
18 additional information should be provided?

19 And finally, please, identify any issues
20 which you feel should be addressed, including post-
21 licensure studies and, in particular, please, address
22 the use of the vaccine in persons with co-morbid

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 conditions. For example, those who might typically
2 reside in assisted residences, excuse me, assisted
3 living residences and nursing homes; use of the
4 vaccine among persons taking chronic immunosuppressive
5 therapies including corticosteroids; use of the
6 vaccine in certain subsets of the sponsor's proposed
7 age indication, for example, those 70 years of age and
8 older, those 80 years of age and older; the duration
9 of immunity and the sponsor's proposed
10 pharmacovigilance plan, which we really didn't discuss
11 in our presentations, but we could expand on during
12 the discussion this afternoon.

13 So that concludes my presentation. Thank
14 you.

15 CHAIRMAN OVERTURF: Are there questions
16 from the Committee Members for Dr. Rohan at this time?
17 Yes?

18 DR. SCHARFSTEIN: The follow-up of the
19 pain data says that it's measured day zero through 182
20 after the occurrence of the rash. How was that data
21 collected and can you comment on the completeness of
22 those data?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ROHAN: Well, the sponsors might want
2 to answer that.

3 DR. SCHARFSTEIN: Yes.

4 DR. ROHAN: Because they probably would be
5 able to do a better job, but subjects, once they had
6 suspected HZ, were seen. And, as I pointed out, Dr.
7 Oxman and his colleagues did a phenomenal job in the
8 surveillance, evaluation, treatment, determination of
9 the HZ cases. So within usually a couple days within
10 the onset of the rash, subjects were seen and that's
11 like two or three days a lot of the subjects were
12 seen.

13 Then they were followed. There were a
14 couple, maybe about every several days, there were
15 some windows for the time points that they were asked
16 again and again how is your pain? You know, there
17 were a number of instruments that were involved. I
18 think in my briefing document I have a list of those.

19 But then they went out after the first
20 week or two to weekly evaluations for several months,
21 and then they went to monthly evaluations. If that's
22 -- you know, if people had pain, they continued to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have weekly follow-up.

2 After day 30 if their scores fell below 3
3 at two consecutive time points, then they weren't
4 followed again, but they did then have the monthly
5 follow-up so that should they have pain that increased
6 or recurred or occurred, then they would be captured
7 in the area under the curve with those monthly time
8 points and again go back to the weekly follow-up to
9 capture the full burden of the disease.

10 CHAIRMAN OVERTURF: Dr. Royal?

11 MEMBER ROYAL: I also have a question
12 concerning the pain data. It was said earlier that
13 significant postherpetic pain was that which was
14 scored as 3 or worse on the pain scale. And going
15 back to the BOI data, if you calculate those numbers
16 using just those patients who had that severity pain
17 or worse, what do your comparisons show?

18 Also, it was stated that the frequency of
19 neurologic complications was decreased in the
20 immunized group. And could you speak to whether that
21 -- what the complications were that were seen and
22 whether there was an even decrease across that list of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 complications or whether some were lower than others
2 within the vaccinated group?

3 DR. ROHAN: I don't know if the sponsor
4 wants to answer the question about the neurologic
5 complications?

6 DR. SILBER: Yes, one point of
7 clarification. The surest way to prevent a
8 complication of herpes zoster is not to get herpes
9 zoster. And so I think our presentation and Dr.
10 Rohan's presentation basically said the same thing in
11 two different ways in that there was, indeed, across
12 the population a reduction in the neurologic and the
13 other complications of herpes zoster.

14 What Dr. Rohan was presenting was that
15 once the herpes zoster developed, the fraction of
16 cases that went on to develop the neurologic
17 complications was neither higher nor lower. And so
18 the reduction in complications is from the reduction
19 in the case outright.

20 DR. ROHAN: You had a second, actually
21 your first question. Could you repeat that?

22 MEMBER ROYAL: It concerns the group of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients with significant postherpetic neuralgia with
2 pain scores of 3 or better. When one does the
3 severity duration calculations and compares the two
4 groups, do you see the same, especially if you take
5 away the most severe pain duration scores?

6 DR. ROHAN: When you're saying when you
7 take away the most severe pain duration scores, can
8 you clarify?

9 MEMBER ROYAL: Well, a bar chart was shown
10 of those individuals who had the most severe with the
11 highest pain duration scores. If you take that group
12 away and look at those who are left who had
13 significant postherpetic neuralgia, do you see a
14 difference between the treated and placebo groups?

15 DR. ROHAN: I don't know if a subset
16 analysis, if you will, was done looking at different
17 ranges of pain.

18 DR. AHNN: I think he is mentioning page
19 47.

20 DR. ROHAN: Slide 47?

21 DR. AHNN: Yes, slide 47. Yes, that's
22 just the comparison of the distribution of area under

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the curve only among the PHN cases. So it's -- the
2 comparison is in a non-random subgroup, so it's hard
3 to make any conclusion statistically, but it's just
4 for the exploratory purpose that we just want to show
5 the distribution of the area under the curve.

6 MEMBER ROYAL: Right, but --

7 DR. AHNN: Between the two groups.

8 MEMBER ROYAL: Theoretically, those values
9 could represent individuals with low pain scores and
10 long severity duration, so it represents a mixture.

11 DR. FLEMING: Can I comment on that,
12 because I think this is an important point that I
13 wanted to pursue as well, and I would like to walk
14 through a few slides in progression to amplify this
15 point.

16 If we start with slide 45, what we're
17 looking at here is an alternative attempt from what
18 the sponsor presents to get a sense about whether,
19 given that you have an HZ case, is there a difference
20 in the BOI? So is there a difference in severity?
21 And while the sponsor had a P-value slightly below 01,
22 these P-values predominantly are showing little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 association or a fairly comparable balance.

2 Whenever you see a Log-Rank P-value lower
3 than the other P-value, it's suggesting if there's a
4 difference it's in the right hand tail, these are
5 importantly rank-based analyses where the sponsor had
6 a parametric analysis that is going to be heavily
7 sensitive to this difference in the right hand tail.

8 So if you go to slide 47, what we're
9 seeing is the difference between the FDA analysis
10 showing really no meaningful difference in
11 distribution and the sponsor claiming that there is,
12 I suspect is driven by these cases here in the right
13 hand tail.

14 And I suspect that it's an artifact or
15 it's a result somewhat of the definition, because the
16 definition if someone is 3 versus 2, but the 3 is only
17 counted for -- the 2 for 30 days and the 3 for 180
18 days, then it's really giving the 2 versus 3, not a 3
19 to 2 weighting, but a 10 to 1 weighting. So my read
20 on this is that the BOI really becomes tantamount to
21 is there a difference in the fraction of people who
22 have sustained level 3 or higher and not something

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more general than that.

2 So if you go ahead to slide 40, the
3 question is is there a difference in the message in
4 BOI from the message in HZ, and the answer is at least
5 in part. When you're below age 70 where more than
6 half the patients were, there is no difference, but
7 there is a suggestion that there may be, in fact, more
8 benefit than just prevention of HZ when you're looking
9 at those people above age 70.

10 Then if you could go to slide 41, what
11 we're seeing here is there is strong evidence that
12 there is an age effect of HZ. It dwindles as you get
13 older. Now, to the extent that the BOI data are
14 interpretable, and it's complicated by this oddity and
15 the way BOI is calculated, but if you put any
16 interpretation on it, it seems to me it's in there.
17 Beyond preventing HZ, is there some added evidence
18 that the most severe prolonged cases are occurring
19 less frequently?

20 If you put that interpretation on from the
21 analysis on page 40, if the answer is no, not at all
22 in the age 59 to 69 categories, but above age 70

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 maybe, so could we go back to 41, could someone
2 produce this slide for the BOI by age? If we go back
3 a slide, you have pooled together all the people above
4 age 70 to suggest there is some difference.

5 It would be interesting to see how this
6 breaks out by half decades, next slide, as you do for
7 the HZ. Does that slide exist?

8 DR. ROHAN: It doesn't exist. I also
9 wonder whether the right hand tail is the older age
10 group or not.

11 DR. FLEMING: That's exactly a rewording
12 of my question. That's exactly what I'm trying to get
13 at. I suspect if we go back to slide 40, that it must
14 be predominantly at least people above age 50 -- above
15 age 70, excuse me, because you don't see any
16 difference between the HZ and the BOI for ages 60 to
17 69.

18 It's showing up for people above age 70.
19 That is suggesting to me that if you go back to slide
20 47 that these people might be the older people. Where
21 are they in that distribution of older people?

22 MEMBER ROYAL: I would still like to see

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the data for those, the BOI data for those with
2 significant postherpetic neuralgia pain only, given
3 someone with lesser pain with longer duration would be
4 scored equally as someone who has more severe pain and
5 shorter duration.

6 DR. ROHAN: So your comment is based on
7 this 10 point scale, scores of 3 and above were
8 included, but your question is what about people 8, 9
9 and 10. Is that what you're asking?

10 MEMBER ROYAL: Well, this chart shows
11 individuals with pain scores of 0-to-10. I would like
12 to see those with pain scores from 3-to-10.

13 DR. SCHARFSTEIN: Can we get a figure that
14 demonstrates the completeness of the data for day
15 zero, you know, the evaluation times for pain and how
16 it differs between treatments groups because I --

17 DR. ROHAN: I think it's small and I don't
18 think we have analyzed it, but I think there might be
19 some small differences, but there are small numbers of
20 subjects in the follow-up not -- in the proportion of
21 subjects who had follow-up for the whole 182 days, the
22 numbers are fairly similar, but there appears to be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 slightly less follow-up in the ZOSTAVAX arm in sort of
2 the mid range versus the placebo group.

3 DR. SCHARFSTEIN: I think there is a lot
4 of imputation going on here.

5 DR. ROHAN: Right.

6 DR. SCHARFSTEIN: And there is probably a
7 fair amount of missing data along the way and then
8 there is some impute, you know, trapping in these
9 lines between time points and I would be interested to
10 look at the distribution of the number of people who
11 showed up at each visit or, you know, provided data at
12 each of those post-rash evaluation points.

13 DR. ROHAN: Right. But I guess it's also
14 sort of confounded by the design in which if you fell
15 below a score of 3, you weren't going to be asked
16 again the way people that had 3 or above will be asked
17 every week until they went below for two times points.
18 If you were below 3, you wouldn't then be asked until
19 the next monthly, whenever that occurred, sort of
20 protocol-mandated follow-up for everyone with PHN.

21 DR. SCHARFSTEIN: Right. So there is sort
22 of structural missingness and then there is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 unstructural missingness. I'm really interested in
2 the unstructural part of that.

3 CHAIRMAN OVERTURF: Dr. Markovitz?

4 MEMBER MARKOVITZ: Yes. I would like to
5 ask some advice so we can ruminate properly over
6 lunch. I don't know, Gary, if you want to tell us or
7 FDA, but it seems like we're heading towards having to
8 decide. There may be people who are in favor of 60
9 plus and not 50 to 60.

10 So one question is are we going to be able
11 to separate those out on the vote? And then the
12 second thing is what is the precedence for accepting
13 an argument based on logic rather than data?

14 I know we have rejected things based that
15 way, but they were going the other way, and my
16 previous experience on the Committee was not wanting
17 to extend things to older people, but this question of
18 extending it to younger people is somewhat different.

19 And I'm wondering does the FDA or you,
20 Gary, have anything to tell us to guide us as we think
21 about this?

22 CHAIRMAN OVERTURF: I think if the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussion this afternoon generates concern and
2 controversy about various age groups, then I think we
3 may need to split it out and we'll probably split the
4 vote on that. The other issue, I would make a strong
5 recommendation based upon the consensus of the
6 Committee for approval of the vaccine at a given
7 group.

8 Now, the other issue is that I'm not sure
9 that there is going to be sufficient agreement also on
10 the way the question is currently read, is it's we're
11 actually -- the question is whether efficacy is
12 supported by at least three parameters and I'm not
13 sure that there is support for all those parameters.
14 But that may not affect the discussion as much as the
15 issue of the age. So we could get clarification of
16 that during the lunch period. Dr. Hetherington?

17 DR. HETHERINGTON: One other issue that
18 might help when we try to deliberate on that point is
19 the persistence of immunity by age group. I realize
20 that it's rather short in duration, what you have now,
21 but to look at whatever data there is available.

22 Between the 50 and 60 year group, 60 to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 70, 70 to 80, etcetera, what is the data that we have
2 today on persistence particularly with regard to
3 titers above 400 or 500, which was a cutoff that I
4 think was implied in the FDA presentation, and also by
5 geometric mean fold rise. If we had those data after
6 lunch, that might help us a little bit.

7 CHAIRMAN OVERTURF: I think I'm hearing
8 also everybody is in favor of taking a break for
9 lunch, so we'll break for lunch at this point and
10 reconvene at --

11 DR. FLEMING: Should we ask the additional
12 questions for the FDA after lunch then?

13 CHAIRMAN OVERTURF: Yes. There will be
14 time for additional questions for both the FDA and the
15 sponsor after lunch. So we'll reconvene at 1:30.

16 (Whereupon, the meeting was recessed at
17 12:21 p.m. to reconvene at 1:33 p.m. this same day.)
18
19
20
21
22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 A-F-T-E-R-N-O-O-N S-E-S-S-I-O-N

2 1:33 p.m.

3 CHAIRMAN OVERTURF: I would like to ask
4 the Committee Members, members of the audience and the
5 sponsors to, please, take their seats. I would like
6 to call the afternoon session to order at this time.
7 At this time, we have time slotted for an open public
8 hearing, so I will turn the meeting over to Christine
9 Walsh.

10 MS. WALSH: Good afternoon. As part of
11 the FDA Advisory Committee Meeting procedure, we are
12 required to hold an open public hearing for those
13 members of the public who are not on the agenda and
14 would like to make a statement concerning matters
15 pending before the Committee.

16 I have not received any requests at this
17 time. Is there anyone in the room who would like to
18 address the Committee? I see no response. Dr.
19 Overturf, I turn the meeting back over to you.

20 CHAIRMAN OVERTURF: Thank you. We'll
21 proceed further with the FDA presentation and the
22 questions after we give some allotted time to the

1 sponsors to address some of the questions that were
2 asked this morning. So I will ask the sponsors to
3 come forward now and address those questions.

4 DR. SILBER: Thank you, Mr. Chairman. I
5 would like to just spend a few minutes touching on
6 several points that were recurring themes in the
7 questions this morning in the hopes of bringing some
8 clarity and closure to them.

9 I would like to preface the comments by
10 reminding the Committee that at the primary analyses
11 on the modified intention-to-treat population of
12 subjects enrolled, in those primary analyses for the
13 key endpoints for support of the labeled indications
14 that the sponsor and CBER are in agreement on the
15 primary endpoints and where the different analyses and
16 cuts of the data are leading to sometimes different
17 interpretations comes on the conditional supportive
18 analyses specifically among subjects who developed
19 herpes zoster.

20 And so it's important to remember also
21 that the burden of illness is a composite that
22 includes the incidence and severity-by-duration. Once

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 incidence is removed, it's still a very clinically
2 important issue to deal with, severity of illness or
3 severity-by-duration or area under the curve as we
4 call it, but we need to make sure that we don't call
5 that burden of illness, because the way that the study
6 was set up from the outset was with an understanding
7 that both incidence and severity-by-duration were
8 important clinical components of the disease.

9 And so with that in mind, I would like to
10 touch on five points quickly that have come up and I
11 would like to first address this issue of whether
12 there was a benefit of the vaccine over and above the
13 incidence of herpes zoster and particularly for the
14 severe cases.

15 You will recall that in the presentation
16 this morning, I showed a histogram starting with those
17 individuals with scores greater than 600. I would
18 like to show slide 1026 now, which uses different cut
19 points. And so, as you see here, 600 is what we
20 looked at this morning.

21 What we have now is using different cutoff
22 scores from 400 out through 1,000, meaning with each

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 cut we are dealing with successively smaller subsets,
2 but those people with the more severe cases were out
3 at the tail. And what we see here, if we go to the
4 right hand column, the relative reduction in the
5 likelihood of having this high score goes steadily up
6 as the bar gets raised. The more severe the case, the
7 greater the percentage reduction.

8 So I would like to go then to 1028
9 because, as Dr. Fleming pointed out this morning, in
10 the younger age cohort a lot of what we are seeing is
11 based on incidence. In the older cohort it's the
12 issue of pain. And so if we look specifically in the
13 older age group, what we are dealing with here is,
14 again, increasing benefits with the increasingly
15 severe cutoffs. I would like to turn next to slide
16 654.

17 DR. FLEMING: These are nested, so when
18 you say increasing benefits, what you really have is--

19 DR. SILBER: It's a relative reduction.

20 DR. FLEMING: -- a signal at the highest
21 level and then, of course, lesser, in fact, imbalances
22 as you then increment next down scores.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SILBER: That or, alternatively, the
2 more severe the case, yes. Okay. And in terms of the
3 reduction in the incidence of PHN among those subjects
4 who developed herpes zoster, what we see here is a
5 38.5 percent reduction. So this is among those with
6 zoster, 38.5 percent reduction. In those 70 and older
7 in whom the incidence of PHN is greater, it is a 47
8 percent reduction in the incidence of PHN among those
9 who have developed herpes, excuse me, herpes zoster.
10 So that is point number one.

11 Point number two is an issue that Dr.
12 Fleming had raised about the scores less than 3. And
13 in the presentation this morning I had commented on
14 the fact that a number of sensitivity analyses had
15 been conducted and that those were virtually identical
16 to the primary, and so I would like to put up slide
17 630 which gives the sensitivity analyses on the herpes
18 zoster burden of illness and I would like to focus
19 specifically on the third line here, the MITT using
20 the full AUC scale over the six month follow-up.

21 And, again, you see a point estimate of
22 61.2 percent, the same as what we had overall. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 does not address all of Dr. Fleming's points, because
2 the frequency of follow-up was less when pain was
3 less. Nobody was lost to follow-up, but the
4 frequencies were different. But in terms of taking
5 all of the scores that were obtained, there was no
6 impact on burden of illness.

7 The third point that I think got lost was
8 a question from Dr. Fleming on the 80 plus population.
9 And we agree with Dr. Fleming that there were 2,500
10 subjects enrolled, which was actually a fairly
11 sizeable population in this age group, and I would
12 like to focus first on the efficacy in this population
13 because there had been questions about efficacy in the
14 older age group. So if we could start first with
15 herpes zoster on slide 248.

16 We have the age stratification at 60 and
17 70. We have further split this out not in five year
18 increments, but at least 60s, 70s and then 80 plus.
19 And what we see here for the herpes zoster analysis is
20 the 64 percent efficacy that we saw earlier today and
21 among the individuals 70 to 79, roughly 40 percent
22 efficacy with a lower bound of 27.6 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And for those over 80, the efficacy for
2 herpes zoster did fall to 18.3 percent, some
3 reduction, but a confidence interval now below zero.
4 We're dealing with a relatively small percent, again
5 about 7 percent of the overall population, and the
6 study was not powered to observe efficacy at this
7 level.

8 But, again, as you get older it's the pain
9 that becomes more severe and adds even more to the
10 burden. And so if we could pull up slide 250, please,
11 which is on the herpes zoster burden of illness, first
12 we see that with the 61 percent overall, we have got
13 65.5 percent for the 60 to 69s, 59 percent, very well-
14 preserved in the 70 to 79 group, and a point estimate
15 of 38 percent. So still preservation on a very
16 clinically meaningful and clinically important
17 endpoint. Although, again with the small numbers, the
18 confidence interval going below zero.

19 Lastly, on the PHN endpoint, slide 252, we
20 have very good preservation of efficacy in the older
21 group, 74 percent, and with increasing numbers, tight
22 confidence intervals in the 70 to 79 group. Again,

1 somewhat lesser efficacy, point estimate 39 percent,
2 with the wide confidence intervals.

3 So the conclusion from all of this is that
4 whereas the incidence of disease and the prevention of
5 the herpes zoster is the critical parameter for the
6 younger cohort, in the older group who suffers
7 disproportionately with severe and long-lasting pain,
8 the vaccine's effect is strong and persists for these
9 endpoints.

10 With respect to safety, there is a
11 question that also came up, and I would like to put --
12 we have a question about the serious adverse
13 experiences. So if we could get to slide S-2, please?
14 This is the overall cohort and the split in serious
15 adverse experiences was 27 versus 21.

16 In this slide we have it broken out by
17 body system and it's a fairly symmetrical mix here.
18 I will get to the cardiovascular in a second. The
19 skins were a couple of skin cancers. The
20 metabolic/nutritional were a couple of dehydrations.
21 But, in general, for many of these serious adverse
22 experiences it was just one in one or the other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 groups.

2 Slide S-1 gives the specific breakdown
3 among the cardiovascular events, so if I could get S-
4 1. Oh, it's S-3 now. Okay. What we have here is,
5 again, a mix of different events. There were three
6 atrial fibrillations, three myocardial infarctions,
7 but then there is a coronary occlusion in the other
8 group and one MI. So it does not look as though there
9 is any particular specific serious adverse event.

10 There was among the individuals 80 years
11 of age and older one possibly vaccine-related serious
12 adverse event. It was an 80 year-old male who
13 developed some symptoms shortly after vaccination, was
14 not diagnosed ultimately until about day 80 with
15 polymyalgia rheumatica. This is a fairly common
16 condition in older adults, often takes a long time
17 until diagnosis, but that was the only possibly
18 vaccine-related event in that group.

19 The next question is on persistence. The
20 question on persistence came up shortly before lunch.
21 As I had mentioned, after zoster and after
22 vaccination, the immune markers tend to fall back

1 toward baseline relatively quickly and I would like to
2 refocus the discussion for a moment on persistence of
3 efficacy, which in the end is what we really need to
4 be thinking about.

5 So if we could start with slide 704.
6 There is an analysis in the study report that was
7 submitted in the dossier looking at efficacy by year.
8 We detected a drop, as I pointed out in the
9 presentation this morning, a drop in the first year
10 followed by steady decline. And so I'm going to be
11 showing you some tables now showing year-on-year, but
12 also splitting out the first year into months 1
13 through 6 and months 7 through 12.

14 So here for incidence of herpes zoster,
15 and we'll focus ourselves on the right hand column of
16 these slides for the vaccine efficacy, what we find is
17 that in the first six months postvaccination an
18 observation that will recur in the next several
19 slides, which is 75 percent efficacy in that early
20 time period.

21 In the second half of year one we're at 51
22 percent, which by chance was exactly the estimate that

1 we saw over the entire period of follow-up and, as I
2 think you saw earlier in the day, that we have got
3 point estimates of 47, 43, 51 throughout. So, really,
4 from month seven on there is no indication from the
5 trend that there was any decrease or any waning of the
6 efficacy of the vaccine.

7 Now, I would like to split this out by the
8 two age cohorts, so if we could go to 708. This is
9 the year-by-year efficacy for herpes zoster in those
10 60 to 69 and you will note that there is actually no
11 drop-off at all in this younger cohort. So this is,
12 again, part of the 64 percent overall and persistence
13 that we think will be predictive of vaccination in the
14 adult population under 70.

15 And then for age 70 and up, slide 709,
16 please, here we do, in fact, see a decline from year
17 one, 60 percent efficacy, relatively flat point
18 estimates. We're dealing with smaller cuts of the
19 data. These confidence intervals do get wider, but at
20 least the trend among the point estimates is for
21 stable efficacy over time. So that is for herpes
22 zoster.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, if we could turn to 714, please, and
2 we'll get to the PHN endpoint. Again, you'll recall
3 66.5 percent overall, 93 percent efficacy in the first
4 six months following vaccination. Remember, smaller
5 numbers here, fewer cases of PHN, but again dropping
6 somewhat in the second half of the first year. No
7 clear pattern or suggestion that there is a waning of
8 efficacy. Directionally, there is still a reduction
9 in the vaccine group.

10 So if we could then split this out by age.
11 718, please. Among those less than 70 years of age,
12 there were relatively few cases of PHN. You see very
13 high point estimates the first two years, small
14 numbers, 4411 thereafter, but again getting to the
15 important point. In the older individuals, slide 719,
16 where there is much more PHN, again very high efficacy
17 in the first year, 83 percent, with very stable point
18 estimates in excess of 50 percent from year two and
19 thereafter.

20 Lastly, on the burden of illness, slide
21 724, 92 percent reduction in burden of illness over
22 the first six months dropping to 70 percent. And,

1 again, you will recall the 61 percent overall. From
2 that point forward, one sees again no indication of
3 clear waning over time and we can cut this by age
4 also.

5 Slide 727, please. Burden of illness
6 beginning at 83 percent in the first year for the
7 younger cohort and then the percentages as shown,
8 again not reflecting any clear waning. And, lastly,
9 for 70 plus, a similar pattern after year one, stable
10 estimates at 40.

11 So the last point that I would like to
12 address refers to some of the safety follow-up. There
13 were a lot of questions about that. I would like to
14 call back slide 36, the pie chart, from the main
15 presentation.

16 You will recall a number of 66 percent
17 that was offered by CBER, which I think reflects the
18 green and the magenta, but the fact is that if we
19 combine the different means of follow-up, we come back
20 to the fact that by one or another of the methods, 93
21 percent of the subjects did have follow-up.

22 There was a comment that these staff calls

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 began or were clustered after day 50, and that is
2 because the ATRS was open to the subjects until day
3 50. When that day came and went, the sites received
4 faxes to inform them that the patients, the subjects,
5 had not called ATRS. And within virtually a week or
6 10 days thereafter, a large majority of the calls were
7 made to follow-up where the subjects had not.

8 Importantly, the information that was
9 captured, the script that was used by the sites in
10 their discussions with the patients, with the
11 subjects, was exactly the script that was used from
12 the ATRS. And so the follow-up was comprehensive and
13 it was consistent across the population.

14 In terms of timing, I would like to turn
15 to slide 407, and this is the distribution of staff
16 calls for the routine cohorts, so these are the calls
17 to the ATRS. You will see that it was about 13
18 percent of the subjects overall, but a very large
19 majority of these happened before day 60.

20 And, again, these would be clustered from
21 day 51 to day 60 because of the way the ATRS was
22 structured, and it was a relatively small percentage

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the overall enrollment of 31,000 subjects who had
2 any follow-up that was after day 60.

3 For slide 408 we have got the follow-up to
4 the faxes and, again, you see that a large majority of
5 these were occurring before day 60 shortly after the
6 ATRS was turned off for the subjects, only a little
7 over 1 percent of the subjects beyond that.

8 There was a question about the
9 demographics of these people who had the later follow-
10 up.

11 DR. FLEMING: Could you go back a slide
12 just before we lose the thought?

13 DR. SILBER: 407, is that --

14 DR. FLEMING: I think it was the previous
15 slide to this.

16 DR. SILBER: I'm sorry. I can't see who
17 is even asking. Oh, okay.

18 DR. FLEMING: Are these to be --
19 basically, are the bottom five rows mutually exclusive
20 as they appear to be and should they add up to the
21 staff called ATRS line?

22 DR. SILBER: These --

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. FLEMING: I mean, I'm sorry, into the
2 placebo? Should the bottom five lines add up? I
3 think they do. The percentages don't add up.

4 DR. SILBER: Should be.

5 DR. FLEMING: Okay. All right.

6 DR. SILBER: Should be.

7 DR. FLEMING: Go ahead. The percentages
8 don't add up, but go ahead.

9 DR. SILBER: Maybe some rounding. Okay.
10 There was a question about the demographics and that
11 is still being looked at, but in terms of gender and
12 age, and we are looking now at functional status and
13 other health markers, we have seen no differences at
14 all yet among any of these parameters for those who
15 followed-up for safety in the various different ways.

16 The last point briefly was about opting
17 out of the Adverse Event Monitoring Substudy and we
18 have Dr. Levin who could speak for the Shingles
19 Prevention Study investigators on this point.

20 DR. LEVIN: Dr. Silber is correct in that
21 there was a delay until the sites got started, but
22 once they were set and ready to go, that the patients

1 who were offered sequentially the opportunity to be in
2 the substudy, they were not selected. And all I can
3 report is in my experience and that of Dr. Oxman, who
4 I was in close contact with, that roughly 95 percent
5 of people accepted it at that time, and there was no
6 bias in individuals not being in the study. Everybody
7 who was offered it, essentially, was willing to be in
8 it. Questions?

9 DR. FLEMING: You said everybody that was
10 offered was willing to be in it?

11 DR. LEVIN: Well, 95 percent, and we had
12 no reason to believe that a select group of people
13 were choosing not to be in, but we don't --

14 DR. FLEMING: And that choice was made at
15 time zero, at the very beginning?

16 DR. LEVIN: At the time that they were
17 offered it.

18 DR. FLEMING: And remind me, that time
19 was?

20 DR. LEVIN: At time zero. When they
21 entered the larger study, they were asked if they
22 would be willing to be in the special substudy. I'm

1 sorry, I can't speak for --

2 DR. FLEMING: And people didn't drop out
3 beyond that point based on willingness to participate.
4 So at time zero, 95 percent agreed to be in?

5 DR. LEVIN: Now, that is my experience and
6 Dr. Oxman's. I can't speak for the other sites and we
7 do not have records on that, but that's our
8 perception.

9 DR. FLEMING: And once somebody was in,
10 you had or you retained them for long-term safety
11 assessments in what fraction of cases?

12 DR. LEVIN: They were retained the way all
13 the other subjects were retained for the long-term
14 assessment. Actually, they had more. They had
15 additional follow-up and then all hospitalizations as
16 well were reported in that specific group. They were
17 actually looked at more carefully.

18 But I think your question was were they
19 demographically different. We don't have specific
20 records to that, but there is no reason to think that
21 they were selectively chosen or they selectively chose
22 to be in the substudy.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 CHAIRMAN OVERTURF: We can address further
2 questions to industry as we begin to discuss the
3 questions, so I think I will ask the FDA to come back
4 and re-present the questions to us again.

5 DR. SCHARFSTEIN: Could we ask a couple
6 questions of -- wait?

7 CHAIRMAN OVERTURF: Let's wait a minute.

8 DR. ROHAN: Once again, questions for the
9 Committee's consideration. No. 1. Are the available
10 data adequate to support the efficacy of ZOSTAVAX when
11 administered to individuals 50 years of age and older
12 in: (a), preventing herpes zoster, (b), preventing
13 postherpetic neuralgia, preventing postherpetic
14 neuralgia beyond the effect on the prevention of
15 herpes zoster, (c), decreasing the burden of illness,
16 decreasing the burden of illness beyond the effect on
17 the prevention of herpes zoster? If not, what
18 additional information should be provided?

19 Question No. 2. Are the available data
20 adequate to support the safety of ZOSTAVAX when
21 administered to individuals 50 years of age and older?
22 If not, what additional information should be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 provided?

2 And Question 3. Please, identify any
3 other issues that should be addressed, including post-
4 licensure studies. In particular, please, address:
5 (a), the use of the vaccine in persons with co-morbid
6 conditions, for example, those who might typically
7 reside in assisted living residences and nursing
8 homes, (b), use of the vaccine among persons taking
9 chronic immunosuppressive therapies, including
10 corticosteroids, (c), use of the vaccine in certain
11 subsets of the sponsor's proposed age indications, for
12 example, those 70 years of age and older, those 80
13 years of age and older, (d), duration of immunity and,
14 (e), the sponsor's proposed pharmacovigilance plan.

15 CHAIRMAN OVERTURF: So we will actually
16 begin the discussion and, at this time, if there are
17 additional questions that the Committee Members want
18 to address to either the FDA or the sponsors, we have
19 a few more minutes to do that. Dr. Markovitz?

20 MEMBER MARKOVITZ: Yes. I would still
21 like to get the take of the FDA on, if there is
22 someone who can speak to the idea when we addressed

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the 50 to 60 group in lieu of data, what
2 considerations are there from an Agency point of view,
3 if someone can answer that.

4 CHAIRMAN OVERTURF: Dr. Baylor?

5 DR. BAYLOR: Norman Baylor, FDA. We would
6 like you to try to address the questions based on the
7 data presented. We really need the advice based on
8 your interpretation of the data. I don't think you
9 should use logic or gut feelings so, please, use the
10 data.

11 CHAIRMAN OVERTURF: Yes, Dr. Word?

12 MEMBER WORD: I just want to go back to
13 the issue with duration again. I know the sponsor
14 showed a slide, but I guess one of the questions I
15 had, you know, if you looked efficacy and they said in
16 the 60 to 69 age range I think it was like 73 percent
17 and maybe it dropped down to 38 percent in those
18 older, so then I'm looking at, well, that's when it
19 was administered at 60.

20 If they are proposing to administer at 50,
21 do they anticipate that there is going to be a change,
22 that suddenly those numbers are going to drop or is

1 there going to be a point where you think that do I
2 need a booster?

3 And I guess the other part of the question
4 I have is right now for individuals who are born, I
5 think it's after 1965, the adult immunizations
6 recommend that they all get varicella vaccine if they
7 haven't had it. And so then do you have any
8 information about if they receive varicella and then
9 you want to offer them this, because they are close to
10 that age group, what would you give them?

11 CHAIRMAN OVERTURF: I'll let the sponsors
12 answer that. My own personal feeling on that issue
13 would be that, obviously, we need data in that regard.
14 I mean, if we -- and, actually, I don't think it's
15 restricted to the population less than 50, because we
16 only have data that we're being presented today that
17 is really, essentially, a four year period.

18 So the issue, well, this obviously has to
19 be part of the pharmacovigilance issue, is to continue
20 to look at that in order to justify the vaccine. Does
21 the --

22 DR. SILBER: Okay. I think I heard three

1 questions, so I will take them in turn.

2 In terms of the expectations of what might
3 happen at the age of 50, again, for the herpes zoster
4 incidence endpoint as we went out over time, we were
5 at roughly 65 percent efficacy and stayed pretty much
6 right there throughout the period of observation.
7 With the younger individuals, younger immune system,
8 we would expect that the durability of the response
9 should be at least as good, let us say as good, in the
10 50 to 59. So 60 to 69 experience we think will be
11 predictive in that regard.

12 With respect to the possible need for a
13 booster vaccination, which I think was your second
14 question, which is really a question whether one
15 vaccinates at 50, at 60, at 70 or at any other age,
16 that is not known at this time.

17 One of the really critical questions that
18 we're answering or hope to answer in the persistence
19 substudy of the Shingles Prevention Study is to take
20 these people out to 10 years, is the target right now,
21 and to determine whether there is any waning of
22 efficacy at any point. Again, after the initial drop,

1 we have not seen that yet.

2 But should that happen, what could be
3 explored certainly is to define when or if a booster
4 is needed and then, if we use this population
5 basically as a bellwether, then we would be able to
6 assess the potential benefits of booster vaccination
7 ahead of those populations who would be receiving
8 vaccine in the general marketplace later. So we don't
9 know but the persistence substudy, we hope, will give
10 us that answer.

11 Lastly, with respect to varicella
12 vaccination and what advice -- I think the question
13 was what would the advice be if someone had varicella
14 vaccine?

15 MEMBER WORD: Right now in the adult
16 recommendations, now they point blank just put it in
17 as a recommendation if you didn't have varicella or if
18 you were born after 1965, that you have to -- to
19 immunize them. So they are in your 50 year-old age
20 range now.

21 DR. SILBER: Right, yes. And what we know
22 from the VARIVAX experience is that the very large

1 majority of the cases, of the doses of VARIVAX, have
2 been administered in childhood. We do not have --
3 other than as part of our booster studies, we don't
4 have data that speak to the benefits of someone with
5 prior varicella vaccination, but even in that these
6 were seropositives. And so it will be some time until
7 there will be a body of data really to be able to
8 answer that question.

9 CHAIRMAN OVERTURF: Do you have a
10 predefined signal for lack of efficacy of the vaccine
11 in those that you continue to follow beyond? At this
12 time, is there any predefined signal or are you simply
13 going to --

14 DR. SILBER: This is something that will
15 be looked at by annual summaries and other than a
16 signal of a lower bound of efficacy falling below
17 zero, there are no specific criteria at this time.

18 CHAIRMAN OVERTURF: Dr. Fleming?

19 DR. FLEMING: Could I have the FDA slide
20 47 which I will get to in a moment, but at least we
21 can pull it up. My sense is you have shown us after
22 the break now quite an array of additional slides and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I am not sure that too much of it is what we hadn't
2 already seen.

3 You showed us a lot of slides on
4 persistence of effect, which I don't think was
5 something we were challenging as controversial. As
6 your slides point out, in fact, your curves that you
7 presented earlier, S-48 and S-50, were very
8 descriptive of how effect occurred over time and did,
9 in fact, show that it was a larger relative efficacy
10 in the first six months. In fact, what you didn't
11 show is it's probably even larger in the first three
12 months and then after six months, it seems to be
13 fairly constant.

14 The controversial issues are age, how is
15 that an effect modifier for effect, and is the BOI,
16 which is intended to look at severity-by-duration
17 beyond incidence, telling us something beyond what
18 just the incidence is telling us?

19 And what your data seem to be showing us
20 as it relates to age is that while we had pooled ages
21 70 and above before, now when we're looking separately
22 at 70 to 79 and greater than 80, there does seem to be

1 a gradient for PHN by age as well as for BOI. And we
2 also see, as we had already seen before, that at age
3 60 to 69, the BOI relative efficacy is the same as the
4 HZ relative efficacy.

5 In terms of the explanation of the BOI, I
6 don't contest what you were showing, but it seems to
7 be entirely consistent with what the FDA already
8 showed in slide 47, which is that there is, in fact,
9 the appearance of this number of people that had very
10 high scores that are more prevalent or predominant in
11 the placebo group.

12 Although, if we go to the next group that
13 you didn't go to, then there is a bit more of those in
14 the intervention arm, which is part of why a Log-Rank
15 analysis is going to be a little more sensitive than
16 a Wilcoxon analysis. I actually -- oh, go ahead.

17 DR. ROHAN: I wanted to make a comment at
18 this point. We had talked about the PHN. The
19 duration of follow-up was 182 days and there were
20 equal proportions of the subjects followed in either
21 the vaccine or the treatment arm out to 182 days.

22 But if you look at other increments in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 more the mid range, and I don't have those data with
2 me, there is some difference in the proportion of
3 subjects being followed and I think it would be
4 important to look at the pain scores that had been
5 accrued at that point.

6 Obviously, it's in an exploratory manner,
7 but to look at the pain scores in the subjects that
8 were then lost to follow-up, didn't have complete
9 follow-up, because if we see, for example, half a
10 dozen subjects in the zoster group that don't have
11 that sort of mid range follow-up that had scores in
12 the hundreds and only one in the placebo group or if
13 we see a number of subjects in the placebo group that
14 had zero or very low scores and very few in the zoster
15 group, that would also skew this because the vast
16 majority of the postherpetic neuralgia cases even in
17 the older subjects, they resolve after several weeks
18 and a smaller proportion are carried out --

19 DR. FLEMING: Right, yes.

20 DR. ROHAN: -- to 60 or 90 days.

21 DR. FLEMING: Yes. Could you go to slide,
22 your slide, 68, FDA slide 68? You did answer one of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the questions I had asked the sponsor this morning and
2 that is to give us information on hospitalization and
3 HZ-related hospitalization.

4 DR. ROHAN: This is the sponsor's data
5 though, I will point out.

6 DR. FLEMING: Okay. But you showed it so
7 I'm asking you about it. It's interesting to me how
8 few of all hospitalizations are HZ-related, so that at
9 least as we look at what might be an anticipated
10 effect on something as significant as hospitalization
11 mediated through a vaccine effect on reducing HZ-
12 related hospitalization, we would expect almost no
13 effect. And, of course, we see almost no effect.
14 Hospitalizations in total are 22 in excess, which is
15 entirely consistent with random variability.

16 But, basically, what this is telling me is
17 we didn't reduce any HZ-related hospitalizations but,
18 then again, they are so incredibly infrequent I don't
19 suppose it really matters so much.

20 DR. ROHAN: Well, I think that sort of
21 speaks to two different, I guess, factors. I think,
22 first of all, the question of the subjects that were

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 enrolled and the exclusion criteria, etcetera, I don't
2 know if the burden in very ill subjects that might not
3 be candidate for this vaccine that might not be -- had
4 been enrolled might be a factor, but I also think it
5 also speaks to Dr. Oxman and his colleagues and the
6 care that they have provided, keeping people out of
7 the hospital.

8 So I think it's the investigators, as
9 well, that deserve -- you know, maybe they are sort of
10 a victim of their own success in that respect, as
11 well, and you might not have seen this low
12 hospitalization rate out in the general community.
13 You might have seen more people hospitalized.

14 DR. FLEMING: Well, they weren't keeping
15 them out of the hospital, 1,115, 1,137, but at least
16 those people that would have been HZ-related
17 hospitalizations on placebo didn't --

18 DR. ROHAN: That's what I was talking
19 about. I'm sorry.

20 DR. FLEMING: So I take more your first
21 point to heart and that is we didn't look at a cohort
22 here where in the placebo arm, there was very much

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 prevalence or incidence of HZ-related hospitalization
2 and, in turn, we didn't decrease it at all either.

3 DR. ROHAN: I probably wasn't clear, but
4 what I meant was that I think that the health care
5 that was provided in HZ-related disease may have kept
6 subjects out of the hospital, been more effective than
7 you might have seen in a community setting.

8 MEMBER FARLEY: I would make a comment on
9 that, too. As a clinician, I think that the idea that
10 they were highly educated on the signs and symptoms of
11 zoster and that they were instructed to immediately
12 consult their study physicians and then they were
13 given antivirals within this window, and I think these
14 people were cared for at a higher level at an earlier
15 point on average for sure than the general population.

16 DR. FLEMING: But what all that would mean
17 is we can, in fact, do something about HZ-related
18 hospitalizations. We don't need this vaccine to do
19 it. We just need the kind of surveillance and quality
20 care that you have referred to.

21 Either that is the conclusion or the
22 conclusion is we didn't look at a sufficiently high

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 risk group. We excluded a lot of the people that
2 really would have been at risk and we never found out
3 what the efficacy was in that group.

4 CHAIRMAN OVERTURF: Actually, that
5 addresses one of the subsets of the questions under
6 Question 3, which is obviously the patients. The
7 vaccine use in patients with co-morbid conditions and
8 those taking corticosteroids has really not been
9 answered by this study and clearly is an issue that
10 has to be addressed in any post-licensure procedure,
11 because it's clearly not asked. And it's actually the
12 dilemma that we actually still face somewhat with the
13 varicella vaccine. Yes, Dr. Hetherington?

14 DR. HETHERINGTON: I just want to come, I
15 think, to closure on some questions we had earlier
16 about dosing and I'm sure you have the information,
17 but I'm not sure that we have finally come to
18 resolution.

19 And that is, and I will try to ask it very
20 specifically, what are your release specifications
21 going to be for the upper limit, if any, for the
22 potency of this? And I ask the question to try to get

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 some idea of what is the potential range of doses that
2 one might get once this is approved, assuming it's
3 approved? And in that range of potential doses that
4 one would receive, would you expect to see a
5 difference in immune response?

6 Another way to ask the same question is do
7 you have any dose ranging data on immune response over
8 different plaque-forming unit doses and, in
9 particular, how did you come up with 19,000 as a
10 minimum for your dose?

11 So there's a number of questions in there,
12 but I think it tries to get to the same sort of
13 understanding about the dose and the immune response.

14 DR. SILBER: Well, I think there were two
15 main questions, so I will go to the second question
16 first, which was with respect to dose ranging and
17 immune responses.

18 What we saw in the early studies is that
19 at the low end, basically the varicella, the VARIVAX-
20 type potencies, less than 10,000, that there was not
21 a response. We ended up getting a dose response going
22 up to about that 17,000/19,000 level that I had spoken

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 to with very little dose response beyond that. In our
2 studies conducted since then, there was likewise
3 little dose response over the range once you get into
4 a range above what has been defined as the expiry.

5 With respect to specifications, the lower
6 specification just is defined by the efficacy study.
7 The upper specification would certainly be no higher
8 than 207,000 plaque-forming units but will be an
9 ongoing discussion between us and the FDA.

10 DR. HETHERINGTON: All right. So just a
11 two pointed edge on it. The pharmacodynamic response,
12 if I can borrow that term, for immunogenicity, once
13 you hit about 20,000 it's flat going above that. And
14 what you showed in your high/low dose study shows that
15 the relative safety and adverse event rates were
16 similar across the range that you just described.

17 DR. SILBER: Yes. And perhaps even more
18 importantly than immune response over the range that
19 was studied is the fact that the vaccine efficacy
20 appeared to be relatively flat over the ranges that
21 were studied in the efficacy study.

22 CHAIRMAN OVERTURF: A somewhat related

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question. What about the relationship between pre-
2 immunization antibody and adverse events? Was there
3 a relationship between that, particularly local
4 reactions? Actually, you implied that when you
5 commented on the studies when there was a comment made
6 in patients who were 50, the 50 to 59 year-old age
7 group, that there might be a relationship.

8 DR. SILBER: I'm not sure that we have
9 specific safety tables related to baseline titer, but
10 in three of our studies we had second doses, one at an
11 interval of six weeks, one at an interval of about two
12 years, one at an interval of about eight years.

13 In all of those the baseline titers were
14 higher than we see in a typical population receiving
15 a first dose, and the second doses had safety profiles
16 in each case that were really the same as was seen
17 with dose one.

18 CHAIRMAN OVERTURF: Dr. Rowbotham?

19 DR. ROWBOTHAM: I have a question that I
20 think would be good for Dr. Levin to comment on, and
21 that is that it relates to the hypothesis behind this
22 as a treatment, and it doesn't seem to me that it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be mutually exclusive for younger subjects who
2 have younger immune systems to have primarily a zoster
3 prevention effect, but then in older subjects who have
4 greater immunosenescence to have less of a change in
5 the incidence of zoster, but a change in the natural
6 history of zoster once they get it, such that they
7 might have a change in the burden of postherpetic
8 neuralgia.

9 DR. LEVIN: So the question is why do we
10 see this difference between the young-old people and
11 the old-old people? Well, I don't know the answer,
12 but the way I look at it is that in the younger
13 people, they have a more vigorous immune response and
14 actually you can show that and, therefore, they have
15 a memory component, a T-cell memory cell response to
16 VZV, that when they reactivate it quickly comes to the
17 fore and the reactivation is often subclinical. You
18 don't see anything. If they do have disease, it will
19 tend to be mild because they have responded so
20 quickly.

21 In the older individual, there is a delay
22 or they don't mobilize their memory response to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reactivation so quickly. The virus takes hold. It
2 does reactivate. You do have some zoster, but then it
3 comes to the fore more quickly than in someone not
4 vaccinated and it limits the disease or attenuates it.
5 And I think that concept fits perfectly with both the
6 efficacy and the immunologic data that we have.

7 CHAIRMAN OVERTURF: Would any other Member
8 of the Committee like to address any of the specific
9 questions? Dr. Wharton, you had a question.

10 DR. WHARTON: This is not related to FDA-
11 specific questions, but I have two questions I would
12 like to ask the sponsor.

13 Was the information collected on the
14 vaccine safety card and in the 48 day telephone call
15 follow-up comparable since they are apparently being
16 used interchangeably as far as safety follow-up is
17 concerned and, specifically, do they both collect
18 information on hospitalization and medical encounters
19 during that 42 day period?

20 The second question I have has to do with
21 postmarketing surveillance. Once this vaccine is in
22 use in an older population with a high level of co-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 morbidity, I can anticipate that there will be deaths
2 that occur among recently vaccinated persons and would
3 like to know what plans the sponsor has that will help
4 evaluate such episodes when they occur.

5 DR. SILBER: Okay. I will address the
6 first question and someone else will get up to address
7 the second question with regard to postmarketing
8 safety.

9 With respect to the follow-up information,
10 again, the follow-up information for those events that
11 were defined by the typical -- by the ICH and in GCP
12 as serious adverse experiences were collected
13 uniformly, consistently the same way from everybody.

14 So those questions were asked in the
15 vaccination report card. They were part of the
16 script. They were part of the follow-up, in fact did
17 not have to happen and it was encouraged not to happen
18 at the end of 42 days, but all serious adverse
19 experiences were to be -- were asked to be reported as
20 soon as possible after onset.

21 So in terms of that sort of safety follow-
22 up, the mechanisms were the same in all types of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 follow-up, whether diary or otherwise. And I think
2 Dr. Gutsch will get up to talk about the post-
3 licensure.

4 DR. GUTSCH: Yes. Our post-licensure
5 plans build upon the experience that you have all
6 heard about today with the Zoster Program and upon the
7 experience with the VARIVAX Program using the same
8 active component in over 56 million subjects.

9 In the placebo-controlled trial for
10 ZOSTAVAX in which no specific adverse experience was
11 identified as being clinically significant for follow-
12 up, we have a great safety profile and we have
13 reasonable power, 97.5 percent power, to detect an
14 adverse experience with a frequency of about 5,500.
15 So this trial gives us a good backdrop going into the
16 postmarketing period.

17 In addition, we're going to have an
18 additional opportunity to look at the safety in
19 another 17,000 or 18,000 when you combine the Shingles
20 Prevention Study and Protocol 007 in which the placebo
21 recipients are going to be vaccinated.

22 In addition, we plan to conduct

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 surveillance in the marketplace looking for signals as
2 they are developed and in those instances where some
3 signal might arise from our surveillance systems that
4 are in place, we plan to evaluate those, discuss those
5 with the Agency and, where necessary, adjust the label
6 accordingly.

7 I think that other than the other things
8 that I mentioned about the identification program to
9 try and get a handle on any AEs and whether they might
10 be related to vaccine and placebo constitutes the
11 package of what is coming up.

12 And then there's a few other studies that
13 are in place, which we mentioned earlier the
14 Concomitant Use Study and the Bridging Study for a new
15 formulation, which are ongoing. In those studies we
16 are now enrolling subjects 50 to 59 in addition to the
17 older age cohorts so that we'll get additional data in
18 those groups.

19 And I might add we have also made an
20 effort and are having some success in increasing the
21 minority representation in those studies. So that
22 constitutes the plans that we have.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRMAN OVERTURF: What is the cohort
2 size over 80 in that placebo group? Do we know, have
3 an estimate, since that was a specific previous
4 question?

5 DR. SILBER: I think that the number
6 enrolled in the study over 80 was about 2,500 and so
7 it's about 1,250 additional vaccine recipients now
8 through this follow-up.

9 CHAIRMAN OVERTURF: Dr. Fleming, you had
10 a question.

11 DR. FLEMING: A question for the FDA.
12 Could I get FDA slide 60, please? And while that is
13 coming up, a quick question for the sponsor. I am
14 pleased that you had a Data Monitoring Committee in
15 place. I am concerned, if not disturbed, that they
16 didn't routinely automatically have unblinded data
17 from the beginning of the trial.

18 My question is was this a fully
19 independent committee? Were the members of the DMC
20 fully independent of the sponsor?

21 DR. SILBER: The DSMB met periodically
22 throughout the course of the study.

1 DR. FLEMING: That's not my question.
2 Just simply, were they independent?

3 DR. SILBER: I'm sorry? They were
4 completely independent.

5 DR. FLEMING: Completely independent.

6 DR. SILBER: In fact, you are here today
7 because of one of the independent people, I think, who
8 served on the committee.

9 DR. FLEMING: Okay. So all members of the
10 committee were independent?

11 DR. SILBER: Everybody. Yes, they were
12 all external, independent members.

13 DR. FLEMING: Then a question for the
14 sponsor relating to slide 60 or, excuse me, a question
15 for the FDA for slide 60. In the FDA presentation,
16 you don't have to show it, in slide 83 you say the
17 completeness of safety ATRS and study termination
18 follow-up is unclear.

19 And I find myself still struggling to
20 understand the level of completeness that we can be
21 assured has been achieved by the nature of the
22 surveillance, so I think it's slide 60 that you have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that I wanted to go to. And I always think of a
2 threshold or a tolerance level for safety in a benefit
3 to risk fashion.

4 And so what is the benefit here? The
5 benefit appears to be per 1,000 person-years a
6 reduction of 5.7 HZ cases and a little less than one
7 per 1,000 person-years PHN cases, none translating at
8 least in this study into something as significant as
9 reducing hospitalization. So clinically meaningful
10 events, quite infrequent in their occurrence, are
11 being reduced something on the order of 50 percent.

12 It makes me, from my perspective, believe
13 that understanding safety with great thoroughness is
14 important to make sure that benefit to risk is
15 favorable and, as you have noted here on this slide as
16 well as on the slide that I was quoting from, 83, that
17 were reliant on a fair level of what we might call
18 passive surveillance.

19 The sponsor again just echoed the rule of
20 3, i.e., assuming that you look at 19,000 people, we
21 can rule out events that would occur in one in 5,500.
22 Assuming we didn't see any such events, then we can

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 rule out rates at that level under the assumption that
2 were such an event to occur, we would capture it and
3 that subtle, if not not-subtle, assumption is there.

4 How confident are you? You know the data
5 better than I. You know the system better than I do.
6 How confident are you that this system that has a non-
7 trivial amount of passive surveillance with a fairly
8 low threshold level for safety, given the nature of
9 efficacy, can be reliably capturing events that, if
10 they were occurring, would in fact meaningfully impact
11 benefit to risk assessment?

12 DR. ROHAN: I guess one of the issues is
13 looking at the vaccine report cards versus the ATRS
14 safety data. The ATRS specifically queried for these
15 things.

16 The vaccine report card, and this is part
17 of sort of human nature, if you will, as well,
18 specifically asked for local reactions through day 4,
19 specifically queried for temperature through day 21,
20 and then if subjects felt that they were feverish or
21 felt their temperature was abnormal, they could record
22 their temperature and it also allowed them to record

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 unusual or other events, but it didn't specifically
2 ask were you hospitalized, I don't believe.

3 I don't believe that it asked these
4 specific questions. So I would think that -- I would
5 be concerned that subjects will be focusing on the
6 first four days of local reactions and temperatures
7 for 21 days every day and that what they reported in
8 the vaccine report card, the rates, etcetera, might
9 differ from the data in similar subjects reporting to
10 the ATRS follow-up, and I am not sure.

11 I, you know, saw the slides that the
12 sponsor put up. This is from the data set that they
13 provided with us and, as I said, the 4,639 are not all
14 clustered between day 51 and 60, but they go out for
15 several years and there are hundreds and hundreds of
16 people in the second and the third year being added
17 into the database and I don't know what to make of
18 that.

19 The sponsor has told me that there is no
20 window for the day 42 safety follow-up and, as you can
21 see, people are being enrolled before they were
22 vaccinated, which I take day minus 5 in the first

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 couple weeks, and I don't know if that data is the
2 same as data at two years or three years involving the
3 42 day follow-up period. So that is an issue.

4 I don't know how many people are actually
5 calling in on the monthly phone calls, how many of the
6 subjects are having data entered by the investigator,
7 the investigator's site, by month. And, obviously,
8 subjects were followed for an average of three years
9 but many were followed for about two. Some were
10 followed out to five, so there is a variety of issues.

11 DR. FLEMING: And this is just about three
12 quarters of the study, i.e., when you add up all these
13 numbers this is about three quarters. This is about
14 28,033.

15 DR. ROHAN: 66 percent of the total
16 population.

17 DR. FLEMING: Okay.

18 DR. ROHAN: 55 from the subjects calling.

19 DR. FLEMING: Plus 11 percent.

20 DR. ROHAN: 11 from data being entered.

21 DR. SILBER: Can I clarify?

22 CHAIRMAN OVERTURF: Yes, please.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. SILBER: First to Dr. Fleming's
2 comment. This was active safety follow-up of all
3 subjects through day 42, all subjects in the AE
4 Monitoring Substudy, all subjects in the routine
5 monitoring cohort.

6 The passive surveillance for vaccine-
7 related serious adverse experiences and deaths is as
8 is done in all studies. It does become passive beyond
9 that point. The 66 percent figure, again, does not
10 include the 16 or 17 percent with vaccination report
11 cards and again --

12 DR. FLEMING: Plus the gray region, right,
13 your 11 percent?

14 DR. SILBER: Well, the magenta and the
15 gray were some of these that -- again, as I showed
16 right after the lunch break, about 80 to 90 percent of
17 the magenta and the gray were between day 51 or at
18 least prior to day 60. And the total before 60 was,
19 again, 93 percent across the entire study of both
20 cohorts.

21 With respect to these calls that may have
22 gone out beyond day 60 or day 90 or the ones that came

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 before day 42, this table is not one per subject.
2 These are all contacts. So if somebody called the
3 ATRS or there was some other contact for an AE on day
4 6 and then there was another one on day 44, this would
5 show up twice.

6 DR. FLEMING: That's why this is only 66
7 and not 75 percent.

8 DR. ROHAN: Right. And, in fact, many
9 subjects had two or more, up to six additional
10 entries, so some people had seven entries in the day
11 42 safety data set and this could occur at day 42, a
12 year later, two years later. There are additional
13 entries being put into this data set for a particular
14 subject.

15 DR. FLEMING: With, approximately, 1,600
16 people who died. Certainly, that also impacts the
17 nature of safety information we would get from those.
18 Can you comment on that?

19 DR. ROHAN: Because there was an
20 anticipated relatively high rate of deaths in this
21 particular population, deaths were monitored but
22 narratives and further details weren't necessarily

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 collected. They were collected in the first 42 days
2 with the follow-up of serious adverse events, so we
3 have more confidence, more knowledge about that time
4 period.

5 But overall deaths and I guess you could
6 also say that deaths that occurred within the day 42
7 day period might take longer to be reported since the
8 subject themselves had died, you know, that kind of
9 thing.

10 CHAIRMAN OVERTURF: Dr. Farley?

11 MEMBER FARLEY: Can I ask a quick follow-
12 up to this? Can you tell us what the study
13 termination follow-up was to be? And I think if I
14 remember your report, it was missing in a high
15 proportion. How important is that? What was that
16 going to provide us and should we be concerned at all
17 about that?

18 DR. ROHAN: Well, we recently actually
19 have gotten a little bit of clarification on the
20 termination procedures. Subjects were contacted.
21 There was a determination of whether the subject had
22 been immunocompromised during the study or at study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 termination, whether they had developed herpes zoster
2 or PHN, whether they had died and, if so, there were
3 additional data elements that were included at that
4 point.

5 MEMBER FARLEY: But was it, in fact,
6 missing in the very high proportion of cases?

7 DR. ROHAN: We recently became -- I guess
8 were in discussions. I guess it was clarified why
9 those elements were not filled in and that the data
10 resides in a different -- in a column rather than in
11 the row that is left blank, that the actual date is
12 actually in a column that is not called date of last
13 contact.

14 It's called exam date. So even though the
15 question with when was the subject last contacted is
16 left blank in the majority of the cases, the
17 information is in a column that is termed exam date,
18 but we just were informed of this a couple of days
19 ago.

20 CHAIRMAN OVERTURE: Yes, Dr. Rowbotham?

21 DR. ROWBOTHAM: I have a couple of
22 questions. One is related to the issue of vaccinating

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 patients in the 50 to 59 age group. So from the
2 earlier discussion and Dr. Levin's comment, one would
3 expect that in that group you would primarily see an
4 effect on preventing zoster and perhaps even less of
5 an effect on zoster pain or development of
6 postherpetic neuralgia.

7 And the other thing that came out of the
8 data presented earlier is that if you get zoster, the
9 amount of immune response, the ELISA titers, is much,
10 much greater than what is achieved with the
11 vaccination.

12 So if you are vaccinating people in the
13 age 50 to 59 category and at this point don't know how
14 long that protection is going to last, especially
15 compared to getting zoster in your 50s when the risk
16 of postherpetic neuralgia is lower, we may not be
17 doing the patients that much of a favor by shifting
18 their zoster episode from the mid 50s to their mid 60s
19 or into their 70s without knowing when would be an
20 appropriate date to give follow-up vaccinations.

21 The other aspect is that in the younger
22 population, since there is a lower risk of zoster in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701