

1 the strains that are there.

2 MS. CANAS: Right, and that's one of the  
3 things we would like to try and find out. We do track  
4 whether they're active duty, but we cannot tell  
5 whether they're retired or active duty. So it's hard  
6 to tell when they were vaccinated.

7 DR. GOLDBERG: So you really don't know --

8 MS. CANAS: It's a work in progress.  
9 That's something that we want to know as much as  
10 anyone. It is a population where we should be able to  
11 study that.

12 DR. GOLDBERG: That's the confusion in the  
13 presentation because you would expect it to be low if  
14 they truly were vaccinated.

15 MS. CANAS: This is very heavy. I try and  
16 watch it each day on the children and the dependents  
17 so we don't know any of their vaccination status.

18 DR. GOLDBERG: Are they required to be  
19 vaccinated, the children?

20 MS. CANAS: No.

21 DR. GOLDBERG: So it's just the recruits  
22 themselves. Okay, thanks.

23 DR. DAUM: I must add that those data  
24 would be incredibly valuable.

25 MS. CANAS: Yes.

1 DR. DAUM: Dr. Diaz.

2 DR. DIAZ: Actually, just in follow-up to  
3 the same kinds of discussion, I was -- when you were  
4 describing surveillance system I was wondering if the  
5 surveillance system is integrated into the DMSS, the  
6 Defense Medical Surveillance System because all that  
7 data should be in those interrelationship data bases.

8 MS. CANAS: Right, making sure it's up to  
9 date. There are efforts to match that and to try and  
10 --

11 DR. DIAZ: So this doesn't automatically  
12 dump into that system then?

13 MS. CANAS: No.

14 DR. DIAZ: What are your triggers for  
15 doing, trying to do isolate recovery from cases? Is  
16 it voluntary or is there a certain threshold from the  
17 Sentinel Sites that you look for before you start  
18 swabbing?

19 MS. CANAS: Basically, it's October 1st  
20 when they're asked to start sending samples. And this  
21 is part of the military culture, especially in the Air  
22 Force. It's been part of what's been done for a long  
23 time. When we first hit the problems with the vaccine  
24 a couple years ago there was considerable interest on  
25 what it was going to mean. There's a history in the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 military of what happens when influenza hits. So  
2 probably more than in any other single population,  
3 this is very important, so it is something that's  
4 mandated, that it be followed and there's an interest  
5 on every level. I get calls from people who say I'm  
6 not a Sentinel Site, but I want to be part of this.  
7 Okay. But these are the ones -- we try and get a  
8 representative geographical population and it is for  
9 the health of our people too. So if they're going to  
10 be deployed, we want to know what's going on with  
11 them, if it's flu or if it's something that can be  
12 treated, if we can get amantadine in there or not.  
13 This is of interest to the DOD.

14 DR. DAUM: Dr. Manley?

15 DR. MANLEY: Yes, would you clarify again  
16 what you said about the vaccination status of the  
17 recruits on the information that you get? And if they  
18 are vaccinated, is there a particular time in the  
19 annual cycle at which the Air Force would be  
20 vaccinating?

21 MS. CANAS: It's my understanding they're  
22 vaccinated soon after they arrive at the recruit  
23 centers. I believe that's all year round, but I'm not  
24 sure in the summer about that. Someone might be able  
25 to clarify that.

1 DR. DINIEGA: This is Ben Diniega from  
2 Health Affairs. We do have a policy for year round  
3 vaccination of recruits on entry to the installation.

4 DR. DAUM: Now sir, do you wish to ask a  
5 question of the speaker? Tell us who you are.

6 DR. FREAS: For the transcriber, sir, will  
7 you come to this microphone here?

8 DR. DAUM: Could you begin by telling us  
9 who you are and --

10 MR. BRADSHAW: Yes. My name is Dana  
11 Bradshaw. I'm currently with DOI's Global Emerging  
12 Infections Surveillance and Response System here  
13 located at Walter Reed Army Institute of Research. I  
14 was formerly Chief of Preventive Medicine in the Air  
15 Force, Surgeon General's Office, so was involved with  
16 a lot of this stuff. I was just going to try and help  
17 clarify some of the questions that were asked.

18 We do kind of a hybrid kind of  
19 surveillance in terms of influenza surveillance and  
20 respiratory disease surveillance. There's a program  
21 at the Naval Health Research Center which she  
22 mentioned which is population-based surveillance where  
23 they have a denominator, clear denominator at all the  
24 recruit centers. They have them with the Army, the  
25 Navy and the Air Force and they collect respiratory

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 disease surveillance information on those recruit  
2 populations, so they specifically look at recruit  
3 populations. They have a web site. If you're  
4 interested in this, the questions, for instance,  
5 earlier about adenovirus 4 and 7, they have that  
6 information on their website.

7 The Sentinel Sites that we use for  
8 influenza surveillance, we pick the sites looking,  
9 trying to look at portals of entry, places where  
10 historically we've seen influenza start and spread,  
11 etcetera. And so those are the sites that you saw.  
12 Most of these bases do have dependents with them. The  
13 policy in the military and the Air Force is to  
14 vaccinate all of our active duty, but we have -- we  
15 follow ACIP recommendations on dependents. So as you  
16 can imagine, we have variable uptake in our  
17 populations just as the rest of the U.S. and the world  
18 does in terms of uptake of influenza vaccination.  
19 But the Air Force has a policy and we do do  
20 immunization tracking. We've done this for our  
21 population's active duty since 1998 and since 2000 for  
22 all of our dependents. So we can get influenza  
23 vaccination information and Linda was mentioning that  
24 we are looking at doing some efficacy studies, trying  
25 to look at vaccines, diagnosis of influenza and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 correlate with some of our laboratory data, so  
2 hopefully maybe that helps clarify.

3 DR. DAUM: It does. Thank you very kindly  
4 for those comments.

5 Are there other questions for Ms. Canas?  
6 Thank you very much for an insightful presentation.

7 We'll move on to Dr. Levandowski and he  
8 will put before us a plethora of vaccine responses.

9 DR. LEVANDOWSKI: Well, I sometimes feel  
10 plethoric.

11 Okay, my task is to give some information  
12 about the vaccine studies that have been done in  
13 anticipation of this meeting. And I have to tell you  
14 that looking at the tables, my eyes start to cross.  
15 I'm sure yours do too. So what I'm going to try to do  
16 here is to summarize all of that data into some form  
17 that is more readily digestible. I don't know whether  
18 I'll succeed, but I'm going to try.

19 What I'd like to say is background  
20 information is the data that we're presenting and  
21 actually you have tables from both the Center for  
22 Biologics and from CDC in the handouts that you  
23 received. I'm not going to follow those directly, but  
24 the information I'm going to present comes from that.  
25 There is an on-going international collaborative

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 effort to look at immune responses or the antibody  
2 responses for current vaccines and that's largely  
3 possible because of the commitment that there is from  
4 the World Health Organization and its influenza  
5 centers and this overhead that's up here now shows the  
6 different serum panels that were provided for doing  
7 the testing that we're looking at today. These have  
8 come from adults and elderly from Australia, Europe,  
9 Japan and the United States. The vaccines that have  
10 been used for immunizing the people in these studies  
11 are shown and I really would just call your attention  
12 to just a couple of items here. The Influenza B  
13 strains that have been used, as has been mentioned,  
14 there have been several different ones. Really, there  
15 are three different strains that are currently in use  
16 around the world and they're considered to be  
17 equivalent and B/Sichuan/37/99-like. The three  
18 strains that have been used are B/Johannesburg/5/99  
19 which are -- Australia and Japan and I'm not really  
20 clear. They might also be using B/Guangdong/120/2000  
21 in Australia now.

22 And the B/Guangdong/120/2000 strain has  
23 been used predominantly in Europe and for the studies  
24 that was the vaccine strain, but they have also been  
25 using B/Johannesburg/5/99 as their vaccine strain.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 And the strain that's been used predominantly in the  
2 United States is B/Victoria/504/2000, but we also have  
3 B/Guangdong/120/2000 containing vaccines in the United  
4 States. So these are the components that were in the  
5 vaccines that were used in the clinical trials I'll be  
6 talking about, but just to make -- I'm trying to make  
7 it clear that there's a mix of vaccines being used  
8 around the world for, at least for Influenza B.

9 So the laboratories that are participating  
10 here include WHO Influenza Center in Melbourne,  
11 Australia, the National Institute for Biological  
12 Standardization and Control in London, CDC in Atlanta.  
13 More recently, the National Institute for Infectious  
14 Diseases in Tokyo is involved in these studies and we  
15 at the Center for Biologics have been involved also.  
16 And the five labs are sharing the sets of sera that  
17 shown and this represents about 200 individuals who  
18 have been immunized for the studies and I should say  
19 that there still is testing that's going on. You have  
20 been used to seeing, I think, in previous years  
21 information from Europe and from Australia, but we  
22 have not had access to that yet. So what I'm showing  
23 is current to Monday of this week and on the next  
24 overhead these are the H1N1 antigens that have been  
25 used for serological testing for the studies that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 you'll be looking at. Not every one of these antigens  
2 was used in all the laboratories, so that a wide  
3 variety of new antigens could be examined, but there's  
4 a core of antigens that can be tested in each of the  
5 laboratories and that gives us an opportunity to  
6 compare what the results are between labs and we do  
7 know that there are technical differences between the  
8 laboratories and you'll see that reflected in some  
9 differences in the level, the absolute titers for  
10 specific serum panels and for the same strain.

11 These studies were performed, that I'm  
12 going to show were performed actually in two different  
13 go's. Some were done as part of the preparation for  
14 the Southern Hemisphere, WHO Southern Hemisphere  
15 recommendations in September last year and some of  
16 these were done during the last few weeks. The  
17 antigens that are shown here for H1N1 are  
18 representative of the different strains that are  
19 circulating and all of these are in the A/New  
20 Caledonia/2099 group. None of these represent the  
21 BAYERN or Johannesburg strain that Sasha and Nancy  
22 were talking about.

23 On the next overhead these are some  
24 typical results. If you push that up towards the top,  
25 there's an opportunity for people to see it -- it will

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 be a little bit hard from the back. But these are  
2 sera from adults in the United States and this table  
3 and the others like it that I'm going to show will  
4 include data that has the geometric mean titer, the  
5 percent that are greater than 32 or 40 and the percent  
6 of 4 fold rises.

7 What I've attempted to do is to underline  
8 what represents the vaccine strain and also I think  
9 the organization for all of these is that results from  
10 the CDC will be at the top and from the Center for  
11 Biologics at the bottom.

12 So the vaccine strain here was the IVR-116  
13 reassortant virus for A/New Caledonia/2099 and it was  
14 also used as the test antigen in these serologic  
15 results. Generally what I can say is that the vaccine  
16 used was immunogenic and it produced good, homologous  
17 antibody responses. Although the New Caledonia-like  
18 strains were mostly well inhibited by the antisera in  
19 response to this vaccine antigen, there were some  
20 strains that were less well inhibited and in testing  
21 done at the CDC, for example, you can see that the  
22 geometric mean post-immunization titer for the  
23 Auckland/65/2001 strain was 50 percent of what the  
24 result was for the vaccine strain. And I believe that  
25 Auckland/65/2001 is one of those strains that was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pointed out as being one of the low reactors.

2 Similarly, in testing that was done at the  
3 Center for Biologics, the results for the -- I guess  
4 it's the Hawaii/15 strain here was more than 50  
5 percent reduced compared to the vaccine strain.

6 Next overhead, this overhead shows the  
7 results that were obtained from sera that were from  
8 elderly in Australia. And again, the vaccine that was  
9 used seemed to elicit pretty reasonable responses to  
10 the vaccine antigen and in general, the inhibition of  
11 the other H1N1 viruses was very similar to the vaccine  
12 strain. In testing that was done at CDC, I think you  
13 can see there's some minor reductions here to the  
14 Bangkok/255/2001 strain and the Hawaii/15/2001 strain,  
15 but not to the extent of being 50 percent or a 2 fold  
16 difference. And in testing done at the Center for  
17 Biologics, there was also again a minor sort of  
18 reduction for the Hawaii/15/2001 strain.

19 Other serum panels that were tested gave  
20 somewhat similar results and I'll try to cover that in  
21 a summary form in some later tables. So now moving on  
22 on the next overhead what I'll be showing you are some  
23 results for the H3N2 viruses or I guess I'll be  
24 showing you the H3N2 viruses that were used for the  
25 testing. Again, these are strains that are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 representative of many of the strains that have been  
2 circulating over the past year in both the Northern  
3 and the Southern Hemisphere. And on the next overhead  
4 I'll show results for one of the serum panels.

5 This table is for adults who were  
6 immunized in Europe and what it shows, generally, is  
7 that the current vaccine strain, the A/Panama/2007/99  
8 was reasonably immunogenic in terms of the response to  
9 the vaccine strain and again, this particular vaccine  
10 strain was a reassortant virus, the rest are 17  
11 reassortant. However, in this table, I think you'll  
12 see that there are geometric mean titers that are  
13 reduced for some of the other strains that were  
14 tested. Neither the A/Chile/6416/2001 strain or the  
15 A/Singapore/15/2001 strain seem to be well inhibited  
16 in the tests that were done at CDC and for both of  
17 those, the geometric mean titers were reduced by more  
18 than 50 percent and similarly data from testing done  
19 at Center for Biologics shows 50 percent or greater  
20 reductions for both the Darwin/3/2000 strain and again  
21 for the Chile/6416 strain. So for other strains, I  
22 guess I could say generally the antibody responses  
23 seem to be pretty much similar to those for the  
24 vaccine and the next overhead will show some results  
25 for some elderly in the United States.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           These data, I think, again demonstrate  
2           that the current vaccine produces antibodies that  
3           cross react reasonably well with many of the new H3N2  
4           viruses such as the Argentina/37/59 strain and also  
5           the Alaska/14 strain.

6           In tests that were done at the Center for  
7           Biologics, there was more than 50 percent reduction in  
8           the responses for the Philippines/78890 strain, but  
9           that was not seen in testing at CDC. And again, I'm  
10          going to cover other serologic results in more of a  
11          summary form a little bit later.

12          So moving on now, this overhead shows a  
13          list of the Influenza B viruses that were used for  
14          serological testing and you'll recall that there are  
15          two hemagglutinin lineages that are present in  
16          circulating viruses. The antigens that were chosen  
17          here represent, I think, as best we could both of  
18          those lineages as they're circulating and those at the  
19          top here are related to the current vaccine strains  
20          and they're all in the B/Sichuan/379/99 lineage,  
21          generally, or maybe I should qualify that some and say  
22          this B/Johannesburg/69 strain here is in that sort of  
23          -- can I call it a splinter group? I'm not sure it's  
24          a lineage, but there's a divergence, antigenic  
25          divergence developing and it's more like the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 B/Harbin/794 strain. And then at the bottom I'm  
2 showing the B/Victoria lineage or as it's sometimes  
3 being called, the B/Beijing/243/97-like strains.

4 Unless I say otherwise, all of this  
5 serologic testing that I'm going to present here was  
6 done with B antigens that had been ether extracted for  
7 use in the serology.

8 In the next overhead there should be  
9 results from a panel of adults in the United States  
10 again and I think this gives a pretty reasonable  
11 overview of recent isolates from a number of locations  
12 around the world and in general, the antibody  
13 responses seem to be pretty good to the vaccine strain  
14 and also to most of the newer strains that are  
15 circulating. However, there were some reductions in  
16 geometric mean titers for some of the antigens that  
17 were tested and in particular I'll just call your  
18 attention at the CDC testing with the  
19 Johannesburg/69/2001 strain was more than 50 percent  
20 reduced compared to the vaccine strain. And in  
21 testing that was done -- or as I mentioned, that  
22 particular strain is in that Harbin/794 lineage.

23 In testing that was done at the Center for  
24 Biologics, there were reductions of 50 percent or more  
25 for a couple of strains, both the B/Hong Kong/332/2001

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 which is not in the same lineage, HA lineage as the  
2 current vaccine and also for the B/Hong Kong/692 AND  
3 B/Sichuan/317 viruses.

4 Now I will point that that result does not  
5 seem to be typical of results from either the Center  
6 for Biologics or from CDC, but it is one of the  
7 variations in the antibody responses that we sometimes  
8 note.

9 In the next overhead, these are some  
10 results that were obtained from a panel of sera from  
11 elderly in Australia again and what they demonstrate  
12 are again reductions, predominantly reductions in  
13 antibody responses to the B/Victoria/287 lineage  
14 strains represented here by B/Hong Kong/330/2001 and  
15 B/Hawaii/10/2001 and what you can see is what we have  
16 come to expect with current vaccines of the B/Yamagata  
17 lineage in terms of producing antibody responses for  
18 the other lineage and I will not have any data from  
19 any pediatric populations, but what I could say is  
20 that in earlier years when the B/Victoria strains were  
21 not circulating so widely, but were still present,  
22 what we had found was that adults and elderly tended  
23 to have, although reduced titers, somewhat higher. So  
24 I think we're starting to see for adults and elderly  
25 over the last couple of years, in fact, continually

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 decreasing responses in the face of the current  
2 vaccine strain.

3 So now moving on to sort of a summary, in  
4 this table and the following tables, what I'm going to  
5 be showing are how we've tried to handle these data  
6 which are complex and coming from different  
7 laboratories in the past few years and what we'll have  
8 here is a table that shows the frequency with which we  
9 found new test antigens that gave a 50 percent or  
10 greater reduction compared to the current vaccine  
11 strain.

12 We picked 50 percent arbitrarily, but it  
13 represents a 2 fold reduction in geometric mean titer  
14 and that's fairly marked in terms of GMTs. The data  
15 that are included in the table are as much as we can  
16 for antigens that have been tested in more than one  
17 laboratory and I should note that we haven't really  
18 had access to information outside the United States  
19 at this point, partly because the WHO meeting for  
20 strain recommendations will occur next week and others  
21 are getting ready for that.

22 In this table for H1N1 viruses, all these  
23 viruses again are New Caledonia-like and if you just  
24 more or less look at the line for the total results,  
25 generally there are really two instances in which

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 multiple tests detected differences compared to the  
2 vaccine strain and that would be for the  
3 A/Hawaii/15/2001 strain and also for the  
4 Auckland/65/2001 strain.

5 The majority of the tests performed  
6 resulted in a 50 percent or greater reduction in  
7 titers as compared to the vaccine for both of those.  
8 For the Hawaii strain on average, the reduction was  
9 about 60 percent with a range from 31 to 82, depending  
10 on which of the serum panels were picked. And for the  
11 Auckland/65 strain, that difference was somewhat less  
12 than 50 percent, just less than 50 percent and it  
13 ranged from no change to 93 percent reduction. So  
14 there was quite a broad range there.

15 However, I'd say overall, the data don't  
16 indicate that there is a generalized substantial lack  
17 of inhibition of the current strains by the current  
18 vaccines. So the next overhead should show summary  
19 data for the H3N2 viruses.

20 And what you'll see here, I think is that  
21 many of the more recent strains were very well  
22 inhibited by sera from persons who were immunized with  
23 the current vaccines, but here again, there are also  
24 some strains that appear to be somewhat less well  
25 inhibited in comparison to the vaccine.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1           And those that are less well inhibited  
2 include the A/Chile/6416 strain, the Philippines/78890  
3 strain, the Singapore/15/2001 strain, and possibly the  
4 South Australia/102 strain.

5           Although there was reduced inhibition for  
6 the Chile/6416 strain in the majority of the tests, on  
7 average for all these tests there was only, there was  
8 somewhat less than a 50 percent reduction, and again  
9 the range for the difference here was wide, from  
10 nothing to about 75 percent.

11           For the A/Philippines strain, the  
12 reductions were only seen in one laboratory and so you  
13 have to factor that into thinking about this  
14 particular one and on average for all these tests it  
15 was really very moderate and there was a very, again  
16 a very wide range of responses that were seen in the  
17 tests that were done.

18           I think here with the Singapore/15 strain,  
19 I believe, both the Singapore/15 and the  
20 Australia/102, South Australia/102 strains again  
21 represent those that were low responders. Is that  
22 correct? I think I got that right. And so I would  
23 suggest again factoring that in and sort of  
24 considerations about what these responses are showing.  
25 But for the Singapore strain, in particular, it was

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 consistent in all the tests that were done, it was  
2 really a very narrow type range of reductions here, so  
3 there may be something more to that particular strain.

4 The overall picture though, I'd say is  
5 somewhat similar to what we're seeing for the H1N1  
6 viruses and that the results don't suggest a  
7 generalized lack of inhibition of currently  
8 circulating strains. And also, similar to the results  
9 for the H1N1 viruses, the results for the mean percent  
10 reductions shown in the last column are somewhat  
11 variable with some tests where there really is no  
12 reduction at all.

13 Okay, so moving on to Influenza B, this  
14 overhead should show the summary data for Influenza B  
15 viruses and just again as a reminder, the  
16 B/Hawaii/10/2001 and the B/Hong Kong -- where's B/Hong  
17 Kong, B/Hawaii/10 and B/Hong Kong/330/2001 are strains  
18 that are in the B/Beijing/243/97 or B/Victoria/287  
19 lineage, hemagglutinin lineage. All the rest are in  
20 the B/Yamagata lineage and the B/Johannesburg/69 is in  
21 that B/Yamagata lineage, but it's representative of  
22 the divergent, the strains that are diverging more  
23 like the B/Harbin/799/794 strain.

24 Although most of the strains that are in  
25 this B/Yamagata group seem to be very well inhibited

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 by the current vaccines, the only notable exception to  
2 that is the B/Johannesburg/6901 strain where about  
3 half of the tests done indicated a reduction and on  
4 average that reduction was just shy of 50 percent with  
5 a somewhat broad range, but again, there were  
6 reductions seen in -- some reductions seen in each of  
7 the tests that were done.

8 I think it's no surprise that the recent  
9 B/Beijing or the recent B/Victoria/287-like strains  
10 were poorly inhibited and I don't think I need to  
11 really dwell on that since that information is very  
12 consistent with what we've been seeing in the past  
13 couple of years.

14 So you can take the overhead off and I'd  
15 say in summary the vaccines that were used for the  
16 clinical studies appeared to be very immunogenic in  
17 the populations that they were tested in and for all  
18 three of the vaccine component strains, we can see  
19 some evidence of antigenic drift. The results, I  
20 think, are the most obvious for the Influenza B  
21 strain, where only the strains that are in the same HA  
22 lineage are well inhibited by sera from the current  
23 vaccine studies and any even there there's some  
24 evidence that antigenic drift is continuing. And so  
25 I'll stop there and take any questions, if there are

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 any, that I can answer.

2 DR. DAUM: Thank you very much. Are there  
3 questions?

4 Ms. Fisher, then Dr. Poland.

5 MS. FISHER: Dr. Levandowski, every year  
6 when new flu vaccine candidates are tested for  
7 efficacy, do those tests usually involve about 200  
8 individuals? I think you mentioned that was true for  
9 this year. Is there any reactivity data gathered and  
10 are children or pregnant women included?

11 DR. LEVANDOWSKI: These aren't for  
12 efficacy. They're really looking for immunogenicity  
13 and the real purpose behind is to have some -- we're  
14 trying to emphasize a comparison between the current  
15 vaccine strain and the newly circulating strain, but  
16 the number of 200. There are no pediatric patients  
17 that are being immunized at this point. And we're not  
18 including pregnant women and I don't know whether in  
19 all of these serologies that are done in other places  
20 and I don't know the answer to the question about  
21 whether reactogenicity data are being collected from  
22 those 200 people. It's not the specific reason for  
23 the study.

24 MS. FISHER: Right.

25 DR. LEVANDOWSKI: It might be done

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 elsewhere, but in the United States I don't believe  
2 we're doing that.

3 MS. FISHER: But these immunogenicity  
4 studies are the only ones conducted, correct, every  
5 year on the new flu vaccine candidates, or am I wrong  
6 on that?

7 DR. LEVANDOWSKI: Well, it might not be  
8 the only ones, but these are the ones that we have  
9 access to the antisera. We get these antisera  
10 specifically for this purpose that I mentioned, to try  
11 to get information to compare responses about the  
12 current vaccines with the newly circulating strains.

13 MS. FISHER: Right, but I think it's  
14 really important for the public to understand, is  
15 there more testing done on the flu vaccines other than  
16 this immunogenicity testing?

17 DR. LEVANDOWSKI: If you're asking is the  
18 Public Health Service doing some specific testing --

19 MS. FISHER: No, is there any other  
20 testing besides this testing done on new flu vaccine  
21 candidates? Is anyone aware of any other testing?

22 DR. LEVANDOWSKI: Okay, well, specifically  
23 related to the influenza vaccine candidate strains, I  
24 think the answer is probably not.

25 MS. FISHER: Okay, that's all.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LEVANDOWSKI: But are there studies  
2 being done to look at current vaccines, in a number of  
3 ways I think the answer is yes, but I don't know that  
4 I can give you a categorical response to that.

5 MS. FISHER: Thank you.

6 DR. DAUM: Dr. Poland, please.

7 DR. POLAND: Just a point of information,  
8 Roland. Are the laboratory protocols that CDC and  
9 CBER are using identical? Because it appears almost  
10 systematically that CBER's results are in some cases  
11 significantly lower than CDC's.

12 DR. LEVANDOWSKI: Right. I understand  
13 that and we do, although we follow very much the same  
14 procedure, there are some technical differences that  
15 we know about and that we have perpetuated purposely,  
16 I think. One difference is that serologic studies  
17 that are done in CDC are done using Turkey red blood  
18 cells which tend to be less different in their  
19 response to hemagglutination inhibition than chick  
20 cells. Chickens, individual chickens tend to be  
21 either good or poor responders in terms of  
22 hemagglutination and at the Center for Biologics, we  
23 have continued to use chick cells. It's pooled chick  
24 cells, but I believe that may have something to do  
25 with the technical differences. And then possibly

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 another area that could result in differences that we  
2 see in any of the serologic testing is what you  
3 exactly call the end point because telling exactly  
4 where hemagglutination has been inhibited is sometimes  
5 a little bit tricky and there can be interferences  
6 within the sera with the red cells themselves. The  
7 serum can have nonspecific glutinins that we try to  
8 remove with neuraminidase, but we see those kind of  
9 things and then just being able to decipher exactly  
10 what you're going to say is the end point, there may  
11 be some difference there.

12 DR. POLAND: In terms of looking at the  
13 data and trying to make a decision is do we really  
14 understand what the statistical significance is of  
15 these findings and which one do you believe, sort of.  
16 I know that overall we're looking at kind of the  
17 preponderance of evidence to make a decision, but in  
18 the specific case of the HAI titers --

19 DR. LEVANDOWSKI: Right, well, there's a  
20 logistical issue here too in trying to get the  
21 information for recent strains and how many serologies  
22 you can do and that's the reason we have multiple  
23 laboratories involved in this that we don't -- we  
24 think that if more laboratories are saying the same  
25 thing, maybe not the absolute titers are the same, but

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 the same direction seems to be true, that that is  
2 confirmatory and I think that's what we've been trying  
3 to do all these years with multiple labs being  
4 involved as to -- I don't think we can do statistics  
5 in a real sense because of the small numbers that  
6 we're looking at here generally, but I think we can  
7 get a pretty good idea about the trend and get  
8 confirmation from multiple views of the same data.

9 DR. DAUM: Before I call on Dr. Kohl and  
10 then Dr. Couch and then Dr. Goldberg, I wanted to just  
11 follow up on this particular point. I was also struck  
12 by the discrepancy sometimes between the two labs and  
13 then at the end, I believe you showed some summary  
14 data, where results done in each laboratory were sort  
15 of added up and then summed, so that we get a sense of  
16 how many low responders there were, how many high  
17 responders there were. And if two labs differ in  
18 their results markedly, so that one was low and one  
19 was high for a given virus, were they then summed into  
20 that total and if so, is that statistical double  
21 dipping or do I not understand statistics very well?

22 DR. LEVANDOWSKI: Well, I'm not going to  
23 answer the statistical question, but the answer to the  
24 first part is yes, we're not making any  
25 differentiation between whether we had high antibody

1 titers or lower ones. We're looking there mostly at  
2 a ratio between the pre and the post -- not the pre  
3 and the post -- we're looking at a ratio between the  
4 vaccine strain and the new influenza viruses that are  
5 circulating and we're hoping that whatever technical  
6 differences there are, affect everything equally,  
7 proportionally between the vaccine strain and the  
8 other strains. What I would say as another way of  
9 trying to control for that, when these serologies are  
10 done, when you see one of those serum panels,  
11 everything would have been done on the same day with  
12 the same red cells, the same reader doing the --  
13 reading the end points and so on. So as much as  
14 possible, there's a control over that part where we're  
15 trying to get the comparison between the vaccine  
16 strain and the newly circulating strains.

17 DR. COUCH: Could I get my comment in now?  
18 It relates to that. I just wanted to say that if you  
19 had a third lab, you would get a third set of data.  
20 That's the reproducibility of the HI between  
21 laboratories and between tests to some extent as well.

22 DR. DAUM: Okay, Dr. Goldberg wants to  
23 speak to this very point.

24 GOLDBERG: Could I ask a question of  
25 clarification about your design really? Are you

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 testing sera from the same 24 individuals in both  
2 labs?

3 DR. LEVANDOWSKI: Yes.

4 DR. GOLDBERG: In which case what you  
5 really need to be doing is thinking about comparing  
6 the results individual for individual in the labs and  
7 then looking at what you have because the real issue  
8 is some individuals were low in one lab, high in  
9 another, and that would speak to the assay  
10 variability. It may be that low is low, but the range  
11 is different and it's a systematic lab bias which  
12 would have to do with what you talked about about the  
13 kind of -- the way the assay is done.

14 So I think you need to relook at how you  
15 present the data and pair up the data so that you're  
16 looking at individual by individual and then summing  
17 up differences or whatever the appropriate measure is.  
18 I'd be happy to discuss it with you outside.

19 DR. DAUM: Thank you, Dr. Goldberg. Now  
20 we'll go to Dr. Kohl. You've been patient.

21 DR. KOHL: Roland, thank you again for  
22 your usual lovely annual report. I get to take my  
23 plaque home today because it's four years of serving  
24 on the Committee, but I have the same frustrating  
25 question that I've asked for four years which has

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 previously been reflected, I think, by Bob Couch's  
2 question and by Barbara Loe Fisher, where are the  
3 children? We're thinking about using a new antigen  
4 this year, I guess, with the B/Vic and I'd love to see  
5 some data on children.

6 What can we do to help whoever needs to be  
7 helped so we can get some children data because those  
8 are a critical group to be considered?

9 DR. LEVANDOWSKI: Pardon me, well, I guess  
10 we can't disagree with what you're suggesting and it  
11 is something that we would like to see done and again,  
12 it's I believe predominantly a resource issue. The  
13 pediatric population, of course, is a protected one  
14 and we want it to stay that way, but in terms of being  
15 able to do studies, there needs to be some way to  
16 access an appropriate population which requires both  
17 time, investigators and money, all three of the key  
18 issues for doing anything successfully.

19 DR. KOHL: It's an unprotected population,  
20 unfortunately, not a protected one in terms of the  
21 influenza virus and there's more and more data showing  
22 that children have quite a high burden of disease. We  
23 need to do something about this.

24 Bob, I don't know if there's something, a  
25 sense of the Committee that can carry this forward a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 little bit, because it really has been a major  
2 frustration over these years on my part and I think  
3 other members of the Committee.

4 DR. DAUM: Well, I think that making  
5 comments like you make put it into the record. There  
6 are many people here who pour over every word that you  
7 and everyone else says and I think that it comes up  
8 this afternoon when the Committee is deliberating and  
9 casting their votes as an issue again that we'd be  
10 heard. We have said it before. You've spoken about  
11 it before and so have others and I think it's a  
12 crucial issue. And FDA, audience, industry people,  
13 please hear us.

14 Dr. Cox, you had your hand up.

15 DR. COX: Yes. I just wanted to say that  
16 unfortunately this year, as Roland mentioned, we don't  
17 have access to data that has been generated or is  
18 being generated in London, in Tokyo and in Melbourne  
19 and it really helps when you have more than two sets  
20 of serologic data. So when you have five sets you do  
21 begin to see patterns and it falls out a little bit  
22 more clearly.

23 DR. DAUM: Thank you. Okay, I think  
24 Levandowski, you're off the hot seat. Thank you very  
25 much for your input.

1 Dr. Ye is here. There he is.

2 DR. YE: And I'm going to present the  
3 status of candidate vaccine strains and the related  
4 potency reagents.

5 Next slide, please. Inactivate trivalent  
6 influenza vaccine contains the antigen of two type A  
7 strains which are H1N1 and H3N2 and one type of B  
8 strain.

9 The current vaccine for Influenza A, H1N1  
10 strain is A/New Caledonia/20/99, reassortant between  
11 New Caledonia and PR8 is VIR-116 which has lower to  
12 higher growth curve characteristics in eggs.

13 At this point we do not have a new  
14 candidate for H1N1 virus.

15 Next slide, please.

16 The current vaccine for Influenza A, H3N2  
17 is Panama/2007/99 which is A/Moscow/10/99-like  
18 viruses.

19 Resvir-17 a reassortant between  
20 Panama/2007/99 and PR-8 which has moderate to high  
21 growth characteristics. Again, at this point we do  
22 not have a new candidate for this strain.

23 Next slide, please.

24 Now we will move on to Influenza B  
25 strains. The current vaccine strain for B Influenza

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 viruses is B/Sichuan/379/99-like viruses which belong  
2 to Yamagata/1688 lineage. There are three current  
3 vaccine candidates. One is B/Johannesburg/599 which  
4 has lower growth characteristics in eggs. The second  
5 one is B/Victoria/504/2000 which has moderate growth  
6 characteristics in eggs. The last one is  
7 B/Guangdong/120/2000 which has moderately growth  
8 characteristics.

9 Next slide, please.

10 The candidate strain for B Influenza  
11 viruses are shown on this slide. There are two  
12 lineages for Influenza B viruses. One is  
13 B/Yamagata/1688-like virus. There are two possible  
14 candidates for B. One is B/Shizuoka/15/2001 which  
15 gives a lower to moderate growth characteristic in  
16 eggs. B/Sichuan/117/2001 which has moderate growth  
17 characteristic in eggs. The second lineage  
18 represented by B/Beijing/243/97 and this also belongs  
19 to B/Victoria as mentioned earlier.

20 There are three candidates to be  
21 considered. One is B/Hong Kong/330/2001 which has  
22 lower growth characteristics in eggs.  
23 B/Hawaii/22/2001 also has a lower growth  
24 characteristic in eggs. B/Shangdong/797 which has  
25 moderately growth characteristics previously used for

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 vaccines in Asia in 1999 and the year 2000.

2 Now we are moving to the potency reagents.  
3 The antisera and antigen for H1N1 New Caledonia/2099  
4 and 83 N2B Panama/2007/99 are available now from CBER.  
5 If new strains are choosing new reagents, need to be  
6 made and will be made available at the earliest.

7 Next slide.

8 In reagents currently available for B  
9 Influenza viruses as follows, the antisera and antigen  
10 for B/Victoria/504/2000 now available from CBER for  
11 manufacturer usage. Antisera for B/Guandong/120/2000  
12 available from CBER, but antigen for this virus can be  
13 required from NIBSC. NIBSC also produced both antigen  
14 and antibody for B/Johannesburg/599.

15 Now we will move on to single lineage  
16 which is represented by B/Beijing/243/97 which is also  
17 Victoria-like lineage. The antiserum and antigen for  
18 B/Guangdong/797 are also available now in CBER for  
19 manufacture usage. Next slide, please.

20 The candidate strain for B viruses, if the  
21 new strains are choosing and again reagents needed to  
22 be made from CBER and will be available May at the  
23 earliest.

24 Thank you.

25 DR. DAUM: Thank you very much, Dr. Ye.



1 Are there Committee questions or input? Dr. Decker.

2 DR. DECKER: Is there a handout available  
3 that has those information -- those data?

4 DR. YE: Pardon?

5 DR. DECKER: Is there a handout available  
6 that has those data? The Committee would be  
7 interested in having them.

8 DR. YE: Not now but I think we can make  
9 it after meeting.

10 DR. DECKER: So after we decide, we can  
11 get the data.

12 (Laughter.)

13 DR. YE: I think we can get this from Web  
14 site.

15 DR. DAUM: I think what Dr. Decker is  
16 hinting at is that it would be nice to have them for  
17 this afternoon's discussion. If there's any way this  
18 could be accomplished during lunch, we'd be grateful.  
19 Dr. Griffin, then Dr. Levandowski.

20 DR. GRIFFIN: Could you just remind which  
21 of the strains in the current vaccine, we've had  
22 production problems over the last couple of years  
23 which has been due to slow growth. Is that due to the  
24 B, the current B strain or which of the three strains  
25 in the current vaccine?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 DR. YE: B strains is always the problem  
2 because compared B to A, B always grows lower, never  
3 can see the HI titer higher than 1,000 compared to A.  
4 And the second thing is to B, we do not have the  
5 reassortant available for like A to generate a high  
6 growth viruses.

7 DR. GRIFFIN: And from what you know about  
8 the strains, if we went to the Victoria-like strain,  
9 there's no reason to think those would be better than  
10 the current strains that we're dealing with in the  
11 Yamagata lineage?

12 DR. YE: If I understand your question, we  
13 have one, it's a Shangdong/797 which previous to use  
14 of vaccine, commercially has been used in Asia. So we  
15 had quite an experience for that strain.

16 Another one is Beijing/243/97 and as  
17 mentioned by Nancy as being experimentally started in  
18 Europe. So I think we have the information for that  
19 strain, but compared to Guangdong --

20 DR. GRIFFIN: The Victoria/504 is what's  
21 currently in the vaccine?

22 DR. YE: I would defer to Roland.

23 DR. LEVANDOWSKI: Yes. In the  
24 United States, both B/Victoria/504/2000 and  
25 B/Guangdong/120/2000 have been used in vaccines that

1 are currently in use here in the United States.

2 DR. GRIFFIN: Right, and their growth  
3 characteristics you would say in general are sort of  
4 comparable to what we're looking at for the  
5 B/SHANDONG?

6 DR. YE: It is the best that we have right  
7 now.

8 DR. GRIFFIN: Okay.

9 DR. DAUM: Dr. Levandowski, did you want  
10 to make any other comments? You had your hand up.

11 DR. LEVANDOWSKI: I'll just follow up on  
12 that last bit, the Shangdong/797 strain was a  
13 reasonably good growing strain when it was used for  
14 commercial purposes a few years ago. And as Zhiping  
15 has mentioned most of the B strains, they usually are  
16 the great limiting step I think for most manufacturers  
17 because we don't have high growth -- the capability  
18 currently of making high growth reassortants although  
19 that's something that's on the table and there are  
20 other ways to get to that.

21 DR. DAUM: Thank you. Oh, one more. Dr.  
22 Eickhoff and then --

23 DR. EICKHOFF: A question for Dr.  
24 Levandowski. Was the B/Shangdong strain used by U.S.  
25 manufacturers and have they had experience with it?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. LEVANDOWSKI: Yes, the answer is at  
2 least one U.S. manufacturer has had experience, but  
3 that strain was distributed widely to many  
4 manufacturers, for examination and feedback from them  
5 suggested that that strain would be a reasonable  
6 grower as Influenza B viruses go.

7 DR. EICKHOFF: Thank you.

8 DR. DAUM: Okay, at this moment we have  
9 adjourned our morning session. Thank you, Dr. Ye, and  
10 we will now go to lunch. I think we can safely take  
11 a one hour break. It will be quarter of one in the  
12 Eastern Time Zone now and we'll reassemble promptly at  
13 quarter to 2.

14 (Whereupon, at 12:48 p.m., the meeting was  
15 recessed, to reconvene at 1:45 p.m.)

16

17

18

19

20

21

22

23

24

25

AFTERNOON SESSION

1:56 P.M.

1  
2  
3 DR. DAUM: Okay, could we come to some  
4 degree of order, please?

5 (Pause.)

6 Everybody sort of finish their  
7 conversations and have a seat.

8 Dr. Slusaw at hand, here he is. Get  
9 ready, Dr. Slusaw.

10 With the departure of Nancy I don't have  
11 the little bell that I didn't realize it was she that  
12 furnished. The bell is gone, but we still need to get  
13 moving quickly because it's an airplane day and we're  
14 going to call on Dr. Slusaw, please, to give the  
15 comments from manufacturers.

16 DR. SLUSAW: Thank you. It's my pleasure  
17 to address the Committee once again this year on  
18 behalf of the manufacturers and just give a little bit  
19 of insight and share some of our concerns on the  
20 practical aspects of manufacturing flu vaccine.

21 The Committee is once again faced with the  
22 challenge of recommending strains for the 2002-2003  
23 vaccine formula and I see this as kind of a dual  
24 challenge. One is, of course, you're trying to find  
25 the best antigenic match for the circulating viruses,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 but of particular concern to us is that you also make  
2 a timely selection and you choose strains that are  
3 practical for vaccine manufacturing.

4 I think it's safe to say that the  
5 manufacturers feel fairly comfortable that we know how  
6 to make the current strains and turn them into  
7 vaccines, but each time we adjust the vaccine  
8 composition or fine tune the formula, we're  
9 introducing some uncertainty and some risk into the  
10 process that we may have difficulty growing the  
11 viruses or purifying the viruses to make the final  
12 vaccine.

13 Just to give an overview of the process  
14 and the components that have to fall into place each  
15 year in order to successfully produce vaccine, the  
16 first slide lists the key ingredients that are  
17 required. Of course, the most important raw material  
18 is the supply of embryonated eggs that are used each  
19 year to grow the virus. Second, the activity that  
20 we're doing today is looking for candidate strains and  
21 seed viruses and in particular, not just any seed  
22 virus, but where possible, it's extremely important  
23 that we have high growth reassortant viruses available  
24 for production.

25 Those ingredients allow us to start

1 manufacturing the monovalent components for the  
2 vaccine, but we can't test or measure the quantity  
3 that we've produced or we can't formulate the  
4 trivalent vaccine until we have the potency test  
5 reagents and homologous reagents that are created  
6 specifically for each new vaccine component.

7 I'd like to give a brief overview of the  
8 process and just hit some of the highlights that are  
9 perhaps most critical to us today. This time line  
10 demonstrates from start, from obtaining a vaccine  
11 manufacturing seed through distribution of final  
12 container the process of making flu vaccine. And for  
13 the new strains we're considering today we're right  
14 here somewhere where we're talking about potential new  
15 strains in some cases, potential A strains, even the  
16 H3 which we don't have candidates in hand yet. And of  
17 course, it takes about 6 to 8 weeks to prepare a high  
18 growth reassortant of an A strain and then another  
19 month or so to prepare working seed and begin using  
20 that to make the vaccine components. So just to  
21 caution that anything new we're talking about  
22 considering as a candidate strain today that the  
23 manufacturers do not have in hand and have not had a  
24 chance to work with, we're perhaps 3 to 4 months away  
25 from being able to produce the vaccine components with

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 a new strain.

2 The B strain, since we're currently not  
3 using high growth reassortants has a somewhat shorter  
4 time line and isn't as critical in that regard.

5 Next slide, please.

6 And briefly, an overview of the annual  
7 manufacturing cycle, the entire process of  
8 manufacturing actually begins about a year in advance  
9 when the egg suppliers order their birds in order to  
10 be able to supply the embryonated chicken eggs that we  
11 use each year. And that typically happens in January  
12 for use the following year that they'll order those  
13 birds.

14 This time of year we're somewhere in here  
15 where we're receiving some candidate strains and also  
16 hope today to definitely at least get the  
17 recommendation for the first strain in the vaccine  
18 formula. And the model of annual production has  
19 worked out fairly well for us, recently, as to have  
20 the first strain in January and then following with  
21 the second strain about a month later and the third  
22 strain about a month after that.

23 I think in summary, we could do worse than  
24 following last year's scheduled strain selection as a  
25 model. I think it went rather well, at least from a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 manufacturing standpoint for most of the manufacturers  
2 in that the data was analyzed and considered  
3 thoughtfully at this meeting and then with the kind of  
4 pragmatic outlook, the strain selection proceeded  
5 rather quickly and we had received the third strain  
6 selection by March, which actually made for a fairly  
7 smooth and efficient manufacturing cycle.

8 Any questions from the Committee or any  
9 comments?

10 DR. DAUM: We do have some. Dr. Faggett,  
11 please?

12 DR. FAGGETT: Yes, thank you for that very  
13 clear presentation. You mentioned from this point it  
14 would take 4 or 7 months to have vaccine ready for  
15 use? What was the time line from now to having it on  
16 line?

17 DR. SLUSAW: Actually, if you could go  
18 back one slide? If we're talking about the A strains  
19 in particular, again, adding on the time line of the  
20 high growth reassortant, it's about from the  
21 identification of the initial wild type candidate  
22 strain to having a final container, it's about a 6  
23 month period.

24 DR. FAGGETT: Six month, okay. Thank you.

25 DR. SLUSAW: Of some interest, perhaps, in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that is the actual manufacturing time of the vaccine  
2 components is less than 2 weeks and all the rest of  
3 the time is various testing and release process for  
4 the intermediates and vaccine components.

5 DR. DAUM: Dr. Kohl and then Dr. Eickhoff.

6 DR. KOHL: How big a problem would a  
7 quadravalent vaccine be in terms of time and also if  
8 there are any other licensure problems, if you have to  
9 do four strains?

10 DR. SLUSAW: Well, I think it's safe to  
11 say that it would reduce the total quantity of doses  
12 that would be available this year and/or delay the  
13 timing of the availability of the doses. Just simply  
14 having to produce more antigen. I think most of the  
15 manufacturers are currently running, essentially, at  
16 full capacity insofar as the number of eggs they're  
17 producing or consuming per day to use in their  
18 manufacturing process. So producing additional  
19 vaccine components would mean less doses or more time.

20 DR. KOHL: But I'm asking you to try to  
21 quantify that. How much more time, for instance?

22 DR. SLUSAW: For a fourth component I  
23 would say that that would add or reduce the total  
24 doses by about a third or add that one third on  
25 additional manufacturing time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. DAUM: Dr. Eickhoff and then Dr.  
2 Couch.

3 DR. EICKHOFF: We may be in the position  
4 this year of having the most slowly growing  
5 problematic strain being the last one to be selected.  
6 Does this introduce another element of delay?

7 DR. SLUSAW: Well, that's actually about  
8 the worse combination of events that can happen and  
9 kind of reflective of the events that occurred several  
10 years ago where the supply was a little later than  
11 expected. But really, the critical factor in the  
12 timing of the availability of the vaccine is the  
13 characteristics of that third strain and when the  
14 third strain is announced exceptionally late or if  
15 it's a particularly slow grower, the results are very  
16 serious and reduce the number of doses that are  
17 available.

18 DR. DAUM: Dr. Couch.

19 DR. COUCH: To follow up on Steve's  
20 question, when you're talking about a quadravalent  
21 vaccine, you were talking about the time delay. That  
22 was the assumption that all components would be 15  
23 micrograms because you were talking about the total  
24 vaccine produced, isn't that correct at that level?

25 So if you split one of them to 7.5 and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 7.5, then there would be some delay there, but not to  
2 the level that you had suggested. Is that correct?

3 DR. SLUSAW: Right, as far as the total  
4 quantity there would be less impact because of that,  
5 but handling a fourth vaccine component --

6 DR. COUCH: Fourth vaccine --

7 DR. SLUSAW: Our systems are generally set  
8 up to handle three would be a bit of a change that's  
9 difficult to make on the fly in a short period.

10 DR. COUCH: And you're manufacturing time  
11 line in which you say start the third strain, that's  
12 actually start the production line, correct?

13 DR. SLUSAW: Right.

14 DR. COUCH: Everything has got to be right  
15 for the production line?

16 DR. SLUSAW: That's right.

17 DR. DAUM: Can I ask a question about how  
18 the process occurs by which industry or people who  
19 manufacture flu vaccines help you prepare for these  
20 comments and how you take what you hear here back to  
21 industry? Can you give us some sense of how that back  
22 and forth works?

23 DR. SLUSAW: Representatives of the  
24 manufacturers and the FDA and CDC meet in December of  
25 each year where we essentially do a post-mortem and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 analysis of the previous year's manufacturing cycle  
2 and distribution, testing, release, all the aspects of  
3 vaccine production and that's a fairly open forum  
4 where we raise a lot of the issues, where we had  
5 difficulties that we'd like to correct the following  
6 year and if we also have any suggestions for  
7 streamlining or improving some of the systems, we  
8 generally identify them at that time. It's also an  
9 early update for us on surveillance information and  
10 also sharing some feedback on growth characteristics  
11 of any candidate strains that have been distributed up  
12 to that time.

13 So that's the forum where a lot of the  
14 background information is discussed before this  
15 meeting.

16 DR. DAUM: But companies that make the  
17 vaccine are aware that through you, I guess, they have  
18 representation today and input into this process?

19 DR. SLUSAW: That's right, and again, I  
20 mentioned the December meeting, but we also have  
21 additional telephone and e-mail contacts where we  
22 share concerns that we would like to emphasize and  
23 bring up at this meeting.

24 DR. DAUM: Thank you.

25 MR. YORK: This is Richard York from Wyeth

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1        Laboratories and I'd just like to reaffirm what Greg  
2        has just told you. He's actually sent the slides out  
3        to us ahead of time to review and it's pretty much an  
4        industry situation, the time lines that he described  
5        is the same for us. I'd just also like to emphasize  
6        that if you throw a fourth strain in and it takes --  
7        sometimes it takes 6 months before we can play with  
8        that to get the yield up if it's a low yielder and  
9        that would certainly delay our time line and that's  
10       part of what happened with A/Panama a few years ago.  
11       That was a very poor grower to begin with and now we  
12       all love it. It's a great growing strain because  
13       we've had time to work with it.

14                DR. DAUM: Thank you very much. I think  
15       we'll move on now to hear the options for strain  
16       selection from Dr. Levandowski and then we will begin  
17       Committee discussion and recommendations.

18                DR. LEVANDOWSKI: Okay, thank you. I'll  
19       try to be to the point and brief. I'll start out with  
20       a little bit of review. You can take that down. I'll  
21       start out with a little bit of review about each of  
22       the strains and then go over what I think we see as  
23       reasonable options here.

24                First of all, I'm going to go in the order  
25       that the presentations were previously with H1N1, H3N2

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and then B viruses.

2 So just to summarize, I think what we heard this  
3 morning, so far there have been relatively few  
4 influenza A/H1N1 viruses isolated in North America and  
5 Europe. However, there's some recent isolates from  
6 China that CDC has, but hasn't had a chance to really  
7 analyze fully at this point.

8 What we know from what's been done the HAs  
9 of most of the strains are antigenically are very  
10 similar to the current vaccine strain which is A/New  
11 Caledonia/2099 and H1N1 viruses generally seem to be  
12 well inhibited by the antisera from people who have  
13 been immunized with the current vaccines that contain  
14 A/New Caledonia/2099.

15 The high growth reassortant of A/New  
16 Caledonia/2099 is already available, obviously. It  
17 grows well and the manufacturing for that has been  
18 very well worked out. So the first option that we  
19 would have, I think, if you want to put the first  
20 overhead up, please, would be to maintain the current  
21 vaccine strain as A/New Caledonia/2099. And in favor  
22 of that, the manufacturing is worked out. The yield  
23 is very predictable. Most of the viruses this year  
24 are A/New Caledonia-like by antigenic  
25 characterization. On the negative side for that,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1       however, there have been relatively few recent strains  
2       for analysis and so that leaves a little bit of a  
3       blank in terms of where things are really headed. And  
4       some of the recent H1N1 isolates have not yet been  
5       completely analyzed. So a second option would be on  
6       the next overhead would be to change the current  
7       vaccine strain to a strain that is more representative  
8       of currently -- the few currently circulating viruses  
9       that are out there and a reason to do this in favor of  
10      that would be that a more recent strain might provide  
11      a closer match with the hemagglutinins and the  
12      neuraminidases of contemporary strains although I  
13      think we heard that in that respect it doesn't seem  
14      like there's too much that's changing from the strains  
15      that have been analyzed.

16                   I guess that would be that a new strain  
17      might not provide any superior immunogenicity or  
18      efficacy compared to the current vaccine strain. And  
19      furthermore, we heard that there aren't any new  
20      strains suitable for manufacturing that have been  
21      identified, therefore any manufacturing issues that  
22      there could be haven't been investigated. And then a  
23      third option for the H1N1 and I'm going to repeat this  
24      so this will be the sort of order I go through things,  
25      the third option would be to defer the decision to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 accumulate more data and in favor of this, I'd say  
2 that possibly there could be some additional analysis  
3 of contemporary strains that might identify some  
4 change that would lead to suggesting a change in the  
5 vaccine, but I guess that is there's really very  
6 little new information that appears to be forthcoming,  
7 not only because there's -- although there's some work  
8 to do in the laboratory, there just aren't really many  
9 strains out there. So you can take that off. I don't  
10 need a slide yet.

11 So moving to the influenza A/H3N2 viruses,  
12 again to summarize, predominantly there have been  
13 influenza A/H3N2 viruses isolated during the recent  
14 past and there have been quite a number of them. The  
15 HAs, most of the strains that have been investigated  
16 seem to be similar to the A/Moscow/1099-like viruses  
17 and that includes the current vaccine strain  
18 A/Panama/2007/99. However, at this point, it looks  
19 like the influenza season, not only in the United  
20 States, but in other parts of the Northern Hemisphere,  
21 is really still just developing and we don't really  
22 know what is likely to turn up at a later point.

23 Furthermore, Nancy Cox mentioned that  
24 analysis of some new H3N2 viruses from outbreaks in  
25 China are just in progress and we've seen in the past

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a lot of the N3N2 strains that are really different  
2 have come from China to begin with.

3 The A/Panama-like H3N2 viruses tested to  
4 date are generally well inhibited by antisera from  
5 people who have been immunized, but as I pointed out  
6 earlier in the serologic data, there are some  
7 exceptions to that, mostly in terms of those viruses  
8 that have been identified as low reactors with ferret  
9 sera. And finally, the current high growth  
10 reassortant of A/Panama/2007/99 grows well and the  
11 manufacturing is very well worked out.

12 So the options for the H3N2, the first  
13 option again would be to maintain the current vaccine  
14 strain which is A/Panama/2007/99. And in favor of  
15 that again, the manufacturing has worked out and the  
16 yield is very predictable. And also, most of the  
17 viruses this year are Panama/2007/99-like by their  
18 antigenic characterization.

19 Against that would be the analysis of some  
20 newer strains really hasn't been completed and just to  
21 reiterate what's been stated before, the H3N2 viruses  
22 often are responsible for the most significant  
23 morbidity and mortality. This is not to say that the  
24 other influenza viruses don't cause that, but H3N2 in  
25 many occasions in the past seem to have been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 associated with some significant morbidity and  
2 mortality.

3 So the next option would be to change the  
4 current vaccine strain to one that's more  
5 representative of the other strains that are out  
6 there. And the reason to do that would be that the  
7 more recent strain might provide a closer match for  
8 the hemagglutinins and neuraminidases and again, a  
9 reason to do it would be because the H3N2 viruses  
10 often are responsible for significant morbidity and  
11 mortality, but against that option of changing at this  
12 point at least would be that the analysis of the  
13 newest strains really isn't completed and a new strain  
14 might -- we don't actually know, there might be other  
15 strains that pop up, a new strain may not provide  
16 superior immunogenicity or efficacy compared to the  
17 current vaccine strain. And finally at this point  
18 there aren't any new strains that have been identified  
19 as being suitable for manufacturing, so that  
20 manufacturing issues have not really been  
21 investigated.

22 So now that brings me to the third option  
23 here and again, it's to defer the decision to  
24 accumulate more data and in favor of that, this would  
25 provide some additional time to complete analysis of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the strains that have been recently received from  
2 Asia. A more recent strain might provide a closer  
3 match with the HA and NA of the contemporary strains  
4 and again, just to reiterate the H3N2 viruses are  
5 viewed as being responsible for morbidity and  
6 mortality.

7 On the negative side, however, again, we  
8 don't know whether a new strain would provide anything  
9 superior in terms of immunogenicity and efficacy,  
10 compared to the current vaccine strain and again, we  
11 don't really, at this point have anything identified  
12 that seems to be suitable for manufacturing. And in  
13 manufacturing, the practical issues have not been  
14 addressed. So you can take that overhead off.

15 And now I'll move to influenza B viruses  
16 which I think is a lot more complex. What seems to be  
17 happening is that there's antigenic drift continuing  
18 and influenza B viruses in both of the two known HA  
19 lineages continue to circulate. Some of the strains  
20 that are in the vaccine HA lineage are antigenically  
21 distinguishable from the current vaccine strains and  
22 all of those vaccine strains are similar to the  
23 B/Sichuan/379/99-like strain.

24 There's evidence that some influenza B  
25 viruses in the vaccine HA lineage are less well

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 inhibited by antisera from people who have been  
2 immunized with current vaccines.

3 The viruses that are in the  
4 B/Victoria/287HA lineage also seem to be undergoing  
5 antigenic drift and strains in that lineage have been  
6 only recently, really, within the last couple of  
7 weeks, identified in a number of regions where they  
8 had not previously been found. So there's evidence  
9 for recent spread of those strains where they had been  
10 mainly in Asia over the last several years.

11 Strains in the B/Victoria lineage seem to  
12 be poorly inhibited by antisera from people who are  
13 immunized with current vaccines and as I pointed out  
14 earlier today, again, we've been seen developing not  
15 only for children, but for adults who are  
16 immunologically prime. We've been seeing much reduced  
17 responses to the current B/Victoria-like strains.

18 The current vaccine strains, the  
19 Johannesburg/5, the Victoria/504/2000, and  
20 Guandong/120/2000 all seem to be pretty well worked  
21 out in terms of their manufacturing status and  
22 vaccines with all three of those strains, actually,  
23 are being manufactured, have been manufactured this  
24 year.

25 A B/Victoria/287-like strain, actually two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of them have been used in producing experimental  
2 vaccines, both the B/Beijing/243/97 and the  
3 Shangdong/797 have been used for experimental vaccines  
4 in Europe. And furthermore, the B/Shangdong/797  
5 strain is one that has been used for producing  
6 commercial vaccines for parts of Asia a couple of  
7 years ago, so that there is some information on  
8 manufacture there as well.

9 And finally, there are strains in both of  
10 these influenza B lineages that have been distributed  
11 to manufacturers and there is some development that's  
12 on-going. It's not complete, but there's development  
13 of information as to how these strains might perform  
14 in terms of manufacturing. So I'll just go on to the  
15 options now.

16 Next overhead. One option would be, of  
17 course, to just retain the current vaccine strains  
18 which for the United States are really predominantly  
19 B/Victoria/504/2000 and B/GUONGDONG/120/2000. And in  
20 favor of that, of course, the manufacturing is well  
21 defined and it's predictable, but against that is that  
22 there have been new variant strains that have been  
23 identified in the vaccine HA lineage and in addition  
24 to that, B/Victoria-like strains, B/Beijing/243/97 HA  
25 lineage strains are appearing in increasing numbers

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 and in new regions. And some of the influenza B  
2 strains, particularly those in the B/Beijing/243/97 HA  
3 lineage are not really well inhibited by post-  
4 infection or by post-immunization antisera.

5 So this brings me to the second option and  
6 of course this one is to change the current vaccine  
7 strain to some other influenza B strain. In favor of  
8 that, of course, our hope would be that the vaccines  
9 would provide broader coverage for the current  
10 influenza B viruses and also in favor of that, I think  
11 from a practical point of view, several candidate  
12 strains have been identified and they're being  
13 examined for their suitability in terms of  
14 manufacturing. But against that, again, we don't know  
15 whether a new strain is going to be any more useful  
16 than the current strain in terms of its  
17 immunogenicity and efficacy, although we might expect  
18 that for the B/Victoria lineage and it's always  
19 possible that a new influenza B strain, and in  
20 particular, could cause some difficulties in  
21 manufacturing.

22 And so the third option here would be  
23 again to defer the decision to accumulate some  
24 additional information and in favor of this, I think  
25 it would provide some additional time to look into the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 antigenic properties of influenza B isolates of both  
2 lineages that are out there. It would provide some,  
3 more time to evaluate the candidate vaccine strains  
4 and see how they're likely to perform and it would  
5 also -- a more recent strain might again I think we  
6 would hope that that would be true would provide a  
7 better match for the hemagglutinin and neuraminidase.  
8 Against, this option, again, a new strain might not be  
9 any superior. I think that's all I have to say there  
10 and I'll stop and see if there are any questions or  
11 comments.

12 DR. DAUM: I'm sure we have some. Dr.  
13 Katz, first and then Dr. Dowdle.

14 DR. KATZ: The one contraindication you  
15 didn't list in your three options was the price of  
16 deferring, as far as time is concerned. As we listen  
17 to the schedule of how vaccine is prepared, with the  
18 new strain, the necessity to be able to replicate to  
19 high enough titer to produce enough vaccine, it seems  
20 to me that the threat lies in again having a delay in  
21 availability if you hold the manufacturer to waiting  
22 until you have additional information.

23 Can you comment on that at all, Roland?

24 DR. LEVANDOWSKI: I think that's the  
25 tradeoff. I think we're always concerned. As I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 mentioned at the very outset, the understanding about  
2 how inactivated influenza vaccines work, it's based on  
3 antibodies to hemagglutinin and the match of the  
4 hemagglutinin to the vaccine has a lot to determining  
5 how well the vaccines are going to perform. I think  
6 that's been seen since the very first influenza  
7 vaccines have been used. So it's always a tradeoff,  
8 I think between making sure that there's the best  
9 match we can get for the vaccine and understanding  
10 that it's a huge stress on manufacturers to try to put  
11 together more and more vaccine every year and have  
12 uncertainties about what's going to be coming to them.  
13 Obviously, we have a lot of faith in their  
14 capabilities and I think they have shown time again  
15 what resourcefulness they have in manufacturing to  
16 overcome some of these obstacles, but obviously there  
17 is a point in time in which it becomes too late to do  
18 anything and I guess I would argue at the moment, I  
19 don't think we're at that point where it's too late to  
20 do anything. I think the additional information that  
21 could be accumulated could help, for example, just the  
22 practical end of it, knowing which strains do not  
23 perform so well and which strains the manufacturers  
24 are not interested in pursuing any further. That sort  
25 of feedback is helpful to us. So I think we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 understand why you're asking that question and I think  
2 it's a concern that we all have to try to balance  
3 those two needs to have a good vaccine match and still  
4 permit manufacturer's to do what we're asking them to  
5 do.

6 D R . K A T Z :

7 Well, you know, it's February now and I'd love  
8 to hear from the gentleman who preceded you as to what  
9 he sees as the deadline beyond which it's just not  
10 possible to meet time commitments if you were to give  
11 them a new strain on March 1st, is that too late or is  
12 that still possible?

13 DR. DAUM: Dr. Slusaw and perhaps Dr.  
14 Decker want to comment on these things?

15 DR. SLUSAW: It's really two different  
16 questions whether the final decision is made March 1st  
17 or if March 1st were considering a new candidate  
18 strain isolate that has just arrived and thinking  
19 maybe we should include that in the vaccine formula.  
20 I think the key is some time in March, preferably by  
21 mid-month to have the third strain identified and  
22 ready to use in manufacturing.

23 DR. DAUM: Thank you. Mike, do you want  
24 to say anything?

25 DR. DECKER: Yeah, I'd like to comment

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 because Dr. Slusaw and I approached this from  
2 different viewpoints. He's got to make the stuff. I  
3 approach it from the viewpoint of a public health  
4 physician.

5 First thing, Sam, is there's no specific  
6 deadline in the sense that what's going to be handed  
7 over on March, a strain that grows easily and well or  
8 a strain that takes three months to labor over. No  
9 one knows until you hand it over. So there are risks  
10 in every element of our decision making here, trying  
11 to enhance the public health. If we go for a quick  
12 answer and we pick the strains badly, bad for the  
13 public health. But if we wait too long and pick well,  
14 bad for the public health. We don't know where the  
15 cutoff for sure is.

16 I can tell you this, that I know from  
17 being there that the manufacturing plant from the  
18 moment it can go, runs at full capacity basically 24/7  
19 until production is shut down which happens when we  
20 reach the end of the production season. We go as long  
21 as we can. We make every bit we can. That was --  
22 this year, for example, and the ability to do that  
23 enabled us to put some more doses out there in the  
24 marketplace, most of which, got bought, but not all.

25 If -- let me comment on the potential

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 impact of adding a fourth dose which -- a fourth in  
2 the strain which looks attractive for some reason.  
3 We're not sure. Is this Victoria going to resurge and  
4 be the problem and the public is not well protected  
5 against them? But you're adding a risk. So if, for  
6 example, the two best B strains both look -- the two  
7 that look the best are both strains with which the  
8 manufacturers don't have familiarity, you've doubled  
9 the risk of having year before last recur. If you  
10 hand them one strain they haven't seen before, that's  
11 one unit risk. B is the hardest to grow. It's the  
12 most problematic. It's the one that's obviously going  
13 to get handed to them last. You've already stacked  
14 the deck a little bit against timely delivery of  
15 vaccine.

16 If the Committee ends up going for two B  
17 strains, what I would say is a public health doc, I  
18 would immediately turn to my friends at CDC and I  
19 would say you have to redouble your already excellent  
20 efforts from last year to make sure that the  
21 practitioner community is ready to immunize late and  
22 they don't like that and they're a little bit better,  
23 but not good enough, and the press will understand why  
24 the vaccine is showing up late again, that it's a  
25 deliberate thing. So this is all intertwined and the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 public health decisions aren't easy.

2 DR. DAUM: Thank you, Michael. Dr. Dowdle  
3 and then Dr. Kohl.

4 DR. DOWDLE: Thank you. My question was  
5 the same as Sam's.

6 DR. DAUM: Okay. Then Dr. Kohl.

7 DR. KOHL: Roland, can you address CBER's  
8 view on the possibility of a four component vaccine?

9 DR. LEVANDOWSKI: I'm sorry, I didn't hear  
10 all of that.

11 DR. KOHL: Can you comment on the  
12 possibility of a four component vaccine?

13 DR. DAUM: From CBER's perspective was the  
14 question.

15 DR. LEVANDOWSKI: Okay, you guys really  
16 want to put me on the spot, don't you?

17 (Laughter.)

18 DR. DAUM: We sure do.

19 DR. LEVANDOWSKI: What I could say is that  
20 in the United States, there have been all sorts of  
21 valencies of vaccines at one time or another. Through  
22 a large part of the 50s and 60s we had pentavalent  
23 vaccines and of course, in the 70s it was mostly a  
24 bivalent vaccine and it's only -- it was only when the  
25 H1N1 strain came back and persisted unexpectedly,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 along with the H3N2 influenza A, that we developed a  
2 trivalent vaccine. So if you're asking is it  
3 something that could be done, I think the answer is  
4 yes. And in more recent times, there have been  
5 quadravalent vaccines produced with 2B strains. In  
6 Europe, in the mid-1990s, in the Netherlands there was  
7 a quadravalent vaccine that was used. Commercially,  
8 it was the vaccine that went out which had 60  
9 micrograms total of HA, so 15 micrograms of each  
10 component. It was not large scale manufacturing, but  
11 from the study that was published from use of that  
12 vaccine or from the experience from that vaccine, it  
13 sounded like that there was not an increase in acute  
14 adverse reactions, meaning local pain, fever, febrile  
15 responses and so on, but it was, I believe the studies  
16 were directed mainly toward adults so it would not  
17 necessarily cover pediatric.

18 In the immunogenicity part of the study,  
19 both of the B strains that were included seemed to be  
20 immunogenic. So in terms of the performance of more  
21 valent vaccine, I think our expectation is that it  
22 would be somewhat similar and in terms of the total  
23 amount of hemagglutinin that goes in, there does seem  
24 to be some relation to at least acute adverse  
25 reactigenicity, particularly in young people, children

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 and those who haven't been infected or immunized  
2 previously. But that we don't exactly know where that  
3 point is.

4 DR. DAUM: Thank you. Dr. Couch, please.

5 DR. COUCH: Did you call on me?

6 DR. DAUM: Dr. Couch.

7 DR. COUCH: I'm pursuing the same thing  
8 because Steve keeps doing the quadravalent and that  
9 was the option that you did not have up there was the  
10 four component vaccine.

11 I don't know how long it's been, but as  
12 you say, there have been bivalent B components in  
13 vaccines in the past and in the past that total dose  
14 for B has been split between the two components. That  
15 was the reason for my question. If you had two  
16 components, but only half the quantity, what does that  
17 do to manufacturing? Well, you know it would lengthen  
18 it a little bit, so the question is since you already  
19 have both strains that are moderate growers, one with  
20 experience here and one with experience in another  
21 country. The two strains that you would want to  
22 consider for the bivalent B are in hand with  
23 experience now. If you split it, 7.5 and 7.5, you  
24 don't have the 60 microgram reactigenicity risk.  
25 That's more of a risk, I think, than it is a reality

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of concern as well. That was an option I thought you  
2 ought to have up there.

3 DR. DAUM: Dr. Levandowski, do you want to  
4 respond to that before we call on Dr. Snider?

5 DR. LEVANDOWSKI: Well, I'd actually like  
6 to hear from manufacturing on that issue.

7 DR. DAUM: Okay, punt over, Dr. Slusaw, do you  
8 want to comment on that?

9 DR. SLUSAW: I'd like some of my other  
10 colleagues in the audience to chime in with their  
11 individual reactions to the question.

12 Clearly, if we're including two B  
13 components, but reducing the amount of antigen, it's  
14 not as big a problem related to our capacity to  
15 produce vaccine. Clearly, all our systems have been  
16 evolved and geared around producing a trivalent  
17 vaccine, the last decade plus and it would introduce  
18 additional testing, perhaps release delays. Handling  
19 another vaccine component which we wouldn't normally  
20 have to include in the vaccine. So it would introduce  
21 complications, not as much impact on capacity.

22 DR. DAUM: Dr. Levandowski. Don't go away  
23 Dr. Snider, we've got you. Dr. Katz and Diaz next.

24 DR. LEVANDOWSKI: So just as another  
25 reminder about history, from 1978 to 1981, the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 vaccines used in the United States had 7 micrograms of  
2 each hemagglutinin present. That was changed in 1981  
3 because there was some recognition from clinical  
4 studies that were done that antibody responses were  
5 higher against the vaccine strain and also they seemed  
6 to be substantially higher against heterologous  
7 viruses that weren't exactly the same as the vaccine  
8 strain. That's actually how we got to 15 micrograms,  
9 HA, of each of the three components. So those  
10 vaccines were trivalent with seven micrograms of each  
11 component. The immunogenicity at that time, I guess,  
12 was thought to be not as optimal as it could be with  
13 -- by increasing the dose twofold. I don't know if  
14 others have comments along those lines, or thoughts.  
15 Maybe Dr. Dowdle. No.

16 DR. DAUM: Let's go on to Dr. Snider and  
17 then Dr. Katz and Diaz.

18 DR. SNIDER: I just want to bring up the  
19 point which I'm sure everybody is aware of. There's  
20 another variable that needs to be put into this  
21 discussion when we think about what are we going to  
22 include in the vaccine and how many doses are going to  
23 be available. Another piece of this, of course, is  
24 who is the target audience for receiving this vaccine?  
25 As many of you know, we have targeted the over-65 and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 those under 65 who have certain medical conditions and  
2 I'm sure many of you know we have not done a very good  
3 job, particularly with the latter group and there has  
4 been a great deal of interest in lowering the age of  
5 eligibility and the population at large to 50 and to  
6 recommending everybody 50 years of age and over,  
7 receiving influenza vaccine.

8 And then recently there also have been  
9 discussions about immunizing infants and young  
10 children because of publications, I'm sure many people  
11 have seen addressing not only the morbidity issues in  
12 children, but the role they play in the epidemiology  
13 of the disease in bringing the influenza to older  
14 family members who may have medical conditions and may  
15 suffer from influenza or die from it. So at the same  
16 time we're talking about this, we also need to keep in  
17 mind that we're talking about the potential for  
18 expanding the target population for influenza vaccine  
19 in order to achieve optimal public health outcomes and  
20 so I'm just saying that we consider all of this, we  
21 not forget about on the other end that there is a  
22 desire to vaccinate more people this coming year or  
23 more people in the near future than we have been able  
24 to reach in the past.

25 DR. DAUM: Thank you. Dr. Katz.

1 DR. KATZ: I think Dr. Diaz and I had the  
2 same question which Roland alluded to indirectly and  
3 that is if you halve the dose of antigen in B by  
4 having two different strains, what do you anticipate  
5 as far as immunogenicity is concerned?

6 DR. LEVANDOWSKI: Okay, so let me use the  
7 analogy from 1981 again. At that point there was both  
8 an H3N2 and an H1N1 component to the vaccine and they  
9 were both at 7. The two didn't seem to result in  
10 increased immunogenicity for the other, I guess I  
11 would say at the end. I think the situation with the  
12 influenza B viruses might be similar. They're not  
13 different subtypes, but certainly antigenically they  
14 seem to be about as far apart or closer to about as  
15 far apart as the H1N1 and the H3N2 strains are.

16 Maybe I'm exaggerating that a little bit,  
17 but I think that's a reasonable analogy.

18 DR. DAUM: Thank you, Dr. Diaz --

19 DR. DIAZ: That was my question too.

20 DR. DAUM: Okay, good. Dr. Decker.

21 DR. DECKER: A question. Maybe it will  
22 turn into a series of questions for Roland and  
23 possibly the manufacturing representatives. If there  
24 were two B strains included this year, would one of  
25 them -- would you recommend that one of them be the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 strain that was included last year or would you favor  
2 changing the strain that's covering that lineage to an  
3 alternate as well as introducing one to cover the new  
4 Victoria lineage?

5 DR. LEVANDOWSKI: Well, now you're asking  
6 me to make the recommendation, I think.

7 DR. DECKER: Well, I'm trying to simplify

8 --

9 DR. LEVANDOWSKI: I will answer it though.  
10 I think the concern is that there are differences in  
11 the current influenza B HA lineage as well as what  
12 we're seeing as a new geographic spread of the  
13 B/Victoria lineage, so I think those are two slightly  
14 different directions, but I think the answer is that  
15 I don't know that I have enough information to answer  
16 strongly that if even the current HA lineage were the  
17 one to be in the vaccine, whether the current strains  
18 would be ideal.

19 DR. DECKER: The reason I asked because  
20 based on what I knew and absent of reassurance on the  
21 manufacturing specialists here, I would think that  
22 changing both B strains would represent an  
23 unreasonable risk to the vaccine supply for the year.  
24 Whereas changing, adding a half strength one and  
25 retaining the one they already know how to make is a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 safer gamble and so I think if there's an interest by  
2 the Committee in pursuing two, we'll have to get quite  
3 precisely into what two, and the choice will alter the  
4 risk.

5 DR. DAUM: Fair enough. Dr. Couch?

6 DR. COUCH: Just a couple more comments  
7 along that same line. If we look at the information  
8 from Asia, most of the lineages have co-circulated for  
9 more than one year, you see, and it looks as though  
10 we're getting the Victoria here, will Sichuan  
11 disappear? I doubt if anybody can answer that. If  
12 they can answer that, then your question can be  
13 clearly answered.

14 And the other is I would differ with  
15 Roland a little bit to take the 7 microgram analogy as  
16 to what we would do to immune responses if we had two  
17 Vs in. We've looked at the immune response data here  
18 to B/Sichuan and it does cross react with the  
19 B/Victoria lineage viruses. We don't have the other  
20 half of that equation. I didn't know until today that  
21 maybe it's available in Europe, that if you give  
22 B/Victoria, do you cross react and if so, in what age  
23 group against the Sichuan, but I would at it as two B  
24 drift viruses with some relationship and something to  
25 be gained in the direction of that 15 micrograms as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 opposed to an isolated 7 ½ of unique antigens.

2 Now the problem with 7 ½, if you should do  
3 something like that is that the cleanest separation of  
4 that would probably be in the young children. Where  
5 they would get one they won't get the other  
6 antibodies. But if you've got both of them, you got  
7 a little bit of something maybe for both of them. You  
8 can tell where I'm leading it's fairly obviously.

9 DR. DAUM: Dr. Dowdle and Dr. Myers have  
10 their hands up before. Dr. Palese was ahead of you.  
11 So we're going to do Dr. Palese, Dr. Dowdle, Dr. Myers  
12 and then I'm going to ask people to really and try to  
13 bring this to a close so we can start polling the  
14 Committee and seeing what people sort of think. Dr.  
15 Palese, please?

16 DR. PALESE: I just wonder whether we get  
17 sort of carried away in terms of the re-emergence of  
18 the B/Victoria, because if you look at the handout of  
19 the CDC on page 44, there is in the time period of  
20 October of 2001 until January 2002, there are very few  
21 isolates, really, from which we try to make a  
22 conclusion. And particularly we are sort of disturbed  
23 by the 25 isolates from Asia which make up 66 percent  
24 of the A/Victoria-like viruses. So I think there's a  
25 sort of a very, very small number and also I would

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 like to note from what geographic distributions do  
2 those 25 isolates come from? If they all come from  
3 the same city, then I think we might be very much  
4 misled here, particularly if you talk about small  
5 numbers. All the four isolates we have from the  
6 United States are all from the current vaccine  
7 strains.

8 So I just wonder whether we are -- are  
9 those all the data we have? Twenty-five isolates from  
10 Asia and we're getting all worried? How sure are we  
11 that this is really a representative sampling?

12 DR. DAUM: Maybe that question goes to CDC  
13 folks first?

14 DR. COX: Right. Peter, I think that what  
15 you're looking at here is the viruses that we've  
16 tested at CDC, but you have to remember that there are  
17 additional viruses that have been testified by the  
18 other WHO Centers. And we did have a call yesterday  
19 morning from Alan Hay in London and he called  
20 specifically to tell us that four out of seven Italian  
21 viruses that they had received were B/Victoria-like,  
22 and furthermore, three out of four of the viruses that  
23 they received from Genoa were Victoria-like. We --

24 DR. PALESE: It is still a very small  
25 number.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 DR. COX: It is small numbers, but when  
2 we've seen -- you have to take this within the context  
3 of not having seen Victoria-like viruses at all for  
4 the past 10 years in North America and Europe and  
5 South America and so on. And that when we have seen  
6 this pattern where viruses were confined to distinct  
7 geographic areas, once they move out they tend to move  
8 quickly. We've seen that before with the Yamagata,  
9 B/Yamagata strain which emerged from Asia and  
10 subsequently supplanted the Victoria-like viruses. We  
11 saw it with a sublineage of B viruses represented by  
12 the B/Beijing/184-like strains and then we saw it  
13 again with the Beijing/262-like strains. So it's a  
14 pattern that we have seen before for other groups of  
15 viruses, either A viruses or B viruses and it's a red  
16 flag to us, certainly.

17 DR. DAUM: Thank you. Dr. Dowdle now.  
18 You've been patient.

19 DR. DOWDLE: Well, two issues and  
20 basically two questions is that I think adding an  
21 additional B strain on the surface sounds like a very  
22 good idea, but I think that we -- the question is can  
23 we make assumptions here and by splitting the dosage  
24 in two different strains would we have to undergo a  
25 certain, at least a limited field trial in order to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 see what serologic responses may occur and indeed if  
2 there was a difference and whether that would add to  
3 the additional time period.

4 The second question is that I think one of  
5 the options that were listed here was a broader B  
6 strain and it wasn't clear to me that which B strain  
7 might give broader coverage, is there a candidate  
8 strain that's available? Or is that one which you're  
9 waiting for that might show up and would take  
10 additional time for surveillance?

11 DR. DAUM: Dr. Levandowski do you want to  
12 comment?

13 DR. LEVANDOWSKI: Well, I don't think I  
14 can answer all the surveillance end of it, so Nancy  
15 Cox may want to answer also, but I think or the sense  
16 that maybe I would convey comes from looking at the  
17 data from the study in which a B/Victoria-like strain  
18 was used to immunize people who had been previously  
19 primed with a B/Yamagata-like strain and there, the  
20 antibody responses were recently good, were they not?  
21 There's a -- I'm blocking on the word. It's the  
22 original sin concept, where the antigens of first  
23 contact are also increased with contact with another  
24 strain if it's similar enough or even in the right  
25 ballpark. So I think what we saw with those studies

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 was that the B/Victoria-like strain induced  
2 antibodies, as long as there was immunologic priming.  
3 Without immunologic priming, however, there was not  
4 antibodies produced against that second lineage. So  
5 I guess the question would become similar to what was  
6 being raised about populations in which population  
7 would you be aiming to protect that way, if you knew  
8 the population was immunologically primed and had some  
9 reasonable expectation that you'd get antibody  
10 responses that were protective to both lineages and  
11 that might be one direction. If, on the other hand,  
12 you think that there will be no response say someone  
13 who is immunologically not primed, then you have to  
14 weigh what the risks and benefits are for which strain  
15 is going to win the contest the following year.

16 I would maybe follow up on something that  
17 Nancy said about the B/Yamagata strains. I believe  
18 the year that that was chosen for the vaccine here,  
19 there were none of those strains identified in North  
20 America. I believe they were only from Japan at the  
21 time. In fact, I think -- and I don't think there  
22 were very many. I wasn't here, so I may be completely  
23 wrong, but my sense from what was happening at the  
24 time was that was not a strain that was present in  
25 the United States and then the next year, the next

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 influenza Bs and those were the only strains. We did  
2 not see the B/Victoria-like strains at all here,  
3 although in Europe, it was 50-50 for a couple of  
4 years. They had about half B/Victoria and half  
5 B/Yamagata-like strains, so those I don't think that  
6 we know how to predict whether that -- which of those  
7 scenarios might be likely to play out.

8 DR. DAUM: Thank you very much. Dr.  
9 Myers, you're on my list here.

10 DR. MYERS: Well, I guess considering the  
11 third option of waiting. Keiji showed that only 21 of  
12 1278 isolates were B and looking at the graph that  
13 Nancy showed, this is very few of the isolates around  
14 the world are B strain, so I guess the question would  
15 be if we waited until March to make a decision, would  
16 we actually gain any sufficiently more information to  
17 have a more informed -- be able to make a more  
18 informed decision or will we be basically working with  
19 what we have now and maybe a couple more strains?

20 Does it really matter if we wait for the  
21 amount of data we'll have?

22 DR. LEVANDOWSKI: I'll take a stab at  
23 that. The influenza season is very early. I think  
24 we're still in the early phases of it as I think Keiji  
25 was trying to point out. I don't think we've seen the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1 end of the year and we have had experiences in the  
2 past where the year starts out with one type of  
3 influenza virus and ends up with a second one and I  
4 can remember several years where it's been combined  
5 influenza A and B. I believe there was a relatively  
6 significant amount of influenza B activity last year,  
7 so maybe that wouldn't be true this year, but I don't  
8 actually know. So the fact that we're hearing about  
9 strains from Italy and this is information in just the  
10 last couple of days, I don't know exactly when the  
11 strains were isolated, but the fact that we're hearing  
12 about B/Victoria-like strains in a part of Europe  
13 where it hasn't been reported is a little bit-- I  
14 don't know how much activity there's going to be and  
15 whether that will give guidance in terms of  
16 epidemiology.

17 DR. DAUM: Okay, thank you. I'd like to,  
18 unless there are issues which haven't been touched on,  
19 haven't been thought about, haven't been raised, what  
20 I'd like to do is begin the process of asking each  
21 Committee Member, consultant and guest to reflect on  
22 each of the three viral components or if you wish,  
23 four viral components, but reflect on each of the  
24 serologies that were involved and addressing sort of  
25 the way Roland has set things up with what is your

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 opinion about what should be done about next year's  
2 vaccine composition, with the choices being to keep,  
3 to change or to defer. And once we get that process  
4 going we'll appreciate hearing from everybody on it  
5 and we'll call on everybody to speak to it.

6 So Dr. Kohl, did you have one last burning  
7 thing to say?

8 DR. KOHL: One last burning thing. I  
9 strongly want to reiterate what I believe most of my  
10 colleagues agree with, my colleagues on the Committee,  
11 that we desperately need pediatric immunogenicity  
12 data, especially as we move to new vaccine strains and  
13 potentially new doses of vaccine.

14 DR. DAUM: I think that this is something  
15 that everybody at the table seems to be in favor of  
16 doing and I think the message is very clear and I  
17 think that it should be clearly part of our record  
18 today that this group is strongly supporting the  
19 notion that Dr. Kohl is advancing.

20 Okay, so let's go. Dr. Manley, this is  
21 truly the last comment.

22 DR. MANLEY: Well, it's a question really.  
23 Do we need to hear from FDA about that statement and  
24 if there's anything other than making the statement  
25 that this Committee should do to assure that there

1 will be some action on this item?

2 DR. DAUM: That's a fair question. We'll  
3 ask Dr. Levandowski or Dr. Midthun or whoever from the  
4 Agency wants to comment, whether something else should  
5 be done or whether you've heard us.

6 DR. MIDTHUN: I think we've heard you and  
7 it's very important here that you feel so strongly  
8 about that and we will take it under advisement.

9 DR. DAUM: There you have it. I think  
10 that was actually well put.

11 So Dr. Stephens, you're up there. I can  
12 see you. Would you begin our discussion please and  
13 we'll hear from each person and we really want you to  
14 address each component of the vaccine and we're  
15 recording your opinions.

16 DR. STEPHENS: From this end of the table,  
17 things appear reasonably clear, moderately clear and  
18 murky regarding the three components of the vaccine.

19 (Laughter.)

20 I think that the data from my perspective  
21 suggests that for the H1N1 component, the A/New  
22 Caledonia/120/99 strain is I would think that that  
23 will be the strain for the vaccine. I think that for  
24 the H3N2 component that it's moderately clear. I'm a  
25 little concerned about some of the Chinese strain

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 information that we are still waiting on, that the  
2 A/Panama/2007/99 strain appears to be the component.

3 I'm really unclear about the B component.  
4 My sense of the discussion is that covering both the  
5 Victoria strains and the Yamagata lineage strain would  
6 be appropriate and I think a strong consideration  
7 should be made in that arena, based again upon the  
8 information presented today.

9 I will say that overall, I think we may be  
10 a bit premature in making some of these  
11 recommendations in the sense that the influenza  
12 season, I think it's already been emphasized, is  
13 early. There is an upcoming WHO meeting where  
14 additional data from additional laboratories will be  
15 available. Other world-wide data will be available.  
16 More information, for example, about the Chinese  
17 strains, so I think additional information in the next  
18 few weeks would really help crystalize some of our  
19 thought process, especially about the B strains and I  
20 think that's a summary, at least from this end.

21 DR. DAUM: I need, we need a little more  
22 from you. Sorry. I'd like you to sort to say with  
23 respect to H1N1 that you would keep the present  
24 component?

25 DR. STEPHENS: Yes.

1 DR. DAUM: And H3N2?

2 DR. STEPHENS: Keep.

3 DR. DAUM: And B?

4 DR. STEPHENS: Defer.

5 DR. DAUM: Thank you very kindly. We now  
6 have what we need.

7 Dr. Kim? Perhaps your swan song.

8 DR. KIM: Steve, I think he elaborated on  
9 the issues that I think, that I concur. I guess in  
10 addition to that, again, I think it's as we discussed,  
11 again including the manufacturing logistical issues  
12 and providing broad coverage and then lastly, we  
13 talked about perhaps having a consideration for  
14 different target populations such as immunologically  
15 naive for the antibodies that perhaps also may change  
16 the composition or target vaccines.

17 But based on the information that is  
18 available or presented today, I again, I agree the  
19 H1N1 will stay with the same and H3N2 more likely stay  
20 with the same, although I think additional data will  
21 be useful from China strains and B's concern, I think  
22 if there is such a thing, defer, because there are too  
23 many questions on the table that we are not clear at  
24 this juncture how to reach all those issues, resolved  
25 at this time.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. DAUM: Thank you very much, Kwang Sik.  
2 Dr. Kohl?

3 DR. KOHL: I basically concur with my  
4 colleagues which would be to roll now on the current  
5 H1N1, go ahead with that; to probably point toward  
6 continuing using the current H3N2, but to wait for the  
7 analysis of the Chinese strains in case there are any  
8 big surprises and to defer B, but expect that we'll  
9 need two different Bs and a quadravalent vaccine.

10 DR. DAUM: Steve, could you just run  
11 through that bottom line one more time for us? H1N1  
12 keep or defer?

13 DR. KOHL: Keep H1N1.

14 DR. DAUM: H3N2?

15 DR. KOHL: Probably keep H3N2, but wait  
16 for the Chinese, the recent Chinese isolates to be  
17 defined better by Nancy and her group.

18 DR. DAUM: But that's defer?

19 DR. KOHL: Yes, that's defer. And to  
20 defer the Bs, but expect to have two Bs.

21 DR. DAUM: We got it. Thank you very  
22 much. Dr. Snider?

23 DR. SNIDER: I'll try, Bill, to get this  
24 in your categories clearly.

25 DR. DAUM: Well, please don't feel

1 constrained. You can make comments on any issues you  
2 like, but we do need those three things for Bill's  
3 sake and we'll read out the tally at the end.

4 DR. SNIDER: Well, I think we had a good  
5 discussion around a lot of these issues. I won't  
6 belabor the point. And I think I agree with my  
7 colleagues who have spoken before me, basically, but  
8 today I would retain A/New Caledonia/2099, the H1N1.  
9 I see no reason to change that and there are not that  
10 many strains around and not likely to be any  
11 additional information to make us want to change H1N1.

12 I agree that H3N2 is likely to wind up in  
13 the column of retain, but since we have a little time  
14 for your purposes, put it in the defer box, Bill.

15 DR. FREAS: Thank you.

16 DR. SNIDER: Because I would like to see  
17 the additional data at the WHO meeting. So that's  
18 that one. With the B, I think we all are struggling  
19 quite a bit. I definitely put it in the defer box,  
20 put it in the defer box. But I mean it seems to me  
21 that it's highly likely that we are not going to  
22 retain at least in my view, that we are going to have  
23 to change and the question is going to be what do we  
24 change to and it would be nice to have as much  
25 information as we can. We'll have to take it up to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that point, Sam, where we guess is the optimal point,  
2 make the best decision, have the most information, but  
3 not be too late to get the manufacturers in business  
4 to produce the optimal amount of vaccine and that  
5 can't be much after the beginning of March. So we  
6 don't have much time, but we can get much more  
7 information and make a decision about B.

8 DR. DAUM: Thank you, sir. Dr. Griffin?

9 DR. GRIFFIN: Well, I think for H1N1,  
10 that's quite straight forward, we should retain the  
11 New Caledonia strain.

12 I disagree a little bit about the H3N2 in  
13 that I think we should agree now to retain the Panama  
14 strain as well. There are several problems with  
15 suggesting deferral of that strain, a choice of that  
16 strain. Admittedly, it will be interesting to get  
17 more information from these new isolates from China,  
18 but we have to recognize we have no candidate strains  
19 for vaccine manufacturers. We don't have any reagents  
20 for changing that strain. We would then be talking  
21 about deferring two of the strains to very late in the  
22 manufacturing process and I think that since we have  
23 so little information that there is any need to change  
24 the H3N2 strain that I think we should decide today  
25 that that should be retained. Obviously, if some huge

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 epidemic occurs next week, you know, we would have --  
2 we would still revisit that, I guess. But I would  
3 think that retain.

4 For the B strains, I think that there's  
5 personally good evidence that we should have a  
6 Victoria lineage strain in next year's vaccine and I  
7 think that -- I do agree that we'll probably have more  
8 information that points in that direction presumably,  
9 although possibly it would become dramatically  
10 different if we waited, even another few weeks. And  
11 so I certainly wouldn't disagree with deferring that  
12 decision. I think the big decision is whether we also  
13 need to have a new also Yamagata lineage strain. I'm  
14 not as convinced of that. What I am convinced of is  
15 that we do need to include this new -- the new  
16 Victoria lineage strain and the Shangdong looks like  
17 that that's a reasonable virus that manufacturers  
18 already have experience with and so therefore we would  
19 be able to produce a timely vaccine.

20 And the need for changing then and/or  
21 adding a new Yamagata lineage strain I think is a lot  
22 less clear. Whether that will be clear with more  
23 data, I'm not sure. What I think will become clear  
24 with more data is whether there's the sort of  
25 accelerating appearance of Victoria strains. And two

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 of these strains are from Hawaii, isn't that right?  
2 It's not just Europe, of the Victoria lineage strains?  
3 Were they from Hawaii?

4 DR. COX: There were a number of isolates  
5 from Hawaii during the summer and early autumn.

6 DR. GRIFFIN: Right, so it's not that it's  
7 not already in the United States. It's going to be  
8 here. I mean we can feel confident of that. So  
9 therefore I think personally we should make the  
10 decision that that's going to need to be included and  
11 to defer the decision whether we should also have this  
12 three or four component decision is the one that I  
13 personally would hope that we could go with just a  
14 three component vaccine.

15 DR. DAUM: So Dr. Griffin your bottom line  
16 today is to -- is what on the B?

17 DR. GRIFFIN: Defer.

18 DR. DAUM: Thank you. Dr. Katz, would you  
19 mind waiting for just a moment. I want to put Dr.  
20 Couch up next because air planes are starting to call.

21 DR. COUCH: Later flight out of Houston  
22 has been canceled. So I appreciate that and I'm not  
23 changing, differing a whole lot from here, but New  
24 Caledonia is an obvious. That one we retain. The  
25 H1N1. That's the easy one. It's the other two that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 are not so easy.

2 Take them in the order that we had them up  
3 here. I would defer H3N2. And my reason for  
4 deferring H3N2 is that's the one, our biggest concern  
5 for hospitalizations, mortality. We've had Panama out  
6 there now. We've got it again this year. It's ripe  
7 for the change, you see, and we might have had nothing  
8 and if we're getting it, it's just now emerging out of  
9 Northern China and the tracings are just beginning and  
10 so that one is so important and because we may be  
11 emerging into a need and an obvious change, why that  
12 one I would defer for those reasons alone.

13 The next one, I would have either a  
14 deferral or a vote for half and half. I cannot -- we  
15 must have B/Victoria, I think, and so the question is  
16 do we have B/Victoria only and take a risk that  
17 Sichuan was not needed at all? Well, if we defer,  
18 then the information may emerge there when everything  
19 comes in that well, that Sichuan is gone, you know.  
20 It's now B/Victoria and that decision could be made.  
21 So that would be my deferral. But I would be  
22 perfectly comfortable today with taking that 15  
23 micrograms and splitting it half and half between  
24 Sichuan and a B/Victoria derivative.

25 So if the majority vote continues to go

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for deferral, I think that would be the question, does  
2 B/Sichuan -- it's not B/Victoria has emerged. The  
3 question is did B/Sichuan disappear.

4 DR. DAUM: Thank you very much, Dr. Couch.  
5 Go safely.

6 Dr. Katz, now we'll come back.

7 DR. KATZ: I don't think I have anything  
8 new to add. I would retain A/New Caledonia as H1N1.  
9 I would agree very much with Dr. Couch on if we're  
10 going to defer, let's defer both H3N2 and B. I don't  
11 know again from the comments of the manufacturer how  
12 long we can wait when you give the roosters their  
13 Viagra and what the schedule is to produce eggs that  
14 don't age out too rapidly. But if we can, I would  
15 defer both H3N2 and B and I would retain H1N1.

16 DR. DAUM: Thank you very much. Dr. Diaz,  
17 looks like she stepped away from the desk, so maybe  
18 we'll go on with Ms. Fisher and we'll come back to Dr.  
19 Diaz if she does.

20 MS. FISHER: I will abstain and defer to  
21 the expert judgment of the other Members of the  
22 Committee and the FDA staff as to which strains should  
23 be included in next year's flu vaccine. However, in  
24 general, I do think there needs to be more data on  
25 immunogenicity and safety of new flu vaccine

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 candidates in children and pregnant women, if the flu  
2 vaccine is going to be recommended for them.

3 DR. DAUM: So I need to press you a little  
4 bit because I didn't quite understand. You said I'm  
5 going to abstain and defer.

6 MS. FISHER: I'm going to defer to the  
7 judgment to the rest of the Members of the Committee  
8 and the FDA staff to making the selection of the new  
9 --

10 DR. DAUM: So we're going to record you as  
11 abstaining?

12 MS. FISHER: That's correct.

13 DR. DAUM: Okay. I understand. Thank you  
14 very much.

15 Dr. Manley?

16 DR. MANLEY: Thank you. I concur in  
17 general with my colleagues, not all, but some, who  
18 have spoken already. I think that A/New Caledonia is  
19 very clear that we should stay with that and will vote  
20 to defer on the H3N2 and defer on the influenza B.  
21 And I'm not clear as to how we should go on that, but  
22 right now I think we should wait if we have the time  
23 and I'm getting the general impression that we have  
24 probably until about mid-March to get new information  
25 to make the decision on both of these.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701



1 DR. DAUM: March 6th might not be called  
2 mid by some.

3 DR. MANLEY: March 6th.

4 DR. DAUM: I think that's what we're  
5 getting is a follow-up. Is that correct, Dr.  
6 Levandowski?

7 DR. FREAS: All Committee Members should  
8 have a little orange sheet saying that March 6th we're  
9 scheduling a teleconference to follow up by phone on  
10 the results of this meeting.

11 DR. MANLEY: I see.

12 DR. DAUM: Defer comes back like a  
13 boomerang.

14 Dr. Griffin?

15 DR. GRIFFIN: I guess I want a  
16 clarification because -- and so the manufacturing time  
17 line was to have one that they could start in January,  
18 okay, they've got one day to do that. So in January  
19 we'll probably make one decision at least. Another  
20 one that needs to start in February and the third one  
21 to start in March in order to be able to produce a  
22 vaccine on time and so is there a mechanism by which  
23 a decision could be -- some kind of a decision could  
24 be made in February on one of these deferrals or is  
25 everything going to -- when we say defer, everything

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1       waits until March 6 is my question.

2                   DR. DAUM:     We'll ask the Agency for  
3 clarification, but that's my understanding.

4                   DR. LEVANDOWSKI: Well, I guess the basic  
5 answer is yes, that everything in the sense of looking  
6 like something on the outside is happening would be  
7 deferred until March, but the fact is that information  
8 would be collected and any pieces that would be useful  
9 to the manufacturers would certainly be sent on to  
10 them, including any strains that seem to be helpful to  
11 them, any reassortants that might be in the process of  
12 being made. We don't actually know -- because I  
13 haven't been in touch with anybody to know if there  
14 are some other reassortants that are available at this  
15 point. We might hear something like that from WHO  
16 next week. I mean any information that comes along  
17 and any useful tools for the manufacturers would be  
18 sent to them immediately. We would not be waiting to  
19 start to share information and materials for the next  
20 meeting.

21                   DR. DAUM: But basically, we will reflect  
22 next on this issue March 6th. Isn't that correct, not  
23 before?

24                   DR. MANLEY: Yes, but that is the basis of  
25 my deferral. Thank you.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. DAUM: Dr. Palese?

2 DR. PALESE: Yes. I am concerned that if  
3 we defer that there will not be enough time to really  
4 get enough vaccine doses and this is important for me  
5 that one will get the benefit of vaccine to as many  
6 people as possible. So therefore I would retain the  
7 H1N1 clearly at this point, also the H3N2 and with the  
8 information we have today, if the question is today  
9 what decision should we make, I would also go for  
10 retaining the B component because I'm not convinced of  
11 the data that the Victoria has reared its head at this  
12 point. So clearly, one can always change his mind,  
13 but if I'm asked today, to me the evidence is weighing  
14 towards retaining all three components, to allow  
15 enough vaccine doses to go out.

16 DR. DAUM: Well, I think it's fair to say  
17 we are asking you today.

18 DR. PALESE: Then I would retain, retain,  
19 retain.

20 Can I make just one other point?

21 DR. DAUM: Please.

22 DR. PALESE: And that has to do with the  
23 statistical analysis in terms of percent of all deaths  
24 due to pneumonia and influenza. I can't believe that  
25 suddenly we have, in effect, two different numbers

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 from what we had over the last 10 or 20 years and then  
2 there's a new sort of tweaking of the data and then  
3 it's only 30 percent of. Why don't we know how many  
4 people die of pneumonia and influenza? I mean this is  
5 somewhat disturbing. I can't believe that we can't  
6 get sort of really straight numbers. And also, that  
7 someone tries to help us to compare earlier data with  
8 the new data if there's really a compelling reason to  
9 do this. So I feel very uncomfortable with numbers  
10 changing by 100 percent suddenly because the  
11 statistical parameter gets changed.

12 DR. DAUM: Thank you, Dr. Palese. Dr.  
13 Diaz, you were out when it was your turn. Would you  
14 now like to step up?

15 DR. DIAZ: Thank you, I will. I had to  
16 answer a page. I wasn't in the restroom.

17 DR. DAUM: We didn't ask.

18 (Laughter.)

19 DR. DIAZ: Well, knowing how the Oscars go  
20 these days, I thought I would clarify. In regards to  
21 the strains that we have to pick today, I would agree  
22 with most of the colleagues that I've heard speak  
23 which is the H1N1 is fairly clear in my mind that we  
24 stay with the current strain.

25 The H3N2, I was prepared to consider

1 deferring more out of the concern of the severity of  
2 that particular strain and wanting to get the data  
3 from China as has been brought up and yet Dr. Griffin  
4 reminded me in her comments that we don't really have  
5 much on the horizon in terms of alternate strains  
6 available from a manufacturing standpoint. With that  
7 in mind and knowing that at least for that particular  
8 strain we probably have the most data at this point,  
9 I would vote to continue this coming year with the  
10 same H3N2 component.

11 The B strain, in particular though, I  
12 would likewise defer. I agree. I'm not sure  
13 deferring another month will give us a tremendous  
14 amount more data and yet it's the paucity of data  
15 completely that worries me about that B strain. And  
16 so I would probably defer and I agree that we probably  
17 will end up with a picking a B/Victoria-like strain  
18 for that component ultimately. I would have to have  
19 more discussion or be convinced about how effect a  
20 quadravalent vaccine would be and yet would defer  
21 those kinds of discussion for more data.

22 DR. DAUM: Thank you, Pam. Rich, Dr.  
23 Whitley?

24 DR. WHITLEY: Yes, I'll preface my vote by  
25 saying that from a public health perspective I think

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the worse thing in the world would be to have the  
2 vaccine appear in the public domain late, especially  
3 after the events of the last six months in this  
4 country and the concern about bioterrorism. If that  
5 happened, it would be horrible.

6 So I do think decisions needs to be made  
7 as quickly and as appropriately as possible, based  
8 upon the soundest medical information that's  
9 available. So my vote for H1N1 is to keep it. My  
10 vote for H3N2 is keep it. I see no alternative strain  
11 that's immediately available and I don't see a change  
12 in the epidemiology which would alter that position  
13 and for B, I would defer two weeks, three weeks, but  
14 you can't defer past March 1, if the industry is going  
15 to be able to produce these vaccines and make them  
16 available to the public by the fall. And I would just  
17 point out one other opportunity that CBER and its  
18 colleague at the NIH, namely, the Division of  
19 Microbiology Infectious Diseases has and it goes back  
20 to looking at the behavior of these vaccines in  
21 children, there is the opportunity to collaborate with  
22 vaccine treatment and evaluation units and that should  
23 be capitalized on as an inter-agency collaboration for  
24 children.

25 DR. DAUM: Thank you very much. Dr.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 Faggett?

2 DR. FAGGETT: Thank you. Again, I go on  
3 record as applauding increased involvement in children  
4 as well. In coming out of the DC experience  
5 immunizing of school kids, I am really concerned about  
6 the urgency of the matter and I vote to keep New  
7 Caledonia/2099, H1N1. For the H3N2, based on that  
8 sense of urgency as well as unavailability of a new  
9 candidate strain, I think we should keep H3N2. Again,  
10 we still have the opportunity in our March 6 meeting,  
11 if something comes up at that point, we could possibly  
12 comment again, but I think at this point we should  
13 keep H3N2 and for the influenza B candidate, I would  
14 defer at this time.

15 DR. DAUM: Thank you very much. Dr.  
16 Goldberg.

17 DR. GOLDBERG: I would retain the New  
18 Caledonia for the H1N1 for all the reasons that my  
19 colleagues have given. I would retain the H3N2 since  
20 I don't really see any viable alternatives in the  
21 short run. And for the B, I think we have to defer  
22 it, but I don't know how much more we're really going  
23 to have. I mean my gut from the data that we're  
24 seeing today is that we do need to consider the  
25 Victoria strain very seriously and then we're into the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 quadravalent issue which I think we'll have to discuss  
2 at length, pending, hopefully the new data will  
3 clarify this.

4 DR. DAUM: Thank you very much. Dr.  
5 Eickhoff?

6 DR. EICKHOFF: I came down just about  
7 where Dr. Griffin came down. H1N1 retain, H3N2  
8 retain, B defer. Now having said that I'd like to  
9 make just a few comments about the B issue. One, I am  
10 not one of those in favor of a quadravalent vaccine.  
11 I think we sacrifice immunogenicity to the point of  
12 being on the marginal side if we do that, unless we go  
13 to 15 mics each and then we affect timely delivery of  
14 vaccine and we affect the total number of doses that  
15 are likely to be made.

16 Two, I think we will be moving to a  
17 B/Victoria strain rather than one of the current  
18 strains.

19 Three, the consequences of making a wrong  
20 decision with an influenza B candidate are really  
21 nowhere near as grave with influenza B as they are  
22 with influenza A, particularly H3N2. Influenza B is  
23 not a huge cause of mortality in the elderly, not a  
24 huge cause of morbidity in adults. I think the target  
25 population to use Dixie's argument earlier, the target

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 population for whom B is in the vaccine in the first  
2 place is really high risk children. That's the group  
3 we're most trying to protect with the influence of B  
4 component.

5 I think that's all.

6 DR. DAUM: Thank you. Dr. Dowdle?

7 DR. DOWDLE: Well, we seem to be on a  
8 trend here. I would vote to retain H1 and retain the  
9 current H3. I would support deferring on the B,  
10 however, I would like to join Ted in urging caution  
11 about considering a quadravalent vaccine. I think we  
12 have to be very careful about doing that and if that's  
13 being considered, then I think the pros and cons have  
14 to be very carefully laid out in the scientific data  
15 supporting that decision, because it is precedent  
16 setting. It's been done before, but it certainly is  
17 precedent setting from the last two years of vaccine  
18 decisions. So it's not something that should be made  
19 lightly.

20 DR. DAUM: Thank you. Dr. Poland had to  
21 leave, so Dr. Myers, I think you're our last person up  
22 there with a vote.

23 DR. MYERS: For the H1N1, I would retain  
24 the New Caledonia, the H3N2, the A/Panama, but I  
25 concur with comments of several people made that we

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 ought to watch that very closely.

2 DR. DAUM: Marty, can you speak into the  
3 mike?

4 DR. MYERS: I'm sorry, we can always  
5 reevaluate later, but I think you need a vote today,  
6 so I would vote to retain the H3N2 as the A/Panama.

7 On the B, I think the data that we've seen  
8 today, particularly what Nancy showed us suggests that  
9 we need to include a B/Victoria strain, but I think  
10 the -- I mean we need to include a B/Victoria strain  
11 and I guess the point I'd make on deferral would be I  
12 think the only decision that I would recommend that we  
13 defer on is whether we need to consider a fourth  
14 strain or not. But I would go with the B/Victoria  
15 now.

16 DR. DAUM: So is your B issue a --

17 DR. MYERS: A B/Victoria strain.

18 DR. DAUM: B/Victoria now.

19 DR. DAUM: Okay, good. So there are three  
20 things left to do. One of them --

21 DR. FREAS: Could we get the industry  
22 opinion and your opinion before we change the topic?

23 DR. DAUM: I wasn't going to change the  
24 topic, but we certainly have industry representatives'  
25 opinion.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 Dr. Decker?

2 DR. DECKER: Had I a vote it would have  
3 been keep, keep, defer. Not even having a vote, I  
4 still offer the comment that I'm delighted to see that  
5 the vote has ended up being 11 to 5 if I counted right  
6 on the H3N2 keeping because every deferral is a risk,  
7 every change is a risk and there's only a certain  
8 number of risks that we ought to take in a year if we  
9 can help it.

10 I would think that if we were changing  
11 H3N2 that that would then constrain our options with  
12 respect to B. And I think it's much more clearly  
13 important to retain options on B. But we're deferring  
14 on B is the question of which strains, how many  
15 strains and if we have two strains, how many of those  
16 strains will be new strains which is more than enough  
17 to defer to create headaches for production.

18 So I think it's doing the right thing to  
19 defer and is the right thing to defer only that one.

20 DR. DAUM: Bringing things on home, what  
21 we will have now is I will vote and Bill will announce  
22 the results of the vote. Then Bill Egan will make a  
23 comment from FDA's perspective orienting us toward a  
24 March discussion, then we will have an open public  
25 hearing and then we will adjourn.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So with that last four items in mind, I  
2                   vote to keep the H1N1. I think there's unanimity on  
3                   the Committee on that issue. H3N2, I would love to  
4                   hear more data in March, however, I'm very persuaded  
5                   by Dr. Griffin and the many others who made the point  
6                   that the candidates aren't there and the need to give  
7                   as close signal today as we can is there. So I vote  
8                   to keep H3N2 the way it is, but I sure would like to  
9                   hear more data in March if there is some. And the B  
10                  issue, I think is a heavy one and I think it's very  
11                  clear from the discussion and the learned points of  
12                  view that were exchanged today that the B/Victoria  
13                  needs to be part of this, but whether to have two B  
14                  components or just go with one is the difficult  
15                  question and I'm hoping that we're not compromising  
16                  industry by waiting until March and I'm hoping that  
17                  Roland and Nancy and their colleagues will have some  
18                  light to shed on this topic by March. So I'm going to  
19                  defer on the B issue.

20                         I also would like to encourage Department  
21                         of Defense presenters and others, CDC, perhaps NIH,  
22                         BTEUs, although I hadn't thought carefully about them  
23                         in that role, to gain more information for us about  
24                         how these vaccines are performing. I feel like a bit  
25                         of a broken record saying this over and over again,

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701