

UNITED STATES OF AMERICA

+ + + + +

FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + 7891 02 APR -8 P1:41

BLOOD PRODUCTS ADVISORY COMMITTEE

72ND MEETING

+ + + + +

FRIDAY
MARCH 15, 2002

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

+ + + + +

The Committee met at 8:00 a.m. in the Grand Ballroom, the Gaithersburg Holiday Inn, Two Montgomery Village Avenue, Gaithersburg, Maryland, Dr. Kenrad E. Nelson, Chairman, presiding.

PRESENT:

- | | |
|---------------------------|-------------------------|
| KENRAD E. NELSON, M.D. | Chairman |
| JAMES R. ALLEN, M.D. | Member |
| MARY E. CHAMBERLAND, M.D. | Member |
| KENNETH DAVIS, JR., M.D. | Member |
| DONNA D. DiMICHELE, M.D. | Member |
| SAMUEL H. DOPPELT, M.D. | Member |
| ROBERT J. FALLAT, M.D. | Consumer Representative |
| LIANA HARVATH, PhD | Temporary Voting Member |
| F. BLAINE HOLLINGER, M.D. | Temporary Voting Member |
| JEANNE V. LINDEN, M.D. | Temporary Voting Member |
| RAYMOND S. KOFF, M.D. | Member |
| JUDY F. LEW, M.R. | Member |
| TERRY V. RICE | Member |
| PAUL J. SCHMIDT, M.D. | Member |
| DAVID F. STRONCEK, M.D. | Temporary Voting Member |
| SHERRI O. STUVER, ScD | Member |
| LORI A. STYLES, M.D. | Member |
| LINDA A. SMALLWOOD, PhD | Executive Secretary |

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

www.nealrgross.com

(202) 234-4433

3839T2

I-N-D-E-X

	<u>Page</u>
III. Review of Data Supporting Extension of Dating Period for Platelets	
A. Introduction and Background Jaro Vostal, M.D., Ph.D.	12
B. Extension of Platelet Storage Sherrill Slichter, M.D.	16
C. Safer, Cheaper and Just as Good James AuBuchon, M.D.	56
OPEN PUBLIC HEARING	
D. Committee Discussion	112
IV. Bacterial and Fungal Contamination of Human Tissue Intended for Transplantation	
A. Introduction and Background Ruth Solomon, M.D.	140
B. Microbial Contamination and Cross Contamination Concerns During Processing Mary Malarkey	147
C. Preliminary Findings From Investigation of Allograft-Associated Infections Marion A. Kainer	168
D. Reducing the Risk of Tissue Transplant-Transmitted Infections D. Ted Eastlund, M.D.	203
E. Adverse Reactions After Corneal Transplantation Michael A. Lemp, M.D.	241
OPEN PUBLIC HEARING	265
F. Committee Discussion	
ADJOURNMENT	273

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

(8:07 a.m.)

1
2
3 DR. SMALLWOOD: May I ask the Committee
4 members to please be seated.

5 Good morning. Welcome to the second day's
6 session of the 72nd meeting of the Blood Products
7 Advisory Committee. I am Linda Smallwood, the
8 Executive Secretary.

9 On yesterday I read the conflict of
10 interest statement that pertained to the proceedings
11 of this meeting. If there are any questions
12 concerning that, you may see me at the break, and I
13 will be happy to share that statement with you.

14 For today's meeting, we will have Dr.
15 Jeanne Linden, who is a consultant and who will be
16 participating with us today. Also, two of our members
17 will be -- that were present yesterday are absent, Dr.
18 Fitzpatrick and Dr. Klein.

19 I would just like to ask the Committee
20 members, if you need to have arrangements made for
21 taxis, to please see the young ladies at the desk
22 outside during the break.

23 There will be one deviation from our
24 agenda this morning. We will start out with a
25 presentation from Dr. Richard Lewis who will provide

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 you with a summary of a workshop on medical errors.
2 Other than that, we will proceed with our agenda as
3 printed, and hope to keep on time. Thank you.

4 DR. LEWIS: Thank you, Dr. Smallwood.

5 I have a 15 minute summary of the
6 workshop, and Dr. Smallwood told me that I had to do
7 it in seven minutes. That was one of the conditions
8 of being on. So she is serious about keeping on time
9 today, and I'll try to do my best to do that.

10 In the month of February we helped sponsor
11 a workshop on best practices to reduce transfusion
12 errors, and I think this is a very important subject,
13 and it was a worthwhile workshop, which was sponsored
14 both by FDA and the Agency for Health Care Research
15 and Quality, both HHS agencies.

16 If I could have the next slide, please.

17 We looked at various areas of errors that
18 could occur in transfusion services and blood banks.
19 We summarized some of the current safety initiatives
20 within Health and Human Services, looked at what some
21 of the sources are for errors, looked at some
22 systematic errors and how to address them, how
23 reporting could figure in on errors in transfusion,
24 and looked at some of the technology that could
25 address some of those problems, both current

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 technology and future technologies. Next slide.

2 Within HHS, to summarize some of the
3 Patient Safety Task Force initiatives: The Patient
4 Safety Task Force is an HHS-wide task force which
5 includes members from FDA, CMS, CDC, and AHRQ.

6 The ARHQ also has some independent work on
7 patient safety. They are funding grants and contracts
8 to address medical errors, and also lead the Patient
9 Safety Network, which is an effort to device a large
10 computer analysis method, not a single database but a
11 method to look at all of the different types of
12 information relating to errors that might be contained
13 within HHS.

14 We also heard from CMS and discussed their
15 Quality Improvement Organization, which also is an
16 error reporting emphasis. Next slide.

17 Some of the sources of transfusion error:
18 We heard that there are roughly -- this is data from
19 Kathleen Sazama as well as Jeanne Linden. Roughly,
20 over the last ten years 37 deaths per year occur as a
21 result of transfusion errors. Estimates are that
22 about five percent of -- this represents about five
23 percent reporting.

24 The majority of those errors are ABO
25 incompatibility, roughly 56-59 percent of fatalities,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 and these errors generally occur either at sample
2 collection or at the actual transfusion. Twenty-five
3 to 29 percent of those errors occur at the blood bank.
4 Next slide.

5 So it was confirmed by presentation from
6 Hal Kaplan that the human/system interface is where
7 most of these particular errors occur, and some of the
8 contributing factors are wrist band removal, incorrect
9 labels, and confusion over the name of the patient.
10 Next slide.

11 We heard from Dr. Michael Busch about
12 infectious disease testing, and he had looked at a
13 large database of discordant results between NAT
14 testing and serology in both HIV and HCV studies. He
15 commented that investigating these particular
16 discrepancies offered an opportunity to see where
17 errors might occur in testing.

18 He put forth a rather large number. If
19 you consider the errors in testing as well as
20 prevalence, the chances of getting an infectious
21 disease from a unit of blood were about one in one
22 billion. Next slide.

23 We discussed systematic errors, and errors
24 in a particular system and how one operates come from
25 both the organizational level, physical contact with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the patient, the environment that the individual -- in
 2 which they work, as well as the social attitudes in
 3 the particular establishment. It was pointed out that
 4 it is important to identify points in a process where
 5 errors are most likely to occur. Next.

6 One presentation addressed some of the
 7 inexpensive devices that are used in other areas.
 8 That was referred to as Poka-Yoke. It's a Japanese
 9 system, and some of the examples that they gave were,
 10 as you enter a parking garage, for instance, there is
 11 a wooden bar that hangs down that stops vehicles that
 12 are too large from going in. We saw how doors would
 13 have a handle on it to pull and a plate to push, and
 14 we were encouraged to look at some simple, inexpensive
 15 devices that prompt us technically to do the right
 16 thing and void errors. Next slide.

17 In evaluating the donor history
 18 questionnaire, Susan Wilkinson presented some work
 19 that she and some of her collaborators have done using
 20 an objective structured clinical examination. They
 21 had trained donors and tested the interviewers for
 22 their competency, and used this as a system of
 23 evaluating the actual donor history, the individuals
 24 taking the history as well as their interpretation of
 25 the responses. Next.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
 1323 RHODE ISLAND AVE., N.W.
 WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We looked at a number of reporting systems
2 which changes the focus from identification -- In a
3 hospital setting, it encourages changing the focus
4 from identification of a liability situation to one in
5 which you are constantly self-examining your system to
6 determine where there might be particular errors.
7 Next.

8 We heard from Sharon O'Callaghan, who
9 discussed the FDA Biological Product Deviation
10 Reporting in manufacturing. Most of those reports
11 come in the areas of quality control, labeling or
12 routine testing.

13 This particular system also includes a
14 root cause analysis, and some of the major
15 identification of causes for errors were that the
16 individuals were too busy, that there were clerical
17 errors or handwritten, as well as additional needs in
18 the particular environment. Next slide.

19 MERS-TM is a system that I would hope that
20 everyone has at least heard of, a reporting system
21 that focuses on systems and training rather than
22 individual liabilities, again. We heard an example of
23 its implementation at a large facility and their event
24 recognition and system correction methods. Next
25 slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Barcoding today has a focus of HHS, and
2 the Secretary Thompson has encouraged the development
3 of a regulation that would require all drugs and
4 biologics to have barcoding. The overall scope of
5 that rule is yet to be decided, but look for this
6 spring a large workshop to discuss both the scope as
7 well as the type of barcoding.

8 We should all be familiar with ISBT 128,
9 and we heard about the regulations and implementation
10 of new rules to remove barriers to implementation of
11 ISBT 128. Next slide.

12 We heard other technology trends. The
13 automated donor interview was presented, and some
14 information on how that facilitates the donor history.
15 Dr. AuBuchon presented patient identification system,
16 the blood lock, and his estimates were that it saved,
17 in his facility roughly \$200,000 quality adjusted life
18 years, and he pointed out that, although this is very
19 high for other implementation of medical procedures,
20 however, in the context of some of the things that we
21 do to reduce the incidence of infectious diseases in
22 blood, this was rather small.

23 We also looked at laboratory
24 instrumentation and some of the testing equipment, and
25 how that particular equipment incorporates systems so

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to reduce interactions with humans and automate the
2 process as much as possible to reduce errors in
3 testing, as well as some patient identification
4 systems, barcoding on wrist bands as well as on blood
5 banks, and how that could reduce numbers of errors.
6 Next slide.

7 In terms of future technologies, we heard
8 some interesting presentations from the International
9 Biometrics Association. They talked about identifying
10 the actual biological unit through things such as
11 voice scans, facial scans, and fingerprint analysis.

12 I thought it was very interesting, in
13 terms of fingerprint analysis, that there were devices
14 as small as an inch in diameter that one could place
15 their thumbprint on and read the individual
16 immediately. It seemed to me that that could be very
17 applicable to the patient setting where you could find
18 an individual's fingerprint, whether they are
19 conscious or unconscious, and identify the appropriate
20 unit.

21 Software to identify an individual is
22 available, and rapid -- Actually, it's faster to
23 verify that an individual is who you think that it is
24 than it is faster to identify an individual. I think
25 this verification would be something that would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 applicable in the hospital setting.

2 Thank you very much. I don't know how I
3 did for time. I hope that I stayed within my
4 boundaries. I appreciate the opportunity to present
5 this. I thought it was an important topic and,
6 hopefully, we will address it again at the AABB
7 convention this fall. Thank you

8 CHAIRMAN NELSON: Any questions or
9 comments? Yes, James?

10 DR. ALLEN: In one of your early slides
11 where you showed 37 deaths per year and estimated that
12 was about five percent reporting, given that the event
13 is a death, I'm surprised at the degree of
14 underreporting. Is there any explanation for that or
15 ways to correct that?

16 DR. LEWIS: These were data from Dr.
17 Sazama from M.D. Anderson, and she didn't cite, and I
18 didn't follow up, what her actual sources were. She
19 said that it had been reported. I was surprised, too,
20 and it isn't in our regulations that it is mandatory
21 reporting for all deaths that are associated with
22 transfusion.

23 CHAIRMAN NELSON: Thank you.

24 DR. LEWIS: Thank you.

25 CHAIRMAN NELSON: The first topic today on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the agenda is review of data supporting extension of
2 dating period for platelets, and this will be
3 introduced by Dr. Vostal from the FDA.

4 DR. VOSTAL: Good morning, and thank you
5 for the opportunity to introduce this session. Today
6 we are going to talk about the extension of platelet
7 shelf life from five days to seven days and about the
8 quality of platelets that have been stored out to
9 seven days.

10 Just as an introduction, I would like to
11 direct your attention to the slide over here that
12 covers the platelet storage milestones that we have
13 had over the history of. Starting in 1981, back then
14 platelets were stored out to three days, but improved
15 plastics and bags allowed random donor platelet
16 storage to increase from the three days to five days.

17 Things were going pretty well. So in 1984
18 this was further increased to seven days. However,
19 about a year and a half later, in 1986, there was a
20 BPAC Committee meeting very similar to this one. That
21 discussed the incidence -- an increased incidence of
22 bacterial contamination associated -- or bacterial
23 contamination and substance -- transfusion substance
24 reactions associated with platelet transfusions.

25 Based on this data, the dating period for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 platelet storage was moved back to five days. Now we
2 fast forward to 2002, and we are coming to a point
3 where we think we may have methods of controlling
4 bacterial contamination of the platelet. This could
5 be either by detection or decontamination.

6 So the question comes up again: When we
7 have the bacterial contamination problem under
8 control, whether we can extend the shelf life of
9 platelets back out to seven days.

10 Could I have the next slide, please?

11 We have some reservations about directly
12 extending the shelf life, and that is because there
13 are major differences between platelets that were
14 stored out to seven days in 1984 and the platelets
15 that we are using today.

16 For example, if you look at the
17 differences in the products that were used, in 1984 it
18 was only random donor platelets that were stored out
19 to seven days, but today we like to do random donor
20 platelets as well as single donor apheresis platelets.

21 . Leukoreduction wasn't carried out back
22 then. So there are plenty of leukocytes in these
23 products. However, today leukoreduction is almost
24 universal. So there is a big difference in terms of
25 number of white cells present in these platelet

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 products.

2 The storage conditions themselves have
3 changed. The plastic bags that were used in 1984 have
4 been improved with different plastics, and some of the
5 apheresis instruments also have different plasma to
6 platelet ratios. So all these things put together
7 make for a different condition for storing platelets
8 out to seven days.

9 If I could have the next slide. Now this
10 slide -- This is a cartoon modified from Scott
11 Murphy's review in Transfusion Medicine Reviews in
12 1999. It kind of focuses on the different factors
13 that play a role in storing platelets.

14 Of course, there is the plastic bag that's
15 gas permeable to oxygen and carbon dioxide. The
16 platelets are themselves stored at room temperature in
17 plasma, and they have the presence of leukocytes. The
18 biochemical events that take place in these cells is
19 that free fatty acids are metabolized by oxidative
20 metabolism to ATP, and glucose is metabolized by
21 glycolysis to lactate and lactic acid.

22 Now the ratio of these -- or the
23 predominance of these pathways is determined by the
24 availability of oxygen that is diffusing through the
25 outside -- through the bag.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 In the lack of oxygen, the predominant
2 pathway is glycolysis and produces lactic acid, leads
3 to generation of acid in plasma. This is usually
4 buffered by bicarbonate that can convert -- that can
5 combine with the acid and generate CO₂ which can then
6 diffuse out of the bag.

7 If there is -- So in cases where there is
8 low oxygen present, you can have a lot of acid
9 generation. And if it overcomes the bicarbonate
10 present in plasma, you can have a drop in acid or drop
11 in pH. You can also have a rise in pH if the number
12 of platelets present is decreased or if the number of
13 leukocytes that also contribute to the cycle is
14 decreased.

15 So the pH at the end of storage of the
16 platelet product sort of indicates the conditions that
17 the platelet went through when it was stored out.

18 Just to show you that we think these
19 conditions are changes, I would like to show you the
20 next slide. This is data that is collected by the FDA
21 from platelet products that are submitted to FDA for
22 licensure, and we measure pH at outdate of these
23 platelet products.

24 You can see that in 1995 pH was close to
25 7. By '96-97 and up to '99, there is a rise in pH.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 This may not seem that much, but if you look at the
2 next slide, here we show the percent of the products
3 tested that have a pH greater than 7.4.

4 As you are going from '95 to '99, you can
5 see that by 1999 more than 40 percent of the products
6 have a pH greater than 7.4.

7 Now it's not clear whether this has any
8 detrimental effects on platelets when they are
9 transfused, but I think it demonstrates that the
10 conditions for platelet storage are changing over
11 time. That is why we are actually interested to see
12 whether the platelets that are stored out to seven
13 days under the current conditions will still work when
14 transfused today.

15 So with that brief introduction, I would
16 like to welcome Dr. Slichter, who is the Executive
17 Vice President of Research at Puget Sound Blood
18 Center. She is going to continue with the clinical
19 aspects of seven-day platelet storage.

20 DR. SLICHTER: Thank you. Could I have
21 the first slide, please. As Dr. Vostal has mentioned,
22 in 1986 over 15 years ago now, the dating time of
23 platelets was shortened from seven days to five days,
24 because of bacterial concerns, you know, as this
25 let's see, have we got a pointer? There.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Because of this difference in growth rate
2 of bacterial in platelets, which as everyone knows,
3 are stored at room temperature, versus growth rate in
4 red cells which are stored at four degrees Centigrade.
5 So that's why the dating period of platelets was, in
6 fact, reduced.

7 If you look at the data -- and this is a
8 literature review done by Mo Blajchman, reported in
9 2000 -- bacterial contamination of a platelet
10 component is not insignificant, .3 to 1.6 percent.
11 Transfusion associated sepsis is one in 50,000
12 transfusions with a 20 percent fatality rate, and
13 would suggest that there are 50 to 100 deaths per year
14 associated with bacterial contamination.

15 Now the causes of bacterial contamination
16 are pretty well understood. Probably the biggest
17 reason for bacterial contamination is inadequate skin
18 preparation prior to the venipuncture. One way to
19 potentially avoid this complication is to remove the
20 first aliquot of blood, which is considered to reduce
21 the risk by taking either the skin plug out of the
22 system and diverting it prior to drawing the rest of
23 the blood into a bag.

24 The real advance, I think, in terms of
25 whether there is a possibility for extending platelet

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 storage is in the last two areas. There are -- and
2 Dr. AuBuchon who follows me will discuss this. There
3 are a variety of systems now that may be able to be
4 used to detect the presence of bacteria prior to
5 release of the product from the blood center that will
6 allow us to prevent bacterial transfusion, and extend
7 platelet storage.

8 The other process that is moving along is
9 a decontamination process. So that there are
10 techniques now available for pathogen inactivation of
11 platelets prior to their storage.

12 Now what I am going to talk to you about
13 today, because I have a limited amount of time, is I
14 am going to concentrate on the *in vivo* transfusion
15 aspects of platelets. As many of you in this room
16 know, there are a variety of methods of *in vitro*
17 testing of platelets to determine the quality of the
18 platelets.

19 It is at least my opinion that those *in*
20 *vitro* assays can tell you whether it's a go or no go,
21 meaning that if the *in vitro* assays look very
22 abnormal, you probably don't have a product that will
23 survive *in vivo*.

24 If the *in vitro* assays, however, look
25 reasonable, you really, in my opinion, don't know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 whether that is going to give a very high recovery and
2 a good survival or something much more modest. So
3 what I am going to concentrate with you on today is in
4 vivo evaluation of platelets during storage.

5 There are really two major techniques that
6 we use. One is to store autologous platelets in
7 normal volunteers. We radiolabel the autologous
8 platelets and reinfuse them.

9 Because we have two different isotopes
10 that we can use, either chromium or indium, we
11 actually have the opportunity to store two different
12 products under differing conditions and simultaneously
13 or sequentially transfuse those products into the same
14 normal volunteer.

15 Now the reason why that is a distinct
16 advantage is because, for reasons that we really don't
17 understand, there are substantial differences in how
18 well each individual platelet stores. So that the
19 ability to compare in the same individual labeled
20 platelets and simultaneous reinfusion is a big
21 advantage.

22 Now once the data suggests that
23 radiolabeled viability measurements in normal
24 volunteers are reasonable, then we go to transfusion
25 experiments in thrombocytopenic patients where

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 viability is measured by platelet increments,
2 corrected count increments which I'll describe, days
3 to next transfusion, and also, importantly, to measure
4 hemostasis; because, after all, platelets are supposed
5 to prevent or control bleeding.

6 Now I am going to talk about two different
7 aspects of how to determine the quality of the
8 platelets. Both of them have to do with looking at
9 the platelet increment, which is the post-transfusion
10 minus the pre-transfusion count, and then making a
11 correction for the volume of distribution.

12 So the platelet recovery does it by blood
13 volume divided by number of platelets transfused. The
14 corrected count increment makes a similar adjustment
15 based on body surface area, again divided by number of
16 platelets transfused.

17 Now this is a very important slide that I
18 am going to spend a little bit of time on, because one
19 of the issues is that we know that, on average, about
20 60 percent of the platelets that we transfuse
21 circulate. The other third are pooled in the spleen,
22 and the normal platelet survival is somewhere around
23 eight to ten days.

24 So the issue becomes, if we only have a
25 cell which has an intrinsic life span of eight to ten

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 days, how long can we really expect to extend storage
2 *in vitro* and get a product that is worth transfusing?

3 Well, the very interesting study that
4 Stein Holme did with Andy Heaton in 1995 looked at
5 five-day stored platelets, which is the green line
6 here, compared to fresh, and you can clearly see that
7 both the recovery and the survival is reduced. But
8 interestingly, they, simultaneously with the five-day
9 stored platelets, harvested platelets from the same
10 normal individual and radiolabeled them fresh at the
11 time that this was infused.

12 What this data shows is that the viability
13 of the platelets after two days *in vivo* is the same as
14 after five days *in vitro*, suggesting in fact that the
15 platelet does not have an intrinsic lifespan, but
16 rather the lifespan is influenced by the conditions
17 under which it is maintained, and that you are worse
18 off to be *in vivo* than *in vitro*.

19 What he then did is do a calculation which
20 he called mean residual platelet lifespan, which looks
21 at the area below the survival curve divided by the
22 initial platelet recovery, and basically showed that
23 if you make that calculation, this is *in vitro* mean
24 residual lifespans and *in vivo*. So that the platelet
25 aging *in vitro* was only .44 percent of the -- was only

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 44 percent of that *in vivo*.

2 So that what this suggests is that, in
3 fact, if we do store *in vitro*, we may be able to get
4 a useful product that's been stored for eight, ten or
5 even somewhat longer because of the difference. He
6 attributed the difference between how platelets
7 survive *in vivo* and *in vitro* to the differences in
8 storage temperature.

9 So *in vitro*, we store at 22. *In vivo*, as
10 you know, that's 37. So the metabolic activity of
11 platelets *in vivo* is substantially higher than it is
12 *in vitro*.

13 Now the next set of data that I am going
14 to show you looks at the issue which Dr. Vostal
15 alluded to, that there are a variety of platelet
16 products now available for storage.

17 So since it's been 15 years since we have
18 been able to store platelets for seven days, a lot of
19 the data that I am going to show you is at five days
20 of storage. But the first thing I wanted to address
21 with the audience is: Is there a difference in five-
22 day storage of platelets based on the method of
23 preparation of the platelets, because we know that
24 platelets can suffer a collection injury.

25 In other words, if you centrifuge the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4133

www.nealrgross.com

1 platelets too hard during their preparation, that
2 produces an injury, and in addition, there is a
3 storage injury.

4 So we, as everybody, I think, in this
5 room knows, make platelet concentrates by what's
6 called the PRP system where we take whole blood. WE
7 do a soft spin. We remove the supernatant PRP, which
8 has a high leukocyte count. We do a hard spin, and
9 then we re-suspend the platelets in a small volume of
10 residual plasma.

11 In contrast, in Europe they make so called
12 buffy coat platelets. Here what happens is you take
13 the whole blood and, instead of doing a soft spin, you
14 do a very hard spin, which then concentrates the
15 platelets as well as the white cells on top of the red
16 cells. You then remove the buffy coat layer. You
17 pool the buffy coats and do a soft spin.

18 So that the issue here became the hard
19 spin to make a platelet concentrate in the U.S. is
20 done at the end, and the platelets are hard spun
21 against the walls of the bag.

22 In this system the hard spin is done
23 against the red cell layer, which theoretically means
24 that the buffy coat platelets, in fact, may be a
25 better quality platelet, because at no point are the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 platelets hard spun against the walls of the bag.

2 Now this is starting to show the data on
3 storage time in plasma on post-transfusion platelet
4 viability. These are paired, radiolabeled, autologous
5 platelet storage studies in normal volunteers.

6 In this study there were nine volunteers
7 who participated. Their platelets were stored in PRP
8 platelet concentrates, and this is recovery and
9 survival data at zero recovery and survival at five
10 days.

11 Now in some of these studies they didn't
12 provide in the manuscript the p-values. So anything
13 that had a statistically significant p-value I will
14 have listed on the slide. So there is some decrease
15 both in recovery and survival here.

16 These are buffy coat platelets, again some
17 decrease in recovery and survival, but you will note
18 that the recoveries and survivals at both time zero
19 and five days are basically the same.

20 This is now apheresis platelets stored for
21 one day or five days, and absolutely no difference in
22 this data.

23 This is now five-day versus seven-day,
24 nine individuals in two separate studies. What you
25 can see here is basically there is no difference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 between five-day and seven-day stored platelets,
2 autologous platelets in plasma in normal volunteers in
3 this one study.

4 Now this is five-day storage of different
5 types of radiolabeled autologous platelets in plasma.
6 This is PRP-PC, buffy coat PC, nine individuals. This
7 is platelet recovery, no difference. This is buffy
8 coat versus apheresis C, which is Cobe. This is PRP
9 apheresis F, which is Fenwal. This is PRP apheresis
10 H which is Haemonetics. No difference in any of this
11 data between the different types of products when
12 stored for five days.

13 Now this is survival data from the same
14 studies. Again no difference in the survival or
15 recovery of different types of products when direct
16 comparisons are made in the same normal individuals.

17 Now the other thing that is of interest,
18 I think, when we consider extending platelet storage
19 is whether or not the use of additive solutions would
20 provide a benefit.

21 . As you know, we now store platelets in
22 plasma. Many of the buffy coat preparations done in
23 Europe are pooled and re-suspended in a platelet
24 additive solution. Use of a platelet additive
25 solution may provide benefit, because it may improve

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the quality of the platelets during storage, and also
2 allow us to salvage more plasma for other uses.

3 So this just lists the kind of platelet
4 additive solutions that have been used and are
5 available. They have a variety of different kinds of
6 things in them. What they all contain is either
7 acetate, gluconate or citrate as sources of metabolic
8 energy, because one of the problems you will notice is
9 that none of these solutions contain glucose, because
10 glucose tends to caramelize under sterilization
11 conditions, and so there needs to be provided
12 alternate sources of nutrients to support oxidative
13 metabolism.

14 Most of the studies have used a residual
15 plasma concentration of somewhere around 35 to 50
16 percent to provide some glucose. So that none of
17 these studies are stored in 100 percent of the
18 additive solution.

19 Now this is a study again done by Stern
20 Home, published in 1994. The reason to show you this
21 data, I think, is because it quite nicely shows the
22 storage lesion. So that over time -- This is plasma
23 stored platelets. You can see that over time, and for
24 stored platelets up to 15 days where the platelet
25 recovery is less than ten percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE, N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 You can see that there is loss of
 2 viability over time. He then looked at PAS-2 stored
 3 platelets, starting at five days, showed that they
 4 were the same at five days as plasma, but some
 5 improvement actually in the quality of the platelets
 6 when stored in PAS versus plasma over time, starting
 7 at seven days of storage.

8 This is the same study, but now instead of
 9 looking at platelet recovery, looking at platelet
 10 survival, again showing the loss of survival of
 11 platelets in circulation. Same data at five days, but
 12 an improvement at seven days in PAS compared to
 13 plasma.

14 This is studies that we have recently been
 15 doing in Seattle looking at Plasmalyte. The only
 16 storage solution that is commercially available for us
 17 to use is Plasmalyte. We have used Plasmalyte
 18 concentrations of 50 to 82 percent and, in fact, think
 19 that the recovery is better with the higher Plasmalyte
 20 concentrations than the lower.

21 So our current standard is to use about 80
 22 percent Plasmalyte. Here is our -- We did six normal
 23 volunteers, paired observations. This is five-day
 24 storage in Plasmalyte versus plasma. As you can see,
 25 the data is exactly the same.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
 1323 RHODE ISLAND AVE., N.W.
 WASHINGTON, D.C. 20005-3701

1 This is now ten normal volunteers stored
2 for seven days, Plasmalyte versus plasma, and as
3 suggested in the prior slide from Dr. Holme, at seven
4 days we are getting better recovery, statistically
5 significant difference in Plasmalyte compared to
6 plasma, and a bit better survival compared to plasma,
7 but does not reach statistical significance.

8 Now the other thing I would like to share
9 with you, kind of again in regard to Dr. Vostal's
10 statement that we are now storing platelets under
11 conditions that are truly different than they were 15
12 years ago. What I would like to point out to you is
13 that these recoveries of 80 and 64 percent in
14 Plasmalyte are extremely high, and much higher than we
15 would have predicted.

16 The old data would have been somewhere
17 around 40 to 50 percent recoveries at five and seven
18 days, and I don't have a good explanation for this
19 except to say that I've got the same technician who is
20 using the same radiolabeling techniques, and we can't
21 identify that it is a difference in how we are
22 processing the platelets that have led to this
23 difference.

24 Now what we next did is do paired studies
25 in normal volunteers, did five normal volunteers,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 where each bag of their apheresis collected platelets
2 -- one was stored for five days, one for seven days,
3 but both were stored in Plasmalyte.

4 What you can see here is that the
5 recoveries are exactly the same, some decrease in
6 survival, but not a statistically significant
7 difference. So that going from five-day Plasmalyte to
8 seven-day did not produce a change.

9 The only clue we have about why the
10 recovery may be better in our current studies is
11 because, in order to do the Plasmalyte storage
12 studies, we needed to have donors with high platelet
13 counts.

14 So what you can see here is this is our
15 five versus seven-day Plasmalyte data that I just
16 showed you. This is then the platelet count of the
17 donor, and you will notice that the donor whose
18 platelet count is around 200,000 has, in fact, a
19 recovery in the fifties, which is what we would
20 anticipate, but there seems to be a higher recovery in
21 people who have higher platelet counts.

22 This may be very interesting, because this
23 may be a physiologic thing, that one of the reasons
24 potentially why people have high platelet counts is
25 because they have less storage of their platelets in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the spleen, and again you notice how close the
2 recoveries are for an individual donor, always higher
3 for the five-day than for the seven-day, but very
4 close data when the same normal individual is used for
5 paired observations.

6 Now the last part of the talk I am going
7 to talk about what we are really interested in, which
8 is how well the platelets do when they are transfused
9 into thrombocytopenic patients.

10 This is very old data which we collected
11 that basically shows that the normal platelet recovery
12 is around 60 to 65 percent. Normal platelet survival
13 is somewhere between eight and ten days. But if you
14 get a thrombocytopenic patient who actually needs
15 transfused platelets, although their recovery is
16 similar to what's found in normal, their survival, in
17 fact, is reduced, and it is around five days under
18 optimum conditions.

19 Now platelets are lost by two mechanisms.
20 One is senescence with a maximum platelet lifespan of
21 ten days. But interestingly, there is a random
22 platelet loss in hemostasis. So about 7,000 platelets
23 per microliter per day are lost in what we consider to
24 be an endothelial supportive function, and this loss
25 is irregardless of the age of the platelets and is to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 prevent us from leaking through our vascular system.

2 Now there's a direct relationship -- Once
3 you get to less than about 100,000 platelets, there is
4 a direct relationship between platelet count and
5 platelet survival. So that the lower your platelet
6 count is at the time you are transfused, the more
7 reduced is your platelet survival.

8 So that when you are talking about
9 transfusing platelets into people whose baseline
10 platelet count is somewhere around 10-20,000, you are
11 talking about a survival of about two to three days.

12 So the point of this discussion is that,
13 in terms of storing platelets, we would like to have
14 platelets which have basically a normal recovery, and
15 then as long as the survival of platelets is at least
16 two or three days, if not more like five or six days,
17 because you don't want the survival of the product to
18 be shorter than the intrinsic survival of the cell in
19 the patient.

20 So I think, since the average platelet
21 lifespan under optimum conditions -- I think most
22 clinicians would think, if they get a five-day
23 survival out of transfused platelets, they are doing
24 very well. So I think that's the target that we
25 conceivably ought to use.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Now I picked again out of the literature
2 the best studies that I could find which made good
3 comparisons. This is now fresh versus five-day plasma
4 stored PRP platelet concentrates into the same -- from
5 the same donor into 12 thrombocytopenic patients. So
6 the donor came back on different occasions and
7 transfused the same thrombocytopenic patient.

8 You can see here that five-day storage,
9 recoveries are about 47 percent less than fresh,
10 survival 8 1/2 days versus 6 1/5. So less, but
11 obviously very good data.

12 This is now transfusion of one, three and
13 seven-day plasma stored PRP into the same 16 patients.
14 So they were given three transfusions of either one,
15 three or seven-day stored platelets. This is looking
16 at corrected count increments at an hour and 24 hours.
17 No difference between three-day and seven-day stored
18 for either one hour or 24 hour CCI, but a
19 statistically significant difference between one hour
20 in either three or seven at both time periods.

21 This is now looking at 18, 17, 13
22 patients, all of whose platelets were either PRP,
23 buffy coat or apheresis. This is the number of each
24 type of transfusion that these 18 patients got. So
25 they got all PRP-PC, 162 transfusions.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Storage time averaged about three days
2 with all of the preparations, and none of these are
3 statistically significant differences in terms of one
4 hour or 24 hour CCIs.

5 This is the patients transfused with
6 platelets stored for three to five days in plasma, PAS
7 or Plasmalyte, and the type of product. So this is
8 buffy coat. This is apheresis, buffy coat, apheresis.

9 The only statistically significant
10 difference, interestingly, was for buffy coat
11 platelets stored in plasma versus PAS-2. CCIs at both
12 one hour and 24 hours were better for plasma than for
13 PAS-2, but none of these other differences are
14 statistically significantly different, again
15 suggesting that all of the products that we have
16 available do basically the same thing under the same
17 storage conditions.

18 Now I am going to end up the talk with a
19 discussion about the pathogen inactivation system that
20 is currently farthest along in its development. It is
21 a system that has been proposed by a Cerus-Baxter
22 consortium.

23 It involves using basically a PAS-3
24 solution. They call it InterSol. So 65 percent PAS,
25 35 percent plasma. They add a psoralen called

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 amatosolin as 59 to the collected platelets. They
2 then expose this platelets to UV-A light, and then
3 they transfer the UV-A exposed light platelets to
4 another container that has an absorption device that
5 removes any residual breakdown products of the
6 amatosolin or any remaining whole product. Then it is
7 finally transferred to a storage container.

8 Now it is important that this product, in
9 fact, be in a storage solution, because UV-A light has
10 very poor penetrance. So reducing the amount of
11 residual plasma allows better penetrance and,
12 therefore, an inactivation process to proceed.

13 Now using this inactivation process, this
14 is the data that was obtained in 16 normal volunteers
15 who had apheresis collection done. Half of the
16 product was treated. Half was not treated, and this
17 is the platelet recovery and survival data after five
18 days of storage.

19 Although there is a statistically
20 significant decrease in platelet both recovery and
21 survival, the quality of the product is still within
22 clearly an acceptable range.

23 Now there have been two transfusion
24 trials, one done in the U.S., the so called SPRINT
25 trial, one done in Europe, the so called euroSPRITE

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 trial, that have actually looked at pathogen
2 inactivated platelets transfused into thrombocytopenic
3 patients.

4 In the U.S. trial the platelets were
5 collected by Amicus apheresis machine. In the SPRINT
6 trial buffy coat platelets were used. This now looks
7 at the platelet increment at one, 24 hours, platelet
8 transfusion interval, and platelet transfusion events.

9 What you can see, again as would have been
10 predicted by the normal volunteer radiolabeled
11 studies, the recoveries and survivals of the treated
12 platelets are statistically significantly less for
13 both the Amicus as well as the buffy coat treated
14 platelets.

15 Here the differences are not statistically
16 significant, but there is clearly a trend for the
17 treated platelets to provide less quality platelets
18 than the control. Here you see again that the
19 transfusion interval is the two to three days that I
20 discussed with you is what we usually see in
21 thrombocytopenic patients.

22 Now these products were stored for up to
23 five days. So I think the issue is this is a pathogen
24 inactivation process which introduces some loss of
25 viability of the platelets following treatment, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 then the issue becomes, if we take these five-day
2 stored platelets and extend them to seven days, what
3 is the data going to look like, since we have a
4 somewhat compromised product even at five days that is
5 statistically significantly less quality product than
6 similarly transfused control platelets.

7 So I think that's an issue that needs to
8 be addressed. Finally, the last slide just looks at
9 the primary endpoint, interestingly, for the U.S.
10 SPRINT trial was not does the platelet count go up, do
11 the platelets survive, but rather are the platelets
12 hemostatically effective.

13 So I think the FDA rightly wanted to make
14 sure that the treated platelets, in fact, were still
15 able to provide hemostasis. So this is the treated
16 platelets. This is the control platelets.

17 Patients who have WHO Grade 2 bleeding,
18 percent in each arm, was exactly the same. WHO Grade
19 2 bleeding is more than petechiae and ecchymosis, but
20 a bleeding that does not require a red cell
21 transfusion. Grade 3 bleeding requires a red cell
22 transfusion. Grade 4 bleeding means that it's a life
23 threatening type of bleed, and again bleeding events
24 were the same. Days of bleeding -- we are looking at
25 mean a bit more in the treated than the control, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the median was the same. Duration of platelet support
2 was the same.

3 So with that, I will conclude. Thank you.

4 CHAIRMAN NELSON: Thank you, Dr. Slichter.
5 Any questions or comments?

6 DR. LEW: Yes. On a slide before, you
7 showed events, and I didn't know what those events
8 meant, but it looked like there was more in the
9 treated group than the nontreated.

10 DR. SLICHTER: Yes. This is -- Events
11 means the number of times that you were transfused
12 with platelets. So in both the SPRINT trial and the
13 euroSPRITE trial, in order to support you through your
14 thrombocytopenic period, you needed about 25 percent
15 more platelets. Yes, Toby?

16 DR. SIMON: One fact that I kind of wanted
17 to probe that isn't directly in your presentation but
18 harkens back to the discussions of 15 years ago when
19 we shifted back from seven to five days: As I recall,
20 the bacterial growth in the platelets was generally
21 between three and five days.

22 So a seven-day platelet was not
23 necessarily more likely to be bacterially contaminated
24 than a five-day platelet, but the thrust was that, as
25 platelets -- that the longer the interval you allowed,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the more longer storage you would have. So that
2 pushing it back might potentially reduce. Is that the
3 right recollection?

4 DR. SLICHTER: I think that's the right --
5 You know, what they are doing in Europe now,
6 interestingly, is they are storing their buffy coat
7 platelets for five days, and in some blood centers
8 they are then using a pathogen inactivation system to
9 then determine if the product at five days is
10 bacterially contaminated. If it's not, then they are
11 now storing them for seven days.

12 In specific answer to your question, Toby,
13 I think that's right. I mean, I think -- and I think
14 Dr. AuBuchon will talk about that most people feel
15 that a pathogen inactivation system -- you can't use
16 it on day zero, because there is not enough time for
17 the bacteria to have grown to allow their detection.
18 But if you start the detections process at three or
19 four days, then probably you are going to have enough
20 bacteria to see them, if they are there.

21 I think, Toby, that the reason why -- You
22 know, you probably know this better than I, because I
23 think you were at the FDA or in the blood products --
24 in the government anyway at the time that this
25 decision was made. But I think the reporting did show

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 that there was more evidence of bacterial
2 contamination.

3 Probably, as the first slide I showed,
4 there has to be a certain log growth of platelets in
5 order for it to be clinically relevant to a transfused
6 patient.

7 DR. SIMON: The major reason I'm bringing
8 this up is just so people understand what I think is
9 correct, that it's not so much that more growth occurs
10 between five and seven days, but rather that the
11 longer the storage, the more likely. So the feeling
12 is, if you go to seven days, you would get more
13 contamination than if you had five days.

14 DR. SLICHTER: Oh, yes.

15 DR. SIMON: The other issue: I think that
16 there was a feeling pragmatically that, if you had
17 five days, it took care of most of the clinical
18 problems, because you got -- It used to be three days,
19 and then your Friday platelets expired on Monday, and
20 it was terrible if you were a patient who needed
21 platelets early in the week, and that five days
22 allowed that you get through that, and holiday
23 weekends. But when you dealt with a lot of smaller
24 hospitals and rural, seven versus five really did make
25 a difference in helping with supply, whereas in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 most urban metropolitan areas, it wasn't such a key
2 issue.

3 CHAIRMAN NELSON: You know, but given the
4 variety of organisms, I wonder if between five and
5 seven you might get a higher burden and more clinical
6 events. Is that --

7 DR. SIMON: It would certainly be
8 possible, but I think most of the problem occurred, as
9 I recall -- I guess Jim will talk about it in a little
10 more detail between the three and five days.

11 DR. SLICHTER: The other issue, I think,
12 is that I have been told that there's some data that
13 the storage solutions are bacteriostatic. So that we
14 may get some additional benefit, not only on the
15 quality of the platelets by putting them in a storage
16 solution, but potentially also on the rate of growth
17 of bacteria.

18 DR. HOLLINGER: Is the issue with wanting
19 to store from five to seven days one that a lot of
20 platelets are outdated in that time, and what
21 percentage are they, or is there some other issue of
22 being able to more effectively use the platelets for
23 patients and so on? What's the major issue of wanting
24 to extend it?

25 DR. SLICHTER: Yes. The major issue, I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 think, is shelf life and outdating. The outdating
2 rate depends a lot on how good your blood center is
3 about managing your inventory. I think our outdate
4 rate for platelets is maybe around 10-15 percent. Is
5 that right, Mike? Okay. Mike Strong is here from our
6 blood center, and he knows the numbers.

7 So that's part of the issue, but for, as
8 Toby said, a lot of small centers, they don't have an
9 inventory that allows them to be as flexible as a
10 large major metropolitan transfusion service such as
11 we are happens to be.

12 So I think, as long as we can document
13 that we have a good quality product -- You know, it's
14 kind of like when we went from 35 day stored red cells
15 to 42. You know, people said, well, you know, what do
16 you need that extra seven days for? Can't you manage
17 your inventory? And it made a very big difference in
18 terms of outdating, once we eventually got the
19 extended red cell storage.

20 So I think that anything we can do to
21 extend platelet storage will be a welcome addition for
22 blood centers.

23 DR. DOPPELT: Do you have any idea by how
24 much this will increase your inventory on shelves? I
25 mean, how many more platelets. If you keep platelets

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for seven days, by how much will this increase the
2 number of platelets you have available?

3 DR. SLICHTER: I don't know. Mike, do you
4 have any answer to that?

5 DR. STRONG: Strong, Seattle. As Sherrill
6 mentioned, for red cells we made a conversion to the
7 additive solutions a few years ago, and it clearly
8 dropped our outdate on red cells from about -- We were
9 running about four to five percent, and we are now
10 less than one percent.

11 For platelets, the extra couple of days --
12 The big difference there is considering the time frame
13 of the week and when you are drying the platelets, and
14 as Sherrill mentioned, the weekend -- and as Toby has
15 also mentioned, the weekend is where you suffer,
16 because you can't get as much collections over the
17 weekend either for apheresis or for whole blood
18 platelets.

19 So the extra two days really makes a big
20 difference in how that inventory is managed over the
21 course of the week. So my guess is it could drop it
22 as much as 50 percent by having that extra couple of
23 days.

24 DR. DOPPELT: Can I ask a second question?

25 DR. SLICHTER: Please.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. DOPPELT: How effective are these
2 platelets from a hemostatic standpoint, if they are
3 extended for seven days? I couldn't get a clear
4 understanding of that.

5 DR. SLICHTER: There's really very, very
6 little in the literature about even fresh platelets,
7 five-day platelets, in terms of their hemostatic
8 efficacy. What I would say to you is that I am not
9 aware of a situation in which the platelets are viable
10 and not functional.

11 So that in our experience, if the
12 viability, meaning the recovery and survival of the
13 platelets, is good, I'm not aware of a discrepant
14 situation between function. So for example, when we
15 stored platelets at four degrees Centigrade, they had
16 a good recovery and extremely short survival and did
17 not correct bleeding time.

18 So I think it is important that, when we
19 get to seven-day stored platelets similar to what the
20 FDA required for the pathogen inactivated platelets,
21 that we in fact look at hemostasis and make sure that
22 with extended platelet storage, hemostasis is still
23 there. But I don't know of any real data, even when
24 seven-day stored platelets were approved and licensed,
25 that really looked at -- specifically at hemostasis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 But most clinicians would tell you that, you know,
2 once you put platelets in, you ordinarily expect to
3 see bleeding controlled and didn't.

4 I mean, there was not a hue and cry from
5 the clinician saying, my god, everybody is bleeding to
6 death now that you have seven-day platelets, but I
7 think it's important not to forget that we are
8 transfusing platelets to provide hemostasis. So we
9 need to make sure that that is, in fact, evaluated.

10 DR. STRONCEK: I guess a couple of things.
11 Managing platelet inventories is much more difficult
12 than red cells. I agree with what Sherrill and Mike
13 have said, that red cells most places, I think, have
14 a one percent outdating, but platelets it's ten to 15
15 percent.

16 On transfusion services even in big
17 hospitals, there tends to be a handful of users that
18 can use a lot of platelets. So there are times when
19 it's slow. You know, the business is slow, and having
20 an extra seven days helps. Then long weekends, it
21 makes a big difference.

22 Then as far as -- You know, the
23 effectiveness of platelets -- Maybe, Sherrill, you can
24 comment on this, but my impression of platelets is
25 when they get old, they tend to get cleared, but they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 still are probably somewhat effective with hemostasis.

2 There were those platelet -- what were
3 they? -- freeze dried platelets that people were
4 trying to market about five years ago where it ended
5 up they were platelet membranes which had very -- just
6 minutes as far as half-life, but at least in animal
7 models they showed that they were able to go to
8 lesions and cause some hemostatic effect.

9 So I would guess that, even if these
10 platelets don't have as long a half-life, when they
11 are there they probably help in some way.

12 DR. SLICHTER: Yes. The other thing, I
13 think, just information for the committee, we did a
14 study looking at the platelet transfusion trigger and
15 looked at 5,000, 10,000 and 20,000 as a trigger, and
16 looked at radiochromium labeled stool blood loss as a
17 quantitative measure of how much bleeding you do,
18 depending on the level you are transfused at.

19 I would tell you -- and there's data in
20 the literature from transfusion trials -- that if you
21 look at 20 versus ten, it's the same. When we looked
22 at 20, ten and five for a quantitative blood loss in
23 the stool, it was the same.

24 So I think the number of platelets that
25 you need -- I mean, I mentioned that there are 7,000

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 platelets per microliter per day that are involved in
2 an endothelial supportive function.

3 So I think, as long as you have at least
4 7,000 platelets circulating and they are of some
5 quality, probably hemostasis is effective. So I think
6 the number of platelets you need to provide hemostasis
7 is relatively small.

8 DR. SCHMIDT: We used to transfuse
9 platelets that had been collected in the EDTA, because
10 as we looked at the platelets under EDTA, they looked
11 nice and round and, therefore, they must be good.

12 I think we stopped doing that because of
13 data out of Seattle which was post-cardiac surgery in
14 which the message was kind of, if the platelet count
15 went up significantly after transfusion, that was bad,
16 because the platelets weren't doing their job.
17 They're not hemostatically effective.

18 Was that Laurie Harker's data or --

19 DR. SLICHTER: I don't think Laurie ever--

20 DR. SCHMIDT: It was very old.

21 DR. SLICHTER: Well, it was very old data,
22 and I think it was really Frank Gardner who -- and
23 Dick Aster who really documented that EDTA spheres the
24 platelets so that they are irreversibly sphered and
25 compared to citrate collected platelets, they had very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 poor recovery and very poor survival.

2 So I'm not sure that there was ever
3 functional data on EDTA compared to citrate collected
4 platelets. I think that the viability of the
5 platelets was substantially compromised in EDTA
6 compared to citrate, but those were studies that were
7 done by Aster and Gardner.

8 DR. SCHMIDT: But the studies of post-
9 cardiac surgery -- I can remember that message, that
10 if the platelet count goes up, that's bad, because
11 they are not going to the walls of the vessels.

12 DR. SLICHTER: I don't think that's --

13 DR. STYLES: I think you make a very good
14 point, which is that we could probably increase the
15 platelet supply just by educating the clinician about
16 the proper level at which to transfuse patients. We
17 could probably make a much greater impact than
18 anything we would do with dating.

19 I think, to me, the issue -- What seems to
20 me you have said is that these platelets are probably
21 effective or what is going to constitute effective
22 enough and that they are probably going to result in
23 an increased exposure of thrombocytopenic patients at
24 the current level of clinical practice.

25 I don't think that people are going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 radically change, though I wish they would, the level
2 at which they transfuse patients, but given the level
3 of current practice, I would say that your data show
4 pretty consistently that they are going to get more
5 transfusions.

6 Now the issue of whether you can give them
7 a better product -- But I'm a bit concerned about
8 that, given the problems of infection currently. Now
9 pathogen inactivation may eliminate that concern, but
10 the other problems, especially in the multiple
11 transfused patients with resistance to platelets,
12 which I see all of the time and is a real problem.

13 DR. SLICHTER: Yes. I think that is a
14 problem, and I think the other issue that I've tried
15 to address a little bit is the issue that, once you
16 take one hit like if you have a collection injury and
17 then you -- so that you hard spin the platelets
18 against the walls of the bag initially to make a
19 platelet concentrate by the PRP method, and then you
20 store them, then that collection injury is magnified.

21 So one of the concerns that at least I
22 have is whether the pathogen inactivated platelets
23 which have a processing injury from the pathogen
24 inactivation, whether when we extend the storage of
25 those, is that going to be a problem? I don't know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the answer.

2 I don't think any studies have been done.
3 I mean, they have done *in vitro* studies with the
4 pathogen inactivated platelets for seven days and have
5 shown that they have good quality, but as I've
6 mentioned, I think *in vitro* and *in vivo* may not
7 necessarily be the same.

8 I also agree that we could substantially
9 reduce the number of platelets transfused if we did,
10 in my opinion, two things, which is reduce the trigger
11 and also reduce the dose, because we don't need a
12 60,000 post-transfusion platelet count. I mean, that
13 makes the doctor feel good, but the patient doesn't
14 need it.

15 DR. STYLES: I have one last question.
16 Were both these trials blinded?

17 DR. SLICHTER: Yes, they were both blinded
18 trials. Absolutely. That was very important,
19 particularly for the U.S. trial which looked
20 hemostasis. So the bags were covered. The people who
21 were doing the bleeding assessment had no idea which
22 arm of the study the patients were in.

23 DR. KOFF: Can I ask you about the
24 temperature issues that you've raised?

25 DR. SLICHTER: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. KOFF: David mentioned cryopreserved.
2 You've talked about the differences between 22 and 37,
3 and now I see the reference to the Aster work that, I
4 guess, looked at -- I'm not that familiar with it --
5 looked at -- must have looked at a curve at different
6 temperatures in storage.

7 DR. SLICHTER: Yes. Right.

8 DR. KOFF: Are there sufficient data --
9 Have those studies been repeated? Have they looked
10 not just at survival but hemostasis? It just seems,
11 without completely being ignorant of this, that if 22
12 is better than 37 and maybe there is a role for
13 cryopreservation, is there some wiggle room for lower
14 temperatures which would still maintain platelet
15 function, survival, reduce bacterial contamination,
16 growth at least?

17 DR. SLICHTER: Yes. That's a very good
18 question, because the issue is, if 37 is worse than
19 22, why don't you just keep going down? We did look
20 very early on at 4, which was the same temperature
21 that we store red cells. That was no good. And Aster
22 did do a very careful set of experiments going down
23 from 22 to 20 to 18 to 15 to the dah-dah-dah.

24 As soon as you got to something less than
25 20, you started to show substantial decreases in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 viability. There were no function measurements made.
2 So I can't talk about function, but I can talk about
3 viability.

4 So I think we are not going to be able to
5 push that particular envelope, which is a great idea,
6 but I think is not going to get us where we want to
7 be.

8 DR. SAYERS: Thanks. Sayers, Dallas.

9 Just a cautionary note. Some would view
10 that physician education is an oxymoron, but with that
11 aside, just a question, Sherrill, of clarification.

12 You made mention about what some centers
13 in Europe were doing looking at five-day platelets.
14 Now were they then subjecting some of those platelets
15 to bacterial detection or bacterial inactivation? If
16 it was detection, were they just throwing out ones
17 where they had --

18 DR. SLICHTER: The latter, Merlyn.

19 DR. SAYERS: It was inactivation?

20 DR. SLICHTER: So they looked at
21 detection, not inactivation. The inactivation
22 procedures are going to be done up front. So you
23 prepare the platelets. You pathogen inactivate, and
24 then you store.

25 So in Europe what they are doing is they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 are taking five-day platelets which are going to
2 outdate. They are then subjecting them to a pathogen
3 detection process.

4 DR. SAYERS: I see.

5 DR. SLICHTER: And then the ones that are
6 positive -- they are not transfusing the ones that are
7 negative. They are transfusing and allowing them to
8 then better manage their inventory.

9 DR. SAYERS: Thanks.

10 DR. LEW: Just to follow up on Dr. Styles'
11 comments, has anyone looked in the patients who need
12 them continuously, assuming that they are going to get
13 some that are earlier platelets and some that are
14 later platelets, how much extra transfusion they will
15 get, and then balance that to the other risks for
16 platelet transfusion, as well as for people who may
17 need it acutely for trauma, what it may entail in
18 terms of extra platelets?

19 Twenty percent or 25 percent that you
20 mentioned seems awfully high in terms of the extra
21 needs for someone who needs it chronically. Has
22 someone done those type of analyses at FDA or
23 someplace else?

24 DR. SLICHTER: Not that I know of. But,
25 you know, one of the advantages of pathogen

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 inactivation is that you inactivate the pathogens. So
2 that, even though you may have to give more platelets,
3 they are in fact pathogen inactivated. So the issue
4 for the clinician becomes, as often with advances in
5 science, there are tradeoffs.

6 So the tradeoff that you as the clinician
7 have to recognize is you get a produce which is going
8 to be pathogen inactivated for both bacterial, viruses
9 and protozoa -- okay? -- and a broad range of viruses,
10 bacteria and protozoa, and is that worth the fact that
11 you may have to then increase the number of platelets
12 that the patient requires.

13 You know, one of the issues then becomes
14 whether they are alloimmunized, but in this trial they
15 did look -- At the U.S. trial they did look for the
16 development of lymphocytotoxic antibodies in both
17 arms. There were over 300 patients per arm, a wide
18 variety of patients, and the incidence of
19 lymphocytotoxic antibodies in both arms was about five
20 to six percent, and these are leukoreduced apheresis
21 platelets that were transfused in both arms.

22 DR. HOLLINGER: I guess maybe we should in
23 many cases promote single donor apheresis, just in
24 terms of bacterial contamination, and just using a
25 single donor sometime when you would have to use

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 multiple units or bags which would compound the issue,
2 I guess, of contamination.

3 What percentage right now -- I have a
4 couple of questions, but what percentage of the
5 platelets that are currently used are from single
6 donor versus random donor? Do you know? For
7 patients?

8 DR. SLICHTER: Speak up, Jim. Two-thirds
9 apheresis, Jim says.

10 DR. HOLLINGER: Two-thirds are apheresis?

11 The other question is just a technical
12 one. I was just curious. Most of these studies are
13 done with chromium labeled cells or indium. Most
14 cells -- I don't know about the platelets. Red cells
15 are usually negatively charged, and you put chromium
16 on and they become positively charged.

17 We used to use that actually to add
18 antigens to the cells for doing serologic tests. But
19 what happens to cells? Do we know, when you do a
20 chromium labeling, does it alter the cells in some way
21 -- the platelets in some ways that it -- where they
22 might be more uptaken, the spleen or other places,
23 endothelium and so on? Do we have an idea about that,
24 because that's what a lot of these studies are based
25 on in recovery and survival?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. SLICHTER: Yes. Well, we were
2 concerned about that very issue. So in the early days
3 Scott Murphy was doing radiolabeling studies and doing
4 them after storage. So one of the issues was, was he
5 getting that great data, because the dead cells
6 wouldn't take up the label?

7 So we actually did labeling studies pre-
8 storage and, in addition, did some studies comparing
9 fresh versus -- well, stored versus labeled in the
10 same thrombocytopenic patient to try and look at the
11 issue.

12 As best I know, our data, nor am I aware
13 of any data in the literature that suggests that the
14 labeling process *per se* is somehow damaging to the
15 cells or giving a different answer, but that's a very
16 good question.

17 Everybody now does post-labeling. We do
18 it, because we had a secretary who was ordering our
19 chromium and found something cheaper in the book than
20 what we were ordering. So she ordered that for us,
21 and lo and behold, it was cheaper because it wasn't
22 sterile.

23 So I had some septic normal volunteers,
24 because I stored their platelets for three days with
25 nonsterile chromium, and I was one very nervous

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Nellie.

2 CHAIRMAN NELSON: Okay. Thank you.

3 DR. SMALLWOOD: Excuse me. May I ask that
4 everyone that has a statement to make or responding to
5 a question please come to a mike and announce your
6 name. I know we know one another, but for the record
7 we need to have it recorded, and our transcriber does
8 not know everyone. Thank you.

9 CHAIRMAN NELSON: Dr. AuBuchon.

10 DR. AUBUCHON: Fresh from my Olympic
11 performance. Thank you very much for the invitation
12 to appear before the Blood Products Advisory Committee
13 today. I would like to extend some of the remarks
14 that Sherrill has begun about using seven-day-old
15 platelets and the clinical conditions which surround
16 the situations in which they may be useful.

17 I will warn you at the outset that I will
18 be proselytizing you, because I think there is an
19 opportunity in using seven-day-old platelets with a
20 bacterial detection system in order to improve the
21 safety of transfusion recipients. Next slide, please.

22 Today essentially I will be talking about
23 two things: First, briefly, why we are interested in
24 this subject; and then whether it is really feasible
25 in a hospital setting, practically, to use seven-day-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 old platelets. Next slide, please.

2 We are all aware of the increased safety
3 over the last several decades that has been achieved
4 through more stringent donor screening and augmented
5 test procedures. This is a success story of which we
6 all should be proud. Next slide, please.

7 However, imposed on top of this are the
8 residual problems that really have not changed over
9 the last two decades in terms of bacterial
10 contamination, particularly of platelets. The risk of
11 a unit of platelets having detectable bacteria is
12 approximately one in 3,000.

13 The probability of a septic death, as you
14 will see, displayed from a number of different studies
15 exceeds the risk of HCV or HIV transmission. Next
16 slide, please.

17 Indeed, looking at FDA data gleaned from
18 reports from hospitals of transfusion fatalities --
19 next slide, please -- the two top reasons for deaths
20 are hemolysis, as was discussed earlier by Dr. Lewis
21 from the Error conference, and bacterial
22 contamination. Next slide, please.

23 So when we transfuse a unit of red cells
24 or a unit of platelets, we think we know what we are
25 putting into the patient. Next slide.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 However, we may be putting something in
2 that we do not anticipate and we do not wish to
3 transfuse, namely, bacterial in the case of red cells
4 or occasionally, as some platelets will have some gram
5 negative contamination, also endotoxin. Next slide,
6 please.

7 Bacterial contamination is very frequent.
8 It is initially at very low concentration, as Dr.
9 Slichter referred to. We are talking about the
10 initial inoculum in a unit of whole blood as being
11 somewhere on the order of one organism per milliliter
12 or possibly even less.

13 Therefore, if we were going to apply some
14 type of detection system that depends on a sensitivity
15 on the order of 10, 100, 1000 or more organisms per
16 mil, we will have to let some time elapse before there
17 is a sufficient concentration to detect these
18 organisms.

19 These bugs in blood are very difficult to
20 detect. They are difficult to detect in the unit.
21 They are also very difficult to detect in the patient,
22 because particularly for platelet recipients, these
23 individuals, at the same time that they are
24 thrombocytopenic, are often neutropenic. They are
25 susceptible to fevers and to sepsis.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So when a patient becomes septic after a
2 transfusion, the clinician or even the blood banker
3 may not suspect that the origin of the organisms was
4 the bag of blood as opposed to the patient's GI tract
5 or some other intrinsic source. Next slide, please.

6 There are numerous reports in the
7 literature documenting just how frequent contamination
8 is. A number of reports from around the world place
9 this risk in the order of hundreds, if not thousands,
10 of cases per million units of platelets transfused.

11 I've chosen to represent the rate as per
12 million, because now we are often talking about the
13 risk of HCV or HIV in terms of number of cases per
14 million units, often less than one unit per million
15 units. Here we are talking about hundreds or
16 thousands of units per million units transfused. Next
17 slide, please.

18 Data from the United States from the CDC's
19 bacterial contamination study recently published in
20 Transfusion and previously presented at AABB meetings
21 indicate that there are several hundred clinical cases
22 of post-transfusion sepsis annually in this country,
23 and on the order of one to two dozen deaths.

24 Now this is a case report study and,
25 undoubtedly, is an underrepresentation of the risk in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the United States. Next slide, please.

2 Looking at longitudinal data gathered in
3 very careful study from Johns Hopkins shows that the
4 risk of post-transfusion sepsis to be on the order of
5 100 to several hundred per million, whether you are
6 talking about platelet concentrates or apheresis
7 platelets, single donor platelets, and the fatality
8 rate, 14 to 62 per million. Again, contrast that to
9 the HIV rate of now less than one per million. Next
10 slide, please.

11 Probably the largest study that has been
12 conducted and reported to date comes from France,
13 their ongoing hemovigilance surveillance system. Here
14 they noted that the fatality rate, not just the rate
15 of sepsis now but the fatality rate, due to
16 bacterially contaminated platelet products was
17 approximately seven per million. Next slide.

18 Dividing that out, that's one per 140,000,
19 again orders of magnitude greater than the risk of HIV
20 transmission. Next slide, please.

21 There are some changes that can be
22 associated with bacterial contamination of platelets,
23 such as formation of clots in the bag, discoloration,
24 presence of gas bubbles. These are not always
25 reliable, not always present, not always detectable.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Next slide, please.

2 Some individuals, some institutions have
3 attempted to use gram staining or acridine orange
4 staining to detect bacterial by visual examination of
5 the slide of the unit before releasing it for
6 transfusion. These techniques will pick up some units
7 that are contaminated. However, their sensitivity is
8 in the range of 10^5 to 10^6 organisms per milliliter,
9 obviously beyond what we would like to transfuse
10 instead of a, hopefully, sterile product. Next slide,
11 please.

12 There is also a concern about false
13 positive rate. When you are looking for small
14 bacteria amongst a sea of small platelets, you can
15 frequently end up with a false positive result from
16 that kind of screening. Next slide, please.

17 As Sherrill indicated, there are,
18 obviously -- and Jaro indicated -- there are obviously
19 biochemical processes going on in platelets,
20 production of acid in CO_2 , and it is theoretically
21 possible to detect the formation of these components
22 in platelets, also the same thing that is going on in
23 bacteria.

24 So as bacteria are growing, they are
25 producing acid and producing CO_2 , and you could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 theoretically detect bacteria presence by looking for
2 these output. Next slide, please.

3 There are a number of proposals that have
4 been brought forth and a number of detection devices
5 that are attempting to use this. We don't have
6 anything that is reliable at this point to detect
7 these metabolic end products as an indicator of
8 bacterial contamination. Next slide, please.

9 One problem is illustrated here from a
10 paper from Dr. Mark Brecher's lab at the University of
11 North Carolina, noting that it is possible to find a
12 drop in glucose in bacterially contaminated units and
13 differentiate that from a sterile control unit. The
14 glucose is, obviously, consumed by the bacterial.
15 Next slide, please.

16 The problem in terms of setting this up as
17 an ongoing detection device is that there is a broad
18 range of glucose consumption that can be found in
19 platelet units, and one would theoretically have run
20 the risk of releasing a product that was severely
21 contaminated with bacteria and yet still falling
22 within the "normal range" for glucose in a platelet
23 unit. Next slide, please.

24 Swirling has also been advocated as a
25 means of detecting the presence of bacteria. Swirling

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 can be thought of -- or maintenance swirling can be
2 thought of as a poor man's pH meter.

3 Platelets in their normal discoid form
4 will align with the flow of plasma. So if one takes
5 a unit of plasma, rocks it back and forth, you can get
6 a shimmering seen through the unit because of the
7 diffraction grading that is caused by the alignment of
8 the platelets.

9 If the pH drops for whatever reason,
10 including bacterial contamination and production of
11 acid, the platelets will sphere and blow a pH of about
12 6.2 and will no longer swirl. So this might be one
13 way of trying to detect contamination. Next slide,
14 please.

15 We have attempted to look at this, and the
16 sensitivity varies by the organism, depending on the
17 pH drop that is seen. There is not 100 percent
18 sensitivity. It will work sometimes. It won't work
19 all the time. Next slide, please.

20 Indeed, one could measure pH or measure
21 glucose on a laboratory instrument, and whenever we
22 take one of our contaminated units into our chemistry
23 laboratory to run it through the pH meter, the
24 chemistry techs form a phalanx guard around the blood
25 gas instrument. They don't like to see us coming and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have us contaminate their instrument, but you can
2 detect changes in pH that way. However, there is
3 false positivity to deal with again there. Next
4 slide, please.

5 There are a number of companies that are
6 currently developing simple, quick, fast, cheap
7 techniques to detect byproducts of bacterial growth or
8 to affect bacteria themselves, in order to document
9 that a unit does not have platelet -- bacterial
10 contamination or does not have at least a number of
11 platelets above a certain threshold. These are not
12 yet available on the market, but we look forward to
13 seeing some of them in the future. Next slide,
14 please.

15 Now what about culturing? We haven't
16 talked about that yet, and that is usually regarded as
17 the gold standard for detecting bacteria. One takes
18 a culture and, if nothing grows, you call the source
19 sterile.

20 The traditional concept for testing that
21 a blood center would do would require that the result
22 of the test be negative before the unit is labeled and
23 released. That's obviously what we do for viral
24 testing. However, with bacterial testing that will
25 not work very well, because first of all, you have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 allow the unit to sit for at least one or two days
2 before a small aliquot from that unit can reliably be
3 used to detect bacteria.

4 You could do destructive culturing and
5 culture the entire unit on day zero and see if there
6 are any bacteria present, but that, obviously, does
7 not yield a transfusable product.

8 So the techniques that are being used in
9 European centers, particularly Belgium and the
10 Netherlands, involve culturing on Day One, allowing
11 the bugs to grow up for at least a day, taking a small
12 culture, and seeing whether that turns positive.
13 However, it's not really possible -- next slide,
14 please -- to hold the unit until the culture is
15 verifiably negative, which may take several days
16 before a microbiology lab will stamp negative on the
17 report.

18 One will have to have a system whereby the
19 unit can be released for transfusion prior to the
20 final result being known as negative. Now there is a
21 possibility that the unit will be in the hands of the
22 hospital before the culture turns positive. In that
23 case, there has to be a system for recalling that
24 unit.

25 It is also possible the unit could even be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 transfused before the culture turns positive.
2 However, in that case it's likely that the storage
3 time has been very short, and the bacteria will not
4 have grown up to a level that is dangerous or that the
5 inoculum is clinically dangerous to the patient in
6 that time period.

7 Essentially, you have a race between
8 growth at 22 degrees in the bag versus growth at 37
9 degrees in the culture bottle -- in an instrument, for
10 example, automated culture instrument, and the
11 bacteria are going to grow faster at 37, and you are
12 more likely to find the growth in the instrument
13 before it becomes a problem for a recipient of that
14 unit. Next slide, please.

15 I would like to share with you today our
16 experience in using a slightly different concept from
17 the traditional one, and that is applying a hospital
18 based system to verify the sterility of platelet
19 units. Next slide, please.

20 The technique we have been using now for
21 almost three years in our institution is applied to
22 apheresis platelets. All of our platelet units are
23 apheresis platelets, and they are cultured on Day Two
24 -- that is, the second day after collection -- by
25 taking a 5 milliliter aliquot obtained by sterile

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 connecting device and placing it in an automated
2 culture system, the BacT/Alert system.

3 The unit is then put on the usual rotator
4 for release for transfusion and is used as needed.
5 Next slide, please.

6 What organisms might we have to detect?
7 Well, based on the CDC's BaCon report, you see here a
8 listing of the organisms that were found in blood
9 contamination, and this shows both red cells and
10 platelets. The isolates which are found in red cells
11 are shown with the asterisks.

12 It's a wide group of organisms. However,
13 these are the same organisms that the BacT/Alert
14 system detects day in and day out, and has for well
15 over a decade, in hospital microbiology laboratories,
16 starting with very low inoculums from patients who
17 have substance or some other bacterial infection.

18 So we are using this system in this
19 matter. Although it is not approved for detection of
20 bacteria in platelets for the purpose of releasing for
21 transfusion, BacT/Alert has recently been approved by
22 the FDA for quality control testing of platelets,
23 which in my mind essentially amounts to the same
24 function in terms of what we are expecting it to do in
25 the laboratory. Next slide, please.

1 When should the culture be drawn? As I
2 said, in Europe the culture is usually drawn on Day
3 One. We opted for a Day Two culture in order just to
4 make absolutely sure that any bacteria that were in
5 that bag would be detected with a small aliquot for
6 culture.

7 For example, in a preliminary study where
8 we inoculated sterile units with 1 CFU per ml., we
9 found actually all of our units growing on Day One,
10 and Day Two even had a higher inoculum in the bag. So
11 we feel that Day Two was safe, but the European
12 experience indicates that Day One may be just as safe.
13 Next slide, please.

14 How long will it take before the cultures
15 turn positive, if there are bugs in the bag? These
16 data, again from our Brecher's lab at UNC, indicate
17 that on average we are looking at between ten and 20
18 hours. There are some slow growing organisms,
19 anabacterium, for example, which could be found. It's
20 not a major contaminate in platelets, but far and away
21 most of the organisms that we would be concerned about
22 would be detectable beginning at a relatively low
23 inoculum within a day. Next slide, please.

24 So after we take our culture on Day Two,
25 we go ahead and release those units whenever they are

1 needed. We will interdict their release if the
2 microbiology laboratory informs us that a culture is
3 positive. Next slide, please.

4 We would hope that this would not only
5 provide assurance of sterility, but as I'll talk
6 later, I think this will allow us to extend storage to
7 seven days, allow us to store after pooling platelet
8 concentrates, which is not currently allowed in this
9 country, although it is done in Europe, and also allow
10 for reduced cost of leucocyte reduction; because one
11 could pool five or six units of platelet concentrates
12 and then use only one filter rather than multiple
13 filters. Next slide, please.

14 In our first two years of using this
15 approach, we have cultured about 2600 units of single
16 donor apheresis platelets. We had 16 initial
17 positives, 0.6 percent. Eleven of these could be
18 recultured and were not confirmed. We had been saving
19 additional aliquots where we could retest the unit,
20 and in all those 11 that we could retest, we did not
21 get growth of any organism. Five, we were not able to
22 reculture.

23 One of those latter five cases, it
24 occurred that the unit was transfused before we got
25 the report of growth from the microbiology laboratory.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 The patient had done well on the transfusion. We
 2 cultured the patient and did not grow anything. So
 3 again, we believe that all of these 16 were indeed
 4 false positives. It's a relatively low false positive
 5 rate, which is encouraging. Next slide, please.

6 That was our first two years of experience
 7 that we reported recently at the AABB meeting.
 8 Shortly after we closed the data for those first two
 9 years, we had an interesting report.

10 We received a unit from an outside
 11 supplier. About five percent of our units do come
 12 from an outside blood center. The remainder we
 13 collect ourselves. We cultured it on Day Two, the day
 14 that it arrived.

15 It was actually a split unit. So we had
 16 two different bags, but we knew it had come from the
 17 same donor by the numbering system. The next morning
 18 we got a report from the microbiology laboratory that
 19 one of the two splits was growing bugs.

20 We were surprised that the other one had
 21 not also alarmed, and we thought maybe this is a false
 22 positive, that we contaminated the culture somehow.
 23 However, the afternoon the other one also grew.

24 So we had then interdicted two units that
 25 were bacterially contaminated from the same collection

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
 1323 RHODE ISLAND AVE., N.W.
 WASHINGTON, D.C. 20005-3701

1 process. It was the same *staph epi.* organism in each
2 half of the bag.

3 We were pleased that the system had indeed
4 worked the way it was supposed to work and prevented
5 two patients ultimately from receiving contaminated
6 platelets. Next slide, please.

7 Now I know this committee is charged not
8 to be concerned about cost, but I can assure you that
9 hospital transfusionists are concerned about cost. I
10 would like to talk a little bit about cost in order to
11 document how this technique can be made not only cost
12 effective but even cost saving, so that hospitals will
13 implement it.

14 We have been able to identify the costs
15 involved in this technique, and I have tried to
16 capture all of the costs except the amortization of
17 the BacT/Alert incubation cabinet. It amounts to
18 \$16.50 per unit cultured. Next slide, please.

19 If you scale that up for 100 units, you're
20 looking then at \$1600. Our outdate rate and the
21 national outdate rate for platelets is 15 percent. So
22 15 percent -- If we could avoid that 15 percent at
23 \$500 per unit, as the approximate cost of single donor
24 platelets, you can see that that is several times
25 greater than the cost of doing the culture.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Would we entirely eliminate all outdating
2 if we were able to extend storage because we are doing
3 bacterial detection? No, but back in the mid-eighties
4 when we did have seven-day platelets, the outdate rate
5 dropped from around 15 percent to three to four
6 percent nationally for platelets.

7 So I think we would be able to recover the
8 cost of culture, if we were able to extend the storage
9 time. Next slide, please.

10 Indeed, in our experience, we always have
11 more units being required for transfusion than what we
12 outdate the previous day. I would hope so.
13 Otherwise, we wouldn't be very good inventory managers
14 of our platelets.

15 So I think that, if we were able to extend
16 storage because of a bacterial detection technique, it
17 would be able to be brought in without an increase in
18 cost. Next slide, please.

19 But do seven-day-old platelets survive and
20 function as well? As Dr. Slichter very nicely showed,
21 there are changes during storage, and fresh platelets
22 are not the same as five-day-old platelets, are not
23 the same as seven-day-old platelets.

24 Some institutions have shown that giving
25 older platelets does not provide as much support for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 patients and results in them needing additional
2 platelet transfusions. In our hands we have not been
3 able to document that in that the corrected count
4 increments are not different using five-day-old
5 platelets versus younger platelets.

6 There is some difference of opinion on
7 that, but clinically five-day-old platelets seem to
8 work just fine, although one can look very closely and
9 possibly define some differences with increased
10 storage age. Next slide, please.

11 We were involved in a study, again
12 recently reported at the AABB, funded by Gambro and in
13 collaboration with our colleagues at Red Cross in
14 Norfolk, looking at the effect of storing apheresis
15 platelets that were collected on the Gambro Spectra
16 instrument for five or seven days -- or collected on
17 the team instrument also, and collected and then
18 stored for five or seven days.

19 This was both an *in vitro* study and *in*
20 *vivo* study with chromium, indium radiolabeled recovery
21 and survivals. Next slide, please.

22 The platelet units were standard
23 leukoreduced plasma suspended single donor platelet
24 units. Next slide, please. Again, these were
25 leukoreduced at the time of collection.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 If one looks at the changes in pO₂ and
2 pCO₂ or pH between Day One and Day Five and then on to
3 Day Seven, one can certainly see some differences with
4 increased storage time.

5 Day Seven is not exactly the same as Day
6 Five. However, I would note that the pH remained
7 above 6.2 and did not indicate any likelihood of going
8 upward. These pH were falling, although remained
9 above the 6.2 threshold throughout seven days. Next
10 slide, please.

11 Glucose continued to be consumed, and
12 lactate continued to be produced throughout that
13 period, and the rate of glucose consumption and
14 lactate production was no different in that additional
15 two-day period. Next slide, please.

16 The platelets, of course, showed some
17 evidence of loss of function and increased activation
18 during storage. That is what is normally seen as part
19 of the storage lesion. The changes were not great.
20 They were small, as has been noted by others.

21 There was a difference between five and
22 seven days, but they are in the approximate same area.
23 As you will see when we get to actual human data, the
24 increase in activation of these platelets did not
25 prevent them from being hemostatically -- apparently

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hemostatically effective by virtue of them continuing
2 to circulate. Next slide, please.

3 Looking at the recovery and survival at
4 five days versus seven days, there were statistically
5 significant differences. There were declines in both
6 recovery and survival. Next slide, please.

7 Shown here in tabular form, these numbers
8 are very similar to those that Dr. Slichter showed
9 from a number of other laboratories in which one can
10 see a decrement in both recovery and survival with the
11 additional two days. However, these numbers still
12 look quite acceptable in comparison to other reported
13 studies.

14 In particular -- next slide, please -- I'd
15 like to contrast these numbers to data that were
16 submitted to the FDA in support of licensure of seven-
17 day platelets, now almost 20 years ago. Next slide,
18 please.

19 If you look at this study in comparison to
20 the data of Archer et al., we have better results at
21 seven days than were accepted previously for both
22 recovery and survival. Next slide, please.

23 If you look at the difference between five
24 days and seven days, there is less of a reduction in
25 both recovery and survival than was seen in data from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 20 years ago.

2 Therefore, based on clinical trial data in
3 normal subjects, it would appear that the seven-day
4 platelets that we have available to us today, stored
5 in different kinds of plastic, stored with different
6 amounts of possibly different anticoagulants than were
7 used 20 years ago and stored in leukoreduced fashion,
8 are at least as good, if not better, than the
9 platelets that were judged acceptable 20 years ago for
10 seven-day storage. Next slide, please.

11 Now we've been taking some of this work to
12 a practical point in the transfusion service
13 laboratory. Our platelet units, of course, outdate on
14 Day Five, but we keep them on the rotator until the
15 morning of Day Eight -- so in other words, the morning
16 right after the outdate at midnight on Day Seven --
17 for pH and swirling checks. Next slide, please.

18 Looking at 91 Cobe Spectra units, 96
19 percent of them showed swirling on Day Eight, and the
20 pH, average pH, was 6.86. 97 percent were about 6.2
21 for pH, and the maximum pH was 7.3. So we did not
22 appear to have high pH problems, and very few of the
23 units did not maintain their pH out to Day Eight.
24 Next slide, please.

25 Now we are an academic medical center, but

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 we are not in a city setting. We are three hours away
2 from our blood supplier who could supply us back-up
3 platelets in case of unusual need. There are
4 certainly times when we have unusual need, and we
5 cannot get platelets fast enough.

6 In that case, we have to do something. We
7 exhaust our supply, and we need more platelets.
8 Because we have cultured units that have not grown
9 bacteria on our platelet incubator, we have by medical
10 necessity, medical emergency, occasionally had to use
11 units beyond five days of storage in order to support
12 patients who needed platelet transfusion.

13 So I would now like to share with you some
14 of our experience of transfusing platelets that are in
15 Day Six or Day Seven of storage for patients who
16 needed transfusion, and we have assessed the clinical
17 outcome here by corrected count increments taken one
18 hour after transfusion. Next slide, please.

19 We have conducted 40 such transfusions
20 over the last three years on Day Six or Day Seven.
21 All of them appeared to yield the expected clinical
22 results. That is, we never have to transfuse another
23 unit because the patient did not stop bleeding.

24 In those patients who were stable and in
25 whom we were able to measure corrected count increment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 within an hour, we had 21 instances where we could
2 evaluate what the outcome was. Next slide, please.

3 Shown here are these 21 corrected count
4 increments. 7500 is usually used as the CCI
5 indicating a successful transfusion. The mean CCI of
6 these older platelets was 14,000, and only one was at
7 5,000 or below. Next slide, please.

8 Shown here on a probability curve, you can
9 see that 90 percent were above 7500. In any clinical
10 trial of platelet transfusions, these would be
11 regarded as excellent clinical outcomes. Next slide,
12 please.

13 Therefore, we feel that these data support
14 the concept that, although there is a storage lesion
15 and that one can indeed detect differences between
16 platelets that have been stored for five days versus
17 seven days, that clinically seven-day platelets worked
18 quite well.

19 Now the question was raised by the
20 Committee a few minutes ago, well, what if we have to
21 transfuse more platelets? Is that going to be more of
22 a risk for the recipient? If we are transfusing
23 leukoreduced platelets, transfusing additional
24 platelets should not induce any additional
25 alloimmunization.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 What about the other risks involved? What
2 if, if we went to seven-day platelets, we had to
3 transfuse ten percent more platelets? So let's
4 compare not culturing our units and storing them only
5 for five days versus culturing the units, extending
6 the storage time to seven days but maybe have to
7 transfuse ten percent more platelets or one additional
8 platelet for every ten that would otherwise be
9 transfused?

10 I'm not saying that this will necessary.
11 I'm looking at this sort of a worst case scenario.
12 Next slide, please.

13 One calculates out the HIV risk. There
14 would, of course, be an increase in the HIV risk,
15 because one would be exposed to more units. Next
16 slide, please.

17 However, the septic mortality would be
18 greatly reduced, and in this case I am showing that
19 essentially to be zero, because I believe this
20 culturing technique is, if not 100 percent sensitive,
21 very close to that.

22 So if one looks at the total risk -- I've
23 just used HIV here, but HIV and bacterial
24 contamination risk -- you can see that the total risk
25 is actually lower for the patient, even with the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 extension of the storage time, providing you are
2 detecting the bacteria.

3 Well, to return to cost, this is an extra
4 unit that is going to cost the hospital something
5 more. How is the hospital going to deal with that?
6 Next slide, please.

7 There would, obviously, be an increased
8 cost for this additional unit. There would also be
9 the culturing cost involved. So the total cost would
10 be \$720 greater with this worst case scenario adding
11 culturing. Next slide, please.

12 That is an average of \$65 a unit. Next
13 slide, please. However, if we are able to reduce or
14 eliminate the outdated by extending the storage
15 period, this \$65 per unit will entirely disappear, and
16 the hospital would not have any increased cost. It
17 might actually see even decreased cost by using this
18 approach.

19 I have not attempted to figure into the
20 scenario of taking care of those occasional cases
21 where there is septic transfusion. Next slide,
22 please.

23 Now if we were able to apply a bacterial
24 detection system such as culturing and go to seven-day
25 dating, I think this would potentially allow us to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 pool units of platelets shortly after their production
2 in a blood center, in other words, give prestorage
3 pooling.

4 This would be very advantageous for a
5 transfusion service who wouldn't have to worry about
6 trying to pool platelets at the last minute as a
7 surgeon is wanting to transfuse platelets during an
8 operative procedure. It would allow for prestorage
9 leukocyte reduction with a single filter for an entire
10 pool, obviously reducing filtration cost.

11 It would, of course, allow us to assess
12 sterility in this highest risk component that we are
13 now transfusing, and reduce outdating. Next slide,
14 please.

15 So, therefore, I would conclude that by
16 applying these techniques, platelet storage for seven
17 days is indeed feasible. It's practical. There is
18 adequate maintenance of function. One sees the
19 expected recovery and survival that is associated with
20 adequate clinical efficacy, and indeed this efficacy
21 is indistinguishable from that achieved with shorter
22 periods of storage.

23 We would be able to use bacterial
24 culturing, for example, as we've been shown to reduce
25 septic shown risk and reduce overall risk for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 patients, making transfusions safer, and to do this
2 without any increase in cost, and this is extremely
3 important for hospitals.

4 I think, therefore, this indicates this is
5 a practical method that can be applied. Next slide,
6 please.

7 I would like to just close with a few
8 commentaries on the next steps that we should, I hope,
9 proceed along. The first relates to recognition of
10 the clinical significance of bacterial contamination
11 in platelets, and then I think the importance of us,
12 quote, "thinking outside the box," applying some
13 nontraditional approaches to get to the bottom of this
14 problem, which has been around a long time. Next
15 slide, please.

16 I would pose the rhetorical question:
17 What I weren't up here talking about bacterial
18 contamination, but we were talking about HIV? if the
19 risk of HIV today were recognized at one in 140,000,
20 bells would be ringing an alarm. We would be throwing
21 our hands up and saying we have to do something about
22 this, and we have to do something now. This would be
23 the lead story on the nightly news. It would be the
24 headline in the Washington Post tomorrow, and the
25 public would be demanding that we take some reasonable

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 action to reduce this risk.

2 I frankly don't see the difference between
3 dying of HIV and dying of bacterial contamination. In
4 fact, today one could make the argument that you are
5 worse off if you die of bacterially contaminated
6 platelet unit, because you are dead within an hour or
7 two as opposed to HIV infection where there is now
8 often effective antiretroviral therapy, and you may
9 live for decades or longer.

10 Therefore, I think it's appropriate that
11 this Committee look at this situation, and also that
12 we take a step forward and do something different to
13 address this problem.

14 I applaud Dr. Vostal and the agency for
15 bringing this to the Committee's attention, and I hope
16 that we can use the innovation that is available from
17 our common thought process to provide some leadership
18 for the field to move in a production direction. Next
19 slide.

20 Part of that leadership, I think, is
21 working outside the box and doing something a little
22 bit different. Now I'm not standing up here
23 presenting a device for a 510(k) approval or
24 licensure. I'm not representing a commercial concern.

25 There are techniques available today.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 There will be some commercially sponsored ones in the
2 future, but there are some today that can be applied.

3 Almost every hospital that transfuses
4 platelets today has an automated culture system in its
5 microbiology laboratory. This culturing approach can
6 be used practically to improve the safety of platelet
7 transfusions.

8 It is also important that we gather data
9 from multiple sources. We are not likely to have a
10 single clinical trial that is going to document that,
11 by doing culturing or some other technique, we are
12 absolutely certain that we will eliminate bacterial
13 contamination. That's just not going to happen.

14 We are going to have to look at all of the
15 data available in the transfusion literature and the
16 microbiology literature to say does it make sense that
17 we apply this approach to make platelet transfusion
18 safer.

19 Finally, I think it's important that we
20 not relax our standards but take a different endpoint
21 as our decision point for determining whether or not
22 this is the appropriate thing to do. Usually, the
23 agency is looking for proof of safety and efficacy
24 before approving a new approach.

25 To prove that a bacterial detection system

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 such as culturing will detect all contaminated units
2 or even will absolutely reduce the frequency of sepsis
3 would require the culturing on two different occasions
4 of over 13,000 units before one had a statistically
5 significant sample to document the safety of the
6 technique.

7 That's just not a practical trial that can
8 be performed. In other words, we might culture on Day
9 Two and then culture at a later time period, say at
10 outdate, to document that the Day Two culture was
11 indeed accurate in showing no bacterial growth.

12 13,000 cultures times two is a very large
13 study, which I am not aware any company is willing to
14 undertake and, clearly, we as a hospital that
15 transfuses 1500 units of platelets a year is not about
16 to undertake that study. However, based on data from
17 a number of sources, I think we can reasonably
18 conclude that these culturing techniques will detect
19 bacteria reliably and at least reduce the risk of
20 bacterial contamination, even if we can't, with
21 statistical competence, say that it will absolutely
22 eliminate the chance of bacterial contamination.

23 Final slide, please. So I think we can
24 apply all of the data that are out there and are
25 continuing to be gathered to make platelet

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 transfusions safer, and that is really the onus that
2 is on the blood bankers in this country as well as the
3 agency that guides and regulates us.

4 Thank you very much.

5 CHAIRMAN NELSON: Thank you. Yes, Dr.
6 Lew?

7 DR. LEW: Actually, I had a question. You
8 mentioned that when you looked at 2500 units cultured
9 over two years, that you got 16 positives, but you
10 thought they were false positives. Yet that would
11 imply, if you really think that's true, that then your
12 rate of contamination is obviously substantially lower
13 than anybody else's.

14 I think there were another slide earlier
15 suggesting that the range of contamination was around
16 your lower .6 to 1.6 or something.

17 It would also be interesting to know what
18 bugs grew from those 16.

19 DR. AuBUCHON: The usual rate of bacterial
20 contamination of platelets is quoted in the
21 literature. It's about one in 3,000, and if yo look
22 at our experience in terms of documented repeatedly
23 positive cultures, we are right in the same ballpark.

24 It's interesting to look at those 16
25 initial positivity cases and note when they occurred.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 We have a relatively small transfusion service
2 laboratory, 15 technologists who are very highly
3 motivated, very skilled and relatively senior. They
4 did not all begin culturing at the same point.

5 We started with the supervisor working out
6 the technique with my research techs, and then one by
7 one introducing the bench techs to the technique. All
8 of the cultures that were initially positive and could
9 not be confirmed occurred within two weeks of a new
10 technologist learning the culturing system.

11 On finding one of these cultures, we would
12 go back to the technologist, retrain the technologist
13 to make sure that they really understood what they
14 were doing, and then we would not see additional
15 positives.

16 So it appears that these initial cases of
17 growth were due to contamination at the time of
18 culturing. It did not truly represent the units being
19 positive. As I said, in the 11 cases we were able to
20 reculture, they were indeed negative.

21 So this is something that is very much
22 technique related. Why do we have a lower rate than
23 has been reported elsewhere? I would say that many of
24 the studies that have been reported in the literature
25 did not have the capability of going back and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 reculturing the unit to document that the bugs that
2 were growing in the microbiology laboratory had really
3 come from the unit as opposed to coming from the
4 culturing process.

5 CHAIRMAN NELSON: You actually really
6 raise two issues, I guess. One is the issue of
7 routine culture monitoring of platelets, and the other
8 is of extending the life or the usefulness of the
9 platelets to seven days. They are both related.

10 I wonder how many blood banks now do
11 platelet culturing. I know some other than yours do,
12 but how common is it?

13 DR. AUBUCHON: The quality control
14 culturing of platelets, of course, is done routinely
15 by all collecting agencies, but at this low rate of
16 contamination QC cultures are really useless. As I
17 said, we've been doing this routine culturing
18 technique for now almost three years.

19 Two months ago the University of North
20 Carolina, Dr. Brecher's laboratory, began using it
21 routinely. They are the second hospital to be doing
22 it in the country.

23 With discussions with colleagues last
24 night, I am aware of a couple of other blood centers
25 in the country that are now considering doing it, all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 arising from situations where they had contamination
2 cases. They had fatalities, and now they realize that
3 it really is a problem and they need to address it.

4 So it is very limited in scope at the
5 present time. In Europe I believe all of the Flemish
6 Red Cross centers are using this technique and all of
7 the blood centers in the Netherlands are using this
8 technique.

9 CHAIRMAN NELSON: Well, perhaps sometime
10 we are not asked to vote or render an opinion, but it
11 seems like a useful technique that maybe should be
12 standard practice, and the Committee is not asked to
13 give an opinion on this today in a vote or anything
14 like that, but maybe the FDA maybe sometime in the
15 future might consider more formal consideration of all
16 the pluses and minuses.

17 The other thing that I was quite concerned
18 about is the false positive rate. That seems to be
19 quite high, you know, given the -- That could present
20 some problems, but nonetheless, it does look useful.

21 DR. AuBUCHON: Well, I understand .6
22 percent looks high. Of course, that is lower than
23 what is usually quoted in the literature for the
24 positivity rate in taking bug cultures from a normal
25 person, but I can tell you, for example, right now the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 last time that we had a positive culture on a
2 platelet, initial positive -- it's been over six
3 months, because we haven't had any turnover in our
4 technologist staff. They are all now well trained.
5 They are all used to doing it.

6 So we had clusters of these positivities
7 when we brought in new technologists into the process.

8 DR. KATZ: I'm very interested in hearing
9 thoughts from the FDA about how they would approach,
10 for example, the multiplicity of automated culture
11 systems and the whole approach to regulation of
12 extending to seven days, under what circumstances,
13 what instruments.

14 CHAIRMAN NELSON: Dr. Vostal, you want to
15 represent the VDA? You can be Jay Epstein.

16 DR. VOSTAL: Well, we are really thinking
17 about this quite hard, and it is a difficult question.
18 We have seen devices that have come to us and
19 presented data that's been collected by spiking
20 experiments *in vitro*.

21 We have -- It's a major step to accept
22 that data and go and say it's okay for use in clinical
23 situations. However, as Dr. AuBuchon pointed out,
24 doing a clinical trial as we are used to doing would
25 require an enormous amount of resources, because it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 would be a very big trial.

2 We are considering alternate ways of
3 looking at this, but so far we haven't really come up
4 with a good solution yet. But we would welcome any
5 input and comments from manufacturers or academicians
6 that could help us in dealing with this problem.

7 I mean, I think, as Jim pointed out, it's
8 something that should be done. It looks like it's the
9 right thing to do, and we certainly want to do that.

10 DR. STYLES: Just to clarify some of the
11 data you presented, what was the n on your controlled
12 trial of five versus seven days of platelet storage?

13 DR. AuBUCHON: The total n between the two
14 centers was 24.

15 DR. STYLES: Twenty-four? Okay. It seems
16 like sort of -- Something I'm always struck -- I know
17 the difficulty of these studies is that the n's are
18 quite small to say there is no statistical difference
19 in that your power is extremely low. So your chance
20 of making type 2 error is very high.

21 The second thing is: Do you have any
22 information on the age of the platelets that were
23 associated with the septic events? You seem to have
24 a pretty good handle on the data of the patients -- of
25 not only the reported sepsis patients but the reported

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 fatalities.

2 DR. AuBUCHON: The best illustration of
3 the effect of storage age and sepsis, I think, comes
4 from the paper published from Johns Hopkins, Morrow
5 the first author, JAMA from almost ten years ago now.

6 They noted that, although most of their
7 platelets were transfused on Day Two or Day Three,
8 most of their -- almost all of their septic cases came
9 from platelets that had been stored for four or five
10 days.

11 There clearly is a time period through
12 which the bacteria have to grow up so that they can
13 become a clinically important inoculum.

14 DR. STYLES: I agree with that. I mean,
15 it makes sense that that is obviously going to be
16 associated with it, but my understanding of the issue
17 is that in '84 we went back to five days, because we
18 saw an increase in infections. So in essence, we made
19 a mistake. We went from five to seven, and then we
20 went back to five very quickly, because we saw that
21 that didn't make a difference.

22 Then now the opinions that are being put
23 forth is, well, the platelets today are not what they
24 were in 1984. There's been some good data
25 demonstrating, I think, that probably the function,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the viability, whether measured *in vitro* or *in vivo*,
2 is probably better.

3 Outside of your culturing techniques,
4 though, I haven't seen a lot of data which indicates
5 that they are less likely to be infected, whether they
6 are functional or not. I'm not sure if you are aware
7 of any data. I don't know if the FDA has any data on
8 maybe just surveillance of outdated units.

9 I'm really concerned, however, that we are
10 just going -- while the platelets may function just as
11 well or nearly as well, probably not as well, that
12 they are still at the same risk of causing bacterial
13 or are bacterially contaminated. So the very reason
14 that we made the change hasn't really changed.

15 DR. AuBUCHON: I think that relates to
16 what Dr. Simon was talking about earlier, and that is
17 by Day Three or Day Four for the most of the organisms
18 that are in platelets, one would expect to find
19 somewhere between 10,000 and a million organisms per
20 milliliter.

21 Now if you let the units sit for another
22 two days, you can probably get it up to a billion
23 organisms per milliliter. But, frankly, I wouldn't
24 want to be transfused with a million per milliliter.

25 So if one extends the shelf life of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 platelets from five to seven days, the effect with
2 respect to bacterial growth doesn't really come from
3 the additional two days from day five to day seven.
4 It comes from the mean time for which platelet units
5 are held.

6 In my experience -- I was working in a
7 blood center when we went from five days to seven days
8 -- the average age at transfusion increased by one
9 day. So there is that additional day, and that day
10 really comes in the middle of the current five-day
11 storage period where bacteria could grow to an
12 important inoculum.

13 DR. STYLES: I understand his point, but
14 at the same time, we did this experiment. We went
15 from five to seven days, and I wasn't around, but it
16 was a mistake, at least by the FDA's opinion, and that
17 we went back to a five-day period.

18 What data is there to support that the
19 situation -- I understand all about the inoculation
20 issue and the bacterial burden, but I'm just asking
21 for some data which would indicate that the situation
22 has changed from what it was in '84.

23 DR. AuBUCHON: Well, I would --

24 CHAIRMAN NELSON: If it is linked to a
25 culture, a negative culture, that's a change.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. STYLES: Oh, I absolutely agree.
2 There's a culturing -- I agree with your system. I
3 think this is the way we should be going. I think
4 this is a very important problem. Hence, my
5 reluctance to want to go back and subject people to
6 potentially contaminated units.

7 I think the culturing or some other
8 detection system is definitely the way to go. But if
9 FDA asks us, can we now have the platelets go from
10 five to seven, there isn't any system in place that
11 gives us additional monitoring.

12 So then you -- Well, so why do we do that?
13 The argument that seems to be being proposed is that,
14 well, platelets are actually better today than before.
15 I'm just asking -- Maybe I've missed it, but where is
16 that data?

17 DR. SLICHTER: Can I make a comment,
18 because I think you have a very -- I'm glad that the
19 Committee or at least you are of the opinion that the
20 quality of the platelets are seven days now is
21 probably better than it was before.

22 So I think we have a good quality product.
23 But I don't think either Dr. AuBuchon or myself or
24 probably anybody else in the room is suggesting that
25 we just license now to go from five days to seven

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 days, because we still have the bacterial
2 contamination problem.

3 So I think what we are saying to the
4 Committee and to you is that there are two methods
5 that we may now be able to solve the bacterial
6 problem. That will then allow us to extend storage to
7 seven days, because the quality of the product will
8 allow us to do that.

9 So I think we are saying that you have to
10 combine the seven-day viability function of the
11 platelets with either a pathogen inactivation system
12 or a pathogen detection system, and that what I think
13 we are trying to say is that at least -- I mean, I
14 think Dr. Vostal asked me to present on seven-day
15 stored platelets at the AABB, because I had been
16 saying for years that the FDA, in my opinion, reduced
17 the storage time of platelets from seven days back to
18 five days not only because of the bacterial
19 contamination problem, but because of the quality of
20 the platelets.

21 As Dr. AuBuchon presented, the data that
22 was used for seven-day licensing in terms of quality -
23 - those are very poor quality platelets. So I think
24 he challenged me to say, well, you know, put your
25 money where your mouth is and get up and review the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 data.

2 Having reviewed the data and having done
3 some studies of our own which I presented to you
4 today, I personally am very comfortable that a seven-
5 day stored platelet, particularly if it's stored in an
6 additive solution, is a good quality platelet, is
7 worthy of being licensed, but that has to be combined,
8 in my opinion and I think in yours, with a system to
9 ensure by either detection or inactivation that we
10 don't have bacterial contamination, because that issue
11 has not gone away.

12 DR. STYLES: I agree with you.

13 CHAIRMAN NELSON: And also another two
14 days storage might improve, at least by culture, the
15 likelihood that we would detect bacterial
16 contamination before the platelet unit was given.

17 DR. SIMON: Yes. I think -- I was hoping
18 that maybe we could make some recommendations to Dr.
19 Vostal of how it might be proceeded. But I guess we
20 have to say first that there are really -- in some
21 respects, these are two separate problems, because as
22 Dr. AuBuchon showed, the amount of bacterial
23 contamination did not really go down, although I don't
24 know that things were monitored that well back then.

25 At the same time as there was a concern

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 about going to seven days, it's not clear that that
2 problem has been adequately dealt with. So one could
3 propose that we need to proceed with some kind of
4 either bacterial detection or bacterial inactivation,
5 regardless of whether we go to five or seven days.

6 If we focus on the seven-day issue, isn't
7 it possible in a regulatory framework for the FDA to
8 accept company submissions for seven-day platelets
9 based on quality and function and viability, and to
10 allow their licensure, but only allow their use if
11 there is a bacterial detection system put in similar
12 to Dr. AuBuchon's or if -- Of course, if it's going to
13 be inactivation, it would all be submitted as a
14 package.

15 So if company X submitted their seven-day
16 platelets and they looked very good, FDA, I would
17 think, could approve conditional that anyone who
18 wanted to use it would have to submit a protocol first
19 showing that they had adequate bacterial detection.

20 That would allow places that are
21 proceeding, as Dr. AuBuchon, Dr. Brecher and others
22 are, to begin to go to seven days. But it would
23 require submission of data. That seems to me to be
24 one paradigm that might allow us to move ahead.

25 DR. DOPPELT: I just have one question on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the culturing. I'm not familiar with exactly what
2 physically -- how physically you do this culture, but
3 you had some false positives.

4 What is the possibility that in the
5 process of taking the cultures, you actually take a
6 negative unit and introduce an infection?

7 DR. AuBUCHON: Well, any manipulation of
8 a unit could always introduce bacteria. The manner in
9 which we obtain the aliquot involves sterility -- a
10 docking sterile connection device of a small transfer
11 pack and running an aliquot out the entire unit in a
12 unidirectional manner into that other bag, and then
13 sealing it off.

14 So the only way in which the collection
15 process could potentially contaminate the unit would
16 be through the sterile connection device. Now the FDA
17 has approved the use of the SCD for splitting samples
18 and going into platelet units.

19 We performed a validation study of the SCD
20 that at that time was marked by Turumo, the SCD-312,
21 about a decade ago, because we were surprised there
22 was nothing in the literature on that. We cultured
23 over 400 welds.

24 These welds were not conducted in standard
25 conditions. They were conducted after painting the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 outside of the tube with a high concentration of
2 bacterial suspensions and performing the welds in some
3 cases on a wet piece of tubing, as the external
4 portion was wet, and that's not the way one would
5 normally conduct a weld.

6 Of those 447-some welds, the only ones
7 that resulted in any contamination of the product on
8 the inside were in those cases where the weld was
9 obviously faulty, and there was reason to have a
10 faulty weld because of the tubing being wet.

11 So when we had a good weld, which, of
12 course, we always have to check for when we use the
13 sterile connection device, it appears that we have a
14 high confidence that the interior of the tubing will
15 remain sterile.

16 I believe, in the cases where it looks
17 like we contaminated the aliquot, that probably
18 occurred at the time that the needle was being placed
19 to receipt them into that small sample bag. That's
20 probably where we picked up the organisms.

21 DR. CHAMBERLAND: Jim, that was a nice
22 presentation.

23 My question has to do with your comments
24 about practicality. I wondered about the
25 generalizability of your approach for institutions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com