

UNITED STATES OF AMERICA  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH



VACCINES AND RELATED BIOLOGICAL PRODUCTS  
ADVISORY COMMITTEE MEETING

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food & Drug Administration makes no representation as to its accuracy.

TUESDAY,

MAY 21, 2002

+ + +

The Advisory Committee meet in the Maryland Room, Silver Spring Hilton, 8727 Colesville Road, Silver Spring, Maryland, at 8:30 a.m., Dr. Robert S. Daum, Chairman, presiding.

PRESENT:

ROBERT S. DAUM, M.D., Chairman  
MICHAEL DECKER, M.D., M.P.H., Industry Representative  
PAMELA S. DIAZ, M.D., Member  
WALTER L. FAGGETT, M.D., Member  
BARBARA LOE FISHER, Community Representative  
MIMI GLODE, M.D., Consultant  
JUDITH D. GOLDBERG, Sc.D., Member

PRESENT (Continued):

HOLLI HAMILTON, M.D., M.P.H., Consultant

SAMUEL L. KATZ, M.D., Member

DAVID M. MARKOWITZ, M.D., Member

GARY D. OVERTURF, M.D., Member

JULIE PARSONNET, M.D., Member

RICHARD H. SCHWARTZ, M.D., Consultant

DIXIE SNIDER, JR., M.D., Consultant

DAVID S. STEPHENS, M.D., Member

RICHARD J. WHITLEY, M.D., Member

JODY SACHS, D.P.M., Executive Secretary

C O N T E N T S

	<u>PAGE</u>
Introductions .....	4
Conflict of Interest Statement .....	6
<u>Prevnar for Acute Otitis Media Indication:</u>	
Sponsor's Presentation	
Prennar Update, Dr. Steven Black .....	8
Introduction, Dr. George Siber .....	30
FinOM Trial, Dr. Terhi Kilpi .....	36
Kaiser Trial, Dr. Steven Black .....	62
Summary, Dr. George Siber .....	80
FDA Presentation, Dr. Douglas Pratt .....	85
Questions to the Committee .....	142
Committee Discussion .....	143
GSK-Lyme Disease Vaccine Update, Dr. Patricia	
Rohan .....	212
Open Public Hearing:	
Karen Vanderhoof-Forschner .....	217
Dr. Norman Latov .....	221
Dr. Mark Geier .....	224
David Geier .....	228
Albert Brooks .....	231
Kathy Shepanski .....	235
Path Smith .....	237
Jenny Marra .....	241

P-R-O-C-E-E-D-I-N-G-S

(8:34 a.m.)

CHAIRMAN DAUM: Good morning and welcome. I would like to begin by asking committee members, old and new, and temporary voting members, all those people at the table really, to introduce themselves.

Dave, if you're up for it, we'll start up at your end, please.

DR. STEPHEN: David Stephens, Emory University and other places in Atlanta.

DR. KATZ: Sam Katz from Duke University.

DR. HAMILTON: Holli Hamilton, DMID, NIH.

DR. GLODE: Mimi Glode, pediatric infectious disease, University of Colorado.

DR. OVERTURF: Gary Overturf, University of New Mexico.

DR. FAGGETT: Walt Faggett, D.C. Department of Health, Private Practice Pediatrics, Washington, D.C.

DR. GRIFFIN: Diane Griffin, Johns Hopkins School of Public Health.

DR. WHITLEY: Rich Whitley, University of Alabama at Birmingham.

DR. DIAZ: Pam Diaz, Chicago Department of Public Health.

DR. GOLDBERG: Judy Goldberg, New York University

1 School of Medicine.

2 DR. MARKOVITZ: David Markovitz, University of  
3 Michigan.

4 DR. PARSONNET: Julie Parsonnet, Stanford  
5 University.

6 DR. DECKER: Michael Decker, Aventis Pasteur and  
7 Vanderbilt University.

8 DR. KOU: Jingyee Kou, FDA.

9 DR. PRATT: Douglas Pratt, FDA, Office of  
10 Vaccines.

11 DR. GOLDENTHAL: Karen Goldenthal, FDA.

12 CHAIRMAN DAUM: I'm Robert Daum from the  
13 University of Chicago.

14 DR. SACHS: And I'm Jody Sachs with the FDA, the  
15 Executive Secretary for VRBPAC.

16 CHAIRMAN DAUM: There are a number of people at  
17 the table for whom this is their first meeting, including, of  
18 course, Dr. Sachs, who has taken over the Executive Secretary  
19 role from Nancy Cherry. Tough shoes to fill, but Dr. Sachs is up  
20 to the task and I have no doubt will be steering us through with  
21 the same aplomb as Nancy Cherry used to do.

22 In fact, we'll now turn the floor over to her,  
23 please, for a conflict of interest statement.

24 DR. SACHS: Thank you.

25 I want to welcome everybody, and I'd like to read

1 the conflict of interest statement for the record.

2 The following announcement addresses conflict of  
3 interest issues associated with the Vaccine and Related  
4 Biological Products Advisory Committee meeting on May 21st, 2002.

5 The Director of the Center for Biologics Evaluation and Research  
6 has appointed Dr. Mimi Glode, Holli Hamilton, and Dixie Snider as  
7 temporary voting members for the discussions during this meeting.

8 In addition, the Senior Associate Commission for  
9 Communications and Constituent Relations has appointed Dr.  
10 Richard Schwartz as temporary voting member.

11 To determine if any conflicts of interest exist,  
12 the agency reviewed the submitted agenda and all financial  
13 interests reported by the meeting participants. As a result of  
14 this review and based on the FDA draft guidance on disclosure of  
15 conflict of interest for special government employees  
16 participating in an FDA product specific advisory committee  
17 meeting, the following disclosures are being made.

18 Dr. Richard Schwartz has been granted a waiver  
19 under 18 USC 208(b)(3) and under 21 USC 355(n)(4), Section 505 of  
20 the Food and Drug Administration Modernization Act for stock in  
21 competing firm valued you at \$5,001 to 25,000. Dr. Schwartz may  
22 participate fully in the discussions of the safety and efficacy  
23 of Prevnar for acute otitis media indication.

24 We would like to note for the record that Dr.  
25 Michael Decker is participating in this meeting as an industry

1 representative acting on behalf of regulated industry. Dr.  
2 Decker's appointment is not subject to 18 USC 208. Dr. Decker is  
3 employed by Aventis.

4 In the event that the discussions involved  
5 specific products or firms not on the agenda and for which the  
6 FDA's participants have a financial interest, the participants  
7 rare reminded of the need to exclude themselves from the  
8 discussions. Their recusal will be noted for the public record.

9 With respect to all other meeting participants, we  
10 ask in the interest of fairness that you state your name and  
11 affiliation and any current or previous financial involvement  
12 with any firm or products you wish to comment upon.

13 A copy of the waiver addressed in this  
14 announcement is available by written request under the Freedom of  
15 Information Act.

16 And I also would like to ask as a courtesy to the  
17 committee discussion and your neighbors in the audience please  
18 put your cell phones and pagers on silent mode. If you need to  
19 use your cell phone, please step out in the hall.

20 And with that, I'd like to turn over the meeting  
21 to our Chair, Dr. Daum.

22 Thank you.

23 CHAIRMAN DAUM: And those that have just turned  
24 their cell phones and pagers off, we thank you.

25 I think we'll try and zip right along here and

1 turn to business at hand. The first item for discussion today is  
2 an open session. We are discussing the role of Prevnar for an  
3 acute otitis media indication.

4 And we will begin with a two-part, as I understand  
5 it, sponsor's presentation, beginning first with Steve Black,  
6 which will give us a Prevnar update.

7 Welcome, Dr. Black.

8 DR. BLACK: Good morning. I've been asked to give  
9 an update on an ongoing post marketing, Phase IV study that we're  
10 conducting within Northern California, Kaiser Permanente, of the  
11 Prevnar vaccine, and I'll give you an update which includes an  
12 interim analysis on safety and results regarding the changes in  
13 epidemiology that we have observed of pneumococcal disease in our  
14 population.

15 The post marketing study that I'm going to  
16 describe to you, let me give you a little bit of background on  
17 that. The vaccine Prevnar was licenses in February of 2000, as  
18 you know, and post marketing surveillance began in our population  
19 very shortly thereafter with general availability of the vaccine  
20 in April.

21 And the vaccine is being given now routinely to  
22 children concomitantly with other vaccines.

23 What I'm going to describe to you this morning in  
24 terms of safety is a second interim look on data through December  
25 31st, 2001. There was an earlier interim look through December



1 of the year prior to 2000, which has been submitted to the FDA,  
2 and they've had time to review, and this, I should say in  
3 fairness to them, has only recently been submitted to them for  
4 their review.

5           Following the review of the safety, I'll talk to  
6 you about the impact of the vaccine and present what we think is  
7 exciting data on the changes of epidemiology that we've seen,  
8 which includes data through the end of the first quarter of this  
9 year.

10           Okay. So this shows you what happens if you keep  
11 tinkering with slides, but what I will show you here is that  
12 there are two cut points. One is December 2000 and December  
13 2001, and what you can see here is that as of 2000 in the post  
14 marketing study or what you can't see -- I'll read it to you --  
15 is that there were about 22,000 first doses given, whereas  
16 through December 2001 there were 54,000 first doses given, and  
17 there were only 85 fourth doses in the initial look, where  
18 there's 17,000 in the second look. So there's substantially more  
19 data there.

20           So back to visible slides now. The way this is  
21 set up, and since this is a post marketing study is that there is  
22 no control group, and what we're doing is comparing rates of  
23 medical utilization within a defined time window, exposure window  
24 following vaccine to a control period in the same individuals.

25           And the exposure window is 30 days for hospital ER

1 and clinic, and there's an additional window in the clinic of  
2 three days that we've used to evaluate possible allergic  
3 reactions, for example.

4 And the control period in these comparisons that  
5 I'm going to describe to you is 31 to 60 days following vaccine  
6 for all settings.

7 Also what I'm reporting on here is the subset of  
8 children who received the first dose of vaccine at less than 120  
9 days of age. In other words, catch-up and children who started  
10 late are not included in this analysis.

11 And the way we did this is we extracted all  
12 diagnoses for medical utilization in the clinic, emergency and  
13 hospital, from automated databases that exist at Kaiser  
14 Permanente and then rate comparisons were made for all diagnostic  
15 categories in the ER and the hospital, and for pre-identified  
16 clinic diagnoses as specified in the protocol for the clinic.

17 In addition, because of concerns expressed  
18 regarding a possible association of seizures with receipt of  
19 vaccine, we have conducted a review of seizure outcomes using  
20 medical record review, and I'll report that separately to you.

21 To give you an idea, not that you need to read  
22 this, this just gives you an idea of the number of diagnoses that  
23 were reviewed in the emergency hospital and clinic. These were  
24 basically, as I said, for the ER and the hospital all diagnoses,  
25 and it's important to be aware of this number because the

1 statistics that I'm going to be describing to you are not  
2 adjusted for multiple comparisons.

3 And I hope there isn't too much information over  
4 here on the right. We'll try to capture that, but what this  
5 shows you are the diagnostic categories with elevated risk in  
6 this comparison.

7 This is a hospital setting, an emergency setting,  
8 and clinic setting, and then which series: the primary series or  
9 the booster dose? And for this analysis the primary series was  
10 analyzed as a unit, all three doses together rather than looking  
11 at each dose separately.

12 And what we see here is the outcome and then the  
13 rate ratio with a confidence interval and part of the P value  
14 here.

15 And what you can see is really there are two  
16 groups of diagnoses. These three, GE reflux, pyloric stenosis,  
17 and formula intolerance as a diagnosis.

18 The rate ratio is here indeterminate because there  
19 were no cases in the control group, and then these febrile  
20 illness in the emergency room, in the clinic, and fever related  
21 diagnoses, which was a predefined diagnostic category in the  
22 clinic also showed up, and this entity is basically febrile  
23 seizures plus fevers. Febrile illness is pretty much driven by  
24 the febrile illness as you can see.

25 Next slide. Oops, that's me.

1           Okay. So these are the diagnostic categories with  
2 decreased risk. To give you an idea, there are actually more of  
3 them than the ones with increased risk, and we really attribute  
4 this to the multiplicity of comparisons rather than any  
5 protective effect for otitis media, for example, because remember  
6 the control period here is in the same children. So that  
7 wouldn't really make physiologic sense.

8           So we looked at these, and the elevated relative  
9 risk in a little bit more detail, and this is one of these,  
10 febrile illness in the emergency room after the booster dose, and  
11 this is the n, the number of events here, and this is the days  
12 since vaccination, and the 30-day exposure window.

13           And what you can see here is what we look for in  
14 this type of analysis when we see something that we might think  
15 might be physiologic, and that is a clustering of events at one  
16 time period, and we see these are eight to ten days following  
17 receipt of these vaccines.

18           If you remember, the booster dose is given  
19 concomitantly with MMR in the vast majority of these children,  
20 actually more than 90 percent, and we attribute this to the well  
21 described fever associated with MMR at this same time interval  
22 rather than the fever that we described in telephone interviews  
23 where we were actively looking for this and during the trial with  
24 Prevnar which was seen earlier on. So we're not really seeing  
25 that blip here.

1                   Similarly, in the clinic, we see the same thing  
2 with the same time clustering of these events for febrile illness  
3 in the clinic and only after the booster dose.

4                   In contrast for GE reflux, what we really see is  
5 not that. We see really pretty much a uniform distribution of  
6 these events spread out over this time window, and similarly for  
7 pyloric stenosis the data is much more sparse, but there really  
8 is no time clustering of the event or interpretation either.

9                   Similarly, with formula intolerance as well.

10                  So although seizures did not show up as a positive  
11 analysis in these reviews that I showed you, we had planned  
12 before doing this interim analysis report to do the seizure  
13 review, and let me describe that to you.

14                  What we did is attempted to identify all possible  
15 seizure events in automated data by looking for seizure, possible  
16 seizure, epilepsy, spasm, shaking or suspicious movements, and  
17 those were then reviewed in a manner that was blinded as to  
18 whether they were in the exposure window or the control window by  
19 trained medical record reviewers using a standardized instrument,  
20 and they were classified as definite, probable or possible  
21 seizures or the other category was not seizures at all. There  
22 was a group of children who were there for maintenance or for  
23 assurance or for other things that were really not acute events.

24                  But acute events were classified in one of these  
25 categories. Based upon what the physician wrote in the chart, if

1 they described a definite seizure event or one was described then  
2 that was classified as definite, and if the physician's  
3 interpretation was that this was a probable seizure, then we took  
4 that at face value.

5 But if it was something that was included as part  
6 of a broader differential and they really weren't sure, and there  
7 were no confirmatory tests, and no medication was given, we  
8 thought it was less likely and that was classified as possible.

9 So a priori before doing the analysis we had  
10 decided we would want the definite and probable seizures together  
11 as a group and then analyze them as events, and those were  
12 classified as febrile or afebrile based upon, one, whether it's  
13 two possible criteria.

14 One is if it said they were febrile on the chart,  
15 we counted it as febrile, or if there was actually fever recorded  
16 by one of these two criteria, and our physicians are a little  
17 schizophrenic as to which temperature scale they use. So we had  
18 both criteria.

19 And these are the results for seizure, and I'm  
20 sorry this is complicated, but if you slide and dice things  
21 enough, this is sort of what happens. This is the hospital  
22 setting again, the emergency setting, the clinic, and then the  
23 series for this comparison, primary and boosters, primary and  
24 booster, primary and booster, and then the outcome, afebrile  
25 seizures or febrile seizures.

1           This is the exposed rate. This is the control  
2 rate, and then this is the rate ratio with a confidence interval,  
3 and then the P value.

4           To make a long story short, seizures were uncommon  
5 in either window and there was no statistical difference for any  
6 of these rate ratios. And furthermore, as you can see, there are  
7 a fair number that are below one, a fair number that are above  
8 one, and there is really not even any suggestion of a pattern  
9 here. So we found that quite encouraging in terms of the safety  
10 of the vaccine.

11           And we also looked at, to give you an idea of what  
12 these look like, these are emergency visits for febrile seizures  
13 after the primary series.

14           There isn't really any clustering of this, surely not within the  
15 first few days where fever is observed with Prevnar.

16           And after the booster dose, this is not  
17 statistically -- there is no statistical clustering here, but we  
18 do see that there are more of these events at the same time  
19 period where we saw fever in the emergency room as well.

20           And, again, if there's anything here, we would  
21 probably attribute that to the fever of MMR rather than Prevnar.

22           So a summary of the safety analysis to date, and I  
23 would like to emphasize that this is ongoing and not the final  
24 results by any means, is that our analysis showed an increased  
25 rate of utilization for febrile illness following the booster

1 dose, and the timing of this fever suggests a relationship to  
2 concomitant MMR.

3 Other events observed with an increased risk,  
4 including GE reflux, pyloric stenosis, and formula intolerance,  
5 were not felt to be physiologically likely. The analysis, as I  
6 said, and data collection are ongoing.

7 And furthermore, the results are consistent with  
8 the first interim analysis which the FDA has had more time to  
9 review, as well as with pre-licensure data from our own infancy  
10 trial.

11 So that's the safety data I wanted to share with  
12 you, and now I'd like to share some exciting information; at  
13 least we think it's exciting vis-a-vis what's happening with  
14 disease epidemiology in our population since introduction of the  
15 vaccine.

16 Again, Prevnar was still licensed in February of  
17 2000, and general use began in April. For the evaluation of  
18 effectiveness case ascertainment, it's important to emphasize  
19 here it was for the whole Kaiser population. One, children and  
20 adults, and both vaccinated and non-vaccinated.

21 So unlike the efficacy trial data we showed you  
22 where we're comparing a vaccinated/unvaccinated group, we're  
23 really looking here at the population dynamics as a whole and the  
24 effectiveness of that vaccine program.

25 And to look at this effect, we compared the



1 disease risk in the two years since vaccination compared to prior  
2 years, as you'll see. All isolates, Strep. pneumoniae from  
3 normally sterile sites were identified from laboratory databases,  
4 and then the isolate was sent to Dr. Robert Austrian for  
5 serotyping.

6 The medical records of all the infected children  
7 have been reviewed to ascertain and confirm vaccination history  
8 and history of any underlying disease.

9 And then we calculated age specific disease  
10 incidence. So this is the graph I would like to show you, and I  
11 will remember if we come back next year to move things over to  
12 the left here a little bit because we won't be able to see this.

13 But let me orient you to this slide. This is the  
14 incidence in cases per hundred thousand person-years ranging from  
15 zero to 120 at the top, and these are years at the bottom. Each  
16 dot is a year, and the years are unusual in that they began in  
17 the second quarter of each year.

18 And the reason we did that is that's when the  
19 vaccine program began. So we wanted to be able to make the  
20 comparison of comparable.

21 And what we see here in this yellow line is  
22 children less than two years of age, and we see that prior to  
23 introduction of the vaccine to general use, the disease incidence  
24 in this group ranged between 80 and about 110-plus cases per  
25 100,000 person-years and then falls off to virtually nothing

1 here, less than ten disease incidents during the year beginning  
2 in the second quarter of 2001 and ending in the first quarter  
3 this year.

4 Similarly for children under one, the disease  
5 incidence as you know is somewhat less, ranging between 50 and  
6 almost 100 here and then falls off quite dramatically. You can  
7 see this fell off more steeply because that's where the  
8 vaccination program began, and for children under five, we see  
9 this as well.

10 There are five cases total that we saw during this  
11 year as compared to about 120 during years prior to introduction  
12 of vaccine. Only one of those children was vaccinated, and that  
13 child was partially vaccinated.

14 One of the concerns has been that we might see  
15 replacement. It's commonly said nature abhors a vacuum, and  
16 there's been a concern that other serotypes would come in and  
17 cause disease.

18 I guess I'd better hurry before something happens  
19 here. That's okay. I'd rather live with it this way than lose  
20 the whole thing.

21 What that shows in blue is the same graph that I  
22 just showed you in the different age groups, and then below these  
23 are non-vaccine serotypes, and what you can see is that, one, the  
24 incidence is lower as we all know, and if anything, there is a  
25 downward slope to the graph although that trend is not

1 statistically significant, but there's clearly no suggestion of  
2 replacement for invasive disease up until this point in time.

3 And this is something that is actually quite new.

4 This is something that we just presented at the pneumococcal  
5 disease meetings in Anchorage a couple of weeks ago, and what we  
6 did here is used the same surveillance mechanism to look at  
7 disease in older children and adults, and this is the age group  
8 here. This is the rate in the five years prior to introduction  
9 of vaccine, and this is the rate in the two years after the  
10 percent reduction, and part of the P value here.

11 And what we can see in yellow are shown the two  
12 age groups where there's a significant -- or three really if you  
13 count this -- age groups where there's a significant reduction in  
14 the disease, really quite strikingly dramatic, something we would  
15 not have predicted in the 20 to 39 year old age group, a 58  
16 percent reduction in invasive disease in this age group.

17 Now, most of these have not been serotyped. So  
18 this is really all serotype disease. Over age 60 we see a 14  
19 percent reduction, which is also significant, and then over age  
20 five we see an 18 percent reduction.

21 It's important in fairness to say that over age 60  
22 there have been changes in terms of the polysaccharide vaccine  
23 coverage in our population which could account for part of this.

24 We estimate there's been about an eight to ten percent increase  
25 in coverage over that time period.

1           But that's not true in this younger age group  
2           which we attribute this to the fact that this is the age of the  
3           parents of the children who are being vaccinated, and the  
4           children it is known -- contact with young children is a risk  
5           factor for pneumococcal disease, and we believe that this is  
6           entirely suggestive of the fact that herd immunity is operative  
7           here and is protecting these individuals.

8           So, in summary, we've observed a dramatic  
9           reduction in basic pneumococcal disease in childhood within our  
10          population. The magnitude of the reduction in the first year,  
11          which was much greater than the vaccine coverage, and the  
12          reduction observed in adults suggests herd immunity effect.

13          We've not observed any evidence of serotype  
14          replacement for invasive disease, and I'd like to say also that  
15          Dr. Cindy Whitney of CDC has results from the ABC surveillance  
16          program which are consistent with the disease reduction in adults  
17          and older children that I've shown you.

18                         Thank you very much.

19                         CHAIRMAN DAUM: Thank you, Dr. Black, for that  
20                         update.

21                         We have a few minutes for committee questions, if  
22                         there are, or discussion points. Dr. Katz?

23                         DR. KATZ: Steve, you mentioned the concomitant  
24                         administration of MMR. Was varicella given at the same time  
25                         also?

1 DR. BLACK: Yeah, varicella vaccine, the uptake  
2 for varicella vaccine is quite high in our group, and we looked  
3 at MMR. There's more than 90 percent of that concomitantly.  
4 Usually varicella is given at the same time, but it isn't always.  
5 We have not looked at it, but I would guess from past  
6 observations we had made it was about 80 percent.

7 DR. KATZ: The reason I asked is there is some  
8 indication that when you give MMR and varicella concomitantly you  
9 even further increase the febrile response.

10 DR. BLACK: At that same interval.

11 DR. KATZ: Thank you.

12 DR. BLACK: Actually we'll look at that. that's  
13 interesting. Thank you.

14 CHAIRMAN DAUM: Dr. Faggett and then Dr. Snider.

15 DR. FAGGETT: Steve, thank you. Those are very  
16 exciting reports. A question relative to the experience of  
17 Prevnar in the sickle patient. They were probably included under  
18 your febrile illnesses, but do you have any information on  
19 specifically how the vaccine was tolerated by sickle patients?

20 DR. BLACK: Yeah, we've not done specific studies  
21 on the safety of Prevnar in sickle cell patients. However, the  
22 Prevnar vaccine is being routinely used in both younger children  
23 with sickle cell disease and in older children as well, and our  
24 surveillance does include children with sickle cell disease, and  
25 we've not seen during the last two years because they were not

1 surprisingly targeted for early immunization any cases in  
2 children with sickle cell disease.

3 CHAIRMAN DAUM: Dr. Snider?

4 Steve, I have one question. The adult data you  
5 showed on the last slide are pretty interesting. You mentioned  
6 that you haven't yet broken them down by vaccine serotypes and  
7 non. Will you be able to do so? Do you have the isolates?

8 DR. BLACK: No. We started collecting data at the  
9 first of this year. We're now -- Dr. Austrian, since the case  
10 load in children is reduced, is now willing to do serotyping of  
11 adults, and so beginning the first of this year, we're now  
12 serotyping all ages, but don't have that historically.

13 Dr. Whitney at CDC, however, does have serotype  
14 data from ABC and I think is analyzing that currently and will be  
15 reporting it soon.

16 CHAIRMAN DAUM: I have on other question. In the  
17 very nice curves you showed of what's happened to disease in your  
18 area since the vaccine was introduced, you broke down the data  
19 between vaccine serotypes and non-vaccine serotypes.

20 How would that look for the non-vaccine serotypes  
21 which did appear to be trending down? If you removed the related  
22 vaccine serotypes -- excuse me. The serotypes that are not in  
23 the vaccine but are related to those in the vaccine from that  
24 analysis.

25 DR. BLACK: Okay. Let me try and rephrase your

1 question. What you're looking for are the non-cross-reacting  
2 serotypes.

3 CHAIRMAN DAUM: Right. Thank you for that help.

4 DR. BLACK: We have a slide for that here. Let me  
5 see if I can find it. We also have a million other things.

6 Oh, you have that somewhere else? Okay. Sorry.

7 The numbers are smaller and so there's more noise  
8 in this, but let me show it to you.

9 Yeah, okay. So what we have here is, again, the  
10 same type of graph, but you'll notice that rather than going up  
11 to 120 or 40 here, this only goes up to 20, and again, with the  
12 same age groups, under one you can see actually now has a higher  
13 incidence of these. Under two, and then under five, and you  
14 know, the overall slope here is sort of downward, although I  
15 don't understand that, and this dot, this little blip at the end  
16 here is really in the same range as these.

17 So so far, you know, the numbers here are a lot  
18 smaller. So it's a little bit harder to interpret, but we don't  
19 think this suggests any evidence of replacement disease because  
20 the incidence levels here are very low, consistent with what we  
21 saw before.

22 CHAIRMAN DAUM: Thank you.

23 We'll take two more comments. Dr. Snider, then  
24 Dr. Stephens.

25 DR. SNIDER: Steve, could you tell us what the

1 serotypes that are vaccine related that you're still seeing are?

2 I mean, specifically people I'm sure that have read the material  
3 have some concerns about 19F, for example.

4 DR. BLACK: Yeah. So the question is, you know,  
5 is 19F -- do you mean in vaccinees or in -- yeah, we've really  
6 not seen -- I mean the cases of disease that we've seen in the  
7 last couple of years since the post marketing took place have not  
8 included 19F. There's a couple of fours and one 6B, and that's  
9 really about it.

10 So the concern that we and others had in terms of  
11 trying to understand the difference in response to 19F, we're  
12 really not seeing that translated into breakthrough disease up  
13 until this point in time.

14 CHAIRMAN DAUM: Dr. Stephens.

15 DR. STEPHENS: Regarding the effect in young  
16 adults, is there any evidence in your health care system of off  
17 label use of the conjugate or any increased use of the 23 valent  
18 polysaccharide in individuals who may be at risk?

19 DR. BLACK: Well, we're encouraging increased use  
20 in individuals, you know, over age 60. so that has gone up we  
21 estimate eight to ten percent over the time period.

22 The older individuals where we're encouraging its  
23 use is primarily hemoglobinopathies or people who are in that  
24 category.

25 There has been some use in older individuals where



1 it's not indicated, but it's very small. There's four or five  
2 individuals for reasons that we can't understand who have  
3 obtained the vaccine. Four of them are pediatricians. So maybe  
4 that's it. They're enthusiastic and want the same protection for  
5 themselves. But they're really a handful. It's very, very  
6 small.

7 So it's not the case in the 20 to 40 year olds.  
8 We are going to be undertaking a case control study to look at  
9 risk factors and look at this in more detail, but that will take  
10 some time.

11 CHAIRMAN DAUM: Dr. Katz, one last.

12 DR. KATZ: One quickie. In all of those things  
13 that are flashing by when you were trying to find the right  
14 slide, one that stood out in my mind was sudden infant death  
15 syndrome. That's one that in your primary series you're running  
16 through the high risk area.

17 Can you reassure us about that one?

18 DR. BLACK: Yeah, let me see if I can find that  
19 slide.

20 DR. KATZ: I don't need a slide. Just tell me.

21 DR. BLACK: Okay. I mean, the rates that we have  
22 for that are not for the last year because the state death tapes  
23 lag. So as of the interim report that we did through year 2000,  
24 the SIDS rates were about half what the state rate was, and were  
25 pretty much identical to what they were in the clinical trial,

1 which is about .2 per thousand.

2 CHAIRMAN DAUM: Thank you very much, Dr. Black.

3 We sometimes remember and are striving to meet  
4 various bars of vaccine safety and various tests and concerns,  
5 just how wonderful vaccines are, and it's very gratifying to see  
6 this kind of information after the introduction of a new vaccine.

7 We will move now on to the second part of the  
8 sponsor's presentation this morning, which is concerning acute  
9 otitis media, or AOM, and we will begin with Dr. George Siber,  
10 who will introduce the topic on behalf of the sponsor to us.

11 Dr. Siber, welcome.

12 DR. SIBER: Good morning. My name is George  
13 Siber. I'm Senior Vice President and Chief Scientific Officer of  
14 Wyeth Vaccines.

15 Is that going to go to right for us? We'll see.

16 In any event, during the next hour or so we'll  
17 present series of presentations on the data and rationale  
18 underlying our proposal for an indication for otitis media for  
19 the seven valent pneumococcal vaccine, Prevnar.

20 I'll give a brief introduction on otitis media  
21 epidemiology and background. Dr. Terry Kilpi, who is a senior  
22 researcher and the head of the Department of Vaccines at the  
23 National Public Health Institute in Helsinki, will discuss the  
24 FinOM trial that was conducted in Finland, and then Steve Black  
25 will come back and discuss otitis media from the Northern

1 California Kaiser Permanente trial. And then I'll give brief  
2 conclusions at the end on impact.

3 First of all, a quick background on clinical  
4 manifestations of otitis media or rather of pneumococcal disease  
5 in general. This pie diagram shows you the major pneumococcal  
6 syndromes and makes the point that the pneumococcus is a very  
7 important if not the most important single pathogen contributing  
8 to major bacterial infections in U.S. children, causing 45  
9 percent of meningitis in the first two years of age, a vast  
10 majority of bacteremia sepsis, and for these two Prevnar is  
11 indicated in the package insert, but also about 60 percent of  
12 pneumonias and as much as 40 percent of bacterial otitis media.

13 This shows you a pyramid which puts into  
14 perspective the relative frequencies of these syndromes.  
15 Fortunately the most severe of those syndromes are the least  
16 common, with about 1,400 cases in children under five years of  
17 age of meningitis, 17,000 of bacteremia, and estimated 71,000 for  
18 pneumococcal pneumonia.

19 But at the base of this pyramid and really a  
20 massive number is the five million estimated episodes of otitis  
21 media each year, and although clearly a much milder disease than  
22 the others, it certainly has morbidity and has a very tremendous  
23 impact on health care and antibiotic use and so forth.

24 With regard to the epidemiology of otitis media,  
25 these are actually data from the Northern California Kaiser

1       Permanente trial and the control groups looking at the age  
2       distribution of otitis media, and which show several things.

3               One, that in boys, in blue, the rates are somewhat  
4       higher throughout follow-up period, here to 42 months of age,  
5       than in girls. And the peak incidence is very high, and this is  
6       otitis visits per 100 children-months between six and 18 months  
7       of age, but really continues throughout the follow-up period,  
8       declining slowly but steadily with time.

9               A somewhat more extended age distribution comes  
10       from these data, which plot the number of visits for otitis media  
11       to physicians' offices in thousands by year from zero to ten  
12       years of age, and you can see that the peak here is 4,400,000  
13       visits, and again, a decline over time, but continuing to have as  
14       many as five to 600,000 visits per year even out to ages nine and  
15       ten years of age.

16               So to summarize the impact of otitis media, this  
17       is the most common reason for sick child visits. It is also the  
18       leading cause for prescribing antibiotics during childhood, and  
19       we believe that the use of antibiotics frequently contributes to  
20       the increasing antimicrobial resistance that we have seen in this  
21       country and elsewhere.

22               Complications of recurrent disease and effusions  
23       lead to tympanostomy tube insertions, and this is the most common  
24       reason why children have surgery that requires general  
25       anesthesia.

1           The direct and indirect annual costs have been  
2 estimated to exceed more than \$5 billion per year in children  
3 under five years of age. That's for all otitis media.

4           And this just shows you, I think, what we all  
5 know, and that is during the '90s there has been a progressive  
6 increase, looking here at pneumococcal disease, an increased rate  
7 of resistance from the low digits, five percent or so, to over 30  
8 percent at the end of the decade.

9           An interesting question is whether there will be  
10 an impact of Prevnar on this phenomenon.

11           Importantly, the serogroups that are most likely  
12 to be resistant to penicillin and other antibiotics are  
13 serogroups that are contained among the seven valent types of the  
14 vaccine, six, 14, 19, 23, and nine. And that's true not only in  
15 the U.S. but throughout the world.

16           And specifically in terms of coverage for otitis  
17 media, this is an example of a study by Ellen Wald's group in  
18 Pittsburgh reasonably recently looking at serotype distribution  
19 in otitis media and suggesting a coverage of the vaccine  
20 serotypes themselves of about 70 percent.

21           If you assume coverage for cross-reactive types,  
22 that goes up to 85 percent, and if you only selected antibiotic  
23 resistance, you would probably get up over 90 percent in this  
24 series in terms of coverage by the vaccine types and related  
25 types.

1                   So at the moment, you may be aware that the package  
2 insert makes no mention whatsoever about otitis media with regard  
3 to Prevnar efficacy, and we are here today to propose that otitis  
4 media be included in the package insert and that the indication  
5 be that Prevnar is indicated for active immunization of infants  
6 and toddlers against invasive disease and otitis media caused by  
7 Strep. pneumoniae due to the capsular types included in the  
8 vaccine.

9                   And some of the reasons why we believe this is to  
10 be important is that there are now two randomized, well  
11 controlled trials that you'll hear about which show statistically  
12 significant decreases in otitis media outcomes.

13                   Secondly, you'll hear that Prevnar immunization  
14 does have an important medical effect on otitis media disease and  
15 its implications, and that we believe it's important that this  
16 information, since it's now published and talked about in the  
17 literature, be accurately described in the label so that we can  
18 communicate appropriately information to physicians and to  
19 parents.

20                   The trials that you will hear about just very  
21 briefly are the FinOM efficacy trial, the major trial that has  
22 been reported in the New England Journal under the direction of  
23 Juhani Eskola and Terhi Kilpi who's here with us today, and then  
24 a follow-up trial focusing most clearly on tympanostomy tube  
25 placements in Finland in the follow-up period, which Terhi Kilpi

1 will describe.

2 And then Steve Black and Henry Shinefield will  
3 present the data updated on the Kaiser Permanente trial.

4 The two trials, just to contrast them, really  
5 they're quite different, but they give complementary data. The  
6 FinOM trial, of course, done in Finnish infants receiving a U.S.  
7 schedule of vaccination, a relatively smaller number of children,  
8 1,600 or so, but I think what's very special about this trial is  
9 that myringotomies were performed, and we have culture specific  
10 diagnosis of the etiology of acute otitis media.

11 In contrast, the Kaiser Permanente trial was much  
12 larger, more than 37,000 children, Northern California. The  
13 diagnosis was made clinically rather than in a standardized way  
14 on a routine basis by hundreds of physicians and was captured  
15 from the automated databases at Kaiser.

16 And with that I'll ask Dr. Terhi Kilpi to come up  
17 and tell us about the FinOM studies.

18 CHAIRMAN DAUM: Before he does that or she does  
19 that -- I'm sorry -- does the committee want to ask any  
20 clarifying questions about Dr. Siber's presentation? Data that  
21 were unclear?

22 (No response.)

23 CHAIRMAN DAUM: Okay. Thank you very much, Dr.  
24 Siber.

25 Dr. Kilpi, welcome.

1 DR. KILPI: Good morning. I'm going to present  
2 the main efficacy results of the Finnish otitis media vaccine  
3 trial that evaluated the efficacy of two seven-valent  
4 pneumococcal conjugate vaccine for prevention of acute otitis  
5 media due to vaccine serotypes in children less than two years  
6 of age.

7 And this study was conducted in the Tampere area  
8 in Finland, and the clinical phase started in December '95 and  
9 ended in March '99, and during this time, we had almost 2,500  
10 children were enrolled in the study. This is approximately 55  
11 percent of the birth cohort in the area.

12 And all of these children were randomized to  
13 receive either one of the two pneumococcal conjugate vaccines  
14 used in the study, the PncCRM vaccine labeled, licensed as  
15 Prevenar or the PncOMPC vaccine or the control vaccine that was  
16 Hepatitis B vaccine in our study.

17 And the children received these vaccines at the  
18 age of two, four, six, and 12 months. They were followed in  
19 study clinic setting from two months to 24 months of age, and  
20 during the follow-up every effort was made to have all  
21 respiratory infections according to these children requiring  
22 medical attention evaluated and treated at the study clinics by  
23 our study physicians.

24 This trial was specifically designed to study  
25 otitis media, and therefore, we needed a definition for acute



1 otitis media, and we defined that there has to be symptoms of  
2 acute infection and signs of inflammation in the middle ear.

3 And whenever acute otitis media meeting this  
4 definition was diagnosed at the study clinic by our study  
5 physician, myringotomy was performed and middle ear fluid  
6 aspirated for bacterial culture, pneumococcal serotyping when  
7 appropriate, and pneumolysin PCR.

8 Otitis media is a condition that tends to recur in  
9 a proportion of individuals over and over again, and we,  
10 therefore, wanted to analyze the vaccine efficacy by all AOM  
11 episodes rather than just the first ones, and we, therefore,  
12 needed a definition for an episode.

13 And we defined that it starts at diagnosis and  
14 lasts for 30 days. And these were the endpoints we looked at,  
15 and these were defined in the protocol and in the analysis plan.

16 The primary endpoint was all AOM episodes due to vaccine  
17 serotypes.

18 The secondary was first and subsequent AOM  
19 episodes due to vaccine serotypes, and we also looked at all  
20 pneumococcal AOM episodes, at all AOM episodes, and recurrent  
21 AOM.

22 We have later also performed some additional  
23 analysis, looked at endpoints of special interest, namely AOM  
24 episodes due to vaccine related serotypes, due to serotypes  
25 unrelated to vaccine types, and also calculated the vaccine

1 efficacy against AOM episodes due to individual pneumococcal  
2 serotypes.

3 And from now on, I will present the results for  
4 the PncCRM group of this study as compared to the control group  
5 and forget about the third arm since this is the vaccine we're  
6 talking about today, and to start with, I hope this slide will  
7 demonstrate to you that our trial was very successfully  
8 conducted.

9 Of the 1,662 children enrolled in these two  
10 groups, as many as 1,580 completed the trial without critical  
11 protocol violations. That is, 95 percent of the children  
12 originally randomized. So we feel pretty comfortable with the  
13 results.

14 And now to the results. During the protocol  
15 follow-up period that lasted from 6.5 to 24 months of age, there  
16 were 107 AOM episodes due to the vaccine serotypes in the PncCRM  
17 group as compared to 250 episodes in the control group.

18 And this means that the vaccine efficacy against  
19 the primary endpoint, all AOM episodes due to vaccine serotype  
20 was 57 percent, and this efficacy was statistically significant  
21 as indicated by the confidence interval here.

22 And to the secondary analysis, the vaccine  
23 efficacy against AOM, first episodes of AOM due to vaccine  
24 serotypes was 52 percent, and the vaccine efficacy in the  
25 subgroup of children who had already had one AOM caused by the

1 vaccine serotypes was 48 percent. So the vaccine does provide  
2 protection even if a tad failed one.

3 And this is a summary of the main efficacy  
4 results, AOM, vaccine efficacy against AOM due to vaccine  
5 serotype, 57 percent; against culture confirmed pneumococcal AOM,  
6 34 percent; against pneumococcal AOM confirmed by either culture  
7 or PCR, analyzing PCR or both, 20 percent. These are all  
8 statistically significant. Against any AOM, six percent, and  
9 recurrent AOM, 16 percent. The latter two failed to reach  
10 statistical significance in our study.

11 And these were the analyses for the protocol  
12 analysis, and this is the same for the intention to treat  
13 analysis and for the intention to treat follow-up period that  
14 started already at two months of age.

15 And as you can see, the results are very similar  
16 to the protocol analysis. What may attract attention in these  
17 efficacy results is the different efficacy the vaccine provided  
18 against culture confirmed pneumococcal AOM as compared to  
19 pneumococcal AOM confirmed by either culture or PCR, and  
20 therefore, we have looked at this issue a bit more closely and  
21 found that the vaccine does not provide any protection against  
22 chemical culture, negative but PCR positive AOM, and this  
23 explains the difference between these two entities.

24 And since the PCR method we used in our study was  
25 quantitative or perhaps more precisely semi-quantitative, we have

1 also been able to look at the PCR counts in the pneumococcal  
2 culture negative cases of AOM as compared to the Pnc culture  
3 positive cases and found that the PCR counts are considerably  
4 higher if the pneumococcal culture is positive than if it's  
5 negative.

6 So whatever the significance of PCR positivity in  
7 the pneumococcal culture negative cases of AOM is, it certainly  
8 does not seem to be a sign of active pneumococcal disease.

9 The design of the FinOM vaccine trial allowed us  
10 to characterize the vaccine efficacy a bit further because we had  
11 the culture results from each even of otitis media and we had the  
12 serotyping results. And one of the things we were interested in  
13 was if the vaccine provided the same kind of efficacy or  
14 different kinds of efficacy against AOM caused by individual  
15 vaccine serotypes, and this is what we found.

16 The efficacy against AOM caused by 6B was  
17 excellent. The point estimate is 84 percent. It's good against  
18 AOM caused by 23F and 14 point estimates, from 60 to 70 percent,  
19 but rather modest for AOM caused by Type 19F, point estimate  
20 being only 25 percent.

21 When we designed the trial and decided to have AOM  
22 caused by the vaccine serotypes as our primary endpoint, we knew  
23 that we could anticipate that the vaccine might protect also  
24 against other than vaccine, against AOM caused by other than  
25 vaccine serotypes only, and that is the relative serotypes to the

1 vaccine serotypes, and therefore, we have also wanted to look at  
2 this and we found, indeed, that there were 41 AOM episodes caused  
3 by the vaccine related serotypes in the PncCRM group as compared  
4 to 84 episodes in the control group, and this means that the  
5 vaccine efficacy against AOM due to the vaccine related serotypes  
6 is 51 percent, which is almost as good as the efficacy against  
7 AOM caused by the vaccine serotypes themselves.

8           However, when we come to the other serotypes, the  
9 non-vaccine, non-vaccine related serotypes, we see in excess of  
10 30 episodes caused by these serotypes in the PncCRM group as  
11 compared to the control group, which translates into a negative  
12 efficacy of minus 33 percent in the vaccine group as compared to  
13 the control group, and this difference almost reached statistical  
14 significance.

15           However, the bottom line is that the vaccine  
16 provides protection against any culture confirmed pneumococcal  
17 AOM and reduces it by 34 percent.

18           And this is now vaccine efficacy against AOM  
19 caused by the two most common cross-reactive serotypes, 6A where  
20 the point estimate is 57 percent and 19A where the point  
21 estimate, 34 percent, actually is even a little higher than for  
22 the vaccine serotype 19F itself.

23           So conclusions from this trial follow-up part are  
24 the the PncCRM vaccine is efficacious against culture confirmed  
25 vaccine serotype specific, active otitis media, culture

1 confirmed AOM due to the vaccine related serotypes, and culture  
2 confirmed pneumococcal AOM.

3 And now I will move on to the extended follow-up.

4 We have recently collected additional information on the  
5 children enrolled in the PncCRM and control groups to assess the  
6 long-term effects of the PncCRM vaccine on pneumococcal carriage,  
7 antibody persistence, and surgery due to otitis media in the  
8 routine practice when those children had completed the trial  
9 follow-up.

10 And I will now present the results for this  
11 category as specifically the effect of the vaccine on the  
12 incidence of tympanostomy tube placements up to four to five  
13 years of age.

14 I will also briefly present some results for the  
15 other two categories.

16 And this extended follow-up was carried out by  
17 inviting the children to a single follow-up visit in spring 2001  
18 when they were at the age of four to five years. And we invited  
19 altogether 1,490 children. They represent 90 percent of the  
20 original study population, and these were the children who had  
21 completed the ITT follow-up and who were still living in the  
22 Tampere area.

23 And 756 of these children followed the invitation  
24 and were evaluated at the study clinic in spring 2001, and since  
25 these children only represent 45 percent of the original study

1 population, we have also collected information on the  
2 tympanostomy tube placement of these children, these 1,490  
3 children to be able to feel comfortable with our tympanostomy  
4 tube results.

5 And I will now show you what kind of data we have  
6 available on the tympanostomy tube placements of these children  
7 and for which categories of children we have this data.

8 So, first, the analysis populations. Initially  
9 all children were followed from two to 24 months of age in the  
10 study clinic. So we had 1,662 children at the beginning and 65  
11 of them dropped out during the trial. So at the end we had 1,597  
12 children, and of these, 107 had moved out of the Tampere area  
13 after they completed the follow-up in the trial setting.

14 So we had, 1,490 children still living in the  
15 area, and these children constitute the eligible children, the  
16 analysis' population two.

17 Then we have this subgroup of children, the 756  
18 fully evaluated children, and they constitute the analyst  
19 population one, and for this part of children, we have completed  
20 tympanostomy data available, and for this part of children, we  
21 have the hospital tympanostomy tube data available.

22 And tympanostomy tube placement in the FinOM  
23 follow-up study were ascertained in the following way. For the  
24 fully evaluated children, we could ask the parents if the child  
25 had had tubes placed after completing the trial follow-up and

1 then confirm the parents' answers by reviewing the hospital  
2 records collected from the area hospitals and by reviewing the  
3 medical records requested from private physicians.

4 And it turns out that 78 percent of the  
5 tympanostomy tube placement had been performed in public sector  
6 hospitals and 22 percent in private medical centers.

7 For the eligible children we had the hospital  
8 records from the area hospitals which are likely to represent  
9 approximately 80 percent of the tympanostomy tube placement  
10 performed in these children after they completed the trial  
11 follow-up.

12 And before I go to the results, I think I need to  
13 explain to you what kind of practices were followed during the  
14 vaccine trial and after it when the children returned to normal  
15 life, to the real life situation.

16 During the trial, tube placement, if considered  
17 indicated, was included in the study services. They were almost  
18 exclusively performed at the Tampere University hospitals. They  
19 were free of charge to the patients, and the hospital guaranteed  
20 access to treatment within four to five weeks of referral.

21 When the trial follow-up was over, the children  
22 returned to normal life, and in the real life situation in  
23 Finland if tube placement is considered indicated, there are two  
24 options, two possibilities to have it performed. It can either  
25 be done in public hospitals where the charge is nominal, but



1 waiting time can be from three to four months, or it can be  
2 performed in private medical centers that charge ten times that  
3 of their public sector charge, but there is no waiting time.

4 And so principally, the indications for  
5 tympanostomy tube placement were the same during the vaccine  
6 trial and after the trial when the children had returned to the  
7 normal life situation, but access to treatment became definitely  
8 more difficult when the trial follow-up was over due to the  
9 reasons here.

10 And this makes plain why the incidence of  
11 tympanostomy tube placements in the FinOM children during the  
12 vaccine trial follow-up was considerably higher than what it is  
13 in the children of the same age in Finland in general.

14 And it also makes plain why this incidence of  
15 tympanostomy tube placement dramatically dropped when they  
16 returned to a normal life situation. So it appears that milder  
17 cases of recurrent AOM and otitis media with effusion were  
18 treated with tympanostomy tube placement during the trial and  
19 after it, and this makes plain why the effect of the vaccine on  
20 the incidence of tube placement was different here from what it  
21 was here.

22 Okay. Now I'll go to the results, and we'll just  
23 remind you that I'm going to present them for the full evaluated  
24 children analysis population one and for the all eligible  
25 children analysis population two.

1                   And these are the tympanostomy tube placements in  
2 the fully evaluated children. During the trial follow-up from  
3 two months to two years of age, 20.3 percent of the children in  
4 the PncCRM group as compared to 23.8 percent of the children in  
5 the control group had tympanostomy tubes place, and the incidence  
6 rate of events is here.

7                   So the difference between the vaccine group and  
8 the control group is 12 percent, and this is not statistically  
9 significant.

10                  However, when the normal life situation started  
11 during the period from two years to four to five years, only 8.2  
12 percent of the children in the PncCRM group as compared to 13  
13 percent of the children in the control group had a tympanostomy  
14 tube placement. The incidence is shown here, and the conclusion  
15 is that the vaccine reduced tympanostomy tube placements during  
16 this age period of time by 39 percent, and this difference is  
17 statistically significant.

18                  And since we have only 45 percent of the original  
19 study population in these fully evaluated children, it was, of  
20 course, important to see if the results are the same for all  
21 eligible children for which we had the public sector hospital  
22 data available. And so now only the tympanostomy tube placements  
23 performed in the public hospitals are included in this slide.

24                  And here the difference during the trial follow-up  
25 is even smaller. It's only four percent, but, again, during the

1 normal life follow-up from two years to four to five years of age  
2 we see a reduction of 44 percent in the incidence of tympanostomy  
3 tube placements in the PncCRM group as compared to the control  
4 group.

5 And even the lower limit of the 95 percent  
6 confidence interval is as high as 19 percent.

7 Now, this shows the same thing for the fully  
8 evaluated children graphically. This is the cumulated hazard for  
9 tympanostomy tube placement, and as you can see, there is  
10 practically no difference during the trial follow-up up to 24  
11 months of age, but after, as soon as they return to normal life,  
12 the curves start to part and continue to do so.

13 So there is no sign of waning efficacy here. And  
14 this is the same thing for all eligible children, and again, the  
15 same pattern.

16 I will now show briefly kinetics of antibody  
17 concentrations for three of the most serotypes causing AOM in our  
18 study, and I think that these curves are beautifully consistent  
19 with the persisting efficacy I have just demonstrated.

20 This is the antibody concentrations for 23F, and  
21 as you can see, the level is the same at the age of 24 months and  
22 then at the age of four to five years.

23 For serotypes 19F and 6B, the antibody levels even  
24 seem to increase a little.

25 And this is data collected at the follow-up visit

1 in spring 2001. We asked the parents if the child has had AOM  
2 after 24 months of age, and according to the parents of the  
3 children who received the PncCRM vaccines, 67 percent of these  
4 children had had AOM after completing the trial follow-up as  
5 compared to 72.7 percent of the children in the control group.

6 At the visit, 11.4 children in the PncCRM group as  
7 compared to 12.5 percent in the control group had middle ear  
8 abnormalities, and 8.5 percent of the children carried vaccine  
9 serotypes as compared to 13.6 percent of the children in the  
10 control group, and this last differences is statistically  
11 significant.

12 So these last data is consistent with the  
13 conclusions that PncCRM reduces tube placement due to otitis  
14 media, and that the vaccine efficacy against otitis media  
15 persists for years.

16 Thank you.

17 CHAIRMAN DAUM: Thank you very much, Dr. Kilpi.

18 We have a few moments for clarifying questions.  
19 Dr. Griffin.

20 DR. GRIFFIN: After the study was completed, did  
21 the parents and the physicians know who had received vaccine and  
22 who hadn't? I mean was the blind broken and they were informed  
23 as to whether they had been immunized?

24 DR. KILPI: Yes. The code was broken in August  
25 '99, and the parents were informed about the vaccine their child

1 had received in October '99, and so I guess you are wondering if  
2 this fact may have affected the results we received after the  
3 completion of the trial, and we looked at this.

4 DR. GRIFFIN: You just wondered whether physicians  
5 say, "Oh, well, they were vaccinated. So they wouldn't need  
6 this"?

7 DR. KILPI: Yes, yes, and that's why we have  
8 looked at the incidence.

9 Yes, because many children completed the trial  
10 follow-up long before the code was open, some of them even had  
11 two years of follow-up after the code was revealed to the  
12 parents. So we looked at the incidence of tube placements after  
13 the completion of trial follow-up, but before unblinding, and  
14 this is the incidence in the PncCRM group as compared to the  
15 control group, and this is the total.

16 And this is for fully evaluated children and this  
17 is for all eligible children. So I think there is no sign that  
18 unblinding would have affected the results.

19 CHAIRMAN DAUM: Thank you.

20 Dr. Diaz, then Dr. Katz, and Dr. Schwartz.

21 DR. DIAZ: Dr. Griffin asked my question.

22 CHAIRMAN DAUM: Dr. Katz, please.

23 DR. KATZ: On the schedule of both groups, were  
24 they also receiving Haemophilus Influenza B conjugate vaccine at  
25 the same time? I don't mean necessarily the same visit, but this

1 was part of their routine?

2 DR. KILPI: Yes, yes. The concomitantly given  
3 vaccine was also DTP Hib combination that they received at the  
4 age of two, four, six -- of six months, and we used two different  
5 DTP Hib combination vaccines.

6 DR. KATZ: I guess I wondered why you picked  
7 Hepatitis B as the control vaccine. What was the motivation for  
8 that?

9 DR. KILPI: Well, it's not included in the routine  
10 program in Finland. It's only recommended for risk groups, and  
11 it seemed to be the right thing to do to offer something to the  
12 control group also, something beneficial.

13 CHAIRMAN DAUM: Dr. Schwartz and Dr. Overturf and  
14 Stephens.

15 DR. SCHWARTZ: I'm confused or at least I don't  
16 understand.

17 CHAIRMAN DAUM: Turn you mic on. You push that  
18 button on the base.

19 DR. SCHWARTZ: Yes, sorry.

20 When you did tympanocentesis in that group of  
21 patients, whether they were on control or on the study vaccine,  
22 was the tympanocentesis 80 percent of all episodes or as close as  
23 you could get to every single episode on the study trial or after  
24 the first tympanocentesis that yielded a pneumococcal serotype of  
25 any serotype, then that child did not have to undergo further

1 tympanocentesis and yet remain on the study?

2 DR. KILPI: No. It was the first one, in the  
3 first way. So whenever they had AOM diagnosed, myringotomy  
4 actually was the procedure we used. It made a small hole and  
5 suction. So it was performed every time AOM was diagnosed, at  
6 every single visit.

7 Of course, this was not 100 percent. It was  
8 saying from 93 percent of the visits when AOM was diagnosed.

9 DR. SCHWARTZ: So some children could have  
10 undergone six procedures or five procedures during this study?

11 DR. KILPI: I'm afraid so.

12 CHAIRMAN DAUM: Thank you.

13 Dr. Overturf and Dr. Stephens.

14 DR. OVERTURF: I wondered on the organisms that  
15 came from both the vaccine related serotypes as well as the  
16 organisms from the non-vaccine related serotypes whether you had  
17 any antibiotic susceptibility data on either one of those groups  
18 as compared perhaps to the serotype from the vaccine.

19 Do you have that data?

20 DR. KILPI: We do. We looked at -- what we have  
21 in the database is the data on penicillin resistance, but the  
22 resistance situation in Finland is very different from that in  
23 the U.S. So that almost all of them were susceptible to  
24 penicillin.

25 However, if they were not susceptible they were

1 usually or I think they were exclusively vaccine serotypes.

2 CHAIRMAN DAUM: Thank you.

3 Dr. Stephens and then Dr. Decker.

4 DR. STEPHENS: Two questions. One had to do with  
5 the PCR count data. Can you give us a better understanding of  
6 that in terms of organisms per mL, presumably in terms of those  
7 counts.

8 DR. KILPI: I'm afraid I can't. As I told you,  
9 this method is semi-quantitative. We have now developed also  
10 using a better PCR method that allows quantification in a better  
11 way. This was just to demonstrate that obviously this huge  
12 difference tells us that it is the PCR negative case -- PCR  
13 positive, culture negative cases are something different from the  
14 culture positive case.

15 DR. STEPHENS: Okay. Can you also provide any  
16 information regarding the serotype replacement issue? That is,  
17 is there a difference between non-vaccine serotypes?

18 You gave us the data that there was a significant  
19 difference between vaccine serotypes. Is there an increase in  
20 non-vaccine serotypes in terms of carriage?

21 DR. KILPI: In terms of carriage? Yeah, well, I  
22 have some carriage data here.

23 (Pause in proceedings.)

24 DR. KILPI: So, well, this is first to show that  
25 the vaccine does not have effect on the overall carriage of



1 pneumococcus. This is the other carriage figures at the age of  
2 12 months, 18 months, and four to five years in the PncCRM group  
3 as compared to the control group. So always it's approximately  
4 the same proportion of children that are carriers.

5 And then this shows the carriage rates at 12  
6 months of age, and actually there we did not see any statistical  
7 differences in these three categories. So there was perhaps a  
8 small reduction of the carriage of vaccine serotypes, but this is  
9 not statistically significant, and these are also pretty much the  
10 same.

11 This is different from the rate that is obtained  
12 in the developing countries. So the effect of the vaccine seems  
13 to be different. The effect of the vaccine on carriage seems to  
14 be different in developing country situations than in an  
15 industrialized country perhaps.

16 And here we have the carriage rates at the age of  
17 18 months, and there is clear reduction in the carriage of  
18 vaccine serotypes. Cross-reactive serotypes are approximately  
19 even, and there is replacement by the non-vaccine related  
20 serotypes.

21 And when we come to the age of four to five years,  
22 again, we see the reduction in the carriage cell vaccine  
23 serotypes, and this time the situation for the other serotypes is  
24 even, but there is a small increase of the carriage of the cross-  
25 reactive serotypes in the PncCRM group as compared to the control

1 group. However, these differences are not statistically  
2 significant.

3 CHAIRMAN DAUM: Thank you.

4 Dr. Decker.

5 DR. DECKER: No questions.

6 CHAIRMAN DAUM: Dr. Whitley.

7 DR. WHITLEY: This is an obvious question, and  
8 logically antibiotic usage would be lower in the vaccinated  
9 compared to the control population. Do you have data to support  
10 that logical assumption?

11 And specifically what I'm trying to get at is was  
12 there extraneous antibiotic usage in the vaccinated compared to  
13 the non-vaccinated group?

14 DR. KILPI: I don't have any slides to support  
15 that, but the number of antimicrobial prescriptions in the  
16 vaccine group was lower than in the control group, and I think it  
17 is covered in the FDA presentation.

18 CHAIRMAN DAUM: Okay. We have time for two more  
19 comments. Dr. Faggett.

20 DR. FAGGETT: Thank you. This is valuable  
21 clinical data.

22 Question number one, do you have national health  
23 insurance in Finland?

24 And Part 2 of my question: what were the criteria  
25 for tube placement? It would appear that with decreased costs

1 and increased access that might impact on decisions to have the  
2 tube placement.

3 DR. KILPI: Yes, we do have national health  
4 insurance in Finland, and this basically means that the public  
5 sector is free of charge or the charge is only nominal, and for  
6 the private care, the children get reimbursed for the treatment.

7 So part of the sum is paid back, but anyway, the  
8 cost is considerably more to the parents than what would be in  
9 the public sector.

10 And the indications for tube placement, the  
11 recommended indications, I think, are pretty much the same as in  
12 the U.S. It's recurrent AOM, three to six episodes per six  
13 months or persistent otitis media with effusion.

14 But of course, as everyone knows, I think, that in  
15 a trial situation when it is really followed that this happens,  
16 it's different than if parents and doctors make individual  
17 decisions based on the waiting list and the financial situation  
18 of the family.

19 CHAIRMAN DAUM: And the age.

20 DR. KILPI: Yes.

21 CHAIRMAN DAUM: Dr. Glode.

22 DR. GLODE: I just wanted to clarify the original  
23 entry criteria. I know I read in the briefing materials that the  
24 public health nurse gave the vaccine and enrolled the patient in  
25 the study initially at two months of age or whatever; is that

1 correct that that was generally done by public health nurses?

2 DR. KILPI: Well, they are public health nurses by  
3 training.

4 DR. GLODE: Yes.

5 DR. KILPI: They were trial staff. It's the  
6 policy in Finland that nurses vaccinate, and they were hired --  
7 they were part of our staff team. So it was not their normal  
8 public health nurses, but it was a vaccinator we had hired for  
9 the trial.

10 DR. GLODE: Okay, and they knew which vaccine they  
11 were giving?

12 DR. KILPI: No. No, they didn't.

13 DR. GLODE: Okay. They were blinded.

14 DR. KILPI: Well, the vaccines were letter coded,  
15 and there was six letter codes for the three vaccines, and the  
16 vaccinator knew naturally which letter code the child received,  
17 and they were, therefore, kept separate from the other staff so  
18 that the staff didn't even know which letter code was assigned to  
19 each child, and this was never recorded anywhere.

20 DR. GLODE: Okay. Thank you.

21 CHAIRMAN DAUM: I'm going to take prerogative for  
22 the last question.

23 You showed some antibody data between the Prevnar  
24 vaccinees and the hepatitis vaccinees for several of the  
25 serotypes. Do you have similar data for Type 19F?

1 DR. KILPI: I showed for 19F.

2 CHAIRMAN DAUM: Did I miss it?

3 DR. KILPI: Yeah, it was --

4 CHAIRMAN DAUM: I'm sorry.

5 DR. KILPI: It was increasing also.

6 There.

7 CHAIRMAN DAUM: So I guess the question is then in  
8 light of the relative poor efficacy against that serotype, what  
9 do these kinds of data mean in terms of inferring protection?

10 DR. KILPI: Well, especially when it comes to 19F,  
11 it's very, very difficult to make any conclusions from the  
12 antibody concentrations and try to correlate to the efficacy.  
13 Obviously, these are antibody concentrations that look rather  
14 good anyway. Not a very good efficacy can be reached against  
15 otitis media.

16 CHAIRMAN DAUM: Thank you very much.

17 I think we now must move on to the next part of  
18 the sponsor's presentation, which would be Steve Black again to  
19 tell us about the Kaiser trial efficacy.

20 Thank you very much, Dr. Kilpi.

21 DR. BLACK: Thank you, Dr. Daum and everyone.

22 What I'd like to do now is present results on  
23 otitis media from the Kaiser Permanente efficacy trial. Otitis  
24 media, as well as pneumonia, were also outcomes apart from  
25 invasive disease there, and what I will show you are the results

1 from our trial, which I think you'll agree are remarkably  
2 consistent with those presented from Finland.

3 To remind you, the pre-licensure trial was a  
4 randomized, double blind, controlled trial with one-to-one  
5 allocation, and the control vaccine used in this trial was  
6 meningococcal C conjugate vaccine, and as in Finland,  
7 immunizations were given at two, four, and six months of age,  
8 with a booster dose at 12 to 15 months. And these were given  
9 concomitantly with routine childhood vaccines.

10 The trial began in October of 1995 and was  
11 unblinded in April of 1999.

12 So otitis media outcomes were identified quite  
13 differently than in Finland. Diagnoses were made by the  
14 patient's regular pediatrician as part of routine care and in  
15 both the emergency room and in the clinic, and as part of routine  
16 care, optically scannable forms are used which capture out-  
17 patient diagnoses, and otitis media is one of these.

18 There's no cross-training of these observers.

19 Surgery for ear tube placement was captured as  
20 part of our hospital database, and this is exclusively performed  
21 in either surgical centers or hospital within our program, and  
22 spontaneously draining ears were cultured during the trial as  
23 well.

24 The primary endpoint was all otitis media  
25 episodes, and an episode was defined as a visit for otitis media

1 with no prior visit within 21 days. It's important here to  
2 realize that if a child has an otitis media visit every 18 days,  
3 this can go on for months and still only count as one episode.

4 And this in retrospect was not such a great idea,  
5 but it does blunt the effect that we see for episodes against  
6 frequent disease or more severe disease.

7 The secondary endpoints were first otitis media  
8 episode, frequent otitis defined in Finland as three or more  
9 episodes within six months, four or more within 12 months;  
10 tympanostomy tube placement with spontaneously ruptured ears due  
11 to vaccine serotypes; and clinic visits for otitis media.

12 Just as a frame of reference here, which I find  
13 useful, is that for all episodes we estimate that 50 to 60  
14 percent are bacterial. Of those, probably 40 percent in the  
15 United States are pneumococcal; 75 to 85 percent, as Dr. Siber  
16 showed, are vaccine serotype or cross-reacting. So that the  
17 potential overall impact of 100 percent efficacious vaccine  
18 against all clinical episodes of otitis media is in the range of  
19 eight to 20 percent.

20 Now, there are two data sets that were submitted  
21 vis-a-vis otitis media. Two analyses were performed. One was  
22 submitted as part of the PLA, included data through April 30th of  
23 1998.

24 However, after that time, blighted immunization  
25 per protocol continued. The study nurses, the physicians, and

1 the parents, the investigators were unblinded on April 20th of  
2 1999, and there's a second analysis on otitis media there.

3 These compare these two analysis points just to  
4 give you an idea because the population dynamics change pretty  
5 dramatically during the year. You can see that the total number  
6 enrolled is about 17,000 in each group as of the initial  
7 analysis, and that enrollment was stopped in August of 1998. So  
8 the enrollment really had not progressed that much by past that  
9 point.

10 However, the number of booster doses is  
11 substantially higher in the second analysis, reflecting the fact  
12 that the children are now aging rather than just being enrolled,  
13 and the number over age two, there was substantial numbers in the  
14 initial analysis, but no children over age three. And you can  
15 see the number over age two in the second analysis is almost  
16 triple and that there are substantial numbers of children over  
17 age 3 in the second analysis.

18 This gives you an idea of the number of events.  
19 Otitis media, as has been pointed out, is much more common than  
20 invasive disease, which allows us to detect the efficacy that we  
21 did, and you can see here that in an intent to treat analysis,  
22 there are more than 116,000 visits for otitis media, almost  
23 85,000 episodes, as compared to these numbers in the initial  
24 presentation.

25 Where this becomes especially important is for the



1 less common outcomes here: frequent otitis media or especially  
2 for ear tubes where we have much more statistical power in the  
3 second analysis.

4 This is the protocol analysis first, and the two  
5 different analysis periods, and first you can see these are very  
6 similar between the two for otitis media episodes, the seven  
7 percent effect in 1998, 6.6 percent effect in 1999 for visits,  
8 8.9 percent versus 7.9 percent, and for frequent otitis media,  
9 apart from the change in the number here, you can see that  
10 there's more precision or titer confidence interval as well, an  
11 11.6 percent reduction for frequent otitis media in this  
12 population as of the final analysis.

13 This is the intent to treat analysis, and the  
14 numbers are a little bit lower. We were attempting, although the  
15 trial was not designed to do myringotomy because we couldn't get  
16 our pediatricians or ENT people to do that, we were able to come  
17 up with a surrogate outcome to look at vaccine serotype specific  
18 effect here, which was spontaneously ruptured tympanic membranes.

19 It's important to realize this is a different  
20 disease really than just acute otitis media, but nonetheless  
21 allows us to look at vaccine serotype specific effect, which is  
22 shown here. In the initial analysis these numbers were not  
23 statistically significant, but are here especially in the intent  
24 to treat analysis where we have 66 percent reduction of vaccine  
25 serotype spontaneously ruptured eardrums with a much tighter

1 confidence interval, and these results are consistent with a  
2 vaccine serotype specific effect in Finland.

3 A question was brought up by Dr. Whitley regarding  
4 antibiotic use, and we did collect data on that. I'm glad we put  
5 this slide in sine you asked the question, and this shows -- the  
6 way this is groups, these are what was recommended for fist line  
7 antibiotic use in our program for otitis media, and you can see  
8 that constitutes the majority of the prescriptions.

9 There was a five percent reduction in that use in  
10 our population, really not surprising since otitis media is  
11 probably the most common cause of antibiotic use.

12 For second line drugs, which are shown here,  
13 Augmentin and all of these, there's about a ten or 11 percent  
14 reduction, basically extremely consistent with the frequent  
15 otitis reduction that we saw in the trial.

16 So the children who were going on to have more  
17 complicated or extensive otitis media, our interpretation is here  
18 that there is a reduction that's consonant with that in terms of  
19 antibiotic use.

20 There's an overall reduction here of 5.3 percent,  
21 and these drugs are still used for prophylaxis, for frequent  
22 otitis media, and we see a somewhat higher effect here as well.

23 So our conclusion from our studies is that Prevnar  
24 significantly reduced the risk of otitis media in our trial, and  
25 the efficacy was higher for frequent otitis and for ear tube

1 placement.

2 So thank you, and I'd be happy to answer any  
3 questions.

4 CHAIRMAN DAUM: Thank you, Dr. Black.

5 We have a few moments for committee questions.  
6 Dr. Goldberg.

7 DR. GOLDBERG: Can I just ask a clarification?  
8 The otitis media endpoints are secondary endpoints from the  
9 original trial where the vaccine was approved for invasive  
10 disease. Can you just clarify for me in the original analysis  
11 plans and in the protocol were you thinking about using the same  
12 methods that you're using now?

13 Was that how the data was analyzed? And were  
14 there any adjustments made in any of these analyses for the '98  
15 analysis on otitis media compared with the '99 one?

16 It's just for clarification, please.

17 DR. BLACK: Sure. The initial protocol specified  
18 otitis media episodes as the primary endpoint and also specified  
19 endpoints, other secondary endpoints as well.

20 The interim analysis in '98 was not really a  
21 decision point analysis. It was basically conducted at that  
22 point in time because we were analyzing the invasive disease at  
23 that point and to present that data, but we were not requesting a  
24 decision at that point for otitis media. So we did not apply a  
25 decision rule correction there.

1 CHAIRMAN DAUM: Thank you.

2 Dr. Parsonnet and then Dr. goldberg and Dr.  
3 Schwartz.

4 DR. PARSONNET: Yeah, I have a few questions. Can  
5 you just give me a sense of what the overall incidence of otitis  
6 was, annualized incidence in the two groups?

7 DR. BLACK: Yes. The average child in the control  
8 group -- and the numbers are very similar because of the  
9 differences there -- had about one and a half visits of otitis  
10 media per year, which is very consistent with national and  
11 published information.

12 DR. PARSONNET: And just along with that, I was  
13 just wondering if you have any sense for what the accuracy of the  
14 diagnosis was among your clinicians.

15 DR. BLACK: Well, you know, there were two  
16 approaches here that were taken to this outcome. One is the  
17 approach that was taken in Dr. Kilpi's trial, which is, you know,  
18 an extremely rigorous validation here.

19 What we were looking at in our trial was, to use  
20 Dr. Kilpi's phrase, the real world impact here, and we really  
21 didn't cross-train our observers. We think that that probably  
22 reduced the sensitivity of our finding because like in our  
23 setting as in others, the individual physicians have different  
24 criteria for otitis media, not all of them are assessing the  
25 mobility of the tympanic membrane. Some are just looking for

1 redness.

2 So it's nonspecific, but it, we think, represents  
3 the real world picture as pediatricians. We don't think our  
4 pediatricians are really different from others for diagnosing  
5 otitis media in the rest of the country.

6 DR. PARSONNET: So then the last follow-up is  
7 actually related to that, which is the tympanostomy tubes are  
8 placed. Are they usually placed by pediatricians or are they  
9 placed by ENT docs.? Is that so you would be more likely to have  
10 a real accurate diagnosis in the tympanostomy?

11 DR. BLACK: Well, the tympanostomy tubes are all  
12 done in house in the hospital under general anesthesia by ENT  
13 physicians, and the rate of tube placement in our population is  
14 relatively low. It's about one percent, which is low even for  
15 this country.

16 But we know that all of those children -- you  
17 know, if you look at the average number of visits the children  
18 had prior to coming in for tube placement, it's between five and  
19 six.

20 Does that answer your question?

21 DR. PARSONNET: Yes.

22 CHAIRMAN DAUM: Dr. Goldberg, and then Dr.  
23 Schwartz and Diaz.

24 DR. GOLDBERG: Can I just get an additional  
25 clarification on your answer to the question that I asked?

1 In your original trial, it was designed for otitis  
2 and for invasive disease, correct?

3 DR. BLACK: Correct.

4 DR. GOLDBERG: Then you have two major endpoints  
5 as I see it. Sorry. I just want to make sure I --

6 DR. BLACK: Well, three. Actually pneumonia as  
7 well, but we're not talking about that.

8 DR. GOLDBERG: Well, okay. That even takes my  
9 question one step further then.

10 My question really is: did you at any point when  
11 you did -- was the original protocol written using the analysis  
12 methodology that you're using now?

13 And if so, was the invasive disease considered as  
14 one of those multiple endpoints?

15 DR. BLACK: Yeah.

16 DR. GOLDBERG: And what might the impact have been  
17 or --

18 DR. BLACK: Let me ask a statistician to address  
19 your questions.

20 DR. GOLDBERG: Thank you. It would just help  
21 clarify my thinking.

22 Thank you.

23 DR. BLACK: Bob Kohberger from Wyeth.

24 DR. KOHBERGER: The pre-specified plan before  
25 anything was unblinded, the first stage was invasive disease,

1 which is tested at .05. If that was significant, we went on to  
2 the second stage, one of which was otitis media, all episodes.

3 If that was significant at .025, we then would go  
4 on to all those multiple secondary endpoints. So we adjusted for  
5 this multiple hypotheses.

6 In terms of the databases, the official database  
7 was 1998. We closed the database, cleaned it up, and that was  
8 what was submitted to FDA. The '99 data is primarily  
9 confirmatory of what we did in '98.

10 Does that answer your question?

11 DR. GOLDBERG: Had your original analysis plan  
12 included the one that you're using now?

13 DR. KOHBERGER: It's exactly the same.

14 DR. GOLDBERG: That's my question.

15 Okay. Thanks.

16 CHAIRMAN DAUM: Let's move on please to Dr.  
17 Schwartz.

18 DR. SCHWARTZ: I'll pass.

19 CHAIRMAN DAUM: Dr. Overturf or Dr. Diaz -- excuse  
20 me -- was next.

21 DR. DIAZ: Thank you.

22 Just a couple of questions in regards to the tube  
23 placement group of children. You commented that tube placement  
24 in your practices is lower than generally in other practices, and  
25 I was curious about several things.

1                   One is the total numbers of children that we're  
2 talking about that went on to tube placement.

3                   Secondly, if you have any data that looks at the  
4 timing for tube placement for children, i.e., prior to unblinding  
5 of the study.

6                   And, thirdly, if the criteria for tube placement  
7 in children in the younger groups -- have you looked at any  
8 validation as to the use of criteria for tube placement across  
9 age groups?

10                  DR. BLACK:     Yeah, okay.     There are several  
11 questions there.   I'll see if I can remember to answer all of  
12 them, and if I don't, please remind me.

13                  DR. DIAZ:    Sure.

14                  DR. BLACK:   I think this gives you an idea as to  
15 the total number of events here.   This slide shows you the number  
16 of children who had tube placement in the intent to treat and the  
17 protocol analysis at the two points in time.

18                  So remember there are about 38,000 children in the  
19 population.   So this is a little bit more than one percent, and  
20 this is about two percent here by the time the second year is  
21 added in.

22                  You know, the criteria for using this, the  
23 pediatricians are free to refer to the ear, nose, and throat  
24 people for evaluation really at any time, but the tubes are  
25 normally put in if there is documented, persistent effusion with



1 hearing loss or if there are multiple episodes, and the stated  
2 criteria are three or more within six months, four or more within  
3 a year.

4 But the average number actually that the children  
5 had was higher than that in this trial and in our practice in  
6 general.

7 DR. DIAZ: And also the differences or any data  
8 regarding tube placement prior to our after the unblinding of the  
9 study.

10 DR. BLACK: After the unblinding of the study in  
11 April of '99, we stopped following these children for tube  
12 placement, but we don't really have any reason to -- unlike the  
13 trial in Finland where separate study physicians were set up to  
14 evaluate the patients and there was a separate clinic, the  
15 children really were evaluated in standard care whether they were  
16 in the trial or not during the entire time period, and we would  
17 presume afterwards.

18 CHAIRMAN DAUM: Now, Dr. Overturf, thank you for  
19 being patient.

20 DR. OVERTURF: Steve, on your slide on the overall  
21 number of oral antibody prescriptions, I assume this was all  
22 antibodies or prescriptions, or was it only antibody  
23 prescriptions for otitis media?

24 And if so --

25 DR. BLACK: No, these are all antibiotics.

1 DR. OVERTURF: What proportion of oral antibiotic  
2 prescriptions are written for the indication for otitis media?

3 DR. BLACK: Yeah, we've actually not looked in  
4 this analysis. Our pharmacy for economic reasons has looked, and  
5 it's depending on age of the child. In the younger children, the  
6 two year old range, the toddler range, it's about 90 percent.

7 So the concordance here between antibiotic use and  
8 the otitis media effect is probably due to the fact that we're  
9 looking at the same thing in two different ways.

10 DR. OVERTURF: Do you know what proportion of  
11 otitis media patients received antibiotics?

12 DR. BLACK: That's something that's changing over  
13 time. Still the majority of them do receive that in the young  
14 age groups under two.

15 Over two the sort of watchful waiting is becoming  
16 increasingly more popular. I'm sorry I can't quantitate that for  
17 you.

18 CHAIRMAN DAUM: Ms. Fisher and then Dr. Stephens.

19 MS. FISHER: I just want to get this straight. In  
20 this study, all otitis episodes were reduced by seven percent in  
21 the Prevnar group, correct?

22 DR. BLACK: Correct, yes.

23 MS. FISHER: Well, your conclusion is that Prevnar  
24 significantly reduced the risk for otitis media, and as a parent  
25 taking my child in to be vaccinated, I'm trying to reconcile the

1 seven percent reduction with the words "significantly reduce the  
2 risk."

3 DR. BLACK: Okay. You know, "significantly" is a  
4 word that has many meanings here, and I guess the statisticians  
5 for sure treat that differently than you or I might.

6 For the individual parent, the effect is not such  
7 that it's likely to be noticeable unless the child is one that  
8 has frequent or recurrent otitis or goes on to tube placement, in  
9 which case, you know, for a family of three or four kids you  
10 might expect to notice that.

11 But on a public health perspective, it is  
12 significant. I think as was pointed out, you know, a reduction  
13 of a million visits or more per year for otitis media is clearly  
14 a significant event as a public health effect, but I think it's  
15 fair to say for an individual parent, and it's important that  
16 parents realize that the individual parent is not going to notice  
17 the difference of an average of .3 otitis media visits over the  
18 course of the study, which is what we observed.

19 CHAIRMAN DAUM: In the strictest sense, you're  
20 using the term in the statistical sense, are you not?

21 DR. BLACK: I think it's to my mind -- I guess  
22 it's statistically significant, clearly, and I think from a  
23 public health perspective it is as well.

24 CHAIRMAN DAUM: Thank you.

25 Dr. Stephens and then Dr. Katz.

1 DR. STEPHENS: Just to clarify, and I realize the  
2 data is meager, but in those failures in the vaccinees, were most  
3 of those 19Fs or related serotypes?

4 DR. BLACK: All of them were 19Fs.

5 DR. STEPHENS: And what is that number total?

6 DR. STEPHENS: It's about 20.

7 CHAIRMAN DAUM: Dr. Katz.

8 DR. KATZ: These data may have been in the back-up  
9 material that I read, but I've forgotten, Steve. Do you have  
10 your youngsters broken down who was in day care and who was home  
11 dwelling?

12 DR. BLACK: We have that data from the telephone  
13 interviews that were conducted for safety. Day care is not a  
14 characteristic that's -- I mean, you can say whether they are --  
15 I guess, rich or poor can change as well, but day care clearly  
16 can change. The status can change throughout the trial.

17 And if we look at the telephone interview data at  
18 any point in time, the day care participation rates are similar  
19 in the vaccine and control group, but we did not attempt to  
20 adjust for that in our analysis since the rates were the same.

21 We had done a case control study in preparation  
22 for this trial that showed that day care was the strongest  
23 predictor for risk factor for invasive disease, but that's been  
24 done by others as well.

25 DR. KATZ: But you don't have data to show that

1 the reduction in the day care population was the same or greater  
2 or less than --

3 DR. BLACK: No, actually we've not looked at that,  
4 and I think, you know, with the number cases of invasive -- for  
5 otitis, you mean, or for --

6 DR. KATZ: Yes.

7 DR. BLACK: No, we have not done that. We'd have  
8 to really know what their day care status was at each point in  
9 time though. I think it's possible, but difficult.

10 CHAIRMAN DAUM: I'd like to move on at this point  
11 and hear from Dr. Siber, who will give a summary medical impact  
12 of Plevnar on AOM, and that will conclude the sponsor's  
13 presentation.

14 DR. SIBER: I'll be very brief and hopefully get  
15 us or keep us on time.

16 I think the main point I want to make about what  
17 we've just heard is remarkable consistency of two studies that  
18 were done in different countries, in different populations, under  
19 different epidemiological circumstances, probably differences in  
20 day care use, and so forth, and yet at least qualitatively, if  
21 not quantitatively, the results are remarkably consistent.

22 Overall, vaccine serotype OM had a 57 percent  
23 reduction in Finland with a reasonably narrow confidence  
24 interval. At Kaiser this was not a primary outcome, and a  
25 radically different disease, spontaneously draining ears, showed

1 a 69 percent reduction in vaccine type isolates from ear tubes.

2 Only in Finland did we have data on vaccine  
3 related serotype OM and the related serotypes also showed a  
4 significant reduction at 51 percent with reasonably narrow  
5 confidence interval, and there was an increase with non-vaccine  
6 serotypes with a negative efficacy, as you've heard, of minus 33  
7 percent.

8 Nevertheless, that increase was counterbalanced by  
9 the positive effects within that efficacy for the vaccine against  
10 all pneumococci, 33 percent or 34 percent with, again, a  
11 reasonably narrow confidence band.

12 For recurrent OM, somewhat different definitions.  
13 Kaiser and FinOM had similar efficacy, although only the Kaiser  
14 study was powered to have significance with regard to the  
15 recurrent OM at 12 percent reduction.

16 All otitis media, again, similar point estimates,  
17 but on the Kaiser study it was powered for significance against  
18 all otitis media, and with regard to tube placement, and I show  
19 here only the follow-up data for the reasons that I think Dr.  
20 Kilpi explained as being more relevant to general practice and a  
21 higher threshold for placing tubes.

22 Again, overlapping confidence intervals with 44  
23 and 24 percent respectively, both significant and both with  
24 reasonable confidence intervals.

25 So let me briefly summarize again the health

1 impact of otitis media and repeat, I think, what's been said  
2 before, that one to 1.4 million office visits are prevented each  
3 year by Prevnar at the current estimated efficacy rate based on  
4 the estimated pneumococcal disease rate or, rather, on the  
5 efficacy rate for all otitis media or at least what this is based  
6 on. So this is not a trivial public health issue.

7 We've also heard that there is a measurable  
8 decline in antibiotic use that corresponds roughly to the  
9 efficacy rate for otitis media, and we would expect in the future  
10 perhaps to actually see an impact of that on antibiotic  
11 resistance.

12 And the most important, serious complication of  
13 otitis media arguably is ear tube placement, and we calculate an  
14 estimated reduction in ear tube placement surgeries of about  
15 60,000 in the United States, extrapolated from Kaiser.

16 So the otitis media indication, I think, is a  
17 rational thing to have as part of this vaccine indication and to  
18 be described in the insert. It's now supported by two randomized  
19 controlled trials that show statistically significant decreases  
20 of OM outcomes, as I've mentioned earlier.

21 We've seen that there's important medical effects  
22 on otitis media disease and its consequences. I think insuring  
23 that accurate information is present in the label that informs  
24 the significant, but modest effect on otitis media is important  
25 so that physicians and parents receive the most accurate possible

1 information.

2 Let me make one final point that I think is  
3 important with regard to otitis media indication for vaccines.  
4 We and other manufacturers have programs directed towards other  
5 pathogens, bacterial and viral that cause otitis media, and  
6 although such vaccines might have high efficacy against their  
7 particular pathogen, they nevertheless, if otitis media itself is  
8 used as a standard against which they will be measured, will  
9 necessarily have a low overall impact on otitis media because so  
10 many pathogens are involved.

11 So to use a traditional standard of 80 percent, 90  
12 percent efficacy that we used to with vaccines with an outcome  
13 like otitis media that is probably microbial would pose, I think  
14 a very difficult dilemma for the development of Moraxella  
15 catarrhalis or non-typeable Haemophilus or some of the viral  
16 pathogens that cause otitis media.

17 So I would want to ask the committee to consider  
18 that in their deliberations about this issue of the low efficacy  
19 overall for otitis media, and that's it.

20 CHAIRMAN DAUM: Thank you very much, Dr. Siber.

21 Are there committee questions or comments that go  
22 toward clarification of the sponsor's presentation?

23 Ms. Fisher.

24 MS. FISHER: You said that there is a five percent  
25 reduction in antibiotic use in these trials with the use of



1 Plevnar, correct?

2 Okay. So you're saying there's going to be an  
3 associated decrease in antibiotic use if this indication is  
4 forthcoming. Five percent is not a lot, is it, in terms of  
5 decrease antibiotic use?

6 DR. SIBER: In terms of the total number of  
7 prescriptions written, it certainly is a large number. Obviously  
8 five percent is five percent.

9 CHAIRMAN DAUM: Okay. Thank you very much.

10 That, I think, concludes the sponsor's  
11 presentation. I thank all of the speakers and committee  
12 questions. I think at this moment we're doing very well time-  
13 wise. We will take a ten-minute break, 12-minute break and  
14 reassemble at 10:35 Eastern time.

15 (Whereupon, the foregoing matter went off the  
16 record at 10:26 a.m. and went back on the record  
17 at 10:41 a.m.)

18 CHAIRMAN DAUM: We will now begin the FDA  
19 presentation regarding acute otitis media and Plevnar, and our  
20 first speaker will be Douglas Pratt.

21 DR. PRATT: Good morning. First I'd like to  
22 recognize other members of the review team:

23 Jingyee Kou from Biostatistics;

24 Marion Gruber from the Division of Vaccine  
25 Applications; and

1 Carl Frascch from the Division of Bacterial  
2 Products.

3 I also see Pam Getson in the audience. She was a  
4 biostatistician with FDA to left us recently. She was involved  
5 in many of the early discussions on otitis media.

6 Well, Prevnar was licensed in the U.S. in February  
7 of 2000 for prevention of invasive disease caused by the seven  
8 pneumococcal serotypes represented in the vaccine. With this  
9 supplement to the license application, Wyeth Lederle seeks to  
10 extend the approved application to include prevention of otitis  
11 media.

12 Specifically, regulatory approval has been  
13 requested to market Prevnar for active immunization of infants  
14 and toddlers against invasive disease and otitis media cause by  
15 streptococcus pneumonia due to capsular serotypes included in the  
16 vaccine.

17 Some regulatory background is summarized here.  
18 VRBPAC met to deliberate approval recommendations for invasive  
19 disease in November of 1999, and at that meeting some data  
20 relating to acute otitis media were presented.

21 However, the committee was not asked to consider  
22 approval of an indication for otitis media at that time. The  
23 license application for acute otitis media was submitted in June  
24 of 2000, and following an FDA review, a letter was sent to the  
25 sponsor in May of 2001 requesting additional analyses,

1 clarifications, and other information.

2 The sponsor responded in October of 2001, and then  
3 another FDA letter was sent to the sponsor in March of 2002 after  
4 the sponsor had requested that FDA consider additional data from  
5 the Finnish follow-up study, which you have seen some of this  
6 morning.

7 And that brings us up to date.

8 Well, Prevnar is currently the only licensed  
9 pneumococcal conjugate vaccine, and Prevnar is recommended for  
10 all children under two years of age and for some older children  
11 who are at high risk for invasive pneumococcal disease.

12 Extending the licensed indication to prevention of  
13 acute otitis media appears unlikely to impact use of Prevnar in  
14 the U.S. in the near future. However, FDA views consideration  
15 and discussion of this application by the committee today  
16 appropriate for a number of reasons, including those represented  
17 here.

18 Efficacy estimates for acute otitis media outcomes  
19 are comparatively low for preventive vaccines. Also, as was  
20 mentioned this morning, there's the possibility of increased risk  
21 of acute otitis media or negative efficacy for pneumococcal  
22 serotypes not included in Prevnar.

23 And also, concerns have been expressed in the  
24 medical community about the potential for unrealistic public  
25 expectations. Following the publication of the Finnish otitis

1 media study by Eskola, et al., a number of letters to the editor  
2 regarding that article were submitted to the New England Journal  
3 of Medicine, and some of those opinions are paraphrased here.

4 The overall clinical significance of the treatment  
5 effect was questioned. Concerns were expressed about the limited  
6 overall benefit. The overall benefit may be misunderstood by the  
7 public, and there was concern that the existing recommendations  
8 for its use may be compromised.

9 There was also one letter that incorrectly stated  
10 that FDA had rejected use of Pevnar for this indication.

11 Well, given the global issues and the concerns  
12 expressed in the medical community, we thought that an open  
13 public discussion of these data and these issues was warranted.

14 Well, data intended to support the intended  
15 indication have been provided from two well controlled clinical  
16 trials, the Finnish otitis media trial and the Northern  
17 California Kaiser Permanente trial. And some additional efficacy  
18 data from extended follow-up from each of these trials has also  
19 been provided.

20 This table reviews some of the important  
21 differences between the two studies. The Kaiser study was much  
22 larger than the Finnish study. The control vaccines differed.  
23 The interval separating new episodes differed, 30 days in the  
24 Finnish study, 21 days in the Kaiser study.

25 And the case definition for the primary endpoint

1 in the Finnish study was based on bacterial cultures, and in the  
2 Kaiser study it was based on automated data searches for AOM  
3 visits.

4 And there were different primary regulatory  
5 objectives for these two studies as well.

6 Also of note, the pre-licensure formulations of  
7 Prevnar were abbreviated differently in the two studies. In the  
8 Finnish study it was abbreviated PncCRM and in the Kaiser study  
9 7VPnC. In many of the tables that follow those abbreviations  
10 will be used, but for the oral presentation, I'll try to refer to  
11 the pre-licensure formulation simply as Prevnar.

12 Well, with that introduction, I'll move on and  
13 again review efficacy data from the Finnish study, including  
14 supplementary analysis requested by FDA, as well as some of the  
15 data from the follow-up study, the Finnish follow-up study, and  
16 then go on to discuss efficacy data from the Kaiser study.

17 Much of this information will be repetitious from  
18 the sponsor's presentation. It's the nature of going second in  
19 these meetings, but there will be some FDA comments for emphasis  
20 on some of the analyses, and for those of you less familiar with  
21 the data, this may be helpful.

22 There will be a brief discussion of safety data  
23 that will be limited to clinical trial data from the Finnish  
24 study, and then there will be some considerations for the  
25 committee to think about in their deliberations before presenting

1 the questions to the committee.

2 Primary objective in the Finnish study was to  
3 determine protective efficacy of the pneumococcal conjugate  
4 vaccines against culture confirmed pneumococcal acute otitis  
5 media due to vaccine serotypes.

6 Secondary objectives were to determine efficacy  
7 used in different levels of diagnoses, efficacy in preventing  
8 nasopharyngeal carriage, determining the antibody response, as  
9 well as the safety and tolerability.

10 In the Finnish study, subjects were randomized  
11 equally to one of three vaccines, Pevnar, PnbcOMP manufactured  
12 by Merck, and the Hepatitis B vaccine control.

13 However, only data related to Pevnar were  
14 provided in the application and only data related to Pevnar will  
15 be discussed today.

16 The study was double blind, and eligible subjects  
17 were in good health as determined by medical history, exam, and  
18 clinical judgment.

19 Of note, infants born prematurely could be  
20 enrolled in the study if they were judged to be in good health.

21 Children received Pevnar or the control Hepatitis  
22 B vaccine at two, four, six, and 12 months of age, and this  
23 coincides with the U.S. schedule for Pevnar. Vaccines  
24 administered concurrently with study vaccines were DTP Hib  
25 combination vaccines for the first three doses, and these did

1 contain the whole cell pertussis components.

2 And then IPV, the second dose of IPV was the only  
3 concurrently administered vaccine at the 12 month visit.

4 Dr. Kilpi talked about case surveillance and  
5 ascertainment cases were identified through the study clinics  
6 which also provided the well child care. Clinics were open every  
7 day of the week, and parents were encouraged to bring their  
8 children to the study for respiratory infections or symptoms  
9 suggesting acute otitis media.

10 And if Strep. pneumoniae was found -- excuse me --  
11 myringotomy with aspiration of middle ear fluid for culture was  
12 done. If clinical acute otitis media was diagnoses and if Strep.  
13 pneumoniae was found, then the serotype was determined, and each  
14 child was followed until age two years.

15 The clinical definition that Dr. Kilpi talked  
16 about, it included clinical criteria being a visually abnormal  
17 tympanic membrane, suggesting an effusion, and at least one sign  
18 of disease, including fever, ear pain, irritability, diarrhea,  
19 vomiting, and acute otorrhea or other symptoms of respiratory  
20 infections, and this definition appears to be consistent with  
21 U.S. clinical practice.

22 The primary endpoint in the study, as was  
23 discussed, was AOM episodes due to vaccine serotypes. There was  
24 one secondary endpoint pre-specified. That was first and  
25 subsequent AOM episodes due to vaccine serotypes and other

1 endpoints were pre-specified, including AOM due to vaccine  
2 serotypes by dose; all pneumococcal AOM episodes regardless of  
3 serotype, and that included culture over PCR; all AOM episodes  
4 with middle ear effusion regardless of etiology; and all AOM  
5 episodes regardless of etiology, whether or not middle ear fluid  
6 was obtained; and then children with recurrent AOM.

7 The definition of the primary endpoint is that a  
8 new episode was considered to start if at least 30 days had  
9 elapsed since the beginning of the previous AOM episode due to  
10 the same serotype or any interval for different serotypes, and  
11 these had to be culture confirmed.

12 This screen shows graphically a hypothetical  
13 example of the counting process for the primary endpoint. Four  
14 numbered episodes of vaccine serotypes are shown. Vaccine 19 --  
15 that should be 19F -- accounts for the first and the second  
16 episode because they're separated by 30 days.

17 Vaccine serotype 23 accounts for the third  
18 episode, even though 30 days has not elapsed because it was due  
19 to a different serotype.

20 Then a positive PCR, a non-vaccine serotype 6A,  
21 and acute otitis media with middle ear effusion, they did not  
22 contribute to the primary endpoint.

23 And then the fourth episode here was due to  
24 vaccine serotype 6B.

25 Well, it's unusual for preventive vaccine studies



1 that a subject contributes more than one case to the analysis of  
2 efficacy. In fact, we could think of no other example of a  
3 licensed vaccine for which efficacy was determined using these  
4 repeated measures.

5 A similar analysis was conducted for the primary  
6 endpoint in the Kaiser study. The analysis plan was discussed  
7 with FDA and did receive FDA concurrence prior to unblinding, but  
8 because this statistical approach is somewhat unusual, it's worth  
9 describing a little bit, as well as the underlying assumptions.

10 The analysis used to generalized Cox regression  
11 model with Anderson-Gill counting method and risk of acute otitis  
12 media was estimated piece-wise, that is, from event to event.  
13 The model assumes proportional hazards between groups over time  
14 and robust variance estimates were used to compensate for  
15 interdependency of events within subjects, and this  
16 interdependency was well recognized by all involved. And the  
17 analysis is said to provide an average vaccine effect on AOM  
18 episodes.

19 Well, alternatives to these measures would discard  
20 some of the data, some or much of the data, but some of these  
21 alternative analyses will be shown, will be discussed, and, in  
22 fact, FDA looked for multiple checks on the data because of this  
23 somewhat unusual approach for a preventive vaccine.

24 Per protocol follow-up in the Finnish study began  
25 two weeks after the third dose. The intent to treat follow-up

1 began at the time of the first dose.

2 In general, FDA expects to see intent to treat  
3 analyses in addition to protocol analyses, and in most of the  
4 tables that follow, both per protocol and intent to treat  
5 analyses are shown.

6 Well, getting into the results, information was  
7 collected on demographic variables and some characteristics known  
8 to be associated with increased risk of acute otitis media.  
9 Despite randomization, some imbalances between treatment groups  
10 at study entry were observed after unblinding, and here three  
11 selected population characteristics are shown, premature  
12 gestational age, low birth weight, and prior acute otitis media  
13 episodes at the time of enrollment.

14 All of these showed a slight imbalance with more -  
15 - excuse me. I think this backwards. In any case, there were  
16 some imbalances that were noted, and because of the direction of  
17 some of the imbalances and the fact that multiple events were  
18 counted for individuals, there was a potential that these might  
19 influence results, influence the efficacy estimates. So we  
20 requested some additional analyses of these endpoints.

21 Birth weight -- I'm sorry. I wonder if the  
22 sponsor can help me right here. These are reversed; is that  
23 correct? I think that actually the low gestational age, low  
24 birth weight, and prior AOM episodes were actually increased in  
25 the Prevnar arm. That's why that they were presented.

1           Okay. Well, this table shows results of the  
2 protocol defined primary analysis AOM episodes due to vaccine  
3 serotypes. During protocol follow-up the vaccine efficacy  
4 estimate was 57 percent; a lower bound of 44 percent.

5           The intent to treat estimate was 54 percent, with  
6 a lower bound of 41 percent. These estimates were statistically  
7 significant, and statistical significance at the five percent  
8 level can be inferred here and in the subsequent tables if the 95  
9 percent confidence interval excludes zero. P values will not be  
10 shown in most of this presentation.

11           With a contribution of each of the vaccine  
12 serotypes to efficacy as measured by the primary endpoint, as  
13 shown here, for intent to treat follow-up the most common vaccine  
14 serotypes were 23F, 19F, 6B, and 14. Statistical significance  
15 was demonstrated for the individual serotype 6B, 14, 18C, and  
16 23F.

17           The lowest efficacy estimate was for serotype 19F,  
18 ten percent, and the intent to treat analysis, but this was not  
19 statistically significant.

20           There were few episodes for serotype four or 9V.

21           The protocol defined secondary endpoint examined  
22 first and subsequent episodes of AOM due to vaccine serotypes.  
23 This analysis would count only one -- excuse me -- the first  
24 episode analysis would count only one episode per subject and  
25 take into account time to first event.

1                   Efficacy estimate for prevention of first episode  
2 was 52 percent in protocol, 45 percent in the intent to treat  
3 analyses, and these were statistically significant.

4                   Subsequent episodes were also statistically  
5 significant, 45 percent per protocol, 49 percent in the intent to  
6 treat.

7                   It's also clear from this slide that most of the  
8 episodes were first episodes comparing, say, for the Hepatitis B  
9 group 177 to 73 or 89 to 18. Most of the episodes were, in fact,  
10 first episodes.

11                   The efficacy estimate for culture confirmed to  
12 pneumococcal AOM, regardless of serotype, was 34 percent in the  
13 protocol analysis, and this was statistically significant.  
14 Results of intent to treat analysis were similar.

15                   Although not specified in the protocol as the  
16 primary or secondary endpoint, FDA viewed this endpoint as very  
17 important in addressing the clinical significance of the vaccine  
18 in preventing otitis media.

19                   Analysis of pneumococcal AOM as determined by PCR  
20 was specified in the analysis plan. However, the PCR data was  
21 not available at the time the amendment was submitted. These  
22 data were submitted on FDA request during the review period.

23                   I'll go ahead and talk about some of the  
24 exploratory endpoints here. The efficacy for prevention of  
25 pneumococcal serotypes belonging to the same sero groups taken

1 collectively was also statistically significant, 51 percent in  
2 the protocol analysis, 44 in the intent to treat, both  
3 statistically significant.

4 And when examined by the individual serotypes,  
5 serotype 6A, although not a vaccine serotype was associated with  
6 a substantial number of cases and, in fact, was statistically  
7 significant.

8 As was mentioned earlier, serotype 19A, related to  
9 the vaccine serotype 19F, actually had a slightly higher efficacy  
10 estimate, 21 percent, than was observed for 19F.

11 These are intent to treat analyses. I think this  
12 morning the sponsor showed the protocol analyses for these  
13 serotypes.

14 Again, looking at other than vaccine related  
15 serotypes, there was a negative vaccine efficacy estimate, minus  
16 34 percent in the protocol, minus 39 percent in the intent to  
17 treat. For protocol this was borderline statistically  
18 significant, and the intent to treat, in fact, was statistically  
19 significant.

20 Thus, subjects vaccinated with Prevnar were  
21 actually at increased risk of getting AOM due to one of the  
22 vaccine unrelated pneumococcal serotypes. The most common  
23 unrelated serotypes belong to serogroups three, 11, 15, and 35.  
24 If this effect were to occur with widespread vaccine use, one  
25 might expect to observe replacement vaccine serotypes with non-

1 vaccine serotypes in the general population as causes of otitis  
2 media.

3           Recurrent otitis media was defined as three  
4 episodes within six months or four episodes within 12 months.  
5 AOM episodes for this endpoint were due to any cause, whether  
6 pneumococcal or not.

7           The efficacy estimate here in the per protocol  
8 analysis was 16 percent. It was nine in the intent to treat  
9 analyses. Neither of these were statistically significant.  
10 However, demonstration of efficacy for this endpoint was not a  
11 primary objective, and the study was not powered to demonstrate  
12 efficacy for that endpoint.

13           Other planned analyses included AOM with middle  
14 ear effusion and all cause AOM regardless of etiology.

15           The efficacy estimate for AOM regardless of  
16 etiology was six percent in the per protocol analysis, four  
17 percent in the intent to treat analyses. Neither of these were  
18 statistically significant, but again, the studies were not  
19 powered for these outcomes.

20           It is noteworthy that the six percent estimate is  
21 actually quite close to the estimate that was obtained in the  
22 Kaiser study for a similar outcome.

23           Well, nasopharyngeal carriage was assessed as a  
24 secondary objective at two time points in the Finnish study, at  
25 12 months and at 18 months. At 12 months the carriage rate of

1 vaccine serotypes was reduced 17 percent. That difference was  
2 not statistically significant.

3 However, at 18 months carriage was reduced 41  
4 percent, and that estimate was statistically significant. But  
5 for this table relative risk estimates are shown rather than  
6 difference estimates, which were in the application and the study  
7 report and also in the briefing document. This is to be more  
8 consistent with the other efficacy analyses that have been shown.

9 Of note, the sponsor has not proposed including  
10 efficacy data for carriage in the label with this amendment.

11 A serology cohort was comprised of 115 children  
12 enrolled at one center. The serology cohort for the two  
13 treatment groups appear to be well balanced for demographic  
14 characteristics. The geometric mean concentration serum antibody  
15 to type specific pneumococcal polysaccharides as determined by  
16 ELISA are summarized here on this screen. Confidence intervals  
17 are omitted for simplicity of presentation.

18 As can be seen, there's substantial increases in  
19 antibody concentrations over control were observed for each type  
20 and then going from the third dose to the fourth dose for  
21 Pevnar, increases were seen for each of the seven types.

22 This screen shows serotype specific efficacy  
23 estimates from the primary analysis along with the GMCs that were  
24 just shown. It's worth noting that although efficacy estimate  
25 for serotype 19F was the lowest of the seven serotypes, 25

1 percent of the per protocol analysis antibody responses were  
2 actually comparable to the other serotypes, both after dose three  
3 and after dose four.

4 The highest efficacy estimate was for serotype 6B.  
5 However, that had one of the lowest ELISA GMCs after the third  
6 dose, though it appeared to have a good boosting response for the  
7 fourth dose.

8 So it appears that antibody levels as determined  
9 by ELISA do not appear to provide any insight regarding efficacy  
10 by serotype.

11 There were a few cases of invasive disease due to  
12 pneumococcus in the Finnish study. I compiled this table of the  
13 four episodes. There was only one in the Prevnar group. This  
14 was due to a type not included in the vaccine.

15 There were two vaccine serotypes, 23F and 19F, in  
16 the Hepatitis B control arm.

17 I'll now discuss some issues that were identified  
18 during the review and present some supplementary analyses that  
19 were conducted upon FDA request.

20 As noted earlier, despite randomization there were  
21 some imbalances between treatment groups with respect to certain  
22 risk factors for otitis media that were observed after  
23 unblinding.

24 To determine whether the imperfect distribution of  
25 these risk factors between the two groups would have a major



1 effect on efficacy estimates, covariate adjusted analyses were  
2 performed by the sponsor on FDA request.

3 The supplementary analysis was not part of the  
4 pre-unblinding analysis plan. So the effect of gender, AOM  
5 history prior to enrollment, and day care attendance on the  
6 number of AOM episodes was, in fact, highly significant.  
7 However, the interaction between these variables and the vaccine  
8 effect was not.

9 Similarly, no significant interactions were seen  
10 between vaccine effect and gestational age, birth weight, breast  
11 feeding, or household smoking. And as shown in this table here,  
12 all of the adjusted efficacy estimates were similar to the  
13 unadjusted estimates. Fifty-four percent, this is the intent to  
14 treat analysis. Whether adjusted, they were 54 percent  
15 unadjusted, 54 percent, 32 percent, the same.

16 Actually the adjusted estimate regardless of  
17 etiology actually went up a little bit. So these analyses were  
18 reassuring in that the observed imbalances for known risk factors  
19 were unlikely to affect the outcomes.

20 Well, it was apparent from examining the culture  
21 results from individual subjects that some subjects contributed  
22 multiple episodes of the same serotype to the analysis. This  
23 screen shows an example of subjects from the control group for  
24 whom serotype 23F was isolated on multiple occasions extending  
25 over nearly a year.

1           This subject actually contributed four cases or  
2 four episodes to the analysis of the primary endpoint, as the  
3 first three episodes here were all within the 30-day window and  
4 collectively accounted for one episode.

5           Here's another example from the Pevnar group,  
6 actually two examples. Subject 1450 contributed three episodes  
7 of 23F, the vaccine serotype, to the vaccine serotype analysis,  
8 and the non-vaccine serotype 15 for subject 2241 contributed  
9 three episodes to the analysis of all pneumococcal regardless of  
10 serotype.

11           Well, to assess the effects of these counting  
12 multiple episodes per subject on the analysis of the primary  
13 endpoint, FDA requested supplementary analyses in which each  
14 serotype could be counted no more than once per subject.

15           This table shows the supplementary analysis for  
16 the primary endpoint conducted after unblinding as requested by  
17 FDA. The efficacy estimate determined after exclusion of  
18 subsequent episodes was 55 percent in the per protocol analysis  
19 versus 57 percent in the original analysis plan. The confidence  
20 intervals also remained fairly narrow.

21           So excluding subsequent episodes due to the same  
22 serotype from the analysis did not appear to affect the efficacy  
23 estimate substantially, and this provided a check, if you will,  
24 on the analysis of recurrent events.

25           Well, a similar analysis was conducted for the

1 endpoint of all AOM episodes due to pneumococcus regardless of  
2 serotype, again, excluding the same episode if it occurred more  
3 than once in a subject, and here, again, the efficacy estimates  
4 were identical, 34 percent, in the per protocol analyses with  
5 nearly identical confidence intervals as well.

6 So, again, these were reassuring with respect to  
7 the effect of multiple counting.

8 Analyses using a case definition based on  
9 identification of pneumococci by PCR was specified in the study  
10 protocol, but these were not available at the time the study  
11 report was written and were not provided with the application.

12 These were provided during the review period on  
13 FDA request.

14 The PCR assay detects the pneumolysin gene, a gene  
15 common to all Strep. pneumo., but it does not distinguish among  
16 the serotypes.

17 In the per protocol analysis of efficacy based on  
18 PCR the efficacy estimates were somewhat lower, 20 percent per  
19 protocol versus 34 percent, 18 percent intent to treat versus 32  
20 percent by culture, and the efficacy estimates were quite wide.

21 PCR confirmation contributed actually to a  
22 substantial number of new cases. Compare per protocol of  
23 Hepatitis B, 414 by culture, 678 by PCR. We saw analyses this  
24 morning looking at quantitative PCR. Those data were not in the  
25 submission. We had not seen those data before. I think they're

1 interesting. We really can't comment on those data.

2 But in any case, although the efficacy estimates  
3 were lower by PCR, they remain statistically significant.

4 The clinical significance of the positive PCR and  
5 the culture negative is not clear at this time.

6 A question was asked about antibiotic use this  
7 morning, and in fact, we had the same question. Antibiotic usage  
8 was not included among the prospectively defined study outcomes,  
9 and no analyses were provided with the application.

10 However, data was recorded on the case report  
11 forms during the course of the study. Clearly patterns of  
12 antibiotic use could impact on the acute otitis media outcomes.

13 If use of prophylactic antibiotics were  
14 significantly greater in the Prevnar group than in the control  
15 group, then some of the apparent treatment effect might be due to  
16 the prophylactic antibiotics. So in any case, FDA requested that  
17 these data be compiled and analyzed and submitted.

18 And as shown, the number of subjects receiving  
19 antibiotics for treatment was less in the Prevnar group, and this  
20 approach reached statistical significance at the .05 level.

21 The number of subjects receiving antibiotics for  
22 prophylaxis and regardless of purpose were also nominally smaller  
23 in the Prevnar group.

24 Taken together, these data relating to antibiotic  
25 use during the Finnish study are consistent with a vaccine effect

1 in prevention of acute otitis media.

2 Information about tympanostomy tube placement  
3 during the Finnish study was recorded on the case report forms as  
4 well. During the course of the study, these data were not with  
5 the initial applications. FDA requested that these data be  
6 provided to examine consistency of effect with the Kaiser study  
7 for first tympanostomy tube placement.

8 And we also got information that the  
9 recommendations regarding ear tube placement in Finland were  
10 similar to U.S. practice.

11 As shown here, actually the rates of first ear  
12 tube placement, number of subjects with events in this table were  
13 quite similar and no efficacy estimate was provided. It was  
14 suggested that because of the close follow-up during the study  
15 that subjects actually sought treatment with ear tubes more often  
16 than would ordinarily be the case in Finland, and these rates  
17 actually were higher, I think, tenfold higher, nearly tenfold  
18 higher than common practice in Finland and also much higher than  
19 practice in the Kaiser system.

20 Well, subsequently long-term follow-up data from  
21 the Finnish study became available, and in February of 2002, the  
22 sponsor proposed an analysis plan of these follow-up data with  
23 inclusion to the licensed application.

24 In the follow-up study, all eligible children were  
25 now four to five years of age at the planned follow-up visit.

1 Parents and investigators were unblinded to treatment assignments  
2 at this time.

3 Now, two populations were evaluated, and the first  
4 population included volunteers to the follow-up study. They  
5 participated in parental interview for otitis media history, an  
6 ear exam, and then records of procedures were verified through  
7 the hospital or private physician's records.

8 The primary analysis of the follow-up data was  
9 based on this volunteer population. Then a secondary analysis  
10 was performed on the original cohort that remained available for  
11 follow-up in the area.

12 In these analyses, in contrast to what was seen in  
13 Kaiser, this is all tympanostomy tube placement, not just the  
14 first event.

15 So in the primary analysis after this follow-up  
16 cohort, a total of 756 or about 46 percent of the original 1,662  
17 randomized children enrolled and completed the assessments. The  
18 efficacy estimate for ear tube placement for this population  
19 during the efficacy study was 12 percent, and this was now  
20 statistically significant. That is the efficacy for the period  
21 two months to two years during the original trial.

22 And in the long-term follow-up from two years to  
23 four to five years of age, the efficacy estimate was 39 percent.

24 This was statistically significant, though with fairly wide  
25 confidence intervals.

1           In evaluating this result, I think it's important  
2 to note that this was a self-selected subgroup of volunteers.  
3 Enrollment was not even between the two groups, 353 versus 403.

4           Also, these children were more otitis prone than  
5 the entire study population. That's not easy to see, but the  
6 rates were actually increased in this population over the larger  
7 cohort. And then, again, the follow-up was not blinded.

8           It's questionable whether these data actually  
9 qualify as an adequate and well controlled trial by the  
10 regulatory definition. However, I think they can be viewed as  
11 supportive for the other study, for looking at consistency on the  
12 ear tube placement effect.

13           Well, this is the results of the secondary  
14 analysis from the follow-up study. Again, here all records were  
15 confirmed by checking the hospital records. There was no  
16 volunteerism involved here. Everyone that was available that was  
17 followed.

18           Again, this population, during the study itself,  
19 two months to two years' follow-up. The efficacy estimate was  
20 four percent. That was not statistically significant, but the  
21 long-term follow-up, two years to five years, estimate was 44  
22 percent, and this was statistically significant.

23           I'll move on now and go over the Northern  
24 California Kaiser Permanente otitis media efficacy results. I'll  
25 go quickly over much of this that has been discussed this

1 morning, and it's probably fairly clear to everyone now.

2           The study was randomized and double blind. The  
3 control vaccine was an investigational meningococcal C conjugate  
4 vaccine. Evaluation of AOM was actually a secondary objective,  
5 as was discussed this morning. There was no standardized  
6 clinical case definition, and tympanocentesis and routine culture  
7 of middle ear fluid was not done. Rather, cases were identified  
8 through automated database searches to identify the diagnoses.

9           A diagnosis was based on routine clinical practice  
10 using a check-off box on the patient encounter form. An AOM  
11 episode, a new episode began if at least 21 days had elapsed.  
12 This is somewhat shorter than in the Finnish study. And frequent  
13 acute otitis media was defined as three AOM episodes within six  
14 months or four episodes within 12 months.

15           The primary objective was looking at reduction in  
16 all AOM episodes. Secondary outcomes that were pre-specified  
17 included first episode, frequent AOM, first tympanostomy tube,  
18 all AOM clinic visits, and ruptured eardrums.

19           The primary endpoint is summarized here. Again,  
20 per protocol, overall reduction in AOM episodes was seven percent  
21 per protocol, and in the intent to treat was 6.4 percent.

22           The intent to treat analysis here actually  
23 includes substantially more subjects. You can see from 16,000 to  
24 25,000, and the reason for that is that there was differential  
25 follow-up. Not all of the subjects had received the full three



1 doses at the time that the study code was unbroken.

2 This is one of the secondary analyses, risk of  
3 first episode or at least one episode. Due to the shorter, 21-  
4 day interval between new episodes in the Kaiser study, it's  
5 possible that some over counting might occur if some episodes  
6 were slow to resolve.

7 Also, using the patient encounter form, a follow-  
8 up visit might not be easily distinguished from a visit for a new  
9 episode.

10 Well, one check on the possibility that the  
11 definition used might over count or otherwise inflate the outcome  
12 would be to look at one episode per subject, and that is captured  
13 in this analysis. Here the per protocol analysis of first  
14 episode, reduction was 5.4 percent, and in the intent to treat,  
15 it was 4.9 percent. Both of these were statistically  
16 significant.

17 For the analysis of frequent acute otitis media,  
18 that vaccine efficacy estimates in preventing frequent were 9.5  
19 percent in the per protocol analysis, 9.2 percent in the intent  
20 to treat analysis, and these were also statistically significant.

21 First, tympanostomy tube placement, again reduced  
22 in the per protocol analysis by 20 percent, intent to treat  
23 analysis by 21 percent. These confidence intervals are fairly  
24 wide. Nevertheless, the results were statistically significant.

25 Thirteen ruptured eardrums, culture positive for

1 vaccine serotypes were observed during per protocol follow-up,  
2 four in the Prevnar group, nine in the control group. The  
3 efficacy estimate was 56 percent and 57 percent in the intent to  
4 treat analyses. Neither of these were statistically significant.

5 When looking at all pneumococcal serotypes, per  
6 protocol estimates for reduction was 62 percent, not significant,  
7 but for intent to treat, 61 percent was statistically  
8 significant.

9 Well, vaccine serotype 19F and related serotype  
10 19A accounted for all of the serotypes from the ruptured eardrums  
11 in the Prevnar group, and 39 percent of those from the control  
12 group.

13 Taken together, vaccine serotypes accounted for 20  
14 out of the 25 isolates or 80 percent of all of the isolates from  
15 ruptured eardrums, all of the pneumococcal isolates.

16 Extended follow-up data for acute otitis media  
17 accumulated after breaking the treatment codes for about another  
18 year before parents and clinicians were informed of the treatment  
19 assignments and Prevnar was offered to the control group.

20 This table compares the efficacy estimates at the  
21 time of the primary analysis for data where the database was  
22 closed on April 30th, 1998, and then the additional follow-up  
23 data to April of '99.

24 All of the efficacy estimates were similar, and  
25 the confidence intervals actually became more narrow for many of

1 the outcomes.

2 I'll now talk a little bit about safety data from  
3 the Finnish otitis media study. The Finnish study does  
4 contribute new controlled safety data to the safety database for  
5 Plevnar. These data were reviewed in the otitis media amendment,  
6 and the briefing document contains a more full discussion of the  
7 safety data.

8 The relevance and usefulness of these data to the  
9 U.S. population are somewhat limited because, for one reason, the  
10 wholesale pertussis containing DTaP Hib combination was  
11 administered with the first three doses rather than DTaP vaccine,  
12 which is common practice in the U.S. now, and this can complicate  
13 some of the assessments of systematic reactions.

14 Also, the Finnish population does not reflect the  
15 heterogeneity of the U.S. population, and also the study was  
16 really not large enough to detect uncommon adverse events.

17 However, parent compliance with report of vaccine  
18 reactions was nearly complete in the Finnish study, and so  
19 reported data are probably reliable. And these data do confirm  
20 an incremental increased risk of fever after each of the three  
21 doses, low grade fever after each of the first three doses and  
22 also an increased risk in higher grade fever after the third  
23 dose.

24 However, the frequency of high grade fever never  
25 exceeded two percent for any of the doses. Also, increased

1 crying was observed after each of the three doses.

2 This table shows data after the fourth dose. Here  
3 the concurrent immunization was the second dose of IPV. Again,  
4 low grade fever was statistically more frequent. High grade  
5 fever, there was no difference.

6 So overall the safety data from the Finnish otitis  
7 media are consistent with earlier observations regarding the  
8 safety of Prevnar. As had been previously observed, Prevnar was  
9 associated with increased fever, increased low grade fever, but  
10 complications of fever were uncommon.

11 In fact, there were no febrile seizures temporally  
12 associated with administration of either vaccine in this study.

13 The committee will not be asked to comment on  
14 safety at this meeting today. Prevnar is now in wide use. Large  
15 post licensure safety studies are underway.

16 Vaccine labels can be updated at any time with  
17 important safety information, and information from the ongoing  
18 post marketing study conducted at Kaiser identifying any new  
19 safety concerns that better quantify known or suspected adverse  
20 events, the label will be updated accordingly.

21 Well, before presenting the questions, I'd like to  
22 show a few screens with things for the committee to consider in  
23 their deliberations. First, there really is no guidance from  
24 regulations or other published documents which specifically  
25 address the minimum level of efficacy required for licensure of a