

1 ought not to do right now, to bank sperm
2 beforehand.

3 I mean there are a lot of things you can
4 do to encourage, to sort of defeat the likelihood
5 that there is going to be any transmission. So we
6 have got at least two protections there. A third
7 is -- well, think about what if the worst possible
8 scenario happened, we go ahead with the trial, we
9 permit the trial to continue despite being pretty
10 comfortable with the test, despite the promise to
11 do barrier, despite the information given in
12 informed consent, a child is, in fact, conceived
13 and born who is carrying an altered gene here.

14 We need to think about -- we are not
15 talking about a systemic kind of -- you know, a
16 change in many, many of the births of many
17 children, we are not talking about an intentional
18 modification of a genome, we are talking about, you
19 know, this is an incidental and unintended
20 consequence.

21 I don't have the answer to that, but that
22 is the thing we would be guarding against, how
23 horrible of a mora affront or of a precedent would
24 that be? I don't have an answer to that one, but I
25 just want to lay it on the table.

1 One more challenge before us. There is
2 the Avigen issue, which we need to answer. I guess
3 I should hold off for No. 2, but Question No. 2 is
4 going to say, okay, it ain't just men, it's also
5 women, and how are we going to think about being
6 aware with women.

7 DR. SALOMON: That is Question 2, and we
8 will get to that.

9 To me, you have raised a couple of really
10 interesting points, so let me go back to this
11 motile sperm thing. The problem I have with any
12 sort of testing strategy in a clinical environment
13 is the more complicated you make it, the more
14 difficult it is, more expensive, more technically
15 challenged, and more often is going to go wrong.

16 I have heard no data that convinces me
17 that if the semen is positive, that the motile
18 sperm could be negative and that this is such a big
19 advantage. In the absence of that data, I continue
20 to be underwhelmed with the need to be doing this
21 motile sperm test, because what happens then is you
22 say the motile sperm test, because of the fact that
23 there is a whole bunch of manipulation, or you get
24 a patient that doesn't have a large enough volume,
25 although I am not quite sure why they couldn't just

1 dilute it up to 1 1/2 ml with saline, but anyway --
2 the bottom line here is if you get into that sort
3 of circumstance, it just tells you why these kind
4 of assay systems are problematic.

5 We would actually have to tell them -- we
6 would have to have this, I would think rather silly
7 discussion about how to set a quality control for a
8 motile sperm test, because if they let it sit out
9 on the bench for a couple hours and then they do
10 this motile sperm test, and call it negative, and
11 the semen is positive, well, that is bogus.

12 I am just having trouble with this test,
13 and I don't think it is a minor issue in terms of
14 how they are going to do this trial.

15 DR. MULLIGAN: Just to directly answer the
16 question, I would say that a clinical hold would
17 not be warranted although I would couple the
18 question in 1A to the first part of 3, and then to
19 answer your question, I think the motile sperm
20 thing is perfectly okay, that if we all think that
21 the likely source of the AAV is probably blood or
22 something, then, the best, you can separate those,
23 I think it helps, and I think there are SOPs,
24 people can come up with something.

25 But 3, I know how you hate to jump to the

1 next question, but I think it has to do with at
2 what point, when repetitive tests showed positivity
3 would everyone think that there was something so
4 unanticipated that you would actually want to stop
5 the trial?

6 My proposal would be no, let the trial go
7 on, but come up with some, maybe it's a year, throw
8 out a year, if after one year there is still
9 positivity, even if that was in the blood
10 mononuclear cells, I think that most people would
11 have grave concerns that something was happening
12 that was not anticipated.

13 DR. SALOMON: I followed you up until that
14 last little throw-in about peripheral blood and
15 mononuclear cells, I mean because I wouldn't care
16 that much of the patient integrated into a
17 hematopoietic stem cell and was positive.

18 I think the real issue here is only given,
19 I don't know why a year, because it seems like that
20 is a hell of a vector reservoir to think that you
21 could keep shedding detectable vector for 12
22 months?

23 DR. MULLIGAN: That's the point. The
24 point is that everything we have heard here
25 suggests that you won't have persistence of the

1 vector for that amount of time, simply by having it
2 sitting there in some tissue. Therefore, something
3 is happening that is unanticipated if it is
4 persisting at that point, and that could be an
5 arbitrary point, but I think a year is certainly
6 enough time to think that something is happening
7 that shouldn't happen, at least we don't know what
8 is happening.

9 My point was just that a critic that would
10 say, well, yeah, that could still be not in the
11 motile sperm, I would say still, we would be
12 worried about the patient because something very
13 unanticipated has now happened, that is, if we are
14 able to get hematopoietic stem cells transduced by
15 AAV vectors, and you have AAV integrated, I think
16 everyone would want to know that and would have
17 great concern.

18 DR. NOGUCHI: Just to add more confusion
19 to that particular point, the hemophilia trial for
20 factor VIII, and the Chiron proposal, they did
21 report to the same committee that in a situation in
22 which there was a very fractional and very
23 short-lived positivity in the semen, that, in fact,
24 they were able to detect positive peripheral blood
25 samples for I believe well over a year.

1 That was the unexpected finding in that
2 particular case. Probably an encouraging finding
3 in terms of at least transduction of a somatic
4 cell, I would guess.

5 DR. SALOMON: I think that everybody in
6 this field is clear that the amount of time that
7 even the episomes persist, and whatever small
8 integration occurs or whatever, in different
9 populations, I think Jude was very good about
10 pointing out that there is some data, but it is not
11 completely tested. Is that a fair characterization
12 of what you said?

13 The fact that it would be around, it could
14 be a positive for this as a gene therapy strategy,
15 I am not concerned about it, I think the issue has
16 to focus on the sperm or the semen as a test. My
17 only issues there are technical, but if it is in
18 the sperm at a year, then, it seems to me it is
19 impossible -- well, is it impossible that at that
20 point -- I just can't imagine you are shedding
21 viral reservoir any longer. The implication at
22 that point would be that it has been integrated
23 into the germline.

24 DR. MULLIGAN: I think the point of
25 setting some long period at which you would stop

1 and take another look is you want to address it in
2 a way that was not in the clinical protocol.

3 DR. SALOMON: I am agreeing. I am just
4 bringing up some discussion points on the timing.

5 DR. DYM: This is an unrelated comment,
6 but it is on the same issue. With the subject No.
7 2, who has AIDS, I think is what we were told, and
8 spermatogenesis is markedly reduced in patients
9 with AIDS, and this is shown, of course, in the
10 total semen volume, 200 microliter, 150, 150, it is
11 very unlikely that this particular patient will be
12 fertile. I don't know if that is an issue or should
13 be raised.

14 DR. KAY: Just for a point of
15 clarification on the patient No. 2, the patient is
16 HIV-positive, his CD4 count at the time, that last
17 one that we checked, was around 340. He has had
18 children in the past, and based on his total sperm
19 count, he actually has a normal number of sperm in
20 the ejaculate, but the volume is very low, so from
21 what we can get from that, the spermatogenesis
22 itself is normal, but there is something wrong with
23 the ability to make the fluids. The pH of the
24 fluid has been normal, suggesting that he doesn't
25 have specific obstruction of a prostate versus

1 seminal vesicle.

2 DR. DYM: I was interested in this
3 question before that may be relevant. Did you
4 check the size of the testis, does he have a normal
5 testis?

6 DR. MAY: Well, since I am not the
7 clinical treater on this case, obviously, Dr.
8 Glader, who I think had to leave to catch a plane,
9 is the individual who examined him.

10 DR. RAO: I actually wanted to reread
11 what Dr. Salomon and Dr. Mulligan said. I don't
12 think that it would be necessary that there should
13 be a clinical hold and that that should be
14 dependent on motile sperm test. There are two
15 problems in my mind with just doing the motile
16 sperm test, is that -- we have already heard about
17 the problems of vector carryover in semen itself,
18 so even if your motile sperm test was negative, you
19 would still worry about that as an issue.

20 The likelihood from the way the test was
21 presented, is that if your semen is negative, then,
22 your motile sperm fraction is going to be negative.
23 So it doesn't seem that we should be focusing on
24 motile sperm test as a specific test, but rather on
25 total semen.

1 Do we necessarily need to -- which was
2 part of Question 3, which you put together -- it
3 didn't seem to me that you should include only
4 patients who are incapable of the production, but
5 there should be a time line and that patient
6 consent, the forms should be modified with an
7 emphasis that this is a problem.

8 DR. JUENGST: I guess my hesitation in
9 originally answering your question was because I
10 was hung up on the qualifier, "or should enrollment
11 be allowed to continue with appropriate
12 modification made to the consent documents."

13 Well, no, that is not enough to modify the
14 consent documents. It is really a change in the
15 protocol because informed consent is not a
16 reasonable and prudent safeguard. Patients behaving
17 appropriately cautiously is a prudent and
18 reasonable safeguard.

19 So they need to be more than simply read a
20 few extra lines in the consent form. It needs to
21 be a real concerted program for making this
22 education and sperm banking available, and that
23 sort of thing.

24 It sounds like what they have been doing.

25 DR. SALOMON: It sounds like that has been

1 a part of their protocol even a trial or two
2 earlier, which is good. I think we are getting
3 there.

4 Does the committee agree that a clinical
5 hold is warranted when motile sperm tests are
6 positive -- let's just call it now "semen" -- you
7 know, if we could vote on this, but I generally
8 don't want to go there, I mean that is a decision I
9 think that the FDA can come back to us. We have
10 had a discussion on this motile sperm versus semen,
11 I am comfortable with the semen.

12 If there is a clinical hold, is it
13 warranted? The committee to me has said no, that I
14 don't think you need to put a clinical hold on this
15 trial every time the semen is positive. Does
16 anyone want to take a minority opinion here?

17 DR. NOGUCHI: On that one, we might want
18 to at least have a show of hands to make sure we
19 understand the real kind of sense of the committee.

20 DR. SALOMON: Then, we will poll. Do you
21 want us to give you a specific on the motile sperm
22 versus semen?

23 DR. NOGUCHI: I think that is very
24 important. It certainly seems like there is enough
25 discussion that there is some doubt about the extra

1 value of fractionated versus just whole semen. I
2 think it would be worthwhile for that, too.

3 DR. SAMULSKI: If we were going to poll, I
4 think it is probably important that I at least make
5 one or two statements about the vector aspect of
6 it.

7 I am not going to proclaim I know the
8 answers, but I have been working at this for over
9 20 years, and there are definitely trends that show
10 up that are extremely consistent, that you can
11 begin to feel confident about, and the virus
12 integration is a trend that has been consistent
13 from when this was first studied and now going from
14 tissue culture to animal models, it doesn't
15 integrate very efficiently.

16 I think people need to understand that and
17 buy into the fact that if we are going to put a lot
18 of virus into people, the potential of integration
19 is virtually nil to it can happen, but it is not a
20 high risk potential, and then if you move away from
21 that question and look at the question of if we
22 have a PCR-positive signal, which is something like
23 10 copies in a sample, and we are talking about 0.1
24 percent of the virus ability to integrate, we are
25 getting down to numbers and the amount of sperm

1 that one is going to be transmitting, this is like
2 Star Wars in some ways, trying to calculate what is
3 the frequency of the planets lining up again, and
4 stuff like that.

5 It is so vanishing small, the risk that we
6 are talking about, that from a vector perspective,
7 I think there is no reason at all to put this trial
8 on hold. Where I do have my only concern, and it
9 sounds like it is being addressed, is that Phil
10 brought up, which is if you are mechanically going
11 to have virus tracking along, and you are going to
12 do experiments to see if you can come up with a way
13 of artificially getting this into cells, that is an
14 unknown that needs to be resolved, and I think the
15 onus will fall on the group that is interested to
16 get that data in front of people as soon as
17 possible.

18 But other than that, I am sitting here
19 saying we are really discussing something that is
20 virtually impossible, and I think that value of
21 what can come out of the studies is a lot more
22 important than us trying to talk this tightrope.

23 DR. SALOMON: I very much agree with that,
24 too, and I think I am comfortable that the flow of
25 this committee is going in that direction, as well.

1 You brought up one thing, Jude, that I
2 just wanted to come back to, and that is you
3 suggested, I don't know if you meant it, additional
4 sophistication on this testing strategy, and that
5 would be do quantitative PCR, and if it is lower
6 than a certain number, even that then could enhance
7 one's comfort level. I hadn't thought of that,
8 because up until now, we have been talking about
9 positive versus negative.

10 Would you be concerned if it came out as a
11 million copies? I mean these guys are detecting it
12 positive at the lowest levels at 14 weeks.

13 DR. SAMULSKI: So that is where I think it
14 is prudent, and it is not just AAV when you keep
15 dosing biologics, whether they are plasmids of
16 oligos, at some point you are going to have a
17 threshold level where it is going to be
18 unpredictable.

19 I think that is the kind of information I
20 would like to see keep coming out of this trial, he
21 didn't just persist longer, but here is how he
22 persisted, it was over 1,000 or 10,000 copies per X
23 amount of time, and stuff like that. That is
24 valuable information.

25 DR. SALOMON: I agree with that, and I

1 would say that I was not impressed by a statement
2 that it wasn't absolutely quantitative, but the
3 signal was going down. I think today, there are
4 very straightforward ways to do quantitative PCR,
5 so we don't have to really, in these settings, to
6 any longer talk about nonquantitative PCR studies.

7 Let's do a poll of two questions. One
8 would be the first, and that would be motile sperm
9 versus semen testing, just to get that out of the
10 way.

11 Starting with you Jude, motile sperm or
12 whole semen?

13 DR. SAMULSKI: I think whole semen is
14 adequate.

15 DR. DYM: Yes, same. Not necessary to
16 differentiate between the two.

17 DR. JUENGST: For what it is worth, my
18 layman's vote will go with these guys. We don't
19 differentiate.

20 DR. MURRAY: I confess I do not understand
21 the merits of the two tests sufficiently to make an
22 intelligent vote, but it is not because I am torn
23 between two alternatives I understand well.

24 MS. WOLFSON: I would have to repeat
25 exactly what Dr. Murray said.

1 DR. RAO: I think whole semen.

2 DR. SALOMON: I vote whole semen
3 obviously.

4 I am not certain. Richard gave me what he
5 would say for the second one, but I am not
6 comfortable with that, so we will have to say he is
7 not here.

8 Let's do the second question, and that
9 was, does the committee agree that a clinical hold
10 is not warranted any longer with a positive semen
11 test? Jude.

12 DR. SAMULSKI: Not warranted.

13 DR. DYM: A hold is not warranted, I
14 agree.

15 DR. JUENGST: A hold is not warranted.

16 DR. MURRAY: I agree that with the proper
17 additional protections put in place, with Eric's
18 earlier caveat about it is not just adding lines to
19 informed consent, we would not require a hold.

20 MS. WOLFSON: Again, I agree with Dr.
21 Murray exactly.

22 DR. RAO: I don't think a hold is
23 necessary.

24 DR. SALOMON: And I don't agree a hold is
25 necessary either. Richard Mulligan told me that he

1 also wanted to say that a hold is not --

2 MS. DAPOLITO: Dr. Mulligan should be here
3 to vote, but Dr. Salomon can put his comments into
4 the record.

5 DR. SALOMON: Excellent.

6 The next question here would be discuss
7 the implications of detecting vector sequences due
8 to the presence of contaminating transduced PBMC or
9 vector (either free or on the surface of a sperm)
10 in the motile sperm fraction.

11 Now, my sense here is we have really
12 pretty much discussed that. If the FDA comfortable
13 with that? I don't see any further discussion as
14 being necessary on that one.

15 Anyone else on the committee?

16 [No response.]

17 DR. SALOMON: Okay.

18 Question 2. There are technical
19 limitations in the ability to monitor women and
20 certain men for evidence of germline alterations.
21 One approach to monitoring subjects for germline
22 alteration would be to restrict early clinical
23 development of certain gene transfer products to
24 subjects who have been shown to be capable of
25 repetitively supplying adequate semen samples for

1 analysis in order to get good data collection for
2 detecting persistence of vector.

3 DR. MURRAY: That does leave out a certain
4 number of potential -- about 50 percent of the
5 human population actually, which is not relevant
6 for the Avigen trial, but will be relevant for
7 others.

8 DR. SALOMON: Correct.

9 I am going to try and parse it down. So
10 the first issue would be -- I think you guys
11 actually had a slide on this, am I mistaken? You
12 had a slide saying that you were redesigning -- you
13 had to have more than 1 1/2 ml of semen and a
14 certain sperm count, wasn't that right?

15 They have already incorporated in their
16 protocol, does anyone disagree with that?

17 DR. SAMULSKI: I think it's admirable
18 that they are doing it, but i also agree with Dr.
19 Murray. I don't think this is something you want
20 to put in as policy because if other things come
21 down that aren't related to hemophilia and the
22 population can't be in an inclusion criteria, but
23 based on something like this, I don't think that
24 would be a direction we would want to go in.

25 DR. SALOMON: Certainly, men who were

1 infertile and would have no danger of germline
2 transmission would be excluded from these studies,
3 so I think I could think of one very good reason
4 not to make it policy in the area.

5 Dr. Rao.

6 DR. RAO: I was just going to add that one
7 reason for worrying about sample size was because
8 we were doing motility experiments and
9 fractionation. Now that the committee seems to
10 have a consensus that we don't need to do
11 fractionation, I think the tests can be done with a
12 smaller volume, so I don't think that should be an
13 exclusion criteria.

14 DR. SALOMON: All you need now would be
15 enough sperm to get, let's say, 1 microgram of DNA
16 -- I am sorry -- 3 to 4 micrograms of DNA, so you
17 could do triplicate or quadruplicate at 1
18 microgram, and from my own experience doing Tacman
19 PCR, which we do a lot of in the lab, I would be
20 comfortable with that, as well.

21 So, that would be a sperm count of what?
22 Were you guys counting 30,000 sperm for a microgram
23 of DNA?

24 DR. SAMULSKI: 2×10^6 .

25 DR. KAY: Yes, we were using 2 to 3×10^5

1 sperm per microgram roughly, and we need 4 or 5
2 micrograms, and then the issue is recovery.

3 DR. SALOMON: Would everyone agree there
4 should be a limit of, let's say, no less than 5
5 million total sperm in an ejaculate as criteria for
6 entering the trials at this point, 10 million? All
7 right.

8 DR. KAY: Sold.

9 DR. SALOMON: Any discussion on that
10 point? I think the intention of the committee is
11 clear, and the details we would leave to you.

12 Depending on the amount of data required,
13 much of the early clinical experience with the
14 vector might be limited to this restricted
15 population. A development program requiring
16 extensive characterization of distribution to
17 germline cells and germline alterations might delay
18 the acquisition of adequate safety and efficacy
19 data in other populations, for example, women.

20 I guess we can't avoid the very important
21 discussion of where women fit into these trials.
22 So this gets to something that Tom, you introduced
23 to us in your comments, thoughtful comments a few
24 minutes ago, and that is, what is our level of
25 sensitivity here, how big of a deal would it be,

1 and that has a lot to do with the next trial that
2 comes along that wants to do the study in women,
3 right?

4 DR. MURRAY: Any disorder that is not
5 x-linked.

6 DR. SALOMON: Or a male that has no sperm
7 or falls below it, we need to think about them, as
8 well.

9 The interesting thing here is I mean we
10 are now up against the international consensus that
11 has been supported by many in the lay public, that
12 the line that no one is ready to cross is
13 intentional? Germline transfer certainly. And
14 unintentional germline transfer is probably far
15 enough across that line that it ought to be avoided
16 at all costs, as well.

17 I am very cognizant of the fact that
18 depending on how this discussion goes, we have to
19 be cautious that we are having an advisory
20 committee advising the FDA that under certain
21 circumstances, it is okay to do germline gene
22 transfer, and I want to put that into context for
23 the committee. You can disagree with it, but that
24 is the question.

25 DR. MURRAY: There is one piece of advice

1 I think we could give the FDA. It may not be very
2 useful advice, but that is to --perhaps you have
3 already done it, -- but basically to take stock of
4 the best possible methods for evaluating germline
5 alterations in females, looking at animals, looking
6 at -- we have heard a variety of ways of thinking
7 about looking at such alterations in males, what is
8 the best state-of-the-art in thinking about this
9 with females? Granted that many of those assays
10 are going to be completely unavailable with humans,
11 to think about what would be the most -- morally
12 permissible and without crossing the boundaries of
13 mistreating human subjects, how to involve women,
14 how to monitor potential germline genetic
15 alterations in women.

16 I don't think that is easy, maybe you have
17 done it, but if you haven't, I would say it is
18 probably an urgent thing. The one thing I could
19 not recommend -- we had a tough choice here -- but
20 one think I clearly could not recommend is that
21 women be excluded from such trials. I suspect
22 national policy would also prohibit us from making
23 such a recommendation.

24 DR. NOGUCHI: Just in terms of that, FDA,
25 unless there is a compelling reason to exclude one

1 sex or another or any particular subset of man or
2 woman, we would not use anything other than a very
3 specific reason that is both reasonable and can be
4 defended.

5 DR. JUENGST: Another thing to think about
6 is where along the research time line we would be
7 willing to take those risks of inadvertent
8 transduction. We do take genetic risks with
9 patients when we give them chemotherapy and
10 radiation, and we justify that by saying, well, we
11 are saving their lives, so in a Phase III trial of
12 gene therapy, we could make a very similar
13 argument.

14 Now, how about a Phase I safety study,
15 maybe there is a distinction to be drawn there
16 unless we find ourselves in the situation where you
17 can't get to Phase III unless you do the Base I.

18 DR. SALOMON: That's a good point. Just
19 to highlight that you are pointing out that the
20 quote, "We are doing it because we save human
21 lives" is a clear indication of conviction of
22 efficacy, which would allow you to accept a risk
23 that is very different than in a Phase I or Phase
24 II trial.

25 Dr. Rao.

1 DR. RAO: I was just going to say that the
2 general consensus that you want to be able to
3 monitor, it is not an issue of whether it can
4 happen or not happen or the probability, but that
5 you want to have an ongoing monitoring to make sure
6 that there is no evidence of germline transfer, and
7 should we be excluding patients where we can't
8 monitor that, and the answer is that I think we are
9 doing that already when you set up a criteria,
10 whether you set it for males when they can't have a
11 certain sperm count, or whether you can't because
12 you don't have any available tests to do that
13 monitoring.

14 The question is do we not need to monitor
15 at all. In my opinion, right now, with the
16 available data, it is not clear because there is
17 still enough not known about the virus in the sense
18 what is happening in blood cells, why do we see
19 persistent expression, is there some specific time
20 at which you see better integration, and so on.

21 So there is a finite, maybe already low
22 probability that there might be germline transfer,
23 but whatever that low probability, at the current
24 situation, with what data is available from animal
25 models, we can't say that we should include

1 patients where we don't have any monitoring.

2 DR. SALOMON: If you think about it, there
3 is a couple different ways this could go. None of
4 us, unless some -- I can't imagine are going to
5 give advice that you want to permanently exclude
6 women from certain kinds of trials, right, why even
7 go there, that's impossible, so you can see a
8 couple different ways to try and put this together,
9 and I think the framework is already out on the
10 table, one way is to say in the absence of really
11 good definitive preclinical data that would allow
12 you to say with any sort of confidence it cannot go
13 into the germline, and I think we all agree you
14 cannot say that quite yet, no evidence that it
15 does, but no evidence to say that is can't, and a
16 lot of evidence suggests that it ain't going to be
17 easy and not likely, particularly with this
18 particular class of vectors.

19 You could say okay, really low
20 possibility, so that takes a lot of the pressure
21 off, but it is not enough. So then you go on and
22 say all right, fine, let's go into Phase I trials
23 and let's restrict the Phase I trials to subject
24 that we can monitor.

25 I think the company themselves, to their

1 credit, have taken that view, and the FDA is
2 comfortable with it, and I think we have just
3 refined it a little bit.

4 Then, the only question left is how much
5 data do we need under our belt before you allow in
6 later phases of the trial, to go into women, pretty
7 much saying, hey, it's not happening, and I don't
8 want to get into demanding someone give us
9 statistical time, like after 100.3 patients we can
10 do it, but I think I am suggesting to you that
11 maybe the best way to think about this is at a
12 certain point, once they get to a Phase III trial,
13 and there is enough confidence that none of these
14 patients with no evidence of germline transfer in
15 these males that can be monitored, that then you
16 could relax the criteria and cautiously open it up
17 to women.

18 So that would be what I would suggest.

19 MS. CHRISTIANSON: Janet Rose
20 Christianson. QARA Services, formerly with Target
21 Genetics Corporation.

22 A brief comment with regard to selecting
23 people for monitoring in Phase I. I think there
24 has got to be another consideration, and I think
25 that has to do with the route of administration.

1 If there is no dissemination, for example, the
2 present study that Target is doing is an oral
3 aerosolized delivery of an AAV vector in cystic
4 fibrosis patients. I think that the way it is
5 delivered, and any of the preclinical data,
6 indicating if there is dissemination to the
7 peripheral blood distal nodes, or whatever, should
8 also have a bearing as to whether or not monitoring
9 of females or nonfemales or whomever, should be
10 part of that whole process. I think that has got
11 to be a point just to consider. Maybe my glucose
12 was low and it's intuitively obvious, but I did
13 want to make sure that that point was raised.

14 Thank you.

15 DR. SALOMON: I think that is an excellent
16 point.

17 Dr. Rao.

18 DR. RAO: I actually wanted to add one
19 more piece to the whole monitoring issue, and that
20 was just simply to argue that if the criteria or
21 the worry for which you are excluding patients is
22 because of germline transfer, that perhaps one
23 additional criteria for inclusion is people who
24 would not be, are incapable of germline transfer.

25 DR. SALOMON: The only problem with that

1 is, it is kind of a dead end in terms of moving the
2 field forward, because you would never be able to
3 tell whether there was germline transfer, so
4 everyone else would be standing there waiting.

5 DR. RAO: Maybe you shouldn't exclude them
6 for whatever reason you want to include them in a
7 study. That is all I was trying to say.

8 DR. MURRAY: In a way, we should be very
9 grateful to the folks from Avigen for their
10 inadvertent finding, because it really forces us to
11 confront -- I don't mean just this committee, by no
12 means do I mean just this committee -- I mean
13 everybody who thinks about these larger issues of
14 the ethics of research, inadvertent germline
15 transfer, and gender equity in research, and all
16 these things, it warns us about what is probably
17 lurking not too far down the road, and in addition
18 to my off-the-cuff injunction to FDA to sort of
19 think as much as they can, I don't think this
20 committee is the group to decide what the right
21 balance is, but in fact, I mean RAC has had a
22 recent history of doing policy conferences.

23 This would be a great topic for a RAC
24 policy conference, in my view, about how to balance
25 the concern about monitoring inadvertent germline

1 modification against an issue of gender equity, is
2 it as we think it is, that it would be much more
3 difficult to monitor in women, are we wrong about
4 that? Are there ways of monitoring this in women?
5 I am not aware of any, but, you know, there are
6 some fact questions there science questions, and
7 then how should one sort of strike the right policy
8 balance.

9 One emerging suggestion, I think I have
10 heard, is that you do the Phase I -- where this is
11 a possibility -- you do the Phase I on males who
12 have sufficient seminal fluid and sperm that you
13 can test, they make 5 million sperm in an
14 ejaculate.

15 Now already, that creates some issues of
16 gender inequity, I understand the rationale for
17 that, but I think it would be a mistake to rush
18 forward into that without a chance to really
19 reflect on how to balance.

20 There are two good things. We are trying
21 to ensure gender equity and participation in
22 research, and we are trying to ensure that we can
23 get a handle on inadvertent germline gene transfer.
24 There are two good things. Somebody has got to
25 figure out what the right balance or plan is, and

1 it is not a thing we are going to do by 3 o'clock
2 today, and we are not the right party to do that.

3 DR. NOGUCHI: Steve, shall we work on that
4 as a possibility, what Dr. Murray is talking about?

5 DR. ROSE: Certainly, it is something that
6 the RAC has been discussing and will continue to
7 discuss, and it is one of the policy conferences we
8 have been thinking about.

9 DR. NOGUCHI: You are welcome to come,
10 too, Tom, I am sure, and probably most of the rest
11 of the people at the table here.

12 DR. SALOMON: Jon.

13 DR. GORDON: Yes, I am commenting. I
14 have recused myself from this discussion because as
15 a committee member, and I am commenting as a member
16 of the public.

17 I think there are a couple of points. One
18 is that whenever you exclude a certain group of
19 people from a study, regardless of the phase of the
20 study, you at least have to be alert to the
21 introduction of biases in the study, so I think
22 people need to be aware of that.

23 Is it going to be more safe for the people
24 you study or less safe? I don't think it is
25 necessarily relevant in the present case, but

1 anytime people are excluded in some sort of
2 overarching parameter, then, that is a risk.

3 In terms of the addition of females to
4 these trials in hemophilia, not a likely issue to
5 come up, but as people have point out, autosomal
6 disorders it is, I think the committee might
7 consider recommending that good preclinical tests
8 for female germline transmission be encouraged to
9 be developed.

10 I mean it is not impossible to do that,
11 and there is no reason why, if we have been doing
12 all these things with rabbits and monkeys and all
13 that with the male side, why we couldn't also do
14 things on the female side.

15 We have a paper where we looked at adeno
16 at the female side, so there is no reason why that
17 couldn't be encouraged by the committee.

18 DR. SALOMON: I would actually not want to
19 go there. I don't think as a committee, we want to
20 start even getting into whether women as part of
21 participation in a trial ought to undergo
22 laparoscopy and removal of eggs or ultrasound
23 guided biopsies, and things like that, if that is
24 what you were suggesting. I think those are topics
25 for preclinical investigations and not for creating

1 yet more complex and even potentially risky
2 barriers for participation in a trial.

3 DR. GORDON: I guess I wasn't clear. I
4 believe, I emphasize preclinical studies in animals
5 that would then give one more confidence that a
6 human could be admitted to a study.

7 DR. NOGUCHI: Just to say that the issue
8 of women is pertinent to this discussion, albeit
9 it, it is an extraordinarily small population,
10 there are handful of women with hemophilia, and for
11 them, especially they are totally out of any of the
12 normal support mechanisms. They may not even know
13 what hemophilia is because it is not something that
14 they normally know about, but eventually when one
15 of these things works, they are a part of the
16 question. We will have the same question as to
17 whether or not it is an unreasonable risk for that
18 population albeit it might be as many as on this
19 hand here.

20 DR. SALOMON: I think that at the end,
21 there is no way -- again, I welcome everyone to
22 comment -- from my view, I don't see how one can
23 refine this any further in the sense that it has
24 been put very clearly that, on one hand, the
25 concept of germline transfer as a potential in a

1 clinical gene therapy trial, there has been a lot
2 of discussion on that, and it is pretty much
3 considered to be a line that the public doesn't
4 want us to cross, and I think we have to respect
5 that.

6 At the same time, however, we realize that
7 as we gain experience and information, we can begin
8 to feel more and more confident that that is not
9 occurring even though the risk may never be zero,
10 and, of course, we will get into discussion and so
11 I might as well bring it up, that if you show it
12 doesn't happen in the males, does that mean that it
13 won't happen in the females, and, of course, female
14 biology is very different than male biology, we all
15 realize that.

16 I think there we need to put more energy,
17 I think as John and others have already said, and
18 to some of the preclinical models anticipating what
19 is around the corner for this field, and that I
20 think a reasonable leadership position from the
21 committee.

22 I guess the last thing we have to talk
23 about, and if there is anything else, please jump
24 in, but the last thing I feel we have to talk about
25 is okay, so we come back in here a year from now,

1 and we get presented data from company XYZ now, it
2 is not Avigen any longer, but they did a trial like
3 this and 10 of the first 50 patients are
4 persistently positive in their semen at one year,
5 and so they do an in-situ hybridization on motile
6 sperm on these particular 10 patients, and 8 of
7 them are positive in 10 percent of the sperm. Now
8 what?

9 DR. DYM: I will answer the question by
10 asking a question of the virologists. Does that
11 clearly mean that it is coming from the earlier
12 germ cells, or can the virus persist?

13 DR. SALOMON: We might as well get that
14 question on the table. Jude, do you want to
15 comment on that?

16 DR. SAMULSKI: My feeling would be that it
17 would have to be in a germ cell to persist that
18 long and consistently come up positive, and for it
19 to just persist, it would get diluted with time.
20 All those cells kept dividing. So this would be
21 the same as the trial, they would come down over
22 time, so I think you are now talking about a
23 completely different situation.

24 DR. SALOMON: And they do a testicular
25 biopsy and it is positive in the spermatogonia.

1 Now what?

2 DR. JUENGST: It's at least time to stop
3 and take stock and look at where the gene is being
4 integrated, you know, study what is happening, if
5 it is consistent, those sort of things.

6 DR. SALOMON: That's fine. Remember what
7 Dr. Samulski pointed out very clearly is that there
8 is no evidence that these vectors will integrate in
9 some specific spot. They will integrate in some
10 specific spot. They will integrate in multiple
11 concatemers in many areas.

12 DR. RAO: There is two aspects to this.
13 You don't know what you are doing now because the
14 assumptions are wrong in some sense. You assume
15 that there will be a very low probability of
16 integration, there wouldn't be germline
17 transmission, and that if it did occur, there will
18 be a clear-cut barrier and it wouldn't be 10
19 percent. So that I think is pretty clear.

20 The question then is what do you do with
21 the participants, right? I mean what happens with
22 the 10 patients that were persistently positive and
23 who presumably have germline transmission, and that
24 I think is a very hard question. I don't know that
25 the FDA has any authority and whether we can do

1 anything after the fact.

2 DR. SALOMON: That, we know the answer to
3 that. They can't do anything. But the question
4 would be now, 50 of the 50 patients haven't had a
5 bleeding episode in the last six months.

6 DR. MURRAY: So, it worlds.

7 DR. SALOMON: It works.

8 DR. MURRAY: I think this is not a
9 far-fetched hypothetical life here. There are
10 scientists here who understand different vectors
11 that may, in fact, operate very differently even
12 than AAV, if I understand correctly, and some of
13 them might be much more likely to incorporate to
14 work themselves into spermatogonia, and so this
15 scenario with the different vector system might not
16 be so far fetched at all.

17 So you have done right by the committee to
18 ask this extremely difficult question. I don't
19 feel at all qualified to answer it right now. I
20 would have a number of other questions. I would
21 want to know, look, we are talking about a
22 potential random, you know, incorporations at some
23 random place in the genome of foreign DNA.

24 I would like to know how many copies
25 integrated, are we talking about 1, are we talking

1 about 1,000 in each genome? If it is thousands, it
2 would seem to me that increases the chance that
3 some of these mutations are, in fact, may be
4 pathological. A thousand hits is more than one
5 hit.

6 Do we have any analogies? Are there other
7 bits of DNA that get incorporated into the genome
8 in a similar random fashion, and how do they -- and
9 spermatogonia, and what we do know about their
10 fate, and what do they know about the impact they
11 might have on the health of any child born. If it
12 is absolutely horrendous, then, that is one thing,
13 if it is, well, it happens all the time, and rarely
14 really leads to any harm, that is another thing.

15 So, there are still a lot of factual
16 questions we would ask. That will help, I think,
17 help us sort out, but you are right, we should be
18 thinking about them now.

19 DR. GORDON: As a member of the public, I
20 would like to sort of suggest that the committee,
21 in facing such a circumstance, should consider this
22 the way other risks of drug treatment are
23 considered. Now, if you give cisplatinum or
24 bleomycin to somebody, you can probably damage
25 their DNA, or adriamycin to them, and there are

1 precautions to be taken.

2 In the case of germ and a gene transfer,
3 which I think is a little bit exceptional because
4 you provide acquisition of function, not simply
5 alteration in the existing genome, there are
6 precautions to be taken before the procedure is
7 performed, and there are precautions that can be
8 taken if, in the event, such a thing is discovered.

9 If 10 percent of sperm had a new gene in
10 them, that would mean that there is a 10 percent
11 chance that a conceptus would have it, let's say,
12 presuming those sperm function equally well, well,
13 there are people carrying recessive traits around
14 where there is a 25 percent chance that there is
15 actually going to be genetic disease, and there are
16 approaches to that problem - pre-implantation,
17 genetic diagnosis, conception followed by abortion.

18 So there are ways of addressing it if it
19 occurs, but I think the committee is well advised
20 to consider that a hold should be placed while
21 those considerations are formalized.

22 DR. SALOMON: I think that is what Dr. Rao
23 said. I mean I realize this is -- I think the
24 major point that I was getting at was just to
25 introduce the question, and I think there has to be

1 a limit, and I think that limit for me is that. I
2 mean if the hypothesis is wrong for any vector now,
3 I am not talking about the Avigen trial, then,
4 probably we should put it on hold and there should
5 be discussions at the highest level, whether it be
6 at the RAC, or be here, or in every place.

7 That includes the appropriate science
8 experts, as well as policy people and ethicists,
9 because I think there, we really have crossed a
10 line that has been set for us in gene delivery.

11 I think what is critical to the FDA,
12 though, unless someone wants to disagree with me,
13 is the advice that you better make sure that any
14 trial that you allow to go forward is adequately
15 designed to make sure that you don't miss this from
16 happening. I mean if you are going to recognize
17 it, the trial had better be designed and monitored
18 properly enough to make sure we recognize it,
19 because then, you have got to deal with these
20 issues as a reality instead of as a theoretical
21 risk.

22 Any other comments from the committee,
23 from the public? Does the FDA feel like we have
24 answered their questions? Are there any additions
25 or refinements, et cetera, that we should deal

1 with?

2 DR. NOGUCHI: Once again, on behalf of
3 CBER, I do want to extend our heartfelt gratitude
4 for helping us over our current and future
5 difficult issues that we seem to face on an
6 increasingly more frequent level.

7 I think the discussion yesterday and today
8 is going to enable us to move forward in a much
9 more cohesive and responsive and responsible way,
10 and for that I only can say we are again very
11 thankful.

12 Based on your last comments and the
13 questions you raise, however, Dan, I don't think
14 you are going to necessarily be able to get away
15 from this committee that easily, so I am sure we
16 will see you again.

17 DR. SALOMON: There are laws that will
18 govern eventually.

19 Dr. Couto, did you have a comment?

20 DR. COUTO: Well, it is actually a
21 question that I wanted to just ask the committee,
22 because it was raised earlier, and that has to do
23 with the most optimal PCR assay for detecting
24 vector sequences in semen, because it was raised
25 that maybe a better assay would be a quantitative

1 PCR assay.

2 One of the reasons why we are not doing
3 that now is because the FDA asked us to develop a
4 spiked plasmid that has a deletion in the coating
5 region, and so that we could differentiate between
6 our vector sequence and our spiked sequence.

7 Now, we wouldn't be able to do that with a
8 quantitative PCR assay, but as you have seen, most
9 of our other assays, biodistribution studies are
10 all done with quantitative assays, so I guess I
11 would just like a little bit of clarification as to
12 what people think would be the best assay in the
13 clinical sample.

14 DR. SALOMON: There is two answers to you,
15 but I mean there is certainly multiplex PCR where
16 you could design your probe. If they wanted the
17 spiked sample deal going, I mean you could easily
18 do that these days, and I can help you figure out
19 how to do that if you don't know.

20 Dr. Rao.

21 DR. RAO: I was just going to add exactly
22 the same thing in some sense is that spiking is a
23 method of quantitation, so it is a quantitative
24 method of estimating what you have against a known
25 standard of DNA, so I don't think there is

1 confusion. I mean you can even do spiked multiplex
2 PCR on a quantitative fashion using Tacman type of
3 assays if you want to.

4 DR. SALOMON: If you did a Tacman assay, I
5 mean just to educate me a little, if you did a
6 well-validated Tacman assay or I mean there is now
7 other technologies, I am not doing a commercial
8 blurb for Tacman, just quantitative PCR based,
9 there is fluorescence, there is Cybergreen, there
10 is a bunch of different ways of doing it.

11 If you did that, what is the spiking thing
12 for?

13 DR. TAKEFMAN: Well, the spiking, we just
14 say run samples without spike, but one sample at
15 least with the spike just to test for inhibitory
16 effects. So you could run QPCR on some of the
17 samples.

18 DR. SALOMON: Just that same control, that
19 was the main reason. I mean sometimes you need
20 spiking because there is endogenous transcripts
21 that are confusing your sample, but that is not the
22 issue here when you are looking at vector.

23 DR. NOGUCHI: No, semen does have a
24 history of sometimes inhibiting viruses. HIV
25 detection in semen actually for many years could

1 not be done because of inhibition.

2 DR. SALOMON: That point is well taken.
3 We have been suffering with serum and plasma for
4 stuff in my lab, looking at retrovirus, that is
5 well taken.

6 Did we miss anything? I mean is there
7 anything else people want to get on the table here
8 at the last minute? No.

9 Again, I want to thank everyone at the
10 committee table, and Avigen particularly. I hope
11 we haven't beaten you up too bad, but I think you
12 are going home with pretty much you were hoping
13 for, and I hope for the community that your studies
14 go safely first and then demonstrate efficacy next,
15 as I think it is clear that your stakeholders need
16 a viable therapy. If it's not Avigen, then, let's
17 pray it is going to be for somebody else doing gene
18 delivery doing it.

19 Anyway, good luck. Good luck to everyone
20 else out there. Travel safe and be healthy.

21 [Whereupon, at 2:03 p.m., the proceedings
22 were adjourned.]

23

- - -