

COPY

3930 02 JUL 23 P1:22

UNITED STATES OF AMERICA  
DEPARTMENT OF HEALTH AND HUMAN SERVICES  
FOOD AND DRUG ADMINISTRATION

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES  
ADVISORY COMMITTEE MEETING

Gaithersburg, Maryland  
Wednesday Morning, June 26, 2002

**BETA**

## 1 COMMITTEE MEMBERS PRESENT:

2 DAVID C. BOLTON, Ph.D., Chair  
3 New York State Institute for Basic Research

4 JOHN C. BAILAR III, M.D., Ph.D.  
5 University of Chicago

6 ERMIAS D. BELAY III, M.D., Ph.D.  
7 Centers for Disease Control and Prevention

8 STEPHEN J. DeARMOND, M.D., Ph.D.  
9 University of California San Francisco

10 SAMUEL H. DOPPELT, M.D.  
11 The Cambridge Hospital, Cambridge, Massachusetts

12 LISA A. FERGUSON, D.V.M.  
13 United States Department of Agriculture

14 PIERLUIGI GAMBETTI  
15 Case Western Reserve University

16 KATHARINE E. KNOWLES  
17 Health Information Network

18 JEANNE V. LINDEN, M.D.  
19 New York State Department of Health

20 JEFFREY J. McCULLOUGH, M.D.  
21 University of Minnesota

22 STEPHEN R. PETTEWAY JR., Ph.D.  
Bayer Corporation

PEDRO PICCARDO, M.D.  
Indiana University

SUZETTE A. PRIOLA, Ph.D.  
Rocky Mountain Laboratories

## 1 COMMITTEE MEMBERS PRESENT (CONT'D):

2 ELIZABETH S. WILLIAMS, D.V.M., Ph.D.  
3 University of Wyoming

4 SIDNEY M. WOLFE, M.D.  
5 Public Citizen

## 6 ALSO PRESENT:

7 DAVID ASHER, Ph.D.  
8 Office of Blood Research and Review  
9 FDA Center for Biologics Evaluation and Research

10 JAY S. EPSTEIN, M.D.  
11 Office of Blood Research and Review  
12 FDA Center for Biologics Evaluation and Research

13 MAHMOOD FARSHID, Ph.D.  
14 Office of Blood Research and Review  
15 FDA Center for Biologics Evaluation and Research

16 WILLIAM FREAS, Ph.D.  
17 Committee Executive Secretary

18 ELLEN HECK  
19 Eye Bank Association of America

20 RICHARD HURWITZ, M.D., F.A.C.S.  
21 LifeNet

22 DAVID KORROCH  
Lions Medical Eye Bank of Eastern Virginia

C. RANDALL MILLS, Ph.D.  
Regeneration Technologies, Inc.

P.J. PARDO  
Tutogen Medical, Inc

P. ROBERT RIGNEY JR., J.D.  
American Association of Tissue Banks

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

ALSO PRESENT (CONT'D):

ROBERT ROHWER, Ph.D.  
University of Maryland VA Medical Center

RICHARD RUSSO  
International Osteotech, Inc.

RUTH SOLOMON, M.D.  
Office of Blood Research and Review  
FDA Center for Biologics Evaluation and Research

ALAN E. WILLIAMS, Ph.D.  
Office of Blood Research and Review  
FDA Center for Biologics Evaluation and Research

\* \* \* \* \*

## C O N T E N T S

2	SPEAKER/SESSION:	PAGE
3	Dr. Solomon	17
4	Dr. Taffs	33
5	Dr. Hogan	62
6	Dr. Brown	99
7	Dr. Eshkol	131
8	Dr. Lynch	151
9	Ms. Wilson	176
10	Ms. Heck	205
11	Dr. Rohwer	229
12	Dr. Farshid	259
13	Dr. Hurwitz	280
14	Mr. Russo	299
15	Dr. Mills	321
16	Dr. Rohwer	351
17	Open Public Hearing	372
18	Questions to the Committee	401
19	Dr. Greenwald	501
20	Dr. Williams	510
21	Committee Discussion	530
22	* * * * *	

## P R O C E E D I N G S

(8:00 a.m.)

1  
2  
3 DR. FREAS: Mr. Chairman, members  
4 of the committee, invited guests, members of  
5 the audience, I would like to welcome you to  
6 our 12th meeting of the Transmissible  
7 Spongiform Encephalopathies Advisory  
8 Committee. Both days of this meeting will  
9 be open to the public and you are welcome to  
10 the entire meeting.

11 At this time I would like to go  
12 around and introduce the members at the head  
13 table and I will wait just one second until  
14 I have a chance to sit down. After I  
15 introduce the members of the head table I  
16 will be reading to you the conflict of  
17 interests statement for this meeting.

18 When I call out the names if you  
19 would raise your hand I would like to go  
20 around and introduce the members at this  
21 time. In the first seat at the table right  
22 in front of the podium at the end of the

1 table is Dr. Pierluigi Gambetti, Professor  
2 and Director, Division of Neuropathology,  
3 Case Western Reserve University.

4 The next committee member is  
5 Dr. Lisa Ferguson, Senior Staff  
6 Veterinarian, US Department of Agriculture.

7 In the empty chair we will soon  
8 have Dr. DeArmond, Professor, Department of  
9 Pathology, University of California, San  
10 Francisco.

11 Our next committee member present  
12 is Dr. John Bailar, Professor Emeritus,  
13 Department of Health Studies, University of  
14 Chicago.

15 The next committee member is  
16 Dr. Pedro Piccardo, Associate Professor,  
17 Indiana University School of Medicine.

18 Around the corner of the table is  
19 Dr. Elizabeth Williams, Professor,  
20 Department of Veterinary Service, University  
21 of Wyoming.

22 Next we have a temporary voting

1 member from the Blood Products Advisory  
2 Committee. He is a full member of the Blood  
3 Products Advisory Committee and a temporary  
4 member here today, Dr. Samuel Doppelt,  
5 Chief, Department of Orthopedic Surgery, The  
6 Cambridge Hospital, Cambridge,  
7 Massachusetts.

8 Next is the Chairman of this  
9 advisory committee, Dr. David Bolton, head  
10 of the Laboratory of Molecular Structure and  
11 Function, New York State Institute for Basic  
12 Research.

13 Next is another temporary voting  
14 member and acting consumer representative  
15 for today, Katharine Knowles, Executive  
16 Director, Health Information Network,  
17 Seattle, Washington.

18 Going around the corner of the  
19 table is a committee member, Dr. Ermias  
20 Belay, Medical Epidemiologist, Centers for  
21 Disease Control and Prevention.

22 In the next chair is Dr. Suzette



1 Priola, Investigator, Laboratory of  
2 Persistent and Viral Diseases, Rocky  
3 Mountain Laboratories.

4 Next we have Dr. Jeffrey  
5 McCullough, Professor, Department of  
6 Laboratory Medicine and Pathology,  
7 University of Minnesota.

8 Next I would like to welcome back  
9 a former member of this committee who is  
10 serving a split term on this committee. I  
11 would like to welcome back Dr. Sidney Wolfe,  
12 Director, Public Citizen Health Research  
13 Group, Public Citizen.

14 Next we have a temporary voting  
15 member, Dr. Jeanne Linden, Director, Blood  
16 and Tissue Resources, New York State  
17 Department of Health.

18 Next is our nonvoting industry  
19 representative, Dr. Stephen Petteway,  
20 Director of Pathogen Safety and Research,  
21 Bayer Corporation.

22 Two committee members could not

1 join us today. They are Dr. Richard Johnson  
2 and Ms. Shirley Walker.

3 Now I would like to read the  
4 conflict of interests statement for this  
5 meeting:

6 "The following announcement is  
7 made part of the public record to preclude  
8 even the appearance of a conflict of  
9 interests at this meeting.

10 "Pursuant to the authority granted  
11 under the committee charter, the Director,  
12 Center for Biologics, Evaluation, and  
13 Research, has appointed Dr. Sam Doppelt,  
14 Ms. Katharine Knowles, and Dr. Jeanne Linden  
15 as temporary voting members for this  
16 meeting.

17 "Based on the agenda it has been  
18 determined that the committee will not be  
19 providing advice on specific firms or  
20 specific products at this meeting. Topics  
21 being discussed by the committee in open  
22 session are considered general matters

1 issues.

2 "To determine if any conflicts of  
3 interest exist the agency reviewed the  
4 agenda and all relevant financial interests  
5 reported by the meeting participants. In  
6 accordance with 18 USC 208 Dr. Sam Doppelt  
7 has been granted a waiver that permits him  
8 to participate fully in the committee  
9 discussions.

10 "We would like to note for the  
11 record that Dr. Stephen Petteway is serving  
12 as a nonvoting industry member for this  
13 committee. He is employed by Bayer and thus  
14 has interest in his employer and other  
15 similar firms.

16 "With regards to the invited  
17 guests the agency has determined that the  
18 services of these guests are essential. The  
19 following reported interests are being made  
20 public to allow participants to objectively  
21 evaluate any presentations and/or comments  
22 made by the invited speakers:

1 "Major Ronny Alford is employed by  
2 the Armed Services Blood Program, United  
3 States Air Force.

4 "Dr. Larisa Cervenakova is  
5 employed by the American Red Cross.

6 "Dr. Aliza Eshkol is a senior  
7 scientific advisor for Serono International.

8 "Dr. Luisa Gregori is employed at  
9 the Medical Research Service, VA Medical  
10 Center. She is a researcher and has  
11 contracts with firms in the blood industry  
12 and firms developing TSE removal products.

13 "Ms. Ellen Heck is Director  
14 Transplant Services Center, University of  
15 Texas, Southwestern Medical Center, Dallas.

16 "Dr. Thomas Lynch is Senior Vice  
17 President for Regulatory and Quality for  
18 Clearant. He consults with companies  
19 engaged in the production of human tissues  
20 and tissue-based products.

21 "Dr. Robert Rohwer is principal  
22 investigator on contracts for applied

1 research supported by various blood  
2 companies and companies involved in the  
3 development of TSE removal products. He  
4 also consults with blood companies and  
5 companies involved in TSE removal.

6 "Dr. Diane Wilson is chief  
7 operating officer, Community Tissue  
8 Services, Dayton, Ohio.

9 "Listed on the agenda are speakers  
10 making industry presentations and speakers  
11 giving committee updates on regulated  
12 industry and outside organizations. Since  
13 these industry and update speakers have  
14 financial interests associated with their  
15 employer and other regulated firms they were  
16 not screened for these conflicts of  
17 interest.

18 "All other meeting participants  
19 are aware of the need to exclude themselves  
20 from discussions involving specific products  
21 or firms for which they have not been  
22 screened for conflict of interests. Their

1 exclusion will be noted for the public  
2 record.

3 "With respect to all other meeting  
4 participants we ask in the interest of  
5 fairness that they address any current or  
6 previous financial involvement with any firm  
7 whose product they wish to comment upon."

8 So ends the reading of the  
9 Conflict of Interest statement. Dr. Bolton,  
10 I turn the microphone over to you.

11 DR. BOLTON: Thank you, Bill. We  
12 will now have an announcement by Jill Warner  
13 on behalf of Dr. Zimmerman.

14 MS. WARNER: Good morning. I have  
15 just a brief announcement to make on behalf  
16 of CBER. As many of you on the committee  
17 and those in attendance to day are aware,  
18 one of the most dynamic and growing areas of  
19 product development in biological products  
20 is the area of tissues, cellular and gene  
21 therapies.

22 In order to facilitate the

1 coordinated development of these products,  
2 the research, review, and policy, we are  
3 announcing the formation of a new Office of  
4 Cellular, Tissue, and Gene Therapy Products.

5 This new office will consolidate  
6 the staff and functions from our current  
7 Division of Cellular and Gene Therapies in  
8 the Office of Therapeutics and Research and  
9 Review currently and also the human tissue  
10 program staff from the Office of Blood  
11 Research and Review.

12 Our target date for the new office  
13 is October of this year and the kinds of  
14 products that will be regulated in this new  
15 office include cellular therapies, tissue-  
16 based products, tissues, also gene  
17 therapies, transplantation products, and we  
18 welcome your comments as we go forward. We  
19 look forward to working with this committee  
20 on the TSE issues that affect these and  
21 other FDA-regulated products.

22 If you do have comments that you

1 would like to submit Dr. Cheryl Lard  
2 Whitesford, who is our CBER ombudsman, would  
3 be happy to take your call or your e-mail.

4 Thanks.

5 DR. BOLTON: Thank you, Jill.

6 This morning I would like to welcome you  
7 all, especially the new members or new  
8 returning members of the committee. We have  
9 a rather full agenda today so I won't say  
10 much.

11 We are going to be discussing many  
12 issues related to tissues, cellular-based  
13 and tissue-based products for trans-  
14 plantation. I think at this point we should  
15 just move right on with this.

16 I understand that one of our  
17 speakers, Dr. Paul Brown, may or may not be  
18 able to attend this morning. Paul was  
19 feeling a little under the weather so he is  
20 scheduled to speak at two different times,  
21 at 9:30 and somewhat later than that, 10:45.  
22 If Dr. Brown is able to make it he will



1 combine both of his talks in one segment and  
2 present them both at 9:30. I just want  
3 everybody to be aware of that.

4 At this time without further ado I  
5 will open up our first topic for discussion  
6 which is the Validation of Procedures to  
7 Prevent Contamination and Cross-  
8 Contamination with TSE Agents of Human  
9 Tissue Intended for Transplantation.

10 The first presentation in this is  
11 the introduction and background, which will  
12 be presented by Dr. Ruth Solomon. The title  
13 of this is Current and Proposed FDA  
14 Regulations and Guidance pertaining to TSE  
15 and Human Cells, Tissues, and Cellular and  
16 Tissue-Based Products Intended for  
17 Transplantation. Dr. Solomon.

18 DR. SOLOMON: Good morning. The  
19 first topic today is a discussion of the  
20 validation of procedures to prevent  
21 contamination and cross-contamination with  
22 TSE agencies of human cells and tissues

1 intended for cross-contamination.

2           These human cells and tissues  
3 would include cellular therapies,  
4 hematopoietic stem cells, reproductive cells  
5 and tissue, musculoskeletal tissue, skin,  
6 dura mater, heart valve allografts, and  
7 others.

8           FDA requests advice from the TSE  
9 Advisory Committee on the measures for donor  
10 screening, measures for tissue recovery and  
11 processing, and design of a clearance study  
12 appropriate to prevent contamination and  
13 cross-contamination of human cells and  
14 tissues intended for transplantation by TSE  
15 agents. The new term for these products are  
16 human cells, tissues, and cellular and  
17 tissue-based products or HCT/Ps.

18           There are three approaches to  
19 reduce the risk of TSE transmission by cell  
20 and tissue transplantation. The first is  
21 careful screening of the donor for TSE and  
22 risk factors of TSE and testing if and when

1 validated.

2           The second approach would be  
3 control of recovery and processing of cells  
4 and tissues to prevent contamination and  
5 cross-contamination and the third would be  
6 the use of steps during manufacturing of  
7 cells and tissues to remove or inactivate  
8 TSE agents.

9           The talks today will discuss each  
10 of these three approaches in more detail.  
11 We know that unlike blood transfusions given  
12 to humans there have been documented cases  
13 of iatrogenic transmission of CJD to the  
14 recipients of human cells and tissue  
15 products. Dr. Brown will discuss this  
16 further but, just briefly, there have been  
17 transmissions of CJD through human dura  
18 mater transplantation. Most of these are  
19 from dura mater from different donors that  
20 had been commingled during processing.

21           There have also been transmissions  
22 of CJD through corneal transplantation.

1 There has also been transmission through  
2 human pituitary derived growth hormone  
3 administration and this will be discussed by  
4 Dr. Eshkol.

5 Dr. Brown will also discuss the  
6 potential transmission of vCJD and CJD by  
7 other human cells and tissues and will give  
8 the experimental evidence in animals.

9 Now I would like to give you a  
10 background on FDA's regulatory approach to  
11 TSE transmission, actual and potential, by  
12 human cells and tissues. I will review the  
13 current tissue regulations, the current  
14 recommendations that are in the form of  
15 guidance to industry, and then the proposed  
16 cell and tissue regulations and the proposed  
17 recommendations and guidance.

18 Since FDA is in the process of  
19 finalizing the proposed regulations and  
20 issuing draft guidance we thought that this  
21 was an appropriate time to discuss TSEs and  
22 relate them to cell and tissue

1 transplantation.

2           The current tissue regulations  
3 were finalized in 1997 and they can be found  
4 in 21 CFR Part 1270. These regulations  
5 cover screening and testing of potential  
6 donors for HIV, HBV, and HCV. TSE is not  
7 included in this final rule.

8           There are also requirements for  
9 written procedures and record keeping. One  
10 of the requirements in the section on  
11 written procedures says that a tissue  
12 establishment is required to have validated  
13 procedures to prevent infectious disease  
14 contamination or cross-contamination during  
15 processing and this is contained in  
16 1270.31(d). This final rule also contains  
17 information about inspection and  
18 enforcement.

19           Along with the final rule we  
20 issued a guidance for industry called  
21 "Screening and Testing of Donors of Human  
22 Tissue Intended for Transplantation."

1                   This guidance document did  
2                   recommend screening for CJD and recommended  
3                   to defer donors with a diagnosis of CJD, a  
4                   family history in a blood relative of CJD, a  
5                   history of receiving dura mater transplant,  
6                   a history of receiving human pituitary  
7                   growth hormone.

8                   Recently this past March we also  
9                   issued another final guidance for industry  
10                  called "Validation of Procedures for  
11                  Processing of Human Tissues Intended for  
12                  Transplantation." The purpose of this  
13                  guidance was to clarify Section 1270.31 of  
14                  the rule. This guidance explains that  
15                  infectious disease contamination includes  
16                  viral, bacterial, fungal, and TSE agents and  
17                  we would expect that tissue establishments  
18                  have validated methods to prevent  
19                  contamination by viruses, bacteria, and  
20                  fungi at this time. Dr. Farshid from FDA  
21                  and several tissue processors will discuss  
22                  process validation later.

1           We also said in this guidance that  
2 validated methods to prevent contamination  
3 by TSE agents would be expected later if and  
4 when such methods are agreed upon by  
5 scientific experts such as the members of  
6 this committee and become available.

7           CDRH, which currently regulates  
8 dura mater, issued a guidance document in  
9 October '99 entitled "Guidance for the  
10 Preparation of a Pre-Market Notification  
11 Application for Processed Human Dura Mater."  
12 This guidance contains all of the above  
13 donor suitability recommendations and also  
14 recommends that a potential donor of dura  
15 mater with any degenerative or demyelinating  
16 disease of the CNS or who has died in a  
17 neurologic or psychiatric hospital be  
18 excluded.

19           This guidance also recommends  
20 growth and histologic exam of the full  
21 brain, disinfection by a method validated to  
22 reduce CJD infectivity, and the prohibition

1 of batch processing.

2 Now for the proposed regulations  
3 and guidance. In September 1999 FDA  
4 proposed a rule entitled "Suitability  
5 Determination for Donors of Human Cellular  
6 and Tissue-Based Products." This proposed  
7 rule would require screening of all cell and  
8 tissue donors, including a medical history  
9 interview, for risk factors and clinical  
10 evidence of HIV, HBV, HCV, human TSEs  
11 including CJD, and also there would be  
12 additional screening for particular human  
13 cells and tissues. It also would require  
14 testing of all cell and tissue donors for  
15 HIV, HBV, HCV, and syphilis and additional  
16 testing for particular human cells and  
17 tissues. We received comments on this  
18 proposed rule and we are in the process of  
19 finalizing it.

20 In addition we proposed a rule on  
21 January 8, 2001, entitled "Current Good  
22 Tissue Practice for Manufacturers of HCTPs



1 Inspection and Enforcement." This proposed  
2 rule would provide controls over facilities,  
3 personnel, equipment, environment, incoming  
4 materials, labeling, storage, process  
5 controls, process validation, record  
6 keeping, adverse reactions, and product  
7 deviation reporting and tracking and would  
8 also contain information about inspection  
9 and enforcement. We have received comments  
10 on this proposed rule and it is also being  
11 finalized.

12 I would like to point out that  
13 this GTP proposed rule would prohibit the  
14 pooling of cells and tissues from different  
15 donors during any part of manufacturing. By  
16 "pooling" we mean placing in physical  
17 contact or mixed in a single receptacle;  
18 however, this proposed GTP rule would also  
19 provide an exemption or alternative from any  
20 GTP requirement. A firm could submit a  
21 request for an exemption or alternative  
22 accompanied with valid data.

1           For instance, a request might be  
2 submitted for an exemption to the pooling  
3 prohibition. FDA would weigh the potential  
4 increased risk of contamination and cross-  
5 contamination with emerging infectious  
6 disease agents such as TSE agents against  
7 the potential benefit of improved  
8 elimination of conventional infectious  
9 disease agents such as viruses, bacteria,  
10 and fungi. Dr. Taffs will be discussing a  
11 risk assessment. There will be several  
12 talks about single donor processing versus  
13 batch processing from Dr. Lynch, Dr. Brown,  
14 Ms. Wilson, and Ms. Heck.

15           Now for the proposed guidances.  
16 Two weeks ago we issued a draft guidance for  
17 industry entitled "Preventive Measures to  
18 Reduce the Possible Risk of Transmission of  
19 CJD and vCJD by human cells, tissues, and  
20 cellular and tissue-based products. This is  
21 the subject of Topic #2. Dr. Greenwald will  
22 discuss this draft guidance further but as a

1 background for my topic, for this topic, the  
2 draft guidance briefly would have certain  
3 recommendations for donor screening for CJD  
4 and would recommend deferral for CJD or  
5 variant CJD diagnosis, dementia,  
6 degenerative or demyelinating disease of the  
7 CNS, or other neurologic disease of unknown  
8 etiology, deferral for risk factors for CJD  
9 such as a blood relative with CJD, receipt  
10 of dura mater or human pituitary growth  
11 hormone, and it would also include risk  
12 factors deferral for variant CJD such as the  
13 cumulative time spend in the UK, Europe. It  
14 addresses military people stationed in  
15 Europe and their dependents. It also  
16 addresses blood transfusion in the UK and  
17 receipt of bovine insulin manufactured from  
18 cattle in the UK. Basically it is the same  
19 recommendation that all of you are familiar  
20 with in the blood donor guidance except  
21 there is an exception made to HLA-matched  
22 hematopoietic stem cells.

1                   In addition to the screening  
2                   measures that I just mentioned in the draft  
3                   guidance that Dr. Greenwald will discuss  
4                   several other screening measures have been  
5                   suggested. One of them is to have an upper  
6                   age limit for donors of cells and tissues.  
7                   The thinking behind this is that the median  
8                   age at death from CJD is 68 years or in the  
9                   older population; however, in considering  
10                  such a recommendation we would be concerned  
11                  about the long incubation period and the  
12                  probability that the donor would be  
13                  infectious during some portion of the  
14                  incubation period.

15                  An upper age limit was proposed  
16                  for blood donors by the blood industry but  
17                  was not implemented. You should realize  
18                  that proposing an upper age limit may  
19                  seriously reduce tissue supply. For  
20                  instance, 50 percent of US donors of ocular  
21                  tissue are age 61 or older.

22                  The tissue bank and eye bank

1 standards do not recommend any upper age  
2 limit. This is left to the discretion of  
3 the eye or tissue bank director to set donor  
4 age limits in the firm's SOP; however, there  
5 are age limits in industry standards for  
6 semen donors. They have to be less than 40  
7 years old, oocyte donors less than 35 years  
8 old, donors of cardiovascular tissue less  
9 than 61 years old.

10 A second possible additional  
11 donor-screening measure would be to exclude  
12 donors for head trauma. The rationale here  
13 is to avoid possible CNS contamination of  
14 the cells and tissues that you retrieve.  
15 Again, head trauma is not addressed in the  
16 industry standards and we have to realize  
17 that such an exclusion may reduce the tissue  
18 supply. For instance, 13 percent of eye  
19 donors cause of death is trauma.

20 Then we could consider some  
21 additional donor testing measures such as a  
22 brain autopsy and that should be found

1 negative in order to accept the donor.

2 Dr. Hogan is going to discuss the brain  
3 biopsy and the brain autopsy.

4 With the brain autopsy we should  
5 remember that there could be a delay in  
6 distributing time-sensitive human cells and  
7 tissues such as cornea. The brain biopsy if  
8 we were to use that would need to be  
9 validated to show that it is predictive of  
10 autopsy diagnosis of TSE.

11 So we would like the committee to  
12 evaluate the appropriateness of each of the  
13 measures and the controls that will be  
14 discussed today or others that you recommend  
15 to prevent TSE transmission to the  
16 recipients of human cells and tissues.

17 Again, we are dividing this into  
18 additional donor screening and testing  
19 criteria, then specified methods of recovery  
20 and processing of cells and tissues, and  
21 under that specific methods of  
22 decontamination of surgical instruments and

1 work surfaces and the removal and/or  
2 inactivation of TSE agents. Both of the  
3 latter topics will be discussed by  
4 Dr. Rohwer.

5 In addition we would like you to  
6 consider this question. Should pooling or  
7 commingling of human cells from different  
8 donors during manufacturing ever be  
9 permitted? If so what controls should FDA  
10 require in assessing whether a request for  
11 an exemption from the proposed pooling  
12 prohibition should be granted?

13 Finally, the questions that will  
14 be asked at the end of this topic. Question  
15 number one, which of the following measures  
16 and controls is or are appropriate to  
17 prevent TSE agent transmission to recipients  
18 of human cells and tissues? Additional  
19 donor screening and testing measures to  
20 determine donor eligibility or exclusion  
21 such as upper age limit, head trauma  
22 exclusion, negative brain biopsy, or

1 autopsy? Specific methods of human cell and  
2 tissue recovery and/or processing to prevent  
3 contamination and cross-contamination such  
4 as the decontamination of instruments and  
5 surfaces? We will be asking you how should  
6 this be accomplished.

7 The methods for removal and/or  
8 activation of TSE agents during  
9 manufacturing and single donor aseptic  
10 processing versus permit pooled processing  
11 under circumstances, which circumstances and  
12 with adequate controls, which controls?  
13 Also, if you had other appropriate measures  
14 and controls we would appreciate hearing  
15 them.

16 The second question will ask you  
17 to comment of the design of a satisfactory  
18 TSE agent clearing study for human cells and  
19 tissues in terms of the following criteria.  
20 What would be a suitable TSE agent strain  
21 and animal model? Can we accept measurement  
22 of abnormal forms of prion protein alone or



1 would we require infectivity bioassays? Can  
2 we accept substantial reduction and how much  
3 should that be or require complete  
4 elimination of detectable prion protein  
5 and/or infectivity? Should we accept a  
6 single validated method or require that more  
7 than one validated method be included in the  
8 study and any other suggestions for the  
9 design of a clearance study that you may  
10 have?

11 Thank you.

12 DR. BOLTON: Thank you,  
13 Dr. Solomon. We have a little time if there  
14 are some questions from the committee at  
15 this point for Dr. Solomon.

16 Seeing none, then we will move on.  
17 Our next speaker is Dr. Rolfe Taffs. He  
18 will tell us about the risk assessment  
19 models for estimating the risk of  
20 transmitting TSE by human tissue intended  
21 for transplantation. Dr. Taffs.

22 DR. TAFFS: Good morning. I'm

1 very pleased to have an opportunity today to  
2 present information on risk assessment  
3 models after the transmission of TSEs by  
4 human tissues intended for transplantation.

5           During my talk I ask you to keep  
6 in mind two important points. The first  
7 point is that this talk represents the views  
8 of the author and is not the official  
9 position of the Food and Drug  
10 Administration. Now, that having been said,  
11 the second point is that you will note later  
12 in the talk that many assumptions are made  
13 in risk assessments. Not all of these  
14 assumptions are established scientific fact,  
15 nor is there complete agreement on some of  
16 the numerical values used in the models that  
17 I will present but my goal this morning is  
18 to describe the development and application  
19 of probabilistic risk assessments in  
20 estimating TSE exposure risks associated  
21 with the use of human tissues.

22           Efforts have been made in the past

1 to quantitate the risk of TSEs associated  
2 with the use of pharmaceutical products.  
3 The first citation shown here is often  
4 called the German Model.

5 The second citation is a paper  
6 that appeared in the PhRMA Association  
7 Journal BioPharm a few years ago.

8 The third citation is a more  
9 recent report on harmonization of risk  
10 assessments for the scientific committees of  
11 the European Commission outlining the  
12 essential elements of quantitative risk  
13 assessment.

14 While no detailed guidance on risk  
15 assessment for tissues is currently  
16 available from the FDA the agency attempts  
17 to harmonize with other regulatory  
18 authorities wherever possible. The EC risk  
19 assessment publications are available on the  
20 Internet and they provide a reasonable  
21 framework for harmonizing approaches to  
22 science-based risk assessment and for

1 developing risk-assessment models.

2           The last citation is a recent risk  
3 assessment model for cornea transplantation  
4 providing one example of the various  
5 approaches that might be taken to assess TSE  
6 risks associated with the use of allograft  
7 tissues.

8           Risk analysis can be thought of as  
9 a comprehensive, structured approach to  
10 dealing with risk. It is comprised of risk  
11 assessment, risk management, and risk  
12 communication. This presentation focuses on  
13 risk assessment.

14           The elements of risk assessment  
15 include hazard identification, exposure to  
16 assessment, hazard characterization, and  
17 risk characterization. Hazard  
18 identification examines the source of a risk  
19 capable of producing an adverse effect  
20 together with a quantitative description of  
21 that adverse effect. Exposure assessment  
22 evaluates the levels and the duration of

1 exposure to the risk. Hazard  
2 characterization seeks to determine the  
3 dose-response relationship and the  
4 mechanisms of those critical effects. Risk  
5 characterization estimates the probability  
6 of the occurrence and the severity of the  
7 adverse effects along with attendant  
8 uncertainties.

9 I think it is important to  
10 consider that variability and uncertainty in  
11 the risk model should be described. Risk  
12 assessment and risk assessors need to  
13 explore the scientific basis for the risk  
14 estimation and explicitly state the  
15 assumptions made in modeling risk in order  
16 to avoid any false sense of precision. The  
17 assumptions and constraints of the model  
18 should also be described.

19 Now, the principles outlined in  
20 this slide are really not so foreign. All  
21 of us are risk assessors. We evaluate the  
22 risks that we encounter every day. I have

1 an anecdote to illustrate the components of  
2 this slide. I have a risk mitigation  
3 strategy so that I know I will arrive at  
4 meetings such as this on time so I always  
5 leave early.

6 I had a little problem coming to  
7 the last advisory committee meeting. It had  
8 to do with a flat tire. Now, the risk  
9 mitigation strategy that I had in place at  
10 the time was to leave early. It turned out  
11 not be quite fully effective so I performed  
12 an additional risk assessment that involved  
13 the likelihood that I would encounter a nail  
14 in the road and that I would have an  
15 opportunity to change a tire or have my tire  
16 repaired so that I would still arrive at the  
17 meeting on time.

18 Other factors that were  
19 incorporated in that risk assessment  
20 included the age of my tires. So I  
21 instituted a new mitigation strategy that  
22 involved buying new tires and I made this

1 meeting on time. That's an example.

2 Probabilistic risk assessment  
3 provides a means to obtain specific  
4 objectives. Risk modeling lets us  
5 quantitate the relative contributions of the  
6 parameters in the risk model, identify  
7 critical elements for further research, and  
8 ultimately to obtain accurate information to  
9 aid in making regulatory decisions. In the  
10 case of TSE risks associated with the use of  
11 human tissues this third objective has not  
12 yet been completed although certainty  
13 considerable progress has been made.

14 Sensitivity analysis, also known  
15 as importance analysis, lets us examine the  
16 relative contributions of different  
17 parameters in the risk model. By  
18 "parameters" I mean all of the elements that  
19 can be incorporated in the model and could  
20 affect the outcome of the risk assessment  
21 calculations.

22 The objectives of sensitivity

1 analysis are to evaluate the effects of  
2 changes in the model parameters and identify  
3 those parameters that have the greatest  
4 impact on the magnitude of risk.

5 Sensitivity analysis lets us examine the  
6 assumptions, the variability, and the  
7 uncertainty in the model and quantify the  
8 impact of each of the parameters on the  
9 outcome of the risk assessment.

10 I must point out that to my  
11 knowledge this important feature of risk  
12 assessment has not been addressed in  
13 previously published models of the risk of  
14 TSE in the use of human tissues or  
15 pharmaceutical products.

16 Efforts are ongoing in the Center  
17 for Biologics, Evaluation, and Research to  
18 develop quantitative science-based risk  
19 assessment models, including a generalized  
20 model for tissues that could be applied in  
21 specific circumstances as adequate data  
22 become available. Tissue risk assessment



1 models for CJD have several components.  
2 Some of these are listed here: Disease  
3 prevalence, donor availability and  
4 utilization, sources of uncertainty, and the  
5 potential impact of infection within the  
6 donor pool are incorporated in the model.  
7 The model includes diagnosed cases of CJD,  
8 undiagnosed symptomatic cases, and  
9 asymptomatic cases during the incubation  
10 period of the disease.

11 Data inputs for the model include  
12 a population age distribution, age-specific  
13 all-cause deaths, age-specific rates of CJD  
14 deaths, and age-specific donor utilization  
15 for a given tissue. The model also  
16 incorporates parameters for donor screening,  
17 processing, and possibilities for  
18 cross-contamination. The results I will  
19 present shortly were obtained from this  
20 generalized model and it is important to  
21 note that different tissues vary with regard  
22 to their procurement, tissue processing, and

1 implantation procedures. These unique  
2 features need to be taken into account in a  
3 risk assessment for any given tissue.

4           Some sources of information are  
5 readily available to develop risk  
6 assessments for CJD in tissues. Four  
7 sources that I have used for modeling are  
8 shown here, including published estimates of  
9 CJD incidents, age-specific mortality,  
10 population estimates from the US Bureau of  
11 the Census and, as an example of data  
12 available for a specific tissue, information  
13 on cornea donations available from the Eye  
14 Bank Association of America.

15           At the same time I should note  
16 that for many tissues comprehensive and  
17 accurate information on donor utilization  
18 and tissue processing are not readily  
19 available and such information would be  
20 needed to provide reliable estimates of the  
21 risk. Efforts to compile such information  
22 for different tissues should be encouraged

1 and acknowledged.

2 As one simple example of the  
3 information available for CJD-risk models  
4 this figure shows the age distributions for  
5 CJD incidents in the United States and the  
6 United Kingdom adjusted for the nearly  
7 five-fold difference in population between  
8 the two nations. The distributions are  
9 remarkably similar as has been noted in a  
10 number of publications.

11 As an example of the information  
12 available for tissue utilization this slide  
13 compares the age distribution for cornea  
14 donors and for all caused deaths in the US.  
15 All caused deaths are shown in hundreds  
16 adjusting the scale and the figure so that  
17 the difference between the two distributions  
18 is readily apparent. Note the observed  
19 reduction in cornea use from potential  
20 donors over the age of 70 attributable to  
21 cornea procurement and implantation  
22 practices at different locations within the

1 United States.

2           The point is that the age  
3 distribution for the utilization of a  
4 specific tissue may not correspond with the  
5 potential donor pool reflected in age-  
6 specific all-cause death rates so reliable  
7 information is needed for specific tissues  
8 if we desire to model CJD risk accurately.

9           For the generalized risk model the  
10 variables listed here were identified as  
11 important parameters. These include the  
12 number of symptomatic cases that are  
13 undiagnosed or not detected by current  
14 screening methods, the number of  
15 asymptomatic cases, and CJD prevalence.  
16 Additional screening procedures or donor  
17 exclusion criteria can also be incorporated  
18 in the model.

19           Other important variables include  
20 decontamination, that is, reduction in  
21 infectivity that may result from processing  
22 steps, the effect of cross-contamination or

1 commingling, batch size, and the numbers of  
2 donors, recipients, and graft materials that  
3 may be used in a given transplant procedure.  
4 These topics will also be discussed in  
5 detail by other speakers later today.

6 To illustrate how these parameters  
7 fit in the overall strategy for risk  
8 assessment this diagram places the CJD risk  
9 model in a framework consistent with the  
10 risk assessment elements shown in an earlier  
11 slide. The underlying distribution for  
12 donors, disease, and population are  
13 considered as a part of the exposure  
14 assessment.

15 Recovery, processing, and  
16 transplant procedures are also captured  
17 under exposure assessment. The risk  
18 characterization includes several parameters  
19 shown on the next slide. Risk  
20 characterization includes the proportion of  
21 missed cases, incubation period, symptomatic  
22 period prior to diagnosis, and actual

1 disease prevalence. The parameters captured  
2 under exposure assessment are also listed  
3 here.

4 Two important points must be made.  
5 The first is that except for the number of  
6 donors in the model these parameters are not  
7 regarded as single-point estimates in this  
8 model. Instead the calculation of the risk  
9 depends on the underlying distribution of  
10 each parameter. This is probabilistic risk  
11 assessment. Each input distribution  
12 reflects the variability and uncertainty for  
13 the individual parameter. For example, the  
14 estimate of the proportion of missed cases  
15 is modeled as a range of values from .5 to  
16 10 percent using a triangular distribution  
17 whose most probable value in the model is 1  
18 percent.

19 The asymptomatic period is modeled  
20 on a range of values from 5 to 40 years with  
21 a median of 10 years. Other components  
22 include prevalence, number of donors in a

1 batch, number of transplant items per donor  
2 and recipient, medical history review,  
3 cross-contamination, and reduction in  
4 infectivity by tissue processing, and these  
5 are all components of the probabilistic risk  
6 model.

7 In calculating the risk the  
8 distributions for each of these parameters  
9 are repetitively sampled at random using the  
10 median as the most probable value for the  
11 distribution. Each iteration computes the  
12 number of exposures that would occur and  
13 following 10,000 iterations an output  
14 distribution can then be calculated showing  
15 the mean number of exposures and its  
16 variability given input distributions. This  
17 method is often referred to as Monte Carlo  
18 analysis. The number of donors used in this  
19 illustration was fixed at 25,000 per year.  
20 These distributions are easily adjusted in  
21 the model to coincide with the values to be  
22 expected for a given tissue procurement

1 process and transplant procedure.

2 The next step was to quantitate  
3 the number of exposures to CJD-infected  
4 tissue that might occur in one year under  
5 different model assumptions. The two  
6 parameters that were varied in the  
7 comparisons that I am about to show were  
8 medical history review and commingling of  
9 tissues during processing. The 75-percent  
10 value indicated here for medical history  
11 review actually is modeled by a distribution  
12 of 60 to 85 percent expressing the  
13 variability or uncertainty in a proportion  
14 of cases where we assume that the medical  
15 history is complete, accurate, available for  
16 review, and used without error to detect  
17 infected donors. The 100 percent value  
18 assumes that the review is effective in  
19 every case. The distribution for  
20 commingling assumes that commingling occurs  
21 in half of the process tissues and results  
22 in cross-contamination if infected material



1 is present.

2 The likelihood of the presence of  
3 infectious material was based on the other  
4 parameters in the model and those parameters  
5 were not varied for these comparisons.  
6 Results are shown in the next four slides  
7 expressed as means and probability  
8 distributions for the number of exposures  
9 per year based on an input of 25,000 donors.

10 These comparisons are meant as  
11 examples of the application of the model and  
12 are not intended to represent a given tissue  
13 or process. This output distribution shows  
14 the mean number of exposures per year and  
15 its probability distribution when medical  
16 history review is effective in approximately  
17 75 percent of the cases and where  
18 commingling may occur in half of the  
19 processed tissues.

20 Under the assumptions of this  
21 model the estimate of the number of  
22 exposures is 8.4 per year for 25,000 donors.

1 The fifth and the 95 percentiles are  
2 indicated below the histogram.

3 When the same model is run in the  
4 absence of any commingling the mean number  
5 of exposures per year per 25,000 donors  
6 declines from 8.4 to 1.7. As shown here,  
7 assuming 100 percent medical history review,  
8 the model estimates that the number of  
9 exposures would be 5.5 per year when  
10 commingling occurs. The same model  
11 estimates that 1.4 exposures would occur in  
12 the absence of any commingling.

13 The results of these four models  
14 are summarized in this table. The  
15 variability of the means is expressed as a 5  
16 to 95 percent confidence interval for the  
17 mean number of exposures per year. One  
18 advantage of this modeling approach is that  
19 it allows other parameters to be evaluated  
20 such as additional donor exclusion criteria,  
21 age, for example. Under this set of model  
22 assumptions, using 8.4 as the baseline

1 number of annual exposures, exclusion of  
2 donors over age 60 or 65 would be expected  
3 to reduce annual exposures to 1.1 or 2.6  
4 respectively. At the same time a large  
5 proportion of otherwise suitable donors  
6 would be excluded by these criteria as shown  
7 in this table.

8 A most useful outcome of the model  
9 is the ability to compare the model  
10 parameters to determine which of them have  
11 the greatest importance. Sensitivity  
12 analysis was conducted to see which  
13 parameters are driving the risk in the  
14 model. The two examples I will show are for  
15 the models incorporating 100 percent medical  
16 history review in the presence or absence of  
17 commingling.

18 This figure is called a tornado  
19 diagram. The length of the horizontal bars  
20 indicates the relative impact of the  
21 parameters on the estimated risk. In the  
22 presence of commingling and batch size are

1 the greatest contributors to the risk. The  
2 other parameters in the model are shown in  
3 order of magnitude of their impact on  
4 exposure risk. Note that the number of  
5 transplanted tissues is a significant  
6 contributor to the risk model but it is less  
7 important than commingling and batch size  
8 under the assumptions in this model.

9 In the absence of commingling, the  
10 same model estimates that the number of  
11 transplanted tissues is the largest risk  
12 driver, having moved up from 4th place in  
13 the previous diagram. As the input  
14 parameters are modified, for example, by  
15 imposing additional screening or mitigation  
16 procedures, the magnitude and order of the  
17 risk drivers can change.

18 Sensitivity analysis allows us to  
19 identify significant parameters in the risk  
20 model and consider risk mitigation  
21 strategies or focus on the parameters where  
22 better scientific parameters where better

1 scientific information is most needed.

2           Several parameters in the models  
3 I've described are limited by lack of good  
4 information. These so-called data gaps are  
5 areas in which better information would  
6 improve the accuracy of the risk model.  
7 More data are needed on the amount of CJD  
8 agent that is present in or could  
9 contaminate tissue during procurement from a  
10 CJD-infected donor, the progression of CJD  
11 and the infectivity of different tissues  
12 during the course of the disease, the extent  
13 of reduction of CJD agent that might occur  
14 during processing of tissues from a  
15 CJD-infected donor, the donor utilization  
16 and allograft implantation practices for  
17 specific tissues, and the extent of cross-  
18 contamination by instruments or equipment  
19 that might occur during processing.

20           In conclusion I hope I have shown  
21 in these examples that probabilistic risk  
22 assessment allows detailed evaluation of

1 exposure under differing model assumptions.

2 It should be recognized that different  
3 tissues and processing methods have unique  
4 models and should be assessed separately.

5 The modeling indicates that  
6 commingling is potentially a major driver of  
7 risk. Other parameters such as the number  
8 of transplanted tissues are also significant  
9 drivers. Finally, additional data are  
10 needed to provide accurate estimates of  
11 exposure of allograft recipients to TSE-  
12 infected tissues.

13 I must thank my CBER collaborators  
14 in this work for their priceless expertise  
15 and enduring support, Dr. David Asher in the  
16 Office of Blood Research and Review and  
17 Dr. Steven Anderson in the Office of  
18 Biostatistics and Epidemiology. As a final  
19 comment, other presenters at this meeting  
20 will discuss important topics highly  
21 relevant to these risk assessment models,  
22 including possibilities for additional

1 testing, the impact of batch processing,  
2 cross-contamination, disinfection, and  
3 clearance.

4 I anticipate that the models I  
5 have discussed this morning will be further  
6 developed as an outcome of those  
7 presentations. I also hope that we might  
8 consider the information shared today in the  
9 context of developing quantitative risk  
10 assessments that improve our ability to make  
11 good decisions regarding the safe use of  
12 human tissues.

13 Thank you.

14 DR. BOLTON: Thank you, Dr. Taff.  
15 Are there questions from the committee?

16 Yes, John.

17 DR. BAILAR: You start your risk  
18 assessment model with what's becoming a  
19 standard four-step kind of thing, hazard  
20 identification and so forth. That model was  
21 originally elaborated for carcinogens and  
22 I'm not sure that it really applies in full

1 force here.

2           You've shown that it can be useful  
3 but as you went on you slid off into a  
4 multiplicative model and I wonder if the  
5 analysis might be simplified and the need  
6 for data clarified if you just began with a  
7 straight-through multiplicative model. Here  
8 is the probability the potential donor is  
9 infected, here's the probability that if it  
10 is infected the screening measures will  
11 remove that donor from the pool, here's the  
12 probability that the infection will survive  
13 the processing, and so forth. If you take  
14 it step by step like that I think the whole  
15 thing might become a good bit more clear.  
16 I'm not sure the bottom line would change,  
17 but I think also the data needs would stand  
18 out in starker contrast.

19           DR. TAFFS: I agree. The efforts  
20 of the committee that met to harmonize risk  
21 assessments in the European Commission  
22 efforts attempted to identify the elements



1 of risk assessment that are in common  
2 between all of the different applications of  
3 risk assessment and identify those elements  
4 that were unique and they attempted to  
5 construct a framework that might be useful  
6 for risk assessors to evaluate a particular  
7 problem and develop a model.

8 Now, in fact the risk assessment  
9 computations are conducted in exactly the  
10 process that you indicate; that is, all of  
11 the parameters are incorporated into a  
12 single model and evaluated sequentially in  
13 order to come up with the probabilistic  
14 computations that I showed today. So in  
15 practice the actually computations and  
16 evaluation of risk proceed in the fashion  
17 that you describe.

18 The point to having such a  
19 framework in place that uses the four  
20 components of risk assessment is to be sure  
21 that someone developing a model for the  
22 first time thinks about all of the different

1 components that are necessary in order to be  
2 certain that all the parameters are in place  
3 when the calculations are conducted.

4 DR. BOLTON: Dr. McCullough?

5 DR. McCULLOUGH: My question has  
6 to do with the medical history review. I'm  
7 sorry if I missed this explanation but you  
8 use the term "medical history reviewed."  
9 Does this mean the history was obtained but  
10 was not medically reviewed or that there  
11 were parts of the history that were not  
12 known or not obtained? Can you elaborate on  
13 what you mean by that?

14 DR. TAFFS: Yes, in the model we  
15 allow for several possibilities. One is  
16 that the review is unavailable. Another is  
17 that the review is not used, for example, in  
18 the cases of legislative consent. Another  
19 is that the medical history is incomplete or  
20 inaccurate, and another is the possibility  
21 that the medical review leads to some error.  
22 So those are captured under the distribution

1 60 percent to 85 percent that was used in  
2 this generalized model.

3 DR. BOLTON: I have a question.  
4 Have you taken the parameters, say, that may  
5 be available from dura mater transplants and  
6 tried to plug that into the model to see  
7 what results you get since we have known  
8 transmissions with a certain period of time  
9 that you might be able to evaluate the  
10 usefulness of the model? Have you done  
11 that?

12 DR. TAFFS: I think that is a very  
13 interesting prospect. In any case a risk  
14 assessment model should be verified against  
15 available data when the data become  
16 available and are sufficient in order to  
17 provide a good evaluation of the model. In  
18 that regard I think it might be possible at  
19 some point in the near future to examine the  
20 reliability of the model as applied to  
21 specific tissues, for example, cornea  
22 transplants.

1                   At the same time I think we all  
2                   have to recognize that a great deal of  
3                   information is yet needed in order to  
4                   generate a model that's capable of producing  
5                   accurate estimates. Even so, the utility of  
6                   the model in identifying the parameters that  
7                   are the greatest drivers of risk makes it a  
8                   very useful tool in assessing risk drivers  
9                   and coming to some conclusions about good  
10                  strategies for reducing or eliminating risk.

11                 DR. BOLTON: I would agree. In my  
12                 opinion one of the best uses of these models  
13                 is to identify the gaps in the information  
14                 that are most critical because when you  
15                 begin to plug in the parameters and see how  
16                 the model behaves sometimes those areas will  
17                 stand out very clearly.

18                 This committee and the FDA  
19                 obviously are very concerned about those  
20                 caps in knowledge that lead us either to be  
21                 unable to make decisions or to be unable to  
22                 make rational scientifically-based

1 decisions.

2 DR. TAFFS: I agree completely.

3 DR. BOLTON: Are there other  
4 questions? Dr. Bailar?

5 MR. BAILAR: One other comment on  
6 a minor note, the probability bounds that  
7 you show are based solely on what a  
8 statistician calls sampling error. They do  
9 not reflect bias in the data. They do not  
10 reflect problems in the model. The real  
11 bounds of uncertainty could be wider, maybe  
12 a great deal wider. You might want to  
13 comment on that on further analysis.

14 DR. TAFFS: I think as the model  
15 develops we will be able to represent the  
16 distributions for the individual parameters  
17 much more accurately. That depends on the  
18 availability of solid scientific  
19 information.

20 As just one example, a mitigation  
21 strategy such as decontamination during  
22 processing, to the extent that that

1 decontamination is validated then the  
2 distribution for the impact of this  
3 decontamination on the outcome risk  
4 assessment becomes much more precise and its  
5 impact on the total risk is quantifiable.

6           Until that information becomes  
7 available we are in a position of having to  
8 assign distributions for the individual  
9 parameters that reflect current  
10 understanding but are not necessarily based  
11 on established scientific fact.

12           DR. BOLTON: Further questions or  
13 comment?

14           Thank you, Dr. Taff.

15           Our next speaker is Dr. Nick  
16 Hogan, who will be presenting additional  
17 testing measures, the potential value of  
18 post-mortem transorbital frontal lobe needle  
19 biopsy. Nick.

20           DR. HOGAN: Good morning. Well,  
21 I'm glad to hear that batch and commingling  
22 are a big problem because there is no batch

1 or commingling for corneal donors.

2 I was asked today to come and talk  
3 with you about possible alternatives should  
4 testing for CJD be required. We have come  
5 up with some interesting issues that we need  
6 to discuss.

7 This really stems from the meeting  
8 in January of 2001 of this committee where  
9 after the voting it was commented on that  
10 there would be a recommendation that when a  
11 test for TSE-associated prion protein is  
12 validated such a test should be applied to  
13 tissue donors. It comes from the charge  
14 statement today, of course, which this  
15 committee might also consider that an  
16 autopsy or failing that a brain biopsy  
17 validated to show that it is predictive of  
18 an autopsy diagnosis of TSE be performed on  
19 some or all cadaveric donors.

20 Well, any of these that applied,  
21 be they autopsy or brain biopsy, you have to  
22 know that there are greater than 45,000

1 corneal donors per year and based on the way  
2 this is done for corneal donors there is  
3 first a medical history exclusion and then  
4 the corneas are obtained. This would have  
5 to be applied to all 45,000 corneal donors  
6 regardless of the fact that subsequent  
7 testing for, say, hepatitis or for viability  
8 of endothelial cells might exclude that  
9 tissue.

10 This just shows a corneal  
11 transplant, essentially functionally blind  
12 and then after the transplant. Now, if an  
13 autopsy is required what impact would this  
14 have on the system? Well, the current  
15 autopsy rate in the United States is  
16 somewhere between 10 to 13 percent. That  
17 translates in major centers to between four  
18 and ten per week.

19 Now, the corneal donor procurement  
20 in major centers is about the same, eight to  
21 ten per week, so if autopsies were required  
22 on these this would double the number of



1 autopsies per week that would be required.  
2 So there are essentially 45,000 additional  
3 autopsies per year or 124 per day  
4 nationwide. Obviously this is going to  
5 require additional staff in terms of taking  
6 care of and interpreting the autopsy.

7           Additionally, the cost of an  
8 autopsy ranges between \$1,500 and \$3,000.  
9 The cost of a brain-limited autopsy, I found  
10 a bargain, \$750 to \$2,000, and who is going  
11 to pay for this? Under current Medicare  
12 rules Medicare will not pay for autopsies  
13 and most insurance companies have gone along  
14 with that. So the cost for an additional  
15 autopsy would likely be translated to the  
16 family's responsibility.

17           The biggest issue about autopsy is  
18 the biological time constraint. For a  
19 cornea there is a limit of four to eight  
20 days after death in which the tissue is  
21 viable for transplant. Most centers use  
22 four. The reason for that is that the

1 cornea endothelium, which is at the base of  
2 the cornea, which is at the base of the  
3 cornea -- this is the surface; this is the  
4 anterior chamber side -- is responsible for  
5 keeping the cornea detergessed.

6 If water gets in the cornea it  
7 becomes cloudy and it will fail as a graft.  
8 This is a non-replacing tissue. It does not  
9 mitose. It starts dying immediately after  
10 death and by the end of four days roughly 50  
11 percent of the tissue can be gone. So this  
12 is the reason why time is such a big issue  
13 here.

14 Now, the standard time for a full  
15 autopsy based on the College of American  
16 Anatomic Pathologists is six weeks. That's  
17 a full autopsy. It's unclear in the way the  
18 FDA charge statement reads whether they mean  
19 that it is going to be just a neuro-  
20 pathologic exam. I must assume that that is  
21 what they mean. But even if just the brain  
22 were to be opened the logistical issues

1 would still be in place, that is, a standard  
2 neuropathologic examination with fixing of  
3 the tissue, evaluation of the slides for  
4 status spongiosis, is on the order of  
5 somewhere, quickly, two weeks to three  
6 weeks, as Dr. Gambetti and DeArmond and  
7 others know, and this is clearly beyond the  
8 viability time.

9           Now, what if the brain were to be  
10 opened but just a portion of the brain to be  
11 taken, a brain biopsy with the cranium open?  
12 Well, of course, doing any frozen section  
13 analysis should be able to be done within  
14 that four-day time period, actually within  
15 24 hours. That is about what we need in  
16 order to get a usable cornea to the  
17 recipient.

18           Obviously in the other diagnostic  
19 tests, Western Blot, et cetera, that might  
20 be validated by this committee they could  
21 also be done in that time period. But have  
22 we looked at other tissues as well? I would

1 be interested in knowing what the committee  
2 thinks about the urine and CSF testing that  
3 is also being utilized and looked at.

4 But even with these, again, you  
5 have to think about the biological time  
6 constraints. So after the meeting in  
7 January a year ago we were talking about  
8 these issues and what would happen if  
9 exactly this question came up and we struck  
10 the idea that perhaps since the eye is going  
11 to be removed from these cadavers anyway  
12 what about going into the brain through a  
13 transorbital approach? Is that feasible?

14 The problem is this is not  
15 currently a neurosurgical site that is used.  
16 There are obviously issues. If the eye is  
17 in place in a living patient it's difficult  
18 to get past the eye to the orbital roof and  
19 into the brain. There's limited flexibility  
20 and limited space.

21 There's only one technique that  
22 uses a transorbital craniotomy approach.

1 That has recently been put forward by Shanno  
2 at the University of Pennsylvania to get at  
3 skull-based tumors but even that utilizes  
4 removal of a bone flap on the frontal bone.

5 So we are really treading on  
6 virgin territory here and we need to totally  
7 evaluate whether trans-orbital approach  
8 would be feasible and if testing could be  
9 validated. So we need to look at whether  
10 the biopsy site is adequate, if tissue could  
11 be procured, what diagnostic tests would be  
12 used with that material, what kind of  
13 analysis would be required, what personnel  
14 and financial issues might be involved with  
15 that. I will discuss some of the issues.

16 Now, obviously once the eye is out  
17 the orbit is left and everything is still  
18 there, including the orbital fat,  
19 extraocular muscles, vessels, and nerves.  
20 And This is what a post-enucleation eye  
21 looks like. The eye has been removed but  
22 the conjunctiva is still present, the

1       fornices, the base of the conjunctiva, is  
2       present. This is the stump of the optic  
3       nerve. And the big problem, all the orbital  
4       fat and extraocular muscles are still  
5       present. You have to get through that to  
6       get to the orbital roof but if you can do  
7       that, the orbital roof is an excellent  
8       candidate because it is the second thinnest  
9       bone in the orbit, the first being the  
10      medial.

11                The orbital apex, which would get  
12      you to the temporal lobe, is a little bit  
13      thicker, as is shown in this slide. Here is  
14      the orbital apex at the back of the eye,  
15      here is the orbit, is thick. If you went  
16      through this you could get to the temporal  
17      lobe but going through the orbital roof,  
18      which should be approachable once the eye is  
19      gone, could get you to the frontal lobe.

20                As shown here in this coronal  
21      section, going up in through the orbital  
22      roof to the frontal lobe gets you to the

1 frontal cortex. A little bit further  
2 laterally you can get more cortex and less  
3 white matter but the further you get  
4 laterally the thicker the bone becomes.

5 The frontal cortex obviously is a  
6 good site for looking for agent. As has  
7 been proved in many animal models, most  
8 brain biopsies that are done *in vivo* are  
9 done from frontal lobe and, as Paul Brown  
10 has shown, looking at infectious agents in  
11 the frontal cortex versus other regions in a  
12 scale of 1 to 4, 4 being the most frontal  
13 lobe, is a relatively good site for looking  
14 for agent, representative, at least.

15 So how can we get to the brain?  
16 Well, you have to reflect the superior  
17 orbital tissue. You can do that with  
18 cutting and a periosteal elevator that would  
19 expose the orbital roof. Then using a  
20 chisel and a rongeur, as seen in this CT 3-D  
21 reconstruction, you could break through the  
22 orbital roof and expose the under side of

1 the brain. The dura could then be excised  
2 and the brain accessed.

3 Then the question is how do you  
4 get the tissue out? You still have a  
5 relatively tight window. We have been  
6 looking at some issues of using a trocar.  
7 This is an old test type that has a sleeve  
8 on it like a cork borer, if you would, going  
9 up through this hole and getting a core  
10 sample of brain. A needle is too small.  
11 Large-bore needles are too small to utilize  
12 for most testing, I think, or even some of  
13 these thoracic trocars which have disposable  
14 sleeves you can use to remove brain.

15 An issue for any family is can you  
16 if you do this reconstruct the site and the  
17 answer is yes, you just replace the orbital  
18 tissue with a placode and cotton, you put a  
19 cornea sterile shell over the top which has  
20 little hooks on it, pull the eyelid down,  
21 and, as is shown, this is pre-enucleation on  
22 the same patient and post-enucleation.



1 There should not be an issue regarding that.

2 Well, the tissue pull-out and the  
3 condition of it go hand in hand with  
4 whatever validated tests you might use; that  
5 is, if you want to use morphological  
6 analysis obviously you can't pull out brain  
7 soup or you won't be able to analyze for the  
8 protein.

9 Biochemical assays on the other  
10 hand don't have to be quite so intact. The  
11 volume also is an issue and perhaps a  
12 smaller biopsy might be usable. But the  
13 issue here really is the test and whatever  
14 test you use validation is going to be the  
15 key.

16 Then the question is does it need  
17 to be 100-percent sensitive and specific?  
18 Well, we've addressed this before and I  
19 would have to say yes, it has to be rapid  
20 and it has to be within 24 hours, 36 at the  
21 outside. It has to be reproducible and not  
22 only in the lab but also in the procurement

1 situation. The techs have to take from the  
2 same place, be able to get it to the labs in  
3 the same condition from case to case. There  
4 are numerous guidelines available for that.

5 Now, for the case of corneas there  
6 are 45,000 tests so even if you had a 95-  
7 percent sensitivity specificity you are  
8 introducing a huge number of false positives  
9 and negatives. For 98 percent it's still  
10 900 so it really must be very close to 100-  
11 percent specific and sensitive.

12 As everyone knows, sensitivity  
13 declines, false negatives increase, and the  
14 risk of missing a case as specificity  
15 declines, the false positive increases, and  
16 you risk of throwing away good tissue.

17 Then the question is would this  
18 test adequately diagnose pre-clinical  
19 disease? We have already excluded a good  
20 majority of risk by the medical history, so  
21 could this pick up disease which is not  
22 prevalent by clinical examination or is this

1 just an indication for sub-testing, you only  
2 test those that don't have adequate history,  
3 of some other decision?

4           Once you have the tissue how do  
5 you test it? Do you test it at every eye  
6 bank or is there a regional center that you  
7 send it to to be analyzed? Obviously a  
8 regional center is going to have greater  
9 viability between tests but it is going to  
10 take some time to get the sample to them,  
11 which will again decrease your window.

12           There is going to be a lot more  
13 need for personnel both in terms of the  
14 procurement and the testing. You have to  
15 train them to do the tests and handle the  
16 results, administrative requirements in  
17 terms of oversight, reading, reporting,  
18 archiving, and this all comes down to the  
19 bottom line of cost. Cost will be for the  
20 instruments, if they are not disposable how  
21 you maintain them, the technician time for  
22 the procurements, sample transporting, cost

1 of testing, assay costs, tech costs, and, of  
2 course, the administrative costs that I just  
3 discussed with you.

4 Really, that is a big issue  
5 because if these costs are too huge these  
6 are going to be passed on. They presumably  
7 would be assumed by an eye bank, would be  
8 passed on to the consumer in terms of a more  
9 expensive cornea, and unless Medicare  
10 reimbursement were to go up coincidentally  
11 this could really exclude a lot of eligible  
12 recipients from having this procedure.

13 But the bottom line is can this  
14 approach to be used? Yes, the tissue  
15 procedure can be performed. We have done it  
16 in two cases. Tissue can be procured in  
17 various conditions and the testing probably  
18 can be performed in a timely manner for use  
19 in accessing should that be required.  
20 However, the costs will be high, as I have  
21 discussed, and validation will remain a  
22 problem. What test are we going to use and

1 can it be validated under field conditions,  
2 that is, from the time the tissue is  
3 obtained in the field?

4 None of this says anything about  
5 what effect on donor availability might be.  
6 If you introduce this sort of requirement  
7 families are very concerned about the  
8 appearance of the body and the additional  
9 time that this might take is certainly  
10 unclear.

11 We are trying to get together a  
12 reasonable questionnaire that we can provide  
13 as an analysis of this to ask family members  
14 after we have gotten through it all would  
15 you have donated this if an autopsy were  
16 required. We have to be careful because  
17 obviously you don't want to say, "Well,  
18 thanks for the corneas. That's going to  
19 cost you \$1500. Would you still do it?" So  
20 I think it is reasonable to ask those  
21 questions.

22 But still the major barrier to

1 corneal transmission remains the historical  
2 exclusion. These criteria are continually  
3 updated. They were recently updated to  
4 exclude dementia of any sort, including  
5 Alzheimer's Disease except that clearly  
6 caused by brain tumor, head trauma, or  
7 stroke, and there has not been a single case  
8 of corneal transmission in this country  
9 since institution of the medical  
10 exclusionary criteria. The 1974 case, the  
11 only positive case in the world, occurred  
12 before these exclusionary criteria were  
13 implemented.

14 So I would ask you that while  
15 determining what additional safeguards might  
16 be put in place to protect the population  
17 from CJD, a rare disease, we must remain  
18 vigilant in our pursuit against the  
19 devastating blindness caused by corneal  
20 disease which affects thousands of people in  
21 this country.

22 Thank you. Any questions?

1 DR. BOLTON: Thank you, Dr. Hogan.  
2 Questions from the committee?

3 DR. DeARMOND: Well, Nick, so why  
4 should we do it if the historical approach  
5 has been 100 percent safe so far?

6 DR. HOGAN: Well, and that's the  
7 issue. Obviously there is a risk of  
8 something getting through. That happened in  
9 the United Kingdom. It may have happened  
10 elsewhere. So with that risk of getting  
11 through, do you test? Do you test all  
12 patients? That's the question.

13 We are talking essentially here  
14 about availability. If you are going to  
15 reduce the age of donors 61 and over that  
16 gets rid of 50 percent of corneal donors,  
17 head trauma another 13. You're at 63  
18 percent. Then the issue has been brought up  
19 on some of the papers that were discussed  
20 here by Dr. Solomon if variant CJD were to  
21 come to this country and we had to exclude  
22 donors that were younger than 50 because

1 they would be at the greatest risk we don't  
2 have any corneas left. So the issue here  
3 would be testing, to be sure, but I ask that  
4 same question myself.

5 DR. DeARMOND: Another question:  
6 As you know, the great majority of  
7 pathologists won't do an autopsy on a CJD  
8 patient.

9 DR. HOGAN: Nor will surgeons do  
10 brain biopsies.

11 DR. DeARMOND: No. We have been  
12 lucky that they will do it but they are very  
13 reluctant because for every one of those  
14 they have to close the operating suite for  
15 many hours with multiple personnel to  
16 decontaminate it so they don't like doing  
17 that.

18 But this is also risky. It's  
19 actually much more complicated, actually,  
20 than removing the brain at first sight. It  
21 may be ultimately very simple but cracking  
22 the skull and pulling out the brain is



1 actually a very simple procedure.

2 Here you have to rongeur your way  
3 up and then put a trocar up inside, the CSF  
4 is going to pour out, so the general  
5 pathologists who are doing these 45,000  
6 corneal extracts are going to panic. Is  
7 there a simpler way or how do you deal with  
8 this contamination and the complexity of the  
9 procedure or is it too complex? Maybe  
10 that's the question.

11 DR. HOGAN: Well, I think either  
12 procedure is complex in terms of the  
13 containment issues but, again, the risk of  
14 those 45,000 having CJD is very low. You  
15 still have to assume that they all do. But  
16 we are working on some way to devise perhaps  
17 a trocar that could go through the orbital  
18 roof and get this biopsy in one fell swoop.  
19 It certainly would have CSF licked but your  
20 issues are well taken. We still have CSF  
21 and there will still be containment  
22 problems.

1 DR. BOLTON: John?

2 DR. BAILAR: What's the imbalance  
3 between supply and demand? How many more  
4 corneas per year do we need?

5 DR. HOGAN: Well, I have a slide  
6 on that. I didn't bring it. In the United  
7 States we are pretty much close to what we  
8 need. There still are people who have to  
9 wait three or four weeks to get a cornea.  
10 But eventually the majority, I would say, 90  
11 percent of patients in this country, are  
12 able to get a cornea within a short time  
13 frame.

14 That's why corneas in this country  
15 are transported, are shipped, worldwide. So  
16 we do supply some corneas to other parts of  
17 the world. Some of our other speakers might  
18 be able to address that issue also.

19 DR. BOLTON: Please use the  
20 microphone and introduce yourself.

21 MS. HECK: Ellen Heck for EBAA and  
22 UT Southwestern Eye Bank. Your question

1 about the brain biopsies is of particular  
2 concern to me because Nick in his examples  
3 said the technician could do this. I think  
4 your risk of contamination from a technician  
5 is much greater than your risk of  
6 contamination with a pathologist and  
7 although Dr. Hogan, who works in Dallas  
8 where I work, is used to a metroplex where  
9 pathologists and neuro-pathologists are  
10 readily available I caution you to remember  
11 that at least 30 percent if not greater of  
12 the corneal procurements occur in rural  
13 areas where you will not have the access to  
14 the technology and the control of  
15 contamination that you have in a large  
16 university setting.

17 I think if you start trying to say  
18 that you are going to train eye bank  
19 technicians to do interorbital biopsies that  
20 our contamination concern is maybe greater  
21 than our risk concern in the cases that they  
22 might open up in a hospital room because not

1 all corneal procurements are done in an  
2 operating room. Many of them are done in a  
3 patient's room or in some other site which  
4 would be difficult to control.

5 DR. BOLTON: Pedro?

6 DR. PICCARDO: You are aiming to  
7 have 100 percent certainty. I mean, if I  
8 understand correctly you want 100 percent  
9 certainty?

10 DR. HOGAN: Of course.

11 DR. PICCARDO: Well, with this  
12 technique, which to me looks a little bit  
13 cumbersome, I mean, with the kind of work  
14 you put into getting a little piece of brain  
15 from a patient like this you could cut the  
16 whole brain out. I think if you cut the  
17 whole brain out you have a better chance of  
18 going to whatever you want and analyzing  
19 whatever you want because a good amount of  
20 tissue well taken, relatively well  
21 preserved, you can freeze, you can fix, you  
22 can do a number of things. With these

1 technicians I don't think you can.

2           The other thing is this is  
3 sometimes patchy so something negative here  
4 will not say anything about the rest of the  
5 brain. I think if you create a sense of  
6 security when in reality I don't think you  
7 have it.

8           DR. HOGAN: I agree. The issue  
9 here, the reason why it was brought up at  
10 all, was the issue of containment and we  
11 have already discussed that.

12           DR. DeARMOND: Well, Nick, I do  
13 think the idea is very good and validating  
14 it is going to be hard because you have to  
15 do the procedure and then do the autopsy and  
16 test. The problem is we usually get people  
17 at the end stage of the disease. We never  
18 get a person who is unsuspected of CJD. At  
19 least I've never seen on show up yet but  
20 maybe I missed it. Maybe I'm just not good  
21 enough to see it.

22           DR. HOGAN: I doubt that.

1 DR. DeARMOND: So at end stage  
2 disease we've even had some problems with  
3 the cortical biopsy two to three months  
4 before the patient died being negative and  
5 then when we do the autopsy it is clearly  
6 positive. So validation of the technique, I  
7 think, is going to be tough for those  
8 unsuspected cases of CJD but the idea, I  
9 think, is still a valid one and certainly  
10 could add some degree of confidence about  
11 the cornea. Maybe a different approach or  
12 some other mechanism because of this fear of  
13 pathologists.

14 And it really irritates me that  
15 they have this fear. It's unethical, it's  
16 immoral. The other physicians take care of  
17 the patient, the family lives with the  
18 patient, and the lousy pathologist won't  
19 even go in and do his job. I've even told  
20 them they should get out of this business  
21 and get into the restaurant business or  
22 something that's safer.

1                   But accepting that they are  
2 chicken we have to come up with some simple  
3 method that would be easy, maybe a way of  
4 going laterally, a burr hole that would be  
5 very simple with a needle biopsy or a fairly  
6 large needle biopsy might be another way  
7 where they will feel a little more  
8 comfortable about what they are doing and  
9 they can see what they are doing.

10                   I'd like to talk to you more about  
11 how we could think through such a thing  
12 because I think it's an interesting approach  
13 and it could give some more security about  
14 other transplants as well as corneal.

15                   DR. HOGAN: Yes, and that is  
16 certainly a key here. The problem, I think,  
17 as you said, is personnel and time. So  
18 whether you go through the orbit or through  
19 the brain I think that is irrelevant. It's  
20 how you validate it in terms of what tests  
21 you are going to do, is it going to be  
22 worthwhile to do 45,000 tests to detect one

1 case, and is it worth the cost?

2 DR. BOLTON: From a naïve  
3 biochemist's point of view let me ask our  
4 neuropathologists their opinion. What about  
5 looking at the optic nerve since it is right  
6 there and we are looking for infectivity in  
7 the cornea, which is downstream from that?  
8 Is that feasible? If there was a test that  
9 could detect very small amounts of abnormal  
10 PRP what is your opinion?

11 DR. GAMBETTI: I have never tested  
12 the optic nerve so I have no idea how rich  
13 the optic nerve is.

14 DR. HOGAN: I have some slides  
15 with data on that if you would put up the  
16 last two.

17 DR. GAMBETTI: The retina would be  
18 eventually probably a much better area to  
19 test than the optic nerve.

20 DR. BOLTON: Would that simplify  
21 the procedure and would it do so if it does  
22 without compromising the reliability of the



1 result?

2 DR. GAMBETTI: Yes, to the retina  
3 would simplify the procedure. I don't know  
4 the reliability of the retina vis-a-vis the  
5 cerebral cortex.

6 DR. BOLTON: Pedro, you are  
7 shaking your head no?

8 DR. HOGAN: Could we put on the  
9 last couple of slides? Go ahead. If you  
10 would allow me.

11 DR. BOLTON: Yes, do you have data  
12 on this?

13 DR. HOGAN: Yes. As you know,  
14 when I was back with Stan we did some  
15 regional data on looking at the titer of the  
16 agent in the scrapie model inoculated. The  
17 amount of agent in the retina is about that  
18 of brain. In cornea is five logs less than  
19 in brain and that's in the IC route.

20 The optic nerve is a little bit  
21 somewhere in between. There is a paper out  
22 from Collins' group using the ELC Western

1 Blot technique that looked at regional  
2 titers in eyes of variant CJD and sporadic  
3 CJD patients and compared to the amount of  
4 agent in the brain that present invariant  
5 CJD optic nerve is 25 percent, that in  
6 brain. That present invariant CJD retina is  
7 2.5 percent, that in brain, and there is  
8 none detectible, that is, less than .00025  
9 percent, that in brain, none detectible in  
10 sporadic CJD optic nerve or retina.

11 Now, we know it's there. We have  
12 done work on the animal models and we know  
13 that the PRP res is present in retina and  
14 there is a retinal destruction that comes  
15 from it. But we are working. Actually, I  
16 have a small grant to see if whether we can  
17 get the agent titered in the retina in human  
18 cases. Cases are tough to come by, as  
19 Dr. Belay knows.

20 DR. BOLTON: Pedro and then  
21 Suzette.

22 DR. PICCARDO: I'm going to repeat

1 something I said already. Let's start all  
2 over again. In multiple cases a patient  
3 that comes with a diagnosis of CJD the  
4 pathology is fairly clear. A neuro-  
5 pathology of the brain is fairly clear to  
6 make a diagnosis; however, we will come to  
7 cases, and I have experience in that, in  
8 which it becomes difficult.

9           Sometimes there is the association  
10 between the pattern of immuno-stoichiometry  
11 and PRP risk. In some cases I saw a  
12 significant amount of immunopositivity on  
13 the brain; however, on the contralateral  
14 side which we have frozen in some areas we  
15 couldn't see any PRP risk by Western  
16 Blotting, vice versa, all the permutations  
17 that you want, et cetera.

18           Once again in most of the cases it  
19 is clear; however, in some of the cases it  
20 is not clear even having the whole brain in  
21 your hand. So what I am afraid of is that  
22 with this system the aim is to have 100

1 percent certainty and it will create an  
2 appearance of something that is very well  
3 controlled when I'm not sure it will be very  
4 well controlled.

5 The other issue is coming to what  
6 Steve DeArmond mentioned. I think that the  
7 neuropathologists and the pathologists in  
8 general should be trained to do the autopsy  
9 and to go through the procedures. Provided  
10 you use common sense it is not dangerous. I  
11 don't see why not. Money is an issue but  
12 here we are dealing with a disease that has  
13 no cure and is fatal so I don't see any  
14 other way around it.

15 DR. BOLTON: Sue.

16 DR. PRIOLA: You stole my question  
17 earlier but I have one more. You said there  
18 were no cases of corneal transmission after  
19 1974 when you instituted this patient  
20 background. How many corneal transplants  
21 have been done since 1974?

22 DR. HOGAN: In the last ten years

1 there have been 250,000. The data has not  
2 been collected prior to that.

3 DR. PRIOLA: So given that the  
4 risk of sporadic CJD is one per one to two  
5 million people would you expect to even pick  
6 one up?

7 DR. HOGAN: Well, that's a big  
8 question. That is a whole talk in itself.  
9 There are issues beyond the issue of  
10 prevalence in the population, especially  
11 since these are all dead. It is probably  
12 more like one in 100,000 because they are  
13 all dead people, not living people. The one  
14 per million is for living people.

15 There are some biological issues  
16 about the cornea, anyway. What is the titer  
17 of the agent in the cornea? Extremely low,  
18 maybe undetectable. How long does it have  
19 to be in someone in order to produce the  
20 disease? If it does produce the disease how  
21 long is the incubation period? Could it  
22 extend beyond a patient's lifetime? Most of

1 the corneal donations that are done are in  
2 older patients so it may not be detectible.

3 DR. PRIOLA: Yes, my point is that  
4 the fact that you don't have any cases since  
5 1974 may not be due to --

6 DR. HOGAN: Somebody didn't report  
7 them.

8 DR. PRIOLA: Right, yes.

9 DR. BOLTON: Pierluigi?

10 DR. GAMBETTI: Going back in time  
11 to have a general view of the problem, I  
12 think there are several difficulties that  
13 are not very different from those the  
14 surveillance centers are dealing with. And  
15 one is that, as I think Steve DeArmond  
16 already underlined, it is really very  
17 difficult to get any procedure done on any  
18 case that is even vaguely suspected of  
19 having CJD or just to rule out CJD, just the  
20 fact that the result worked.

21 So one way to get around that but  
22 again will increase the costs is to have

1 identified centers that will perform that  
2 procedure for a reimbursement. So that  
3 means transporting. I don't know what  
4 percentage of cases which will be started  
5 that will be tested that will be tested in a  
6 major center and which will be those that  
7 are tested in rural areas where it will be  
8 very difficult to get. I don't know what is  
9 this percent. But one has to keep in mind  
10 that eventually one has to designate certain  
11 centers for the procedure to be performed.

12 Second, having said that, once the  
13 donor already is in that center then we also  
14 examine. University Hospital at Case  
15 Western Reserve University has examined  
16 because they were again reluctant to remove  
17 the brain. They have examined these  
18 possibilities, the burr hole, the biopsy,  
19 transorbital biopsy, and so on and at the  
20 end they came to the conclusion that removal  
21 of the brain was the most practical thing to  
22 do because you had then much more tissue to

1 examine and the time involved was actually  
2 less. Maybe the risk of contamination might  
3 have been a little bit more but I think you  
4 are in a relatively large center and they  
5 have the septic room, a special room, so  
6 that does not play a role.

7 Now, concerning the fact that how  
8 many you may miss, in our case, as Steve  
9 DeArmond way saying, we see generally cases  
10 at the end of the disease. What happens if  
11 you look at early cases? Presymptomatic was  
12 mentioned.

13 In our experience with brain  
14 biopsy, again, a population in which the  
15 disease may be there, is likely to be there,  
16 but at a very early stage we miss about 20  
17 percent of the cases just on the fact that  
18 the tissue is very small rather than being  
19 representative of the whole brain like we do  
20 in autopsy because we take it from different  
21 areas.

22 So there is the possibility of



1 missing cases, especially if one uses, for  
2 example, immuno-staining. So certainly one  
3 should use Western Blot or other diagnostic  
4 tests rather than histology but by limiting  
5 yourself to small areas there is the  
6 possibility of the order of 10 to 20 percent  
7 missing, really, the case.

8 So major centers probably should  
9 be involved if one wants to do it and then  
10 this implies increasing costs. And once  
11 that you are in a major center it looks like  
12 probably the most practical thing to remove  
13 the brain.

14 DR. BOLTON: Nick, if you have  
15 your slide?

16 DR. HOGAN: I apologize. This was  
17 actually not supposed to be in there. This  
18 was supposed to be put on at the end. I  
19 wasn't finished with this slide. Go back  
20 two.

21 This is the data we had from  
22 hamster study. We looked at hamsters both

1 presymptomatically at seven weeks after IC  
2 inoculation and ten weeks after inoculation.  
3 These are the titers in ID50 units per gram,  
4 in cornea about five logs less than that in  
5 brain, optic nerve pretty close to brain,  
6 retina even closer. Again, that's IC  
7 inoculation.

8 This is what came from Wadsworth  
9 Collins' group where in sporadic CJD there  
10 was nothing detected based on this ELC  
11 Western Blot analysis. In variant CJD 2.5  
12 percent in retina. This is compared to  
13 brain. That means if brain is 100 percent  
14 then in retina it's 2.5 and optic nerve 25.  
15 So that is the data that you were looking  
16 for.

17 DR. BOLTON: Thank you. I think  
18 we will move on now. I see Dr. Brown in the  
19 back of the room there. Paul, I'm sorry we  
20 kept you. I hope you are feeling a little  
21 better.

22 Our next speaker will be Dr. Paul

1 Brown. Everybody on this committee is very  
2 familiar with Dr. Brown. He is former  
3 chairman of this committee. He will be  
4 telling us two things. As I said before, we  
5 are going to combine his talks into one  
6 session. The first will be "Experience with  
7 Human Dura Mater Allograft and Pituitary-  
8 Derived Hormones: Lessons for Other Human  
9 Tissues," and we will take whatever  
10 questions the committee has for Dr. Brown.

11 Then we will move into his second  
12 talk, which will be "Potential for Cross-  
13 Contamination of Bone and Soft Tissue with  
14 Higher-Risk Tissues During Recovery." As  
15 soon as Dr. Brown is mic'd we will give him  
16 the floor.

17 Paul.

18 DR. BROWN: Good morning. Can you  
19 hear me all right? Well, I stand before you  
20 as testimony of the fact that high-dose  
21 steroids don't do much for dermatitis but  
22 they certainly are mood brighteners.

1           This will be very short in part  
2 because there is not a whole lot of data  
3 bearing on the issue that you have been  
4 asked to address and, second, because if  
5 there were it probably wouldn't help you too  
6 much. But let's reverse the order in which  
7 you thought you were going to hear these  
8 things because it's a more logical approach  
9 to give you what we have in terms of tissue  
10 infectivity and then to tell you what we  
11 have in terms of the consequences of that,  
12 which would be the tally of the iatrogenic  
13 transmission of cases of CJD.

14           So in the first slide if we could  
15 focus that, please, and lower the lights,  
16 this is the slide that I usually show for  
17 popular consumption. It's colorful and it  
18 gives the principal message which is that in  
19 human beings affected with Creutzfeldt-Jakob  
20 disease infectivity is indeed widespread in  
21 the body and not just limited to tissues of  
22 the central nervous system.