

1 DR. McCULLOUGH: Yes.

2 DR. FREAS: Dr. Wolfe?

3 DR. WOLFE: Yes.

4 DR. FREAS: Dr. Linden?

5 DR. LINDEN: Yes.

6 DR. FREAS: Our industry rep?

7 DR. PETTEWAY: Yes.

8 DR. BOLTON: Now, I'd like you to
9 note that I specifically left out the single
10 donor processing because I would like to
11 consider that separately. I believe that we
12 can take a more definitive vote on that and
13 I would suggest that the committee
14 recommends that at this time single-donor
15 aseptic processing be the default standard,
16 if you will, and that pooled processing only
17 be considered under a special application to
18 the FDA.

19 DR. FREAS: Dr. Gambetti?

20 DR. GAMBETTI: Yes.

21 DR. FREAS: Dr. Ferguson?

22 DR. FERGUSON: Yes.

1 DR. FREAS: Dr. DeArmond?
2 DR. DeARMOND: Yes.
3 DR. FREAS: Dr. Bailar?
4 SPEAKER: Yes.
5 DR. FREAS: Dr. Pardo?
6 DR. PARDO: Yes.
7 DR. FREAS: Dr. Williams?
8 DR. WILLIAMS: Yes.
9 DR. FREAS: Dr. Doppelt?
10 DR. DOPPELT: Yes.
11 DR. FREAS: Dr. Bolton?
12 DR. BOLTON: Yes.
13 DR. FREAS: Ms. Knowles?
14 MS. KNOWLES: Yes.
15 DR. FREAS: Dr. Belay?
16 DR. BELAY: Yes.
17 DR. FREAS: Dr. Priola?
18 DR. PRIOLA: Yes.
19 DR. FREAS: Dr. McCullough?
20 DR. McCULLOUGH: Yes.
21 DR. FREAS: Dr. Wolfe?
22 DR. WOLFE: Yes.

1 DR. FREAS: Dr. Linden?

2 DR. LINDEN: Yes, provided it's
3 not too restrictive.

4 DR. FREAS: And our industry
5 opinion?

6 DR. PETTEWAY: Yes.

7 DR. BOLTON: We now come to
8 Question 2. Believe it or not, we're only
9 to Question 2. This is going to take I
10 think some discussion but perhaps not very
11 long to vote. Ruth?

12 DR. SOLOMON: Question 2, "Please
13 comment on the design of a satisfactory TSE
14 agent clearance study for HCT/Ps in terms of
15 the following criteria: (A) suitable TSE
16 agent strain and animal model (B) accept
17 measurement of abnormal forms of prion
18 protein alone or require infectivity assays
19 (C) accept substantial reduction or require
20 complete elimination of detectable prion
21 protein and/or infectivity (D) accept a
22 single validated method or require that more

1 than one validated method for eliminating
2 TSE agents be included in the study.

3 DR. BOLTON: Before I open this up
4 for discussion this is not so much a voting
5 issue but a recommendation and I think that
6 we have heard in Dr. Rowher's presentation
7 today as well as in several presentations in
8 the past that in each case of these
9 questions it really depends on the specific
10 tissue that you're looking at and the
11 specific process.

12 So we could spend from here until
13 next Sunday discussing each possibility but
14 I think it's not really worthwhile. It is
15 clear that there is a variety of suitable
16 agent strains and animal models to be used,
17 each depending, as Dr. Rowher suggested, on
18 what tissue you're looking at, what process
19 you're looking at.

20 Either looking at PRP scrapie as a
21 physical marker and/or infectivity as a
22 biological marker can be acceptable or not

1 acceptable depending on which approach one
2 is using and et cetera. The reduction in
3 titer that's required, again, varies on the
4 type of spiking, the source of the spiking,
5 the type of process, the expected bioload in
6 the tissue, and clearly it would be
7 desirable to have more than one validated
8 method but in some cases a single validated
9 method may be all that's possible.

10 So, having said that and given my
11 own opinion on all of those, I'll open it
12 for discussion to get any other information
13 and opinions so that we can communicate
14 those to the FDA.

15 Yes, Steve and then Sue.

16 DR. DeARMOND: These are not yes
17 and no answers. There's no way we can do
18 that. These are Blue Book answers. We all
19 should have been handed out our little essay
20 books because the --

21 DR. BOLTON: Well, this is your
22 chance. It says to please comment on. So

1 you can --

2 DR. DeARMOND: Yes, please comment
3 on suitable TSE agent strains. Of course,
4 every strain has really markedly different
5 properties. A new variant CJD and CJD are
6 really markedly different and they really
7 require different animal models. The
8 bovinized transgenic mouse does beautiful
9 with variant CJD and the humanized ones
10 don't do very well at all and the ones that
11 respond to CJD itself are quite variable.
12 From the MHU2M model to the HUPRP model they
13 all have different properties and each one
14 of them may be valid under different
15 conditions.

16 An overexpression of those may, as
17 Paul Brown brought up, create false
18 positivities. On the other hand they create
19 short incubation times so that you can get
20 answers quicker. So this is a very
21 complicated question with many answers to
22 it.

1 And there are two components,
2 strain and the model. It's not answerable
3 at this stage without a blue book.

4 DR. BOLTON: Sue?

5 DR. PRIOLA: David, I completely
6 agreed with what you said to introduce this
7 and I'd just like to add that at least on
8 part B, which is the only one that I feel
9 comfortable even making some proclamation
10 on, and that's that given what Bob Rowher
11 has shown us that there should be a
12 requirement, no matter what is decided,
13 depending upon what tissue you're looking
14 at, what your requirements are, that
15 infectivity should always be assayed for
16 because to my knowledge I've never seen any
17 convincing evidence that you can correlate
18 lack of PRP-SC with lack of infectivity or
19 correlate level of PRP-SC with level of
20 infectivity. So at least in that Part B
21 infectivity remains the gold standard and
22 should always be.

1 DR. DeARMOND: Could I comment on
2 that?

3 DR. BOLTON: Yes.

4 DR. DeARMOND: There's no
5 question. Infectivity is the ultimate gold
6 standard. The problem is with low titer
7 infectivity on an instrument or in a tissue
8 or one of these processes it could take a
9 year and a half to two years to 500, 600
10 days in a mouse model to get an answer,
11 which is beyond what most people will wait
12 for, which is why that would be the last
13 resort.

14 If the PRP model, if the PRP
15 measurements, which can be done in the order
16 of 24 hours, are negative, if the titre is
17 so low that PRP scrapie doesn't even show up
18 in it, then I think you go to the next
19 model.

20 DR. PRIOLA: Just real quick.
21 That's right where Part C would come in.
22 That's part of your blue book thing. You'd

1 have to make the decision what constitutes
2 an acceptable or not level of infectivity
3 and what would be a cutoff point for an
4 experiment like that.

5 I'm just saying that infectivity I
6 think should be given heavier weight always
7 than PRPSC.

8 DR. BOLTON: Steve?

9 DR. PETTEWAY: I think maybe an
10 analogy to some of the things that we've
11 done with plasma, for instance, that it's
12 really going to end up being a combination
13 of things because you're looking at more
14 than one parameter showing that any of this
15 inactivation or removal that removes
16 infectivity is important.

17 Showing that it's valid and
18 reproducible is also important and that may
19 not be feasible with infectivity. So
20 demonstrating you have a correlation between
21 the process's ability to remove prions in
22 infectivity and in using the prions to

1 demonstrate reproducibility makes a lot of
2 sense.

3 So I think that requiring that
4 there are validation studies to demonstrate
5 reduction and then leaving the details of
6 that to whoever is doing the studies to
7 produce a valid study based on what's
8 available makes the most sense rather than
9 trying to dictate it up front.

10 DR. BOLTON: Additional thought
11 and discussion? John?

12 DR. BAILAR: Is anything known
13 about the infective dose of these things?
14 Is one prion as bad as 100,000 or whatever?

15 DR. DeARMOND: It's something like
16 5,000 PRP molecules for one infectious unit,
17 something like that or 50,000.

18 DR. BOLTON: It depends again on
19 the model but it's somewhere between 10,000
20 and a million depending on -- I mean, this
21 is, again, it's as complicated an issue as
22 you can get. It's different if you look at

1 mouse versus hamster.

2 DR. DeARMOND: And strains within
3 those are all different.

4 DR. BOLTON: That's right so it's
5 very complicated and how you relate that to
6 one infectious dose in humans is completely
7 unknown. We did not know how many PRP molecules
8 are equivalent to one infectious dose in
9 humans and, of course, it also depends on
10 the route of inoculation and everything
11 else.

12 DR. DeARMOND: And, of course, the
13 other complication now is we have this
14 soluble protease-sensitive PRP scrapie,
15 which seems to be in some strains of an
16 agent 50 percent or more of the total PRP
17 scrapie. So it's getting to be more
18 complicated and the assays that are being
19 developed now look at both the protease-
20 sensitive or the soluble PRP scrapie plus
21 the protease-resistant PRP scrapie. You get
22 curves that define different strains of

1 agent based on their being able to separate
2 the two.

3 DR. BOLTON: Other comments?

4 We're not going to vote on anything here.
5 If there's anything regarding this
6 particular issue that you want to
7 communicate to the FDA now is a good time to
8 do it.

9 MS. KNOWLES: Just from my
10 experience with the BPAC Committee there
11 have been many times when actually there
12 have been people internally at FDA who have
13 developed algorithms that then they brought
14 to the committee for review and suggestions
15 and comments and maybe that's something to
16 think about with this particular issue, too.

17 DR. BOLTON: Dr. Gambetti?

18 DR. GAMBETTI: I think what I
19 would include in the recommendation is that
20 really, as was said already, one has to use
21 probably a different method according to the
22 situation. So I think we all agree that it

1 would be nice to have. There should be some
2 clearance study. But they may not be one
3 study, and there is no way to decide at this
4 point. One has to compromise time versus
5 sensitivity of the test and that has to be
6 open according to the system.

7 So this would be a recommendation.
8 These tests are needed. These questions
9 actually are a little bit ahead of the time.
10 That is the problem. But there has to be
11 flexibility on which one to use according to
12 the situation.

13 DR. BOLTON: Yes, I agree. I
14 think that's basically what Steve was saying
15 as well.

16 DR. PETTEWAY: Just one more
17 follow-up. I don't think that the committee
18 or even the FDA and certainly I would not
19 have predicted the method that Regeneration
20 Technologies is using to get into the bone,
21 to extract what's in the bone, the pressure.
22 I mean, I think it's important to allow

1 industry to use some of the mother of
2 invention philosophy, which is require that
3 the clearance or removal is demonstrated but
4 leave it up to the people that know the
5 specifics to invent methods to do it, not
6 limit them.

7 DR. BOLTON: With the assumption
8 that they're going to run that by the FDA
9 before they start their studies and they're
10 going to get some agreement that that's
11 going to be acceptable once it's done
12 because nobody's going to launch into one of
13 these several hundred thousand dollar or
14 million dollar clearance studies without
15 knowing that the end result is somehow going
16 to be acceptable to the FDA.

17 DR. PETTEWAY: Just to comment on
18 that just again from all of our experience
19 in the plasma industry we did that up front
20 and the FDA was very open, very receptive,
21 and very helpful in all of the studies in
22 designing the studies as we went through

1 this. I'm sure they would be the same in
2 this regard.

3 DR. BOLTON: Absolutely. Now,
4 what I would like to do is take a break for
5 15 minutes if it's --

6 MR. PARDO: Before you move on to
7 the next subject I have not heard an answer
8 to this question. Does the committee now
9 support the FDA additional donor
10 questionnaire for tissues related to travel?
11 Previously this committee had voted against
12 it.

13 Several of the presenters talked
14 about the impact on the industry, and yet it
15 is almost 6:00, and I still don't know the
16 answer. Thank you.

17 DR. BOLTON: I don't believe that
18 was on our agenda to consider this morning,
19 today at least. It was whether we support
20 the European travel restrictions for tissue
21 donors, right? Jay, I think it's on the
22 agenda for tomorrow.

1 DR. EPSTEIN: No. I mean,
2 Topic #2 is the draft guidance that we
3 published which contains the travel
4 exclusion recommendations so we're about to
5 discuss that.

6 DR. BOLTON: After the break. So
7 let's meet back here in 15 minutes. That's
8 at 10 after 6:00.

9 (Recess)

10 DR. BOLTON: We will now begin
11 Topic #2, which is the FDA Draft Guidance on
12 Preventative Measures to Reduce the Possible
13 Risk of Transmission of Creutzfeldt-Jakob
14 Disease and variant Creutzfeldt-Jakob
15 Disease by human cells, tissues, and
16 cellular- and tissue-based products.

17 And after having said all that
18 I'll just remind you that this is in fact a
19 draft guidance. And so the first
20 presentation will be by Dr. Melissa
21 Greenwald, and she will present the Draft
22 Guidance. And then after that Dr. Allen

1 Williams will present the Possible Effects
2 on Tissue Supply. Dr. Greenwald?

3 DR. GREENWALD: Thank you. To
4 update the committee and in order to do this
5 I'm going to be presenting background
6 information about how the draft guidance
7 came about and point out the main
8 differences between the tissue draft
9 guidance and the blood final guidance which
10 has been published and present the specific
11 recommendations that are made in the draft
12 guidance.

13 The need for guidelines to prevent
14 the transmission of CJD and vCJD was
15 discussed at a January 2001 TSC Advisory
16 Committee meeting. The committee did vote
17 unanimously that there is a significant risk
18 of transmission of vCJD from HCT/PS as
19 compared to the risk of vCJD from blood
20 transfusions.

21 The committee agreed that the
22 tissues for the greatest risk for

1 transmission are dura mater and cornea and
2 the committee also stated that there is no
3 reason to believe that the risk for
4 transmission of vCJD in tissues is less than
5 that for sporadic CJD.

6 There was a majority agreement
7 that the FDA should recommend donor deferral
8 criteria for possible exposure to the BSE
9 agent. It was also noted that there needs
10 to be a way to make allowances for HLA-
11 matched hemopoietic stem cells.

12 There was no advice from the
13 committee regarding specific deferral
14 criteria, including such information as what
15 countries to include, time periods of
16 potential exposure, or duration of exposure.
17 There was no vote regarding whether a donor
18 history interview should be required for all
19 HCT/P donors.

20 Because of CBER's concern for the
21 potential public health risk associated with
22 vCJD in tissue and based upon the

1 recommendations of the committee a tissue
2 draft guidance was written and was published
3 this month. The guidance was modeled after
4 the blood guidance that was published as
5 final guidance in January 2002, using the
6 same donor deferral criteria. So we used
7 the same criteria. At this time there is no
8 information available about risk reduction
9 versus supply reduction for tissues like
10 that that is available for blood.

11 There are three main differences
12 between the tissue and blood guidances.
13 Since a tissue guidance will not be
14 implemented until after the donor
15 eligibility rule is finalized we're not
16 going to do a two-phase implementation of
17 this. Also, the tissue guidance has wording
18 that may sound confusing but we will not
19 disallow the collection and use of
20 HLA-matched hematopoietic stem cells in
21 cases of urgent medical need when there's
22 matching issue.

1 Also, because little is known
2 about the impact of this guidance that it
3 would have on the tissue supply there is a
4 request for firms to submit data either
5 known or generated assessing the impact of
6 these recommendations on the tissue supply.

7 Moving on to the content of the
8 guidance itself, I'm only going to go into
9 detail about the specific recommendations
10 and just give you an overview of the rest of
11 the document. The background section
12 establishes the regulatory authority for
13 creating this guidance, states the public
14 health concern regarding CJD and vCJD, and
15 also explains the TSE Advisory Committee
16 recommendations that we just discussed.
17 There is also discussion about CJD and vCJD
18 as disease entities giving information about
19 clinical presentation, diagnosis, and
20 epidemiology.

21 There is discussion about the
22 basis for making a CJD recommendation,

1 including previous recommendations made in
2 guidance as well as a discussion of known
3 cases of CJD transmission by tissues. The
4 tissues known to have transmitted CJD
5 include dura mater and cornea.

6 Next there was a discussion about
7 the basis for making the vCJD
8 recommendations. There's a listing of the
9 five currently recognized risks of exposure
10 to BSE agent, and includes supporting
11 information about the exposure risks.

12 And finally the recommendations
13 themselves. It is recommended that firms
14 determine ineligible any donor who has any
15 of the following risk criteria.

16 Number one is any donor who has
17 been diagnosed with vCJD or any other form
18 of CJD.

19 A donor who has been diagnosed
20 with dementia or any degenerative or
21 demyelinating disease of the central nervous
22 system or any other neurological disease of

1 unknown etiology. However, a tissue from a
2 donor with dementia may be acceptable based
3 upon an evaluation by the medical director
4 if it is confirmed by gross and microscopic
5 examination that the dementia is caused by
6 cerebrovascular accident, a brain tumor,
7 head trauma, or toxic/metabolic causes and
8 is confirmed not to be caused by a TSE.

9 Donors who have an increased risk
10 of classic CJD, those being recipients of
11 dura mater transplants, recipients of human
12 derived growth hormone, or persons with
13 relatives who have CJD.

14 Donors who have spent three months
15 or more cumulatively in the UK from 1980
16 through the end of 1996.

17 Donors who are current or former
18 United States military members, civilian
19 military employees, or dependents of
20 military or civilian employees who resided
21 at US military bases in Northern Europe for
22 six months or more from 1980 through 1990 or

1 elsewhere in Europe for six months or more
2 from 1980 through 1996. And yes, these
3 geographical locations are defined in the
4 document.

5 Donors who have lived cumulatively
6 for five years or more in Europe from 1980
7 until the present. And this time in Europe
8 will include time spent in the UK from 1980
9 through 1996, which is one of the other
10 deferral criteria.

11 Also donors who have received any
12 transfusion of blood or blood components in
13 the UK between 1980 and the present.

14 And the last one is for donors who
15 have injected bovine insulin since 1980
16 unless it can be confirmed that the insulin
17 was not manufactured from cattle in the UK.

18 Some important additional
19 information that is contained in this draft
20 guidance. As I said, all geographical
21 references in the deferral recommendations
22 are defined. Recognition is given to

1 HLA-matching issues with hematopoietic stem
2 cells. If hematopoietic stem cell donor
3 would otherwise be determined ineligible by
4 recommendations 3 through 8 the risks of
5 using the cells may be outweighed by the
6 benefits and in that case HSCs may be
7 collected and stored and their use may be
8 considered an urgent medical need.

9 It's also recommended that the
10 CJD/vCJD screening questions be included in
11 the donor's medical history. Unfamiliarity
12 with the term "CJD" may be taken as a
13 negative response. Donors who have CJD in
14 blood relatives are excluded unless the
15 diagnosis of CJD was subsequently found to
16 be inaccurate, the CJD was iatrogenic, or
17 lab testing shows that the donor is without
18 the mutation associated with familial CJD.
19 Obviously this is going to be more important
20 for reproductive donations.

21 There is provision to allow HCT/PS
22 from donors considered ineligible to be

1 retained for nonclinical scientific or
2 educational uses with proper labeling and
3 storage and industries affected by this
4 draft guidance are encouraged to submit any
5 data that they have now or could obtain
6 through studies concerning the effect of
7 implementation of these recommendations on
8 the tissue supply.

9 And this is currently draft
10 guidance and is not necessary to be
11 implemented at this time. When final
12 guidance is issued there will not be a
13 two-step phase in period.

14 So our charge to TSEAC today is
15 not a vote. We would just like for you to
16 comment on the recommendations made in this
17 draft guidance. You directed us to make
18 recommendations for donor deferral and these
19 are recommendations. We would also like you
20 to please consider how information may be
21 obtained about the effect of implementing
22 these tissue donor deferral criteria on the

1 tissue supply in the United States and the
2 next speaker, Dr. Williams, will be speaking
3 more directly to that issue.

4 DR. BOLTON: Thank you,
5 Dr. Greenwald. Are there questions now for
6 Dr. Greenwald or would you like to hold them
7 until after our next presentation? So
8 Dr. Williams will now present on the
9 possible effects on the tissue supply of the
10 draft guidance.

11 DR. WILLIAMS: Thank you. As most
12 of you are painfully aware, the discussions
13 related to the travel deferrals to protect
14 against the theoretical risk of variant CJD
15 exposure was a carefully constructed balance
16 between this theoretical risk and the
17 estimated loss of blood donors which in
18 itself would have a negative impact if that
19 would exceed certain levels.

20 So what I'm going to discuss today
21 is very briefly some of the considerations
22 that went into arriving at the data which

1 allowed those discussions and then some of
2 the implications for the tissue donor pool
3 which for the most part is far less
4 well-defined than the blood donor pool in
5 general. And I'll end with a few comments
6 as a segue into tomorrow morning's
7 discussion on some of the impact in the
8 blood donor pool of the latest guidance
9 related to variant CJD.

10 Very briefly, in early 1999
11 surveys of donor travel were conducted.
12 This was a cooperative venture between a
13 number of blood centers and the survey was
14 conducted actually at the request of this
15 committee to provide travel data related to
16 travel in the United Kingdom and we also
17 included Europe in that survey measurement.

18 These surveys were done among
19 geographically and demographically
20 representative blood donor populations and
21 ultimately they supported estimates of the
22 national impact of a travel deferral on a

1 donor population with many assumptions
2 inherent, one of the major ones being that
3 in the face of the very limited information
4 a linear response existed between travel in
5 a country that had potential BSE
6 contamination of its meat supply could be
7 equated to potential to transmit variant CJD
8 through a blood donation.

9 The estimates that arose from that
10 study ultimately include the level of donor
11 loss that would occur at different time
12 exposure levels, and secondly the estimation
13 of the overall risk burden, again related to
14 time, and a portion of the risk removed by
15 different policy options.

16 Going back to June 1999, this was
17 the initial graph shown to this committee,
18 which basically used that time travel
19 information to compute the overall burden of
20 risk and the percentage of donors that would
21 be deferred in any given time period used as
22 a deferral criteria and, as you know,

1 ultimately in that initial policy decision
2 we ended up with a deferral for six months
3 travel in the United Kingdom at an estimated
4 loss of 2.2 percent of the donor supply and
5 a removal of about 86 percent of the
6 theoretical risk based on that risk burden
7 estimate.

8 The methods used in that estimate
9 were a random sample of donors at 12 blood
10 center sites in really a very short time
11 period, a two-month time period. We mailed
12 out 19,000 anonymous scannable surveys in a
13 single mailing with a cover letter, got
14 about half of those surveys back, and the
15 survey asked questions about travel, basic
16 demographics, sex and age, first time or
17 repeat donation status, and educational
18 level.

19 Now, there has been a history of
20 conducting surveys within the National
21 Heart, Lung and Blood's REDS study and this
22 really served as the basis for attempting to

1 collect the data by this mechanism.

2 Some known advantages from this in
3 prior surveys is that in the setting of a
4 well-defined sampling frame and experienced
5 field sites, surveys are well-established,
6 reproducible data collection tool for the
7 blood donor population and there are now
8 quite a list of published studies from REDS
9 and others showing that this data are
10 reproducible.

11 In addition blood donors being a
12 special population they generally provide a
13 favorable response rate. If you get down
14 under 50 percent you start to wonder about
15 the validity of the survey rate's internal
16 validity and we generally enjoy a 50 to 70
17 percent response rates depending on the
18 length of the survey.

19 Also, the anonymity factor is
20 important, particularly when you're
21 measuring something like donor risk, because
22 if you have information in a linked manner

1 it implies some sort of operational
2 consideration for blood that may have been
3 donated previously. Also, surveys allow a
4 wide scope of information and simultaneous
5 collection of demographics so it applies
6 real-time data collected that can be
7 stratified against different variables,
8 including demographics, and really very easy
9 to conduct analysis.

10 However, surveys do have
11 limitations. The findings tend to be
12 reproducible but difficult to validate
13 against truth by another mechanism. Some
14 important sample subsets may be
15 underrepresented in the response. For
16 instance, we tried to get data about
17 military populations and the extent that
18 they traveled in the UK and Europe. We got
19 something like a 10 percent response rate.
20 So obviously the data there weren't terribly
21 reliable and in fact that has turned out to
22 be a very important variable in a blood

1 donor setting.

2 The Office of Management and
3 Budget under the Paperwork Reduction Act
4 requires review and approval of data
5 collection using federal funding. Under
6 survey mechanisms this generally takes from
7 six to twelve months to get that approval.
8 So any sort of rapid response capability
9 that is a hurdle.

10 And finally in conducting
11 information like we would need to make these
12 sorts of estimates you have to deal with a
13 matrix of multiple countries visited times
14 in multiple travel time frames and that
15 makes a lot of complexity in the survey
16 document.

17 For instance, this is one question
18 out of the 1999 survey, did you live in the
19 UK, and then lists all the countries
20 included in the UK or the Republic of
21 Ireland between '80 and '89 or 1990 to 1996
22 and then provides a total of nine different

1 categories of time periods. So it's complex
2 for the donors to answer this as a survey.

3 Now, in thinking about how to
4 assess impact on the tissue donor pool the
5 media thinking is well, we'll do a survey
6 and see what the responses are likely to be.
7 The problem is it's a little different
8 population, a little different setting, and
9 even in a donor screening situation it's
10 going to have some different characteristics
11 and I just wanted to point out some of these
12 difficulties and make some suggestions as to
13 how facilities might approach collecting
14 data and then as requested submit this to
15 FDA to document what the impact might be.

16 First of all, the tissue donor
17 pool has epidemiologic characteristics but
18 they're really not well-defined compared to
19 the blood donor population. Even blood
20 donors in the typical blood center of the
21 demographics beyond age, sex, and ZIP code
22 are not too well known and really through

1 the REDS study have we been able to collect
2 extended demographics like educational level
3 and travel by specific survey mechanisms.
4 So in general tissue donors are not well
5 characterized so the sampling frame is a
6 little difficult to establish.

7 Sites generally are not
8 experienced in research data collection.
9 Those of you who have conducted surveys know
10 that if you put a stack of forms on the
11 table and ask someone to fill them out you
12 won't get a very well-controlled data
13 collection and subsequently the data may not
14 be that reliable. So trained staff is very
15 important in doing something like this.

16 In the face of unknown
17 demographics perhaps one way is to assume
18 general population demographics for the
19 tissue donor pool. That will certainly vary
20 where demographics are known. In the case
21 of semen or oocyte donors there are age
22 restrictions and those can be factored in.

1 Motor vehicle data on organ
2 donation perhaps might be relevant to
3 tissue. I don't have those data available
4 but perhaps that might get a sense as to
5 what the demographic shifts are in terms of
6 willingness for an individual to identify
7 themselves as organ and tissue donors.

8 The tissue donor populations have
9 been described. This is information
10 provided by Dr. Solomon from musculoskeletal
11 and skin. We're talking approximately
12 20,000 donors or 750,000 tissues, ocular
13 tissues, mainly corneas, about 47,000,
14 including 5,000 non-US tissues.

15 And in the future potential
16 regulatory oversight of cord and peripheral
17 stem cells, dura mater, semen, oocytes,
18 where again even the donor pool size is as
19 well as the demographics are unknown at this
20 point except for the known age restrictions.

21 In the blood donor setting, as
22 presented before, there are several stages

1 of donation and in the blood donor setting I
2 think it's pretty clear that most of the
3 self-deferral done by donors occurs before
4 they ever appear at a blood center to donate
5 the blood.

6 That's through information
7 provided through the media, letters
8 transmitted by the blood center, telephone
9 conversations with the blood center at the
10 time they make an appointment, and so forth.
11 There's also some self-deferral at the
12 actual blood collection site. Then there's
13 the interview-based deferral data from this
14 you'll be seeing tomorrow from a number of
15 blood organizations. And the final
16 category, those who fail to appropriately
17 defer. And this has implications because,
18 one, it's a false negative response, which
19 obviously we try to avoid, and secondarily
20 there are some implications of having
21 post-donation information available on a
22 product that's been previously collected.

1 This is a shift that I would
2 predict would occur for tissue donors.
3 Clearly self-deferral before collection
4 might be applicable in some cases but
5 certainly for cadaveric donors that's not a
6 consideration and probably the primary way
7 of collecting data is going to be by
8 secondary interview of family members or
9 others who know the donor well.

10 This raises a new issue. Whereas
11 an individual may know their travel history,
12 complex as the question may be, relatives
13 may have a much more difficult time
14 answering that question and we'll probably
15 have to address the don't-know factor. I
16 know this individual was in the UK for a
17 period of time. It might have been two
18 months, it might have been two months. I
19 just really don't know. I suspect that's
20 going to be larger in the tissue population.

21 Again, there's going to be a
22 failure in some cases to identify a

1 disqualifying factor resulting in false
2 negative responses. It may result in an
3 ineligible donor or post-donation
4 information and there needs to be
5 considerations as to how to handle that
6 information when that occurs.

7 The data from the blood donor
8 surveys were looked at intensely
9 demographically and the age and education
10 variable clearly was the most associated
11 with travel, as one might expect. There's
12 an age-increasing prevalence of travel to
13 the United Kingdom and this was confounded
14 with the education variable.

15 The data shown here are for travel
16 ever to the United Kingdom. This is not the
17 six-month deferral. It's travel ever. And
18 the overall figure for the surveyed
19 population was 22.8 percent. And you can
20 see the marked increase from those who have
21 less than a high school education less than
22 1 percent travelled, some college 20

1 percent, those with post-graduate degrees 36
2 percent for an overall of 22.8 percent,
3 quite a difference between the range.

4 Age also showed a big correlation,
5 and in fact confounded the educational
6 variable. Educational variable, while
7 useful if the data were available, these are
8 not generally available on most blood donor
9 populations because the centers really have
10 no reason to collect that information. So
11 to try to stratify that would be difficult.
12 Age, however, is available and you see a
13 similar breakdown from the younger donors,
14 16.4 percent up to the older plus-65 donors
15 approximately 31 percent.

16 Also, first-time repeat status,
17 you see in general for UK travel by sex
18 gender is not that much different, a little
19 higher in males overall but you find if you
20 look for the older population the women tend
21 to travel as they get older and females
22 exceed males in the older population but in

1 general it's an even comparison.

2 And then first-time versus repeat.

3 A pretty clear distinction, first-time
4 donors closer to about a 14 percent travel
5 prevalence versus repeat donors, which
6 comprise 80 percent of the donor population,
7 much closer to the mean overall.

8 Now, how to assess the travel
9 deferral impact among tissue and cell
10 donors? Based on the factors that I've
11 outlined, probably the major one being lack
12 of an adequate sampling frame, the survey
13 mechanism to assess tissue donor loss may
14 not be viable. It may be possible on a
15 local setting and if any sites wish to use
16 the same or similar survey that was used in
17 the blood donor survey we'd be happy to
18 share that and you're welcome to try to
19 collection the information but I think
20 trying to define a well-constructed survey
21 in the absence of a good sampling frame is
22 going to be quite difficult.

1 One potential way to approach it
2 is to consider travel deferrals for
3 first-time blood donors at the local blood
4 center in a geographic area where the tissue
5 donors are being collected, particularly if
6 one can do some age adjustment against the
7 two donor populations.

8 This may reasonably predict donor
9 travel characteristics of local tissue and
10 cell donors. Admittedly some donors will
11 have originated from other areas of the
12 country but so do blood donors. It would
13 be, I think, quite difficult to pinpoint
14 differences in the two populations from that
15 aspect.

16 Alternately for centers that
17 implemented the deferral the initial impact
18 of the variant CJD deferral for all donors
19 at the local blood center might be usable.
20 After about the first two months or so of
21 implementation there's a culling effect of
22 repeat donors so after that point one needs

1 to consider first-time donors only.

2 So that's one potential way to
3 look at it and if sites could compute that
4 figure and determine how that would impact
5 the necessary tissues that they distribute
6 that would be useful information.

7 Finally one might consider a
8 well-designed pilot implementation program.
9 I think this would get not only at the
10 potential impact of donor loss but also
11 could be used to identify operational
12 difficulties, another area where I think FDA
13 would be very interested in having
14 information to produce the final guidance.

15 A second consideration here is
16 that, as hopefully you'll hear tomorrow,
17 there are some innovative programs being
18 developed in the blood donor setting, ways
19 to recognize donors who are lost by the
20 deferral and actually provide incentives for
21 them to identify donors to replace
22 themselves. And as this continues a

1 deferred donor identifies additional donors
2 that continue to be recognized by the blood
3 center for their important contribution to
4 the blood collection process.

5 So that's another area that can be
6 used to help offset donor loss and a program
7 started by Stanford is starting to pick up
8 steam in some other blood centers now.

9 Finally I want to comment on the
10 ability of our country to assess rapid data
11 related to blood donor loss and tissue donor
12 loss. The REDS study has been mentioned
13 many times here and it's been an absolutely
14 critical mechanism for data collection over
15 the past 12 or 13 years. As it undergoes
16 its renewal I'd like to suggest some things
17 for the committee to consider and
18 potentially recommend.

19 One is that the REDS collection
20 sites be expanded from their current 6 up to
21 10 or 12 to provide better representation of
22 the country, including some of the coastal

1 areas not currently represented. The site
2 already has capable donor and donation data
3 systems. Perhaps this could be expanded to
4 cover areas like blood collection data,
5 inventory, and distribution so that we
6 better understand the dynamics of how blood
7 is collected and used, which is also
8 relevant to the overall supply.

9 NHLBI generally has been very
10 responsive to HHS data needs. There are
11 some things which would facilitate this
12 further in a new study such as a rapid
13 survey capability, provisions for providing
14 OMB exemptions for critically needed data,
15 having established IRBs which are educated
16 to recognize blood donor-related issues,
17 having experienced staffing on board to
18 address the rapid response need, and then
19 finally where the bureaucratic hurdles can't
20 be surmounted potentially have some of the
21 sites participate in private sector cost
22 sharing to facilitate data collection.

1 In the 1999 survey actually the
2 blood centers supported the data collection
3 effort and it wasn't until the data was in
4 hand and in a database that federal funds
5 were then used to analyze it. So that's one
6 potential way to proceed.

7 These are just some thoughts about
8 the tissue donor pool and potential ways to
9 get at the information survey mechanism.

10 Again, we would be happy to share the
11 instrument and the procedures, but it will
12 be a little tougher task in this donor
13 population. Thank you.

14 DR. BOLTON: Thank you,
15 Dr. Williams. Now what we should do is take
16 any questions or have any discussion
17 regarding both of these presentations, which
18 really again focus on the draft guidance to
19 reduce the risk of transmission of CJD and
20 vCJD in the human cell tissues and
21 cellular-based tissue products.

22 Comments, questions? Dr. Wolfe.

1 DR. WOLFE: Perhaps this could
2 have been said earlier, but then we would be
3 later here. I really feel obligated to
4 spend a minute or two on the whole issue of
5 dura mater again. Dr. Gambetti and
6 colleagues in a paper wrote I guess last
7 year in Neurology, "Even the most stringent
8 donor screening and dura mater processing
9 practices may not totally eliminate the
10 potential for an infectious graft. Because
11 of this inherent albeit small risk of CJD
12 transmission by dura mater grafts surgeons
13 may want to consider the alternative use of
14 autologous fascia lata, temporalis fascia,
15 or synthetic substitutes, and there's also
16 US-based bovine pericardium as well."

17 And I think that what Dr. Gambetti
18 and his colleagues are saying is unlike the
19 issue of the cornea, where there isn't any
20 alternative, I mean, a lot of what we've
21 been talking about today and I think that
22 although a little prolonged it's been a

1 useful conversation, there are tissues that
2 either are not a very high risk or are
3 irreplaceable. You can't do synthetic
4 cornea or retina or whatever else.

5 And the thing that distinguishes
6 dura mater is (a) it is very high risk.
7 It's two-plus, not four-plus, as brain but
8 it's up there next to brain and there is a
9 growing number of substitutes. When I
10 raised this issue in the first incarnation
11 of this advisory committee five years ago
12 there was a vote essentially saying there
13 was no circumstance anyone could think of
14 where you couldn't use something other than
15 dura mater.

16 There was a surgeon then, a
17 neurosurgeon from the UK, who told us about
18 the fact that at that time there had been a
19 ban for I think eight years. It's now
20 thirteen years it's been banned in the UK,
21 five years it's been banned in Japan, and
22 just last month after a poll of

1 neurosurgeons in Canada it was banned in
2 Canada.

3 So we have three countries doing
4 something that's much more intelligent than
5 what has gone on here and I just want to
6 raise this because under this topic of
7 measures to reduce possible risk of
8 transmission of CJD or Creutzfeldt as we
9 were taught in the earlier days this has got
10 to be seriously considered.

11 I mean, if it is necessary, which
12 maybe it is, to poll neurosurgeons that
13 should be done. I did a very informal poll
14 based on neurosurgeons who had been
15 residents when I was 35 or 40 years ago and
16 two neurosurgeons to whom I had referred
17 patients. One was at UCLA, one was at
18 Hopkins, one was at the University of
19 Virginia, and one was at Medical College of
20 Virginia, and none of them were using dura
21 mater, none of them said their departments
22 had been using it for several years, and one

1 of them, John Jane, who is the editor of the
2 Journal of Neurosurgery, signed the petition
3 that we filed last August to the Device
4 Division. Tell me why this is regulated as
5 a medical device and I'll be informed.

6 So I just want to put this on the
7 table because rather than seeing in
8 Dr. Williams' last slide the future of an
9 expanding number of people using cadaveric
10 dura mater it's going out of style. You
11 heard from several companies they don't do
12 dura mater any more, to use modern
13 vernacular, and this is an example of where
14 the so-called invisible hand of the market
15 place, the Adam Smith notion, should not be
16 allowed to operate. This will die of its
17 own accord. But we would all feel terrible
18 if between now and the time it dies of its
19 own accord without a ban by FDA there is
20 another case in the United States. It would
21 be a tragedy.

22 None of us who had an automobile

1 accident who would wind up unconscious in
2 the emergency room and would need dura,
3 would need some sort of repair to broken or
4 destroyed dura, would like to have dura
5 mater put on our brains. And if we don't
6 and we don't want it for our families I
7 don't think we would like anyone else. The
8 minority of neurosurgeons really needs to be
9 taken out of the loop on this. Enough of
10 that topic.

11 DR. BOLTON: Additional
12 discussion. Lisa?

13 DR. FERGUSON: I had a question
14 for Dr. Williams. I mean, your slides on
15 the number of corneal donors? There was a
16 number on there, 5,000 non-US. Does that
17 mean that they were non-US citizens but the
18 corneas were harvested in the US or does
19 that mean that those were obtained outside
20 the US and brought in?

21 DR. SOLOMON: EBAA could probably
22 answer this better but no, those are corneas

1 that were obtained in the US from US donors
2 that were exported outside of this country.

3 DR. BOLTON: So they're exports.

4 Dr. Bailar?

5 DR. BAILAR: I'd like to follow up
6 on Sid Wolfe's comment. I don't feel like
7 I'm well enough educated about this to come
8 to any decision today. Is it fair for us to
9 ask FDA to come back at our next meeting
10 with some specific analysis and perhaps a
11 proposal? I'd like to hear directly from
12 people who still use dura mater to find out
13 why they do so.

14 DR. BOLTON: We're actually not
15 considering anything to do with dura mater
16 at this time. That's just basically a
17 statement by Dr. --

18 DR. WOLFE: The topic is mentioned
19 in the guidance. That's all. I mean, it's
20 one of the topics there. That's all.

21 DR. BOLTON: Ermias?

22 DR. BELAY: I beg to disagree with

1 the assessment that the gentleman made. And
2 the reason is I'm not aware of any CJD case
3 that resulted from a dura mater that's
4 processed under the current FDA
5 recommendations.

6 In other words I'm not aware of
7 any CJD case that's resulted from a dura
8 mater that's processed under the current
9 approved FDA recommendations. All the dura
10 mater CJD cases that we've been referring
11 to, almost all of them, the vast majority of
12 the cases received a single brand of dura
13 and that dura was produced before June 1987.

14 And that company was a single
15 company producing a single brand of dura,
16 which is Lyodura, so I think we need to make
17 that distinction very, very clear.

18 DR. WOLFE: Most of the cases
19 were, but the last case, and you're
20 technically right, was a process using 10th
21 normal, not 1 normal, sodium hydroxide. But
22 the literature is replete with both scrapie

1 and other transmissible spongiform
2 encephalopathy causing organisms being
3 resistant to even 1 normal.

4 So I think that it's a little bit
5 iffy. I agree with what Dr. Gambetti has
6 written. Dr. Brown has said the same thing,
7 that we just aren't sure, even with the
8 modern methods, that we're going to be able
9 to pick this up.

10 And if you combine that with the
11 donor selection problem, and we've heard
12 over and over again today that it is
13 entirely possible that there is infective
14 brain tissue in someone that has no
15 pathological or clinical evidence of the
16 disease, so if you combine the defect there
17 with I believe still a residual defect in
18 the processing and the presence of enormous
19 alternatives I just don't see any need for
20 it.

21 DR. BOLTON: I don't want to beat
22 this to death. If we have discussion on

1 other aspects of the draft guidance other
2 than dura mater? Yes?

3 DR. DOPPELT: I'd like to turn to
4 the issue of the history of travel in
5 England and Europe and the question is for
6 blood donors, I mean, they're alive and you
7 can ask them their own history. For tissue
8 donors in general they're not alive and so
9 what kind of accurate information are you
10 going to get from the next of kin in terms
11 of how long somebody was traveling, where
12 they were, the specifics? I mean, they're
13 probably not going to have that information.
14 It's not retrievable.

15 DR. BOLTON: I agree. I think
16 this is one of the key issues in terms of
17 our input into the draft guidance in the
18 previous meeting where we made some of these
19 recommendations and now where we're being
20 asked to comment on the draft guidance.

21 It's how do we deal with these
22 don't-know questions that Dr. Williams

1 discussed and what impact these may have and
2 how to ascertain what the impact will be in
3 fact on actual tissue donations.

4 Because again we're faced with the
5 same problem we had in the blood and blood
6 products area is that we have to weigh the
7 hoped-for increase in safety versus the
8 ultimate loss of some tissue that's going to
9 be donated and the impact on the supply.

10 And so I think that's an area that
11 I would like to focus discussion on now, and
12 get any questions out or thoughts about
13 that. In other words how are we going to or
14 how will the collection sites accurately
15 assess the travel history and how will they
16 handle the uncertainty? What does an "I
17 don't know" mean? Did your loved one reside
18 in England for three months or more from
19 1980 to 1996? And how is that going to be
20 handled? Is there discussion?

21 DR. WOLFE: Just a suggestion on
22 that. I mean, it is possible, obviously,

1 with the consent of relatives, near friends,
2 to check passports. I mean, it's not quite
3 the same but one can at least get some kind
4 of crude information that has to do with
5 foreign travel and where it is.

6 I mean, it's complicated but so is
7 being in the dark and not having a clue
8 because of exactly what was just mentioned
9 about foreign travel, the person's dead.
10 Passports are alive.

11 DR. DOPPELT: I'm on my second
12 passport. I don't know how long they're
13 good for but usually you pitch them and so
14 that information may not be available.
15 Second of all, if I croaked I don't think
16 anybody would be able to find where I stuck
17 my passport. I may even have trouble
18 finding it.

19 DR. BOLTON: Lisa?

20 DR. FERGUSON: I have a comment
21 and a question again getting back to how
22 many cells and tissues are covered under

1 this and my focus is on the reproductive
2 tissues, I suppose.

3 I'm very familiar with what's been
4 done in the animal world in regards to the
5 lack of transmissibility, especially of BSE,
6 in semen, embryos, and oocytes. I wonder
7 has similar work even been started or
8 attempted in the human arena? Is there
9 anything to draw from there?

10 And if there is, I mean, do we
11 need to lump those into this type of
12 guidance? Does that even make sense?

13 DR. BOLTON: I'm not aware of any
14 information that would bear directly on
15 infectivity in those tissues in humans.
16 Steve, do you have? And Paul Brown is not
17 here. Bob Rohwer, do you have any?

18 I don't know that any information
19 exists in that area and extrapolating from
20 hamsters and mice could be very risky in
21 that sense.

22 DR. FERGUSON: Or even from cattle

1 and sheep would also be risky.

2 DR. BOLTON: Cattle and sheep,
3 exactly.

4 DR. PRIOLA: This is Paul Brown's
5 paper that was actually in our packet, thank
6 God, and they had at least one instance
7 where one patient tested semen and two
8 patients vaginal secretion and neither
9 transmitted. It's a very, very limited data
10 but negative, which probably doesn't really
11 mean much.

12 DR. BOLTON: Very good, Sue. You
13 get an A-plus. Sue gets an A-plus for doing
14 her homework. Dr. Bailar?

15 DR. BAILAR: I have two questions.
16 Maybe we should take them separately. The
17 first is what is the chance that somebody
18 would still be an unrecognized carrier as a
19 result of exposure, say, 25 years ago?
20 Would most of them have come to the surface
21 now with some kinds of symptoms?

22 DR. BOLTON: For variant CJD?

1 DR. BAILAR: Yes.

2 DR. BOLTON: We don't know that.

3 I mean, we are in a probably early but
4 impossible to tell apart of the epidemic
5 curve for variant CJD in those individuals
6 who have resided in the UK for their entire
7 lives. Obviously someone traveling through
8 and living there for three months or six
9 months or a year, has received, if any
10 exposure, a lower exposure and their
11 incubation time clearly could be several
12 decades or longer.

13 So I don't think that we can
14 expect that a majority of variant CJD cases
15 have appeared as yet, especially for those
16 who have limited travel and limited exposure
17 to the BSE-contaminated beef or beef
18 products.

19 DR. BAILAR: The other question is
20 why should it make a difference whether
21 somebody was wearing a uniform? If some
22 constraint is good for people who were in

1 the military while they were there why
2 shouldn't it apply to everybody else and
3 vice versa?

4 DR. BOLTON: You mean in terms of
5 the exact wording of that restriction?

6 DR. BAILLAR: The wording of the
7 guidance here.

8 DR. BOLTON: I think it has to do
9 with whether those individuals were eating
10 on base or food supplied on base or whether
11 they would have access to that food. Now,
12 if you were a civilian living there but not
13 associated with the military, not living on
14 base, you would have had a different food
15 supply. It has to do with the source of
16 beef either in the northern European theater
17 or the southern European theater. Much of
18 that was sourced from the UK during the
19 high-risk period and that's why there are
20 the differences in the years that are
21 described but that's basically what the
22 difference is.

1 Other questions or discussion?

2 Yes, Dr. Linden.

3 DR. LINDEN: Well, I really just
4 wanted to second Dr. Bailar's comment. I
5 think the feeling in the industry is that
6 there's a concern about donor loss but in
7 most cases people are not going to know and
8 if the answer is unless you know
9 definitively that's okay that might be okay.
10 If the answer is well, you need to find out
11 or defer then we basically have no tissue, I
12 think. That would really be a problem. But
13 perhaps there could be some sort of pilot
14 study to really look at how this would apply
15 in the donor tissue.

16 DR. BOLTON: This is my concern is
17 that when you actually get to the mechanics
18 of implementing this what's going to be the
19 procedure when the answer is I don't know,
20 and what's the time delay and just the basic
21 fact of the close relative not having the
22 information at hand, not perhaps being

1 motivated sufficiently to go out and find
2 that information within a prescribed period
3 of time, is that immediately going to lose
4 us many, many donors for tissue? And I
5 don't know that there's any way to answer
6 that here except to ask for pilot
7 implementation and to see the effects in
8 those sites.

9 Now, the question is then who is
10 selected to be the pilot site and who's
11 going to volunteer to do that if that were
12 the case. I'm not exactly sure how that
13 would go. Perhaps, Dr. Doppelt, you could
14 comment on that.

15 DR. DOPPELT: Well, I don't think
16 anybody's going to be very enthusiastic
17 about taking that project on. I mean, I
18 think it comes down to what Jeanne said.
19 You go through this donor screening form,
20 which is fairly detailed, and it is up to
21 the medical director to decide what's a
22 plus/minus answer and which way do you

1 interpret it but with this situation you may
2 wind up with yes, they were in England but I
3 don't know when and I don't know how long.

4 And so then what do you do with
5 that? You know that there could potentially
6 be a problem. And so many people may just
7 say well, pitch it, forget it, but that's
8 going to have a negative impact on supply
9 and perhaps unnecessarily so. So I don't
10 think you're going to get many volunteers.

11 DR. BOLTON: Dr. Bailar?

12 DR. BAILAR: This might be a good
13 topic for a little survey. You could ask
14 people coming through for, say, blood
15 donation was your spouse in England during
16 this time period and if so for how long and
17 see how many of them say gee, I don't know.

18 DR. BOLTON: I'm trying to think
19 how you would validate the answers. I mean,
20 you would get responses back and one way to
21 view that would be what percentage of I
22 don't know answers there were.

1 But in terms of the affirmative
2 answers or the negative answers you wouldn't
3 have any way of validating whether those
4 affirmative or negative answers were in fact
5 correct.

6 DR. BAILAR: I don't think you
7 could directly validate them but I think
8 there would be a fairly high level of
9 accuracy if somebody says yes, he was
10 stationed in England and I was with him for
11 eight months or no, he was never gone from
12 home as long as three months. That's pretty
13 specific.

14 DR. BOLTON: I think it's worth
15 considering having something like that done.

16 DR. DeARMOND: So what I'm
17 understanding is we have no data on this at
18 all yet or it's very speculative and so an
19 experiment has to be run. And the
20 experiment that's being proposed is that we
21 use the same deferrals for blood and blood
22 products and apply it to tissues and if we

1 implement that over the next six months to a
2 year we'll see what the effect is on tissue
3 donation. Is that one way to think of it?

4 DR. BOLTON: Well, I think we're a
5 step back from that. This is a draft
6 guidance which is now open for public
7 discussion and the question I think
8 immediately to us is what's our first
9 feedback on this and obviously the comments
10 from the public and the input from the
11 public are being solicited before it goes
12 then to guidance.

13 So I think basically what we did
14 previously was we suggested that there was
15 risk for variant CJD in these tissues and
16 that something should be done. We in fact
17 did not specify countries or times and what
18 the FDA has done is to incorporate the
19 guidance for blood donations.

20 DR. DeARMOND: And do we have any
21 new data on that from the United Kingdom
22 about the true infectivity of each of these

1 possible donated organs? Do we know more
2 about the cornea?

3 DR. BOLTON: I think we know
4 nothing more than we knew then.

5 DR. DeARMOND: So it's a
6 reasonable concept that the cornea is going
7 to be infected based on CJD itself, on
8 sporadic CJD, but we don't know and we don't
9 know about any of the organs. The viscera
10 are involved in variant CJD but are any of
11 the other --

12 DR. BOLTON: I note Nick Hogan
13 standing up, probably to remind us that the
14 article from Collin's group he discussed
15 earlier --

16 DR. HOGAN: Nick Hogan. The
17 infectivity of any of the partitions in
18 sporadic CJD is less than .0025 percent that
19 in brain, a variant CJD. In variant CJD
20 retina it's 2.5 percent that of brain and in
21 optic nerve 25 percent of brain. Those are
22 the only good studies. The stuff that Paul

1 did was a gemisch of stuff that was thrown
2 into chimpanzees and you really can't use
3 that data qualitatively.

4 DR. BOLTON: So in that sense
5 those data provide substantial justification
6 for being concerned about corneal
7 transplants from anyone who has been exposed
8 to variant CJD and that's about the limit of
9 what we have in terms of new information.

10 DR. DeARMOND: So we're to
11 evaluate whether this guidance is a
12 reasonable approach to it or unreasonable.
13 Is that the bottom line?

14 DR. BOLTON: I guess so, yes, and
15 probably variations in between.

16 DR. DeARMOND: Right.

17 DR. BOLTON: Parts of it may be
18 reasonable but parts of it may be --

19 DR. DeARMOND: Once again we have
20 no concept of the impact at this stage or
21 very little concept. Like with blood, we
22 have fairly good data on the impact of the

1 deferrals.

2 DR. BOLTON: Well, I suppose we
3 had better estimates of the impact because
4 we had more of the survey information that
5 was done on that actual population because
6 you have repeat donors and it was well known
7 that, for example, there were coastal
8 differences in foreign travel versus the
9 central part of the country.

10 So we could recognize that there
11 were regional differences and we had some
12 way to calculate what the impact would be.
13 Unfortunately, with the tissue donors there
14 doesn't seem to be a ready way to get that
15 sort of information.

16 I don't know if there's any sort
17 of database on people who have pulled out
18 tissue donor or organ donor cards, for
19 example, and to try to assess that. I mean,
20 the way that it's been done so far is to try
21 to look at, again, blood donors and see how
22 they fall into this.

1 But I don't know that you could
2 make the leap from a blood donor to a tissue
3 donor. So I don't know exactly how we would
4 get this information except to try maybe to
5 survey existing donors through surveys of
6 their relatives at the time of donation
7 before these are implemented to try to see
8 what percentage of their existing population
9 would be deferred under these guidelines.

10 DR. DeARMOND: So it gets down to
11 an experiment?

12 DR. BOLTON: Essentially, yes.

13 DR. GAMBETTI: Is there a way in
14 which one could get some information
15 concerning foreign travel by tissue donors
16 as compared to the blood donors by comparing
17 the demographics of the two populations?

18 For example, if the AIDS
19 subdivision and education and all the other
20 parameters are similar I think what one
21 could assume then the pattern of foreign
22 travel might be comparable. So that would

1 be just a short cut but I don't know whether
2 it's possible.

3 DR. DOPPELT: Well, if you wanted
4 to do a survey of potential donors, I mean,
5 it may be that we could persuade some of the
6 tissue banks to do that. But in point of
7 fact the tissue donors are the low risk.
8 It's a low-risk tissue. The higher risk are
9 the corneas and it may make more sense to do
10 that.

11 On the other hand we also heard
12 that 20 percent of the donors it's
13 legislative consent so there's 20 percent
14 right off the top that they're not going to
15 have a clue. So you're dealing with 80
16 percent of the 45,000 donors so that may be
17 a place to start but I don't think turning
18 to the standard tissue donors is really the
19 best way to go because that's really a
20 low-risk tissue.

21 DR. DeARMOND: So that's a good
22 suggestion, maybe focus. If that's for the

1 first one how you implement this is focus on
2 the corneal donors and what information can
3 be gained from them before we go to any
4 other recommendation, before we implement
5 the whole thing. They might be asked all
6 these questions, if possible could be asked
7 of their loved ones, and see if any
8 responses of any importance to us can be
9 derived. That would be a good idea, keep it
10 to one tissue, a high-risk tissue.

11 DR. BOLTON: You mean keep the
12 deferrals to high-risk --

13 DR. WOLFE: The experiment to one
14 tissue, the survey or experiment, whatever.

15 DR. BOLTON: That sounds like a
16 reasonable suggestion. I see a pained look
17 from Ruth there.

18 DR. SOLOMON: I just wanted to
19 comment on what Dr. Doppelt brought up about
20 the I don't know. The same situation exists
21 when we ask the next of kin these very
22 detailed questions about the sexual habits

1 of the deceased in terms of MSM in the last
2 five years or for a female donor have you
3 ever had sex with someone in the high-risk
4 group in the past twelve months.

5 Those are also difficult questions
6 to answer and I'm wondering what does the
7 industry do now when they get a I don't know
8 on one of those.

9 DR. DOPPELT: Right. Actually, I
10 think that's a little bit easier because
11 when you get an I don't know there's no
12 other source of information so probably
13 unless there's something else to indicate in
14 terms of the physical exam that might
15 indicate that there was some high-risk
16 behavior they would probably accept the
17 donor.

18 On the other hand for travel in
19 Europe, I mean, it's more likely that
20 somebody will know yes, they did travel but
21 then the details I don't know. So that
22 actually puts you further out on a limb.

1 DR. BOLTON: Well, the real
2 question is when the answer is I don't know
3 is that interpreted as yes or no because you
4 have to go one way or the other and, I mean,
5 given the fact that these are rare and the
6 risk is very low I suppose you could assume
7 that accepting the donor could be the
8 default but then basically what you'd be
9 saying then is unless you tell me for
10 certain that this individual falls in one of
11 these categories we're going to assume that
12 he or she does not.

13 What does the committee feel about
14 that interpretation?

15 MS. KNOWLES: If someone says I
16 don't know I think it's really better to err
17 on the side of caution and you have to also
18 remember in terms of high-risk behaviors
19 that there are still a lot of people in this
20 country who are men having sex with men, do
21 not self-identify, will never tell anyone in
22 their family, and I just think we have to

1 remember the literal translation of the word
2 "assume" and just not assume.

3 DR. BOLTON: Dr. Bailar?

4 DR. BAILAR: I think again it
5 would help to know whether the I don't knows
6 are common or uncommon. If they're pretty
7 uncommon it isn't going to matter much and I
8 would throw them out. If it makes up a
9 large part of that 20 percent I'd have to
10 think again.

11 DR. WOLFE: It would seem that
12 with 45,000, if that's the estimate of eye
13 donors, corneal transplant donors, a year
14 that with a survey instrument that was
15 modified somewhat from the one used in the
16 blood area and administered proactively to
17 people as they are signing up or as their
18 families are signing up for donors we could
19 in a very short period of time get answers
20 to the question Dr. Bailar raised, well,
21 what percentage is I don't know, and
22 actually start getting some demographics and

1 in probably six months accumulate enough
2 date to have a much better idea of what we
3 are dealing with with this highest of risks,
4 putting aside dura mater for the moment.
5 Because whatever recommendations there are
6 to be made for the lower risk tissues
7 they're going to be less stringent than this
8 and this is doable, I think.

9 DR. BAILAR: Yes, the people you
10 ask in this survey do not need to be next of
11 kin of potential donors.

12 DR. WOLFE: That's right.

13 DR. BAILAR: They could be any
14 kind of sample from that part of the
15 population, like blood donors. I'm just
16 trying to think of an easy way to get to
17 enough of them.

18 DR. BOLTON: But I would suggest
19 that with 45,000 corneal donations a year
20 and I'm not sure if that means 45,000
21 corneas or 22-, but whatever it is you're
22 still talking about in a half a year getting

1 5- to 10,000, perhaps, responses on a survey
2 that could be fairly easily designed.

3 DR. WOLFE: Then the Eye Bank
4 should be very interested in cooperating
5 with us for reasons we've heard today.

6 DR. BOLTON: Right, so I think
7 that that would be a reasonable
8 recommendation for us to give to the FDA in
9 terms of how to collect information on that.
10 And that will tell us a lot about what
11 impact this may have on donations if one
12 were to implement this for all donations or
13 only for corneas.

14 So I think we can assume that
15 we've effectively communicated that to the
16 FDA. And is there any other discussion with
17 respect to the guideline? Hearing none,
18 then I will adjourn the meeting. Move to
19 adjourn the meeting for this evening, and we
20 will resume in the morning. Do I hear a
21 second?

22 DR. WOLFE: Second.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

DR. BOLTON: All in favor? Take a formal vote. Very good. We stand adjourned until tomorrow morning at 8:30. But if you want to come here early you can have coffee.

(Whereupon, at 7:10 p.m., the PROCEEDINGS were continued.)

* * * * *