

1 circulating strain, then it can certainly,  
2 we'll call it, flatten the curve and buy  
3 time in which that vaccine can achieve the  
4 first goals that I mentioned and to be used  
5 until that well-matched vaccine is  
6 available.

7 DR. SELF: Thirty-three percent  
8 is a level of efficacy of the vaccine or 33  
9 percent match to get a certain level of  
10 efficacy? What we're seeing here is a  
11 weakly immunogenic vaccine, only maybe 40 or  
12 50 percent responding at levels that might  
13 be protective at all. So that 33 percent,  
14 if it's efficacy, might be very difficult to  
15 achieve with this kind of vaccine?

16 DR. ROBINSON: In different  
17 modelings -- studies that have been done by  
18 Neil Ferguson, and others, the 33 percent I  
19 use is a mean of what they see, and that is  
20 on -- is how well-matched it is and also  
21 combining then also with the amount of  
22 efficacy one might see as preventing death

1 or very severe disease.

2 DR. KARRON: Actually, don't go  
3 away just yet. I have a follow-up question  
4 for this which is related to the use of this  
5 vaccine again and some of Dr. Word's  
6 questions. So the real intent of this  
7 vaccine is to be used for first responders  
8 to maintain order, etcetera? There's not --  
9 is there an intent on the part of HHS at  
10 this point to use it beyond that level to  
11 stockpile beyond that level?

12 DR. ROBINSON: At the present  
13 time with a 90 microgram dose, and you see  
14 where we are right now with about enough  
15 vaccine for about 16 million persons with  
16 clade 1 and clade 2, that is what we'd use  
17 it for. As discussions will go this  
18 afternoon and in future vaccines development  
19 and we have more -- and we can see antigen-  
20 sparing that can be safely accomplished,  
21 then we'll have to revise because then we  
22 would see -- we'd have vaccine stockpiles

1 that could be for many more people. But  
2 prioritizations right now are based on the  
3 90 microgram dose.

4 DR. KARRON: For this vaccine  
5 right now?

6 DR. ROBINSON: That's correct.

7 DR. KARRON: I have two very  
8 specific questions for John Treanor, just  
9 clarification questions. One is actually  
10 related to slide 17, and I just wanted to  
11 clarify there were a number of subjects  
12 receiving one, two or three doses?

13 DR. TREANOR: Right.

14 DR. KARRON: And I just wanted to  
15 clarify are those -- those are subsets, so -  
16 -

17 DR. TREANOR: They're all subsets  
18 of -- there's no one who received two doses  
19 who did not receive one dose if that's what  
20 you mean.

21 DR. KARRON: Right. So they're  
22 all subsets of the 363? Yes. Okay. The --

1 yes. The other question that I had was I  
2 know we'll have discussions about  
3 boostablility this afternoon, but in this  
4 particular study or as a follow on to this  
5 study, we saw the antibody titers at six  
6 months post vaccination. Were any of those  
7 subjects boosted and do you have any  
8 information about that?

9 DR. TREANOR: I think that data  
10 hasn't been completely finalized yet. We  
11 will have data on the response of  
12 individuals in the 063 study who received a  
13 third dose, and that will, at some point in  
14 the near future, include both safety and  
15 immunogenicity data for those subjects.

16 DR. JACKSON: While John's up  
17 there, John, was there any relationship  
18 between response to the first dose and  
19 response to the second?

20 DR. TREANOR: I don't have that  
21 analysis.

22 DR. KARRON: Dr. Modlin?

1 DR. MODLIN: Sorry to be  
2 persistent, but I wanted to go back to the  
3 last question I had, and it's I don't quite  
4 understand the rationale for labeling this  
5 for clade 1 use, if indeed, as proposed,  
6 this vaccine would be used in the event that  
7 we had a clade 2 outbreak. Would this  
8 require -- if we had a clade 2 outbreak,  
9 would it indeed require use under IND if  
10 clade 2 is not included in the label? This  
11 is maybe -- it's a sticky regulatory issue,  
12 I understand, but potentially an important  
13 one.

14 MS. BACHMAN: I'll answer you, John.  
15 I think we're -- the indication is not final  
16 yet. I mean this is the proposed indication  
17 from the company. We have to keep in mind  
18 sort of what situation will we be in. I  
19 mean if we labeled this vaccine as clade 1,  
20 I mean that does not prohibit -- I mean it's  
21 -- it would sort of be like, and I think  
22 somebody mentioned this earlier, that we had

1 the seasonal vaccine and there was a  
2 mismatch. We wouldn't say stop using that  
3 vaccine. We would continue to use the  
4 vaccine that we had decided upon the strains  
5 and perhaps there would be some protection,  
6 but, again, we would not stop using that  
7 vaccine.

8 So in this situation, if we had  
9 that -- even if the vaccine, the label was  
10 clade 1 and this is all we had, we did not  
11 have a vaccine against Indonesia, we -- it's  
12 a policy decision whether we would continue  
13 to use that. But keep in mind that we are,  
14 or at least there are data being generated  
15 with Indonesia, and so, again, I think we  
16 really have to keep in mind this is in the  
17 interim. I mean we're not just freezing in  
18 time. As we evaluate this vaccine to sort  
19 of get us through this period as other  
20 vaccines are being developed and other  
21 vaccines are being developed rapidly and we  
22 need to look at those clinical data and

1 we're moving into other generations of  
2 vaccines of all types for pandemic, so we  
3 have to keep a frame of reference here.

4 DR. KARRON: Dr. Webster's going  
5 to ask the last question and then we'll move  
6 on. We'll have time for discussion after.

7 DR. WEBSTER: No. I'm going to  
8 make a comment on clade 1-clade 2 cross-  
9 protection. The information is just not  
10 available at this time in humans, but the  
11 animal studies already indicate that  
12 vaccination with a clade 1 virus in  
13 challenge for the clade 2 gives considerable  
14 protection. So it's -- I think it might  
15 come down to the labeling issue. There is  
16 more and more information coming on cross-  
17 reactivity between these clades.

18 DR. KARRON: Thank you. I think  
19 we'll move on now to hear from Dr. Davis on  
20 Post Marketing Safety Monitoring During an  
21 Influenza Pandemic. It's Dr. Shay on  
22 effectiveness first.

1 DR. SHAY: Thank you and good  
2 morning. I've been asked to speak briefly  
3 about CDC's plans to monitor the  
4 effectiveness of pandemic influenza  
5 vaccines. Of course, limited immunogenicity  
6 and safety data will be available prior to  
7 distribution of any pandemic vaccine and  
8 safety monitoring will be essential. Post  
9 licensure safety studies can begin in a pre-  
10 pandemic use of each product and continue  
11 throughout the whole vaccine program. And  
12 if desired, post licensure immunogenicity  
13 data could also be collected in a pre-  
14 pandemic setting.

15 Data concerning clinical  
16 effectiveness of pandemic vaccines will be  
17 essential, of course, and immunogenicity and  
18 protection from illness are imperfectly  
19 correlated. Different populations may  
20 receive vaccine in pre and post licensure  
21 situations as well. And we, of course,  
22 always need to consider issues of vaccine



1 match and perhaps the need to change the  
2 strain of a pandemic vaccine during the  
3 course of a pandemic. But obviously,  
4 studies of clinical effectiveness must await  
5 the onset of a pandemic and illness in  
6 populations who are eligible to receive  
7 stockpiled or pandemic vaccine.

8           So as we talk about CDC's vaccine  
9 effectiveness plans, we'll define  
10 effectiveness as protection against  
11 influenza illness when vaccine is  
12 administered in the context of an  
13 immunization program and that is outside a  
14 randomized clinical trial. Effectiveness  
15 may vary by age, by medical history and  
16 immunocompetence of the vaccine recipient.  
17 And effectiveness, we can expect, will vary  
18 with the outcome studied as well such that  
19 it'll be lower for non-specific illnesses  
20 that may be caused by pathogens other than a  
21 pandemic virus and that it may vary with the  
22 severity of the outcome being studied

1 whether that's illness, hospitalization,  
2 more severe illness such as need for  
3 mechanical ventilation or death. We'll also  
4 need to plan to assess effectiveness after  
5 one and two doses of vaccine.

6 Our existing plans for pandemic  
7 vaccine effectiveness assessment is really  
8 built on our existing influenza vaccine  
9 effectiveness projects. Two of these  
10 projects build on existing surveillance  
11 systems for influenza and those are the  
12 Emerging Infections Program or the EIPs and  
13 the New Vaccine Surveillance Network or  
14 NVSN. The third project is one with the  
15 Marshfield Clinic Research Foundation which  
16 was funded to provide rapid, within season  
17 estimates of vaccine effectiveness against a  
18 laboratory-confirmed outcome. And all our  
19 existing studies do use laboratory-confirmed  
20 influenza illness as the outcome, although  
21 the specific outcome does vary with the  
22 study.

1                   So this is a map showing our  
2                   population-based influenza surveillance at  
3                   present. There are 12 Emerging Infections  
4                   Program sites scattered throughout the  
5                   country in those orange areas that mark the  
6                   counties in which influenza surveillance is  
7                   done. Currently, in the EIPs, children less  
8                   than 18 years hospitalized with laboratory-  
9                   confirmed influenza infection are the  
10                  surveillance group, and adult surveillance  
11                  began in January of '06 as a pilot in  
12                  several of the sites.

13                   The New Vaccine Surveillance  
14                  Network is in three counties, Hamilton  
15                  County, Ohio at the University of  
16                  Cincinnati, Davidson County in Tennessee  
17                  with Vanderbilt University, and Monroe  
18                  County in Rochester, New York in the  
19                  University of Rochester. And those latter  
20                  two counties overlap with EIP surveillance.

21                   In these sites, children less  
22                  than 5 years with inpatient or outpatient

1 laboratory-confirmed influenza infection are  
2 the cases that are sought. And outpatient  
3 surveillance in children age 6 to 12 years  
4 started this season.

5 So to go over these studies in a  
6 bit more detail, the EIP study is a case  
7 control design. It was piloted last season  
8 and will continue this season in '06-'07.  
9 The setting is hospitals, last season, in  
10 six of the EIP sites and this year in nine  
11 of the EIP sites.

12 The cases are children aged 6 to  
13 23 months old in '05-'06 and 6 to 59 months  
14 this season to reflect the ACIP recommended  
15 age groups for receipt of vaccine.

16 Cases are children hospitalized  
17 with laboratory-confirmed influenza as by  
18 test ordered by clinicians, and the most  
19 common test ordered are DFA, rapid antigen  
20 detection, and culture in that order.  
21 Controls are age and zip-code matched  
22 children not hospitalized with influenza.

1 Vaccination data are sought from healthcare  
2 provider report and by parental report via  
3 telephone interview.

4 The sources of other data include  
5 medical chart review by the provider and,  
6 again, parental review. And some of the  
7 other data collected are age, gender, race,  
8 insurance status, high-risk medical  
9 conditions, socioeconomic status, smoking in  
10 the household, those sorts of variables.

11 The New Vaccine Surveillance  
12 Network studies now also include case  
13 control studies. Therefore, studies were  
14 done in the '03-'04 season and continue up  
15 into the present season.

16 The setting for these studies are  
17 hospitals, emergency departments, and  
18 outpatient clinics, again, in those three  
19 counties. The children are aged 6 through  
20 59 months. The cases are children brought  
21 to medical attention with fever or acute  
22 respiratory who test positive when enrolled

1 in a -- by study nurses for influenza, by  
2 culture, or RT-PCR.

3 And controls are children, in the  
4 current studies, with fever or ARI, again,  
5 brought to medical attention who test  
6 negative for influenza by culture and RT-  
7 PCR. Vaccination data are obtained again  
8 from healthcare providers.

9 Other sources of data are sought  
10 through medical chart review by abstractors  
11 and again by parental interview. And other  
12 data collected in this set of studies are  
13 age, gender, race, insurance status, again,  
14 high-risk medical conditions, socioeconomic  
15 status and other factors that are known to  
16 be risk factors for hospitalization with  
17 viral respiratory pathogens in children.

18 And finally, the Marshfield  
19 Clinic studies include cohorting case  
20 control designs. These studies were started  
21 in the '04-'05 season and continue to the  
22 present. The setting here is a clinic

1 population in North Central Wisconsin where  
2 a very large majority of the population  
3 receives their care through the Marshfield  
4 Clinic and their affiliated clinics.

5 The age group that is studied is  
6 all individuals for whom ACIP currently  
7 recommends annual vaccination. Cases in  
8 this set of studies are patients seeking  
9 care for acute respiratory illness who are  
10 influenza positive by culture or RT-PCR.  
11 And again, the cohort is a set of adults and  
12 children for whom ACIP has recommended  
13 annual vaccination and a cohort analysis is  
14 done.

15 In addition, there are age-  
16 matched controls without ARI symptoms who  
17 are in the same healthcare system. And  
18 again, as is done in NVSN studies, also a  
19 set of test-negative ARI controls.

20 Vaccination data in the  
21 Marshfield studies, what makes them rather  
22 unique, is obtained from a regional

1 electronic vaccine registry that includes  
2 all vaccinations essentially given in their  
3 service area, so even if you get your  
4 vaccine at Kroger. Other sources of data  
5 include electronic medical record and  
6 interview of the patients. And again,  
7 Marshfield has a totally electronic medical  
8 record, so data such as age, gender, race,  
9 high-risk conditions, and propensity to seek  
10 healthcare as assessed by previous  
11 healthcare visits is accessible,  
12 essentially, immediately.

13 To use any of our existing  
14 systems to look at vaccine effectiveness, we  
15 have to think a little bit about pandemic  
16 vaccine prioritization and how stockpiled  
17 and other vaccines will be used. Everyone  
18 will be susceptible, of course, and U.S.-  
19 based production capacity is not currently  
20 sufficient, as we all know, to provide  
21 vaccine rapidly for the entire population.  
22 It is assumed that the earliest doses of



1 vaccine will be available approximately 20  
2 weeks after isolation and characterization  
3 of a pandemic virus.

4           So many of you, I am sure, are  
5 familiar with the ACIP and NVAC priority  
6 groups for pandemic vaccine. This was the  
7 joint work of the two HHS committees, and  
8 the process entailed consideration of  
9 estimates of vaccine supply and  
10 effectiveness, the effects of pandemic by  
11 age and risk groups, and the potential  
12 effects in critical infrastructure and  
13 healthcare. And the recommendations from  
14 ACIP and NVAC were included in the 2005 HHS  
15 pandemic plan as guidance for state and  
16 local planning and to promote further  
17 discussion.

18           And so sort of the top two ACIP  
19 priority groups were 1-A, vaccine and  
20 antiviral manufacturers and medical workers  
21 who are involved in direct patient care  
22 contact and support services, so about 9

1 million people. And the second group were  
2 those the committee felt would be at highest  
3 risk for pandemic-associated outcomes,  
4 included persons age 65 or greater with one  
5 or more influenza high-risk conditions or 18  
6 million people, approximately; persons aged  
7 6 months through 64 year with two or more  
8 high-risk conditions, another 7 million; and  
9 those 6 months and older with a history of  
10 hospitalization for pneumonia or influenza  
11 in the past year, so another 700,000 people.

12           Again, there has been  
13 considerable discussion. After the ACIP  
14 recs, an interagency pandemic vaccine  
15 prioritization workgroup was formed and  
16 include participants from multiple federal  
17 agencies. They considered the ACIP and NVAC  
18 recommendations and considered the National  
19 Infrastructure Advisory Council  
20 recommendations on critical infrastructure  
21 sectors that would be most important, and  
22 there have been public engagement meetings

1 and the stakeholders meeting.

2           And there's a summary of those  
3 meetings. At each of the three meetings,  
4 the most highly rated goals were the same,  
5 and that was maintaining critical societal  
6 functions, protecting those who would help  
7 others during a pandemic, including  
8 healthcare workers, and a priority placed on  
9 protecting children, especially against  
10 pediatric mortality.

11           Most other goals were considered  
12 modestly important and those included  
13 protecting those most likely to get sick or  
14 die during a pandemic and although the ranks  
15 and rank order did vary between these  
16 meetings.

17           This group has developed draft  
18 prioritization guidance, is going to hold  
19 additional meetings, solicit written  
20 comments. ACIP, for example, was updated  
21 very recently by Ben Schwartz of NVPO on  
22 this work. And this working group will also

1 consider pre-pandemic vaccine prioritization  
2 and will modify guidance -- how to modify  
3 guidance at the time of a pandemic. Final  
4 guidance is expected by May and, of course,  
5 all these considerations influence how we  
6 are thinking about needing to be prepared to  
7 monitor effectiveness of stockpiled and  
8 other pandemic vaccines.

9           So with those considerations in  
10 mind, here are present sort of plans. We  
11 will study laboratory-confirmed outcomes.  
12 Hospitalizations, for example, are well-  
13 captured in several of our systems and our  
14 severe -- additional more severe outcomes  
15 may also be studied such as all-cause  
16 mortality depending upon the nature of the  
17 pandemic. Obviously, it will be much easier  
18 to study such an outcome in a severe 1918-  
19 style pandemic than in a '68-'69 pandemic.

20           And of course, observational  
21 studies outside the context of randomized  
22 trials must collect data on possible

1 confounding factors between receipt of  
2 vaccine and outcomes. Selection bias, for  
3 example, is likely but we can't assume the  
4 direction. In older individuals, if those  
5 with more severe out -- more severe  
6 underlying diseases are prioritized for  
7 receipt of vaccine, they are likely to be  
8 more ill than the underlying population of  
9 people that age.

10 On the other hand, if the vaccine  
11 goes to very narrow groups of younger people  
12 such as firefighters, they may be more  
13 likely to be healthier than the underlying  
14 population in that age group.

15 And of course, we will need to  
16 link existing individual health data to  
17 vaccination and outcome data to control for  
18 these possible confounders. And our plans  
19 will continue to evolve as vaccine  
20 priorities develop. For example, again, our  
21 existing systems cover children well but  
22 specifically in the context of this vaccine

1 that's being spoken about this morning,  
2 community based studies may not be as very  
3 efficient if initial vaccine is prioritized  
4 to a few critical infrastructure sectors and  
5 we'll have to take other study designs to  
6 get at those individual, small populations.

7 Also, we need to think about  
8 vaccine distribution and tracking methods.  
9 State and regional registries may be used to  
10 identify vaccinated individuals if all  
11 available pandemic vaccine comes through  
12 government sources. But again, there will  
13 be a need to link pandemic vaccine receipt  
14 back to the medical home, if you will, such  
15 that medical and demographic data are able  
16 to be collected and used in analysis of  
17 effectiveness.

18 We also have plans to expand our  
19 existing systems. For example, in the  
20 future, hopefully, we could study  
21 effectiveness among adults, hospitalized  
22 adults in the EIP system. We would also be

1 interested in expanding the rapid method  
2 used by the Marshfield Clinic to other sites  
3 that have electronic medical records.  
4 There's also the potential for new systems.  
5 For instance, consideration of using our  
6 sentinel provider system and some of the  
7 point of care diagnostic tests that Robin  
8 Robinson referred to that are being  
9 developed under HHS contract.

10 And finally, CDC is eager to work  
11 with our governmental and other partners to  
12 make sure that we're able to provide  
13 effectiveness data that meets the needs of  
14 the nation.

15 Thank you. And I'd just like to  
16 acknowledge those people who contributed to  
17 this presentation.

18 DR. KARRON: Next we'll hear from  
19 Dr. Caubel about the Sanofi Plan for  
20 Pharmacovigilance.

21 DR. CAUBEL: Good morning. My  
22 name is Patrick Caubel. I am Head of

1       Pharmacovigilance for Sanofi Pasteur in  
2       North America. Planning for the prospect of  
3       pandemic influenza is one of the most  
4       effective steps to mitigate the impact of  
5       such an event. Preparing for the next  
6       influenza pandemic requires support and  
7       collaboration from multiple partners at the  
8       state, national and international levels.

9                Vaccination remains a critical  
10       defense against a pandemic influenza.  
11       Vaccine safety monitoring is critical and  
12       should be part of a comprehensive plan,  
13       public health surveillance program in which  
14       we are committed to take part.

15               Pharmacovigilance plan objective  
16       -- the objective of the pharmacovigilance  
17       plan should be to detect, to evaluate and to  
18       minimize the potential risk due to the  
19       pandemic influenza vaccine. It should  
20       contribute to the benefit risk evaluation in  
21       a pandemic situation. There should be an  
22       agreement on several objectives. Number



1 one, the objective for the post marketing  
2 safety surveillance; number two, a  
3 collaborative plan with the key  
4 stakeholders; and number three, we have to  
5 establish a system which is going to  
6 function in a pandemic situation.

7           Pharmacovigilance planning will  
8 be critical in a pandemic situation.  
9 Pharmacovigilance activities have to be  
10 designed considering the following  
11 constraints: Number one, there will be  
12 limited clinical data available prior to the  
13 onset of a mass vaccination. Number two, a  
14 high volume of safety data, mostly  
15 spontaneous reports, is anticipated during a  
16 very short timeframe.

17           Number three, an increased public  
18 anxiety with adverse events reported is  
19 expected regardless of the causality. Any  
20 adverse event reported of any size is going  
21 to increase -- most likely are going to  
22 increase the level of public anxiety.

1                   Number four, limited qualified  
2                   personnel will be available in the industry  
3                   and regulatory agencies. And finally, the  
4                   pharmacovigilance stamps are very likely to  
5                   be disrupted. In addition, there is a need  
6                   for an ongoing safety signal detection and  
7                   evaluation in order to enable appropriate  
8                   decision with respect to the vaccination  
9                   campaign.

10                   And finally, the feasibility and  
11                   effectiveness of appropriate actions and  
12                   measures need to be tested prior to the  
13                   onset of a pandemic.

14                   I'm going to try to distinguish  
15                   between what may happen during the pre-  
16                   pandemic period and during the pandemic  
17                   period itself. So during the pre-pandemic  
18                   period, the usual routine pharmacovigilance  
19                   practices will apply. Start out spontaneous  
20                   report will be collected routinely.  
21                   Aggregate reports will be produced at  
22                   different time intervals. Signal detection

1 and analysis will be conducted at also  
2 regular intervals. Safety surveillance  
3 studies could be initiated and one can think  
4 of possible cohort study in the first  
5 responders who are going to be vaccinated  
6 prior to the onset of a pandemic.

7 Passive collection of vaccine  
8 federal reports are going to take place as  
9 it is usual for any vaccines. The objective  
10 of this plan in the pre-pandemic period is  
11 to develop a better understanding of a  
12 vaccine safety profile that could impact the  
13 pandemic safety monitoring.

14 In a pandemic situation, we  
15 propose that some changes to the usual  
16 pharmacovigilance practices are considered.  
17 Number one, we would like to proceed a more  
18 focused spontaneous reporting on adverse  
19 events of high safety importance. We would  
20 like also to consider simplified aggregate  
21 reports focusing on the issue of real public  
22 health interests.

1                   Number three, we feel that the  
2                   real time signal detection analysis is  
3                   necessary to allow quick decision making on  
4                   the vaccination campaign.

5                   Number four, we have the need for  
6                   a safety surveillance study in earlier  
7                   recipients after the pandemic is declared.  
8                   And number five, the passive collection of  
9                   vaccine federal reports will continue as  
10                  usual.

11                  The objective of this proposed  
12                  revised pharmacovigilance practice is not to  
13                  diminish the level of safety surveillance  
14                  but more to allocate the available on tasks  
15                  critical for understanding the evolving  
16                  benefit-risk profile in the pandemic  
17                  situation. We think that we need to focus  
18                  on the information on the analyses which are  
19                  going to provide the most relevant  
20                  information to -- in order for the authority  
21                  to make the appropriate decisions.

22                  I would like now to examine the

1 critical steps that could be impacted --  
2 critical pharmacovigilance steps that could  
3 be impacted in a pandemic situation. Number  
4 one, the spontaneous reporting -- data  
5 collection -- well, spontaneous reporting  
6 will remain the basis for safety evaluation.  
7 We think that one, common, simplified and  
8 targeted collection form could be used by  
9 all parties when the vaccination process  
10 begins. It should help to focus on the  
11 collection of the most important adverse  
12 events and for safety monitoring of pandemic  
13 flu vaccine.

14 Healthcare professionals and  
15 patients were very likely to be the primary  
16 source of information and should also be  
17 encouraged to report primarily serious  
18 adverse events, life threatening adverse  
19 events, adverse events of special interest.  
20 And I will come back to that later --

21 Adverse events of special  
22 interest -- all parties, in fact, must

1 consider a list of adverse events of special  
2 interest for which a common case definition  
3 will be used in order to ensure harmonized  
4 safety analysis of cases. Europe has  
5 already proposed a list of adverse events  
6 of special interest for pandemic flu  
7 vaccines survey and we propose that the key  
8 stakeholders in the U.S. who are on a  
9 similar list of adverse events have special  
10 interest.

11 Focusing our safety analysis on  
12 these terms without neglecting, and I want  
13 to be sure it's quite understood, we weren't  
14 neglecting the rest of it, that declaration  
15 could lead to a quicker identification of a  
16 potential safety signal.

17 The safety database is the  
18 repository in which key safety analyses are  
19 going to be conducted during the pandemic  
20 period. It is important that all  
21 stakeholders seed the database with all  
22 safety information available. The rapid and

1 open communication and information sharing  
2 between Sanofi Pasteur, other vaccine  
3 manufacturers and authority, public health  
4 and public health services is absolutely  
5 essential, and electrical communication also  
6 should be established prior to the pandemic  
7 period. One single safety database  
8 dedicated to flu pandemic vaccines could be  
9 used and shared by all parties and, for  
10 example, a subset of a VAERS database could  
11 meet these goals.

12 Aggregate report or period  
13 reporting -- Periodic Safety Update Reports  
14 are prepared at define time intervals.  
15 However, during the pandemic period, due to  
16 the limited resource, preparation and  
17 submission of PSUR may not be feasible. So  
18 we think that several options might be  
19 considered. The first one could be to have  
20 some what we call simplified PSUR focusing  
21 on serious adverse, even death, life-  
22 threatening events and adverse events of

1 special interest.

2 Another option could be to have a  
3 PSUR prepared on ad-hoc bases upon request  
4 from authority if any suspicion of potential  
5 signal or potential issue emerges. Of  
6 course, an aggregated PSUR will be prepared  
7 and submitted with the pandemic is declared  
8 finished.

9 Signal detection is a critical  
10 step for identification of safety issues  
11 with vaccine and with any pharmaceutical  
12 product. The crude inspection of single  
13 indicators and line listing is not any more  
14 an adequate method to detect a safety  
15 signal. We need to consider quantitative  
16 and automated data mining methods, for  
17 example, using different statistical scores  
18 like proportional reporting rates, Bayesian  
19 methods to enhance the efficacy of signal  
20 detection.

21 The modification of the standard  
22 method might be required, like some specific



1 stratification by sub-population age group.  
2 We need also to identify the appropriate  
3 comparator in the pandemic situation. In  
4 fact, this data mining may detect an  
5 increase in the incidence of the adverse  
6 events of special interest and also help in  
7 the detection of unexpected adverse events.  
8 The signal detection tools and practices  
9 should be tested with seasonal vaccine prior  
10 to the onset of a flu pandemic.

11 Monitoring for vaccine  
12 effectiveness -- and we just spent some time  
13 reviewing this issue -- well, as you know,  
14 there is no vaccine which is 100 percent  
15 effective, and this applies in particular to  
16 the vaccine we are reviewing today. Vaccine  
17 failure evaluation done through  
18 pharmacovigilance monitoring should not be  
19 used to assess vaccine effectiveness, and if  
20 you want, I can come back to that later, but  
21 the case we are collecting during the  
22 pharmacovigilance process are uncontrolled

1 by nature and not eligible for effectiveness  
2 assessment.

3 Safety surveillance studies are  
4 powerful tools to assess the safety profile  
5 of a newly licensed vaccine, and this  
6 applies as well to the flu pandemic vaccine.  
7 The safety profile would remain unknown in  
8 numerous populations prior to the  
9 vaccination campaign due to the lack of  
10 clinical data in sub-populations. So some  
11 consideration should be given to initiating  
12 cohort study, either pre or prior to the  
13 pandemic, for example, in first responders  
14 and critical works who, or after the  
15 pandemic is declared, like, for example, in  
16 the earlier recipients of a vaccine.

17 Case control study using large  
18 population-based databases like Vaccine  
19 Safety Datalink may be useful for the  
20 analysis of rare adverse events.

21 These studies, given the  
22 complexity, and in particular in the

1 pandemic situation, should be coordinated by  
2 national and international public health  
3 agencies.

4 So in summary, streamlining and  
5 prioritizing is essential for early  
6 detection and communication of potential  
7 risk, and consequently for a good and  
8 rational decision making. The  
9 pharmacovigilance plan and information  
10 system must be tested and harmonized during  
11 the forthcoming and subsequent season in  
12 order to be sure that it will be fully  
13 effective during the pandemic.

14 The proposed pharmacovigilance  
15 actions are part of an evolving plan to be  
16 refined with key stakeholders together with  
17 a better definition of roles and  
18 responsibilities. Of course, you know,  
19 Sanofi Pasteur is coming to global pandemic  
20 preparedness and last point -- conclusion is  
21 safety is, for our vaccine, is of paramount  
22 importance for Sanofi Pasteur, and Sanofi

1 Pasteur is prepared to work with the  
2 Government on efficient methods of  
3 collecting safety and effectiveness data.  
4 Thank you.

5 DR. KARRON: Thank you, Dr.  
6 Caubel. Dr. Ball?

7 DR. BALL: Good morning. My  
8 name's Bob Ball. I'm Chief of the Vaccine  
9 Safety Branch in CBER, and I'm going to be  
10 talking this morning about two topics, the  
11 first is pandemic influenza vaccine safety  
12 and effectiveness monitoring. I'll be  
13 including some information provided on CDC's  
14 plans for vaccine safety monitoring systems  
15 by Dr. John Iskander of the CDC Immunization  
16 Safety Office. And then I'll be providing  
17 some comments on Sanofi Pasteur's H5N1  
18 vaccine pharmacovigilance plan.

19 So first, some general  
20 considerations for why it's important to do  
21 post-marketing safety monitoring of pandemic  
22 flu vaccines. There is limited safety and

1 effectiveness data available for these  
2 vaccines prior to use. Robust safety and  
3 effectiveness monitoring is essential for,  
4 really, three reasons. Morbidity due to  
5 adverse events may be severe, and the best  
6 historical example of that that's relevant  
7 here is probably Guillain Barre Syndrome  
8 that occurred after the 1976-77 swine  
9 influenza pandemic vaccine use. It's also  
10 important to alleviate unwarranted fears to  
11 strengthen competence among the public in  
12 the safety and effectiveness of the vaccine.  
13 And finally, this type of data will  
14 facilitate benefit-risk analysis.

15 In recognizing this, Homeland  
16 Security Pandemic Influenza Plan has tasked  
17 the FDA with, among other things, tracking  
18 adverse events following vaccine  
19 administration and coordinating the  
20 definition of protocols for conducting  
21 vaccine effectiveness studies during a  
22 pandemic. We've heard already a little bi

1 this morning about some of the factors that  
2 will affect vaccine safety and effectiveness  
3 monitoring. Those includes the stage of the  
4 pandemic that the vaccine is used, the  
5 population receiving the vaccine, and  
6 strategy for vaccine distribution. I only  
7 want to point out that because of the  
8 variety of possibilities, it's important  
9 that a robust and flexible system be in  
10 place for vaccine safety and effectiveness  
11 monitoring.

12 And I'll shift a little bit and  
13 talk about key systems that are currently  
14 available to study vaccine safety. First,  
15 there is the Vaccine Adverse Event Reporting  
16 System, or VAERS, which is the early warning  
17 system of vaccine safety surveillance. It's  
18 a national passive surveillance system  
19 that's jointly operated by CDC and FDA.  
20 It's been in place since 1990 and accepts  
21 reports from physicians, other healthcare  
22 providers , and the public. It's a

1 hypothesis-generating system that seeks  
2 signals of potential concern.

3 Advantages of VAERS are that it  
4 is national in scope, covers diverse  
5 populations, and is able to detect rare  
6 events in a cost-effective manner. Rapid  
7 detection of possible signals is possible  
8 through VAERS, and these generate hypotheses  
9 that can be tested in other systems. It's  
10 also possible to assess lot-specific vaccine  
11 safety.

12 Disadvantages of VAERS include  
13 the presence of reporting biases. It's  
14 known that if there's under reporting, those  
15 serious events are more likely to be  
16 reported than non-serious events. And  
17 there's also over reporting since many  
18 reports that are not causally related to  
19 vaccination are also reported to VAERS.  
20 VAERS does not provide information on the  
21 number of persons vaccinated or the  
22 background incidents of conditions in the

1 general population, so this information has  
2 to be obtained elsewhere.

3 The vaccine safety data link at  
4 the CDC was developed to account for some of  
5 these limitations of the VAERS system.  
6 There are eight geographically diverse  
7 health maintenance organizations that  
8 participate in a large linked database which  
9 tracks vaccination, outpatient, emergency  
10 department, hospital, and laboratory data to  
11 measure health outcomes, contains  
12 demographic variables which can be  
13 confounders and covers about three percent  
14 of the U.S. population. The VSD can be used  
15 to test the hypotheses that are generated by  
16 VAERS or other sources.

17 Advantages of analyses in the  
18 Vaccine Safety Datalink include that all  
19 medical encounters are available at most of  
20 the sites. It allows calculation of  
21 background rates of adverse events that can  
22 be compared to reporting rates in VAERS.



1 Medical chart review for diagnostic  
2 validation is possible, and it's rapidly  
3 available for urgent studies.

4           Some of the limitations of VSD  
5 analyses include that the sample size,  
6 although very large, still may not be  
7 adequate very rare events such as Guillain  
8 Bare Syndrome with an incidence of about 1  
9 to 20 per 100,000 per year is background.  
10 Vaccines administered outside of the HMO  
11 setting is not captured by the VSD database,  
12 and there is limited demographic and  
13 socioeconomic diversity in the eight HMO  
14 practices. The unvaccinated population may  
15 be small and so, therefore, may require  
16 special methods for analyzing outcomes.

17           The CDC also has other resources  
18 available for study of vaccine safety. The  
19 CDC collaborates with the Brighton  
20 collaboration which developed standardized  
21 case definitions of adverse events following  
22 immunization for use in clinical trials or

1 epidemiological. CDC also sponsors the  
2 Clinical Immunization Safety Assessment  
3 Centers, or CISA, which developed  
4 standardized patient evaluations for adverse  
5 events and can provide clinical guidelines  
6 for providers in managing adverse events  
7 after vaccination.

8 Additional data available from  
9 the CDC comes from the biologic surveillance  
10 system which provides vaccine dose  
11 distribution for a calculation of reporting  
12 rates of adverse events, though it's  
13 important to note that this is not doses  
14 administered, simply doses distributed.

15 There are also a number of  
16 surveys from which important information can  
17 be gathered including the Nationally  
18 Representative Coverage Surveys, National  
19 Health Interview Survey, National  
20 Immunization Survey, and the Behavioral Risk  
21 Factor Surveillance System. CDC also has  
22 extensive relationships with state and local

1 health departments and immunization  
2 registries. Hospital discharge and  
3 mortality data sets are also available which  
4 can be used to calculate background rate of  
5 adverse events.

6 In preparation for pandemic, the  
7 FDA and CDC have undertaken some additional  
8 activities for vaccine safety. These  
9 include pilot projects to assess the use  
10 during a pandemic of the VAERS system  
11 beginning with the 2006-7 influenza season  
12 reports. Also, in collaboration with  
13 Harvard, there's a planned expansion of the  
14 Vaccine Safety Datalink to another site to  
15 expand the number of people under study and  
16 evaluation of other large automated  
17 databases of encounter and/or claims data  
18 for similar use.

19 The FDA has also begun a pilot  
20 project with the Center for Medicare and  
21 Medicaid Services to obtain rapid access to  
22 data on influenza vaccine and treatment

1 claims since Medicare beneficiaries are a  
2 large group that receives annual flu  
3 vaccine. FDA has also begun discussions  
4 with DoD and the Veterans Administration on  
5 their plans for a pandemic influenza vaccine  
6 safety and effectiveness monitoring.

7 So in expanding the existing  
8 systems, a number of principles are  
9 important to keep in mind. Complementarity,  
10 coordination and minimized overlap between  
11 government agencies and vaccine  
12 manufacturers to ensure that each is  
13 contributing valuable information to safety  
14 and effectiveness monitoring is important.

15 To that end, the FDA has  
16 initiated pharmacovigilance planning, and  
17 since 2005, has requested that vaccine  
18 manufacturers submit a pharmacovigilance  
19 plan with their Biologics License  
20 Application. These pharmacovigilance plans  
21 should follow FDA and International  
22 Conference on Harmonization E2E guidelines

1 on pharmacovigilance planning. And in  
2 addition to reporting of adverse events to  
3 VAERS as required by regulation, it's often  
4 important to have enhanced safety  
5 surveillance and/or observational studies as  
6 part of these plans.

7 And it's highly recommended that  
8 sponsors work closely with the FDA and CDC  
9 to develop and conduct studies to monitor  
10 safety after licensure.

11 So you heard just before me the  
12 presentation of Sanofi's pharmacovigilance  
13 plan. I'm just going to make two general  
14 comments about two aspects of the plan.  
15 First, Sanofi has proposed changes to  
16 adverse reporting during a pandemic that are  
17 not consistent with current regulations  
18 include less frequent or simplified  
19 submission of periodic update and/or other  
20 reports and use of simplified reporting  
21 forms.

22 The FDA has not yet made any

1 decisions about whether or not changes to  
2 adverse event reporting will be needed and  
3 what they might be during a pandemic.

4 Sanofi also does not propose to  
5 conduct additional safety or effectiveness  
6 studies of the H5N1 vaccine.

7 As we go forward, there are a  
8 number of issues that require clarification  
9 for safety monitoring for your  
10 consideration. Should specific adverse  
11 event reporting requirements be increased or  
12 decreased? Do we need to monitor for  
13 particular adverse events of interest, and  
14 if so, what are they? Who, FDA, CDC,  
15 Sanofi, other groups, should be responsible  
16 for what aspects of safety monitoring of the  
17 H5N1 vaccine? And how might these above  
18 considerations vary according to pandemic  
19 stage?

20 Similarly, there are a number of  
21 issues requiring clarification for  
22 effectiveness monitoring. What outcomes

1 should be assessed to evaluate  
2 effectiveness, that is how should influenza  
3 be defined? We heard from David Shay  
4 talking about laboratory-confirmed  
5 influenza, but it might also be necessary to  
6 evaluate off-course mortality in large  
7 claims databases. What study design should  
8 be used to evaluate effectiveness to account  
9 for some of the issues that David mentioned  
10 about biases in certain study settings? And  
11 then who should be responsible for what  
12 aspects of effectiveness monitoring of the  
13 H5N1 vaccine, and how might this vary  
14 according to pandemic stage?

15 So finally, a robust and flexible  
16 safety and effectiveness monitoring system  
17 is needed to address the range of  
18 possibilities during an influenza pandemic.  
19 Epidemiological studies will likely be  
20 important, and close coordination between  
21 Government agencies and Sanofi Pasteur would  
22 be beneficial. And it's desirable for

1 Sanofi to commit to working with the FDA and  
2 CDC to fill the gaps in collection analyses  
3 of safety and effectiveness data if the H5N1  
4 vaccine is used.

5 And I'd just like to acknowledge  
6 those who helped with this presentation.  
7 Thank you.

8 DR. KARRON: Thank you.  
9 Questions or comments for any of the  
10 previous three speakers? Dr. Wharton?

11 DR. WHARTON: I think in thinking  
12 about how to monitor safety and  
13 effectiveness, it's really important to keep  
14 in mind that we don't know how the vaccine  
15 is going to be distributed. And this was  
16 implied by a number of speakers, but just to  
17 make it clear, if we are using a vaccine  
18 distribution system similar to what we use  
19 for seasonal influenza where vaccine is  
20 distributed through multiple providers, many  
21 of them healthcare providers that are  
22 involved in our existing networks, then our



1 existing infrastructure may be very helpful  
2 in looking at both effectiveness and safety,  
3 because we're likely to capture those  
4 populations that are being immunized.

5 But for some of the possibilities  
6 for how a severe influenza pandemic might  
7 play out, we could be dealing with a quite  
8 different distribution system. So if, for  
9 example, we were targeting first responders,  
10 those are unlikely to be captured by the  
11 VSD, and these critical infrastructure  
12 workers are not the usual target group. So  
13 just to make that really clear as we're  
14 thinking about these things, that we may  
15 need quite different systems than we  
16 currently have to answer some of the  
17 questions that will be important to answer.

18 DR. KARRON: Dr. Hetherington?

19 DR. HETHERINGTON: I wonder if we  
20 have any clarity on how first responders are  
21 identified, and you obviously will have a  
22 limited number of doses of vaccine

1 available, so how will people be designated  
2 as receiving? If, in fact, they are heavily  
3 concentrated within the governmental  
4 agencies, wouldn't there already be a  
5 database available that would be able to be  
6 mined for safety follow-up in a sense?

7 In follow-up to previous  
8 question, in fact, there may be a structure  
9 that's available if we know who the first  
10 responders are and we know where the links  
11 to their healthcare reside, whether they're  
12 in a governmental database or some specific  
13 HMO. So that -- I wonder if that's been  
14 given any thought by the FDA or anybody  
15 else?

16 DR. GELLIN: Let me comment on  
17 that. And as David Shay presented in his  
18 description of the ongoing process, there is  
19 currently a revisiting of the prioritization  
20 for vaccine in a pandemic. And the biggest  
21 difference is really the incorporation of  
22 the input by the National Infrastructure

1 Advisory Council, I think it's called, which  
2 is a DHS Homeland Security Advisory Council,  
3 to get a better sense of who in the critical  
4 infrastructure is critical. But you raise  
5 an important point because, as Melinda  
6 highlighted, it's likely particularly early  
7 on to be distributed differently than  
8 seasonal vaccine.

9 So I think that is important --  
10 so I don't have a clear answer for you other  
11 than I think you highlighted that  
12 recognizing that there are different systems  
13 of care for these people that we should look  
14 at whatever existing databases might be able  
15 to capture that care, whether they're in the  
16 Defense Department, whether they are in  
17 Occupational Health or whatever. But I  
18 think that that's an important  
19 consideration.

20 DR. KARRON: Dr. Farley?

21 DR. FARLEY: Given all the  
22 complexities of not knowing in advance,

1 really, how this will be introduced -- I  
2 mean having some general concepts -- and it  
3 will be somewhat unprecedented in terms of  
4 if we launch a major campaign against  
5 pandemic flu or at least it's been many --  
6 it's been a generation or so since we've had  
7 to do anything like this, and we will  
8 potentially have larger stockpiles, have  
9 actual access to vaccines in a more timely  
10 fashion -- I mean how practical would it be  
11 to force a registry process into this so  
12 that each and every individual who receives  
13 a pandemic flu vaccine go into a national  
14 registry regardless of age and whether it be  
15 building upon old systems or having a  
16 dedicated system to this event? I don't  
17 know who might be able to answer that but  
18 maybe Bruce.

19 DR. GELLIN: Let me start and  
20 others may add to that, but among the  
21 investments that are being made of this in a  
22 pandemic budget is looking at registries.

1 And I think the question you raise is  
2 whether or not existing registries or some  
3 alternates. Because I think that here is  
4 the opportunity to do many things, not only  
5 to monitor both safety and potentially  
6 effectiveness, but it also is the complexity  
7 of this is a two-dose schedule. You want to  
8 ensure that those who get the first dose get  
9 the second dose as well.

10 So I think that -- I don't know  
11 if anybody wants to comment on that  
12 specifically other than there is a -- that's  
13 recognized as one way that there might be a  
14 system either building on existing systems  
15 or creation of a slightly different system  
16 to be able to accomplish those three goals.

17 DR. WHARTON: Yes. Just to  
18 extend what Bruce said, at least what the  
19 immunization program grantees tell us is  
20 that they are planning -- the majority of  
21 them are planning on using their existing  
22 immunization registries as part of their

1 activities.

2 Now at this point, state programs  
3 are going asked to make pandemic plans and I  
4 think this is on the assumption that there  
5 will be a state health department directed  
6 public health activity that will be I charge  
7 of the initial states of vaccination. So it  
8 certainly is possible but the existing  
9 immunization registries can help with this.  
10 I do have to say that there are concerns  
11 about the capacity of those registries as  
12 they currently exist to actually fulfil this  
13 function, but at least the majority of state  
14 programs have told us that is what they plan  
15 to do.

16 DR. KARRON: Dr. Couch?

17 DR. COUCH: Just thinking back a  
18 little bit about 1976. When you start  
19 vaccinating everybody, you're going to need  
20 a frame of reference for a likelihood of  
21 events that will be occurring. Because you  
22 see, Guillain Barre was unanticipated. The

1 deaths that occurred in Pittsburgh, you  
2 stopped that, you know, and that campaign  
3 was a little bit different. But you have to  
4 say that's not -- that's expected, you see,  
5 and be able to respond to that without a  
6 control group, because everybody's going to  
7 be in line to get their vaccine. And one of  
8 the options for that might be rapid response  
9 teams to something like the VAERS reports  
10 that can trace these, because they'll end up  
11 in the headlines of the local newspaper, and  
12 the local newspaper will cause all the talk  
13 programs and everybody else to start  
14 questioning safety unless you're prepared to  
15 respond.

16 DR. KARRON: Dr. Jackson?

17 DR. JACKSON: I just had a  
18 question for Dr. Wharton. When you say  
19 existing registries, what do you mean?

20 DR. WHARTON: Well, I'm not sure  
21 what the grantees meant. What they have  
22 reported to us is that they planned on using

1 their immunization registries. Some of  
2 these are state. Some of them are local.  
3 But these All right. considered to be public  
4 health programs that are largely run by the  
5 public health infrastructure, so I assume  
6 these would be the governmental registries  
7 as opposed to private sector registries.

8 DR. JACKSON: So primarily  
9 childhood vaccination registries?

10 DR. WHARTON: Yes. And I think  
11 that's one of the capacity issues is that  
12 the registries were originally developed for  
13 childhood immunization although some states  
14 have now extended them to adults and there's  
15 no a priori reason that other states  
16 couldn't do that, but that is one of those  
17 capacity of infrastructure issues that  
18 raises some issues about whether or not  
19 these registries have the capacity to do  
20 that.

21 DR. KARRON: I think we'll have  
22 more discussion of this topic after the



1 break. We have a fair amount of time  
2 budgeted for post open hearing discussion.  
3 So we'll take a break now and reconvene at  
4 11:00 o'clock. Thank you.

5 (Whereupon, off the record at  
6 10:45 a.m. and back on the record at 11:12  
7 a.m.)

8 MS. WALSH: I think we're ready  
9 to begin. I'd like to ask everyone to  
10 please take their seats. Next on the agenda  
11 is the open public hearing. As part of the  
12 FDA Advisory Committee meeting procedure, we  
13 are required to hold an open public hearing  
14 for those members of the present who are not  
15 on the agenda and would like to make a  
16 statement concerning matters pending before  
17 the committee. I've not received any  
18 request at this time. Is there anyone in  
19 the room who would like to address the  
20 committee?

21 (No response.)

22 MS. WALSH: Dr. Karron, I see no

1 response and I will turn the meeting back  
2 over to you.

3 DR. KARRON: Thank you,  
4 Christine. At this time, we will have the  
5 FDA presentation of questions by Dr. James.

6 DR. JAMES: Okay. I've already  
7 given you the proposed indication. I will  
8 repeat it once again. Sanofi's proposed  
9 indication is that H5N1 Influenza Virus  
10 Vaccine A/Vietnam/1203/2004 (Clade 1) 90  
11 micrograms per milliliter is an influenza  
12 viral vaccine indicated for active  
13 immunization against influenza disease  
14 caused by H5N1 A/Vietnam/1203/2004 (Clade 1)  
15 influenza virus and primary vaccination of  
16 healthy adults 18 through 64 years of age.

17 The first question to the  
18 committee is are the data sufficient to  
19 support the effectiveness of this product  
20 for use during a pandemic or in situations  
21 of potential high risk exposure?

22 Second question. Are the data

1 sufficient to support the safety of this  
2 product for use during a pandemic or in  
3 situations of potential high risk exposure?

4 And the last question is please  
5 comment on studies to collect additional  
6 information about the effectiveness and  
7 safety following this vaccine's use.

8 DR. KARRON: Thank you, Dr.  
9 James. I'd like to open this up for  
10 discussion, but I actually will lead off  
11 with perhaps a question for you, Dr. James,  
12 if you don't mind. And that is I know that  
13 there's been a lot of discussion during  
14 these proceedings this morning about how and  
15 when and in whom this vaccine might be used.  
16 Am I to understand from the way this  
17 question is written that the vaccine would  
18 be used during a pandemic but not pre-  
19 pandemic, for example, in first responders?  
20 Is that -- am I understanding that  
21 correctly?

22 DR. JAMES: You are understanding

1 that correctly. Sanofi did not phrase their  
2 indication, did not propose their indication  
3 that way, but we're specifically, the FDA is  
4 specifically asking you to consider the data  
5 that we've presented for use during a  
6 pandemic or in situations that may occur  
7 prior to a pandemic but that are potentially  
8 high risk exposure situations.

9 DR. KARRON: Ms. Province, did  
10 you want that clarified that last statement?  
11 Is that --

12 MS. PROVINCE: Yes, please. I'm  
13 sorry, it just seemed that you answered it  
14 one way and then answered it another way or  
15 maybe I misunderstood you.

16 DR. KARRON: I think that what  
17 maybe you were asking for is a clarification  
18 of what high risk exposure constitutes.  
19 Could you give an example of that.

20 MS. PROVINCE: You phrased that  
21 better than I did. Yes, what would  
22 constitute the high risk exposure? Would

1 that be the first responders prior to  
2 pandemic?

3 DR. JAMES: First responders,  
4 military who may be deployed to Indonesia  
5 for whatever reason. Yes, high risk  
6 basically would be first responders and the  
7 like.

8 DR. KARRON: Dr. Self?

9 DR. SELF: Thank you. I work  
10 better when things are really concrete, so  
11 I'm trying to imagine, you know, what might  
12 actually happen. There are some  
13 transmission chains that happened in  
14 Indonesia or somewhere in Southeast Asia, a  
15 couple of cases start showing up around our  
16 airports, and then this -- those sorts of  
17 events would trigger the use of this vaccine  
18 among first responders followed by the 600  
19 million dose reference 6 months later of  
20 some other vaccine or of this vaccine? Is  
21 that a scenario that we're talking about?

22 DR. JAMES: I actually would ask

1 -- well, it looks like Norman wants to  
2 respond first.

3 DR. BAYLOR: I'll respond. I  
4 mean first off, I mean how this vaccine will  
5 be used other than during a pandemic, I mean  
6 these are policy decisions. But you may  
7 have examples where you start getting spread  
8 to human transmission from human-to-human,  
9 and a decision may be made at that time  
10 that, yes, we should start, you know,  
11 deploying this vaccine. Or you may have  
12 individuals going into a region where there  
13 is a human-to-human spread or even avian,  
14 there's a high level of avian influenza in  
15 the area. So these are decisions that will  
16 -- you know, they're policy decisions but  
17 there are opportunities where this vaccine  
18 might be deployed.

19 DR. SELF: And then what's the  
20 relationship of this vaccine to the vaccine  
21 referred here, the 600 million --

22 DR. BAYLOR: Whose slides are you

1 looking at?

2 DR. SELF: Six hundred million  
3 doses -- well, I ask because, you know, part  
4 of our charge is to think about what  
5 information we need to get about the  
6 characteristics of this vaccine. If this is  
7 a stopgap vaccine that bears little, if any,  
8 relationship to the vaccines that are going  
9 to really carry the load in a pandemic  
10 situation, then that's one thing. If it's  
11 very closely -- if it's the same vaccine,  
12 then the answers to that question are  
13 perhaps different, so it's --

14 DR. BAYLOR: Well, I think we,  
15 and Robin from the Department can answer as  
16 well, but when you look at this, you have to  
17 look at where we are in time. The 600  
18 million doses, I mean it depends on where  
19 you are. I mean we know that there are  
20 numerous vaccines under development that are  
21 potentially better, if you will, than this  
22 vaccine. This is an interim vaccine.

1        Depending on where those vaccines are in  
2        development, those vaccines -- some of those  
3        newer vaccines, the adjuvanted vaccines or  
4        what have you, those may be the vaccines  
5        that we use to hit the magic number of the  
6        600 million doses.

7                    But again, it just depends on how  
8        fast those vaccines are developed, how fast  
9        those clinical trials are done and what the  
10       data suggests or support for those vaccines.

11                    So again, today we're -- what  
12       we're faced with is this vaccine that  
13       requires two doses and at 90 micrograms.  
14       That's where we are today. A month from  
15       now, a year from now, five years from now,  
16       we could have -- we probably will have  
17       additional products that we hope that are  
18       going to be better. You're still not clear?

19                    DR. SELF: Well, to get  
20       information about effectiveness of this  
21       vaccine, we're probably going to be in the  
22       early stages of the pandemic? I mean we're



1 not going to get that probably from the high  
2 risk groups? I'm just guessing because it's  
3 still not clear to me who those high-risk  
4 non-pandemic vaccinees would be. And that  
5 implies a certain timing of events then.

6 There is, you know, only a  
7 handful of months between the first  
8 opportunity to get effectiveness information  
9 about this vaccine and the 600 million doses  
10 or, you know, whatever the next wave of  
11 response is. And so, again, I'm -- the  
12 charge here is what studies, you know,  
13 should be done to characterize the  
14 effectiveness of this vaccine. And I'm  
15 wondering -- there are limited opportunities  
16 for that, and I'm trying to figure out what  
17 information would be critical and could be  
18 used in how this unfolds so I could, you  
19 know, answer that question.

20 DR. GOODMAN: Yes. I think that  
21 the likely -- you know, this is an interim  
22 preparedness measure. There's also -- you

1 know, although we're all very optimistic  
2 about reports and information we receive  
3 about potentially more immunogenic vaccines,  
4 we always have to see that data. And  
5 particularly with things that haven't been  
6 used in hundreds of millions of people  
7 already, we have to be concerned that the  
8 safety database is adequate before a  
9 completely new technology is widely used.

10 Now all that said, what HHS has  
11 been encouraging is rapid development of  
12 that information from a number of  
13 manufacturers, and FDA is encouraging that  
14 we get that data as it becomes available so  
15 that in an emergency -- we're going to be in  
16 a situation -- we are in a very dynamic  
17 situation right now. Every six months, we  
18 learn a lot more. That's one of the good  
19 things about this, because there's a lot of  
20 information about flu vaccines that is being  
21 developed that is informing us. But it's  
22 also one of the big challenges and it's a

1 challenge in making a static risk-benefit  
2 decision.

3 But what I was going to say is  
4 that so let's say there were a pandemic  
5 tomorrow, six months, two years. What we're  
6 going to do is look at all the data that are  
7 available out there, probably seek advice  
8 also about that data from people like  
9 yourselves -- where are we at that moment,  
10 what is the snapshot, and then what are the  
11 alternatives for trying to provide  
12 protection, you know, to the American  
13 people.

14 Right now today where this  
15 vaccine is and the use that's being proposed  
16 is that in a situation perhaps where human-  
17 to-human transmission is beginning to occur  
18 or we see people who may have high risk of  
19 exposure to H5N1 that this vaccine would be  
20 available for use under license, and getting  
21 -- you know, and obviously the amounts of  
22 this vaccine because of the technology are

1 going to be somewhat limited. It's going to  
2 be what's in the stockpile. I think getting  
3 to your original question, what's important,  
4 and to CDC's presentation, is it will be  
5 good to be able to evaluate field efficacy  
6 of that vaccine. It may be more or less  
7 efficacious than expected, and that early  
8 information may inform decisions about  
9 further production, etcetera.

10 I think certainly there's a  
11 likelihood there could be a drifted strain  
12 or a different clade as discussed and that  
13 probably, you know, you wouldn't want to  
14 produce that even if it was with this  
15 technology.

16 So the real question is, and this  
17 gets back to, I think, your question about  
18 the modeling, and in my presentation later,  
19 I have a slide about that, but I think you  
20 don't want to make too much of models, but  
21 they have many, many assumptions. But part  
22 of what drives this is the historical

1 experience with flu which is that some  
2 immunity can have an affect, even limited  
3 immunity. And then in these models, for  
4 example, suggest that if you achieve 30  
5 percent protection even and even with 1 dose  
6 of such a vaccine, that there may be, in  
7 certain circumstances, combined with other  
8 measures, significant affects on a pandemic.

9 So the real question is here's a  
10 vaccine based on a known technology -- it is  
11 somewhat different; it's a higher antigen  
12 does; it's a unique antigen, but can this  
13 provide at present the potential for benefit  
14 in this situation, and it's a fluid  
15 situation.

16 But I think input on how CDC and  
17 FDA should help evaluate efficacy early in a  
18 pandemic would be helpful. And I also take  
19 Dr. Couch's point. And you heard from both  
20 the CDC and FDA presentations, there's a lot  
21 of concern for how do we improve monitoring  
22 the safety and communication about safety

1 early in a pandemic, and we're trying to  
2 exercise those systems with annual influenza  
3 vaccine as well.

4 DR. KARRON: Dr. Stapleton?

5 DR. STAPLETON: I think I'm  
6 struggling with what several others have  
7 alluded to or directly mentioned, but I  
8 guess for Dr. James and perhaps Sanofi  
9 Pasteur, I have one question and one  
10 comment. How can we come up with a better  
11 definition of high risk group? I think it  
12 seems very vague and unclear, and that's  
13 going to be a key issue in a stopgap  
14 measure. If it's beginning of pandemic, if  
15 it's pre-pandemic, if it's -- how are these  
16 definitions made? And I think they should  
17 be made.

18 And secondly, post deployment  
19 monitoring, I think that's one of our  
20 charges is to address that. It seems to me  
21 that there really have to be plans in place  
22 to compare not only unvaccinated and

1 vaccinated individuals but also comparison  
2 of the people who are vaccinated with  
3 seasonal vaccine as a comparison group. And  
4 I think that's something that should be  
5 looked at for cross-protection or priming  
6 for future vaccines.

7 DR. KARRON: Dr. Hetherington?

8 DR. HETHERINGTON: Dr. Webster  
9 earlier said this is an historic vaccine in  
10 more than one way -- sorry, my microphone  
11 doesn't seem to be working --

12 Dr. Webster earlier said this is  
13 an historic vaccine in more than way, and I  
14 think what I sense we're all struggling is  
15 the roadmap to where this vaccine is going.  
16 We're at a starting point, but it's not  
17 clear what the evolution is in front of us.  
18 For instance, one of the questions to us is  
19 what additional studies should be done to  
20 assess the efficacy of this vaccine. Well,  
21 if the roadmap is to replace this with a new  
22 clade vaccine in the next 6 to 12 months,

1 I'm not certain that we can justify any  
2 resource going into any additional efficacy  
3 studies, while safety certainly should be  
4 continued to be monitored. So it's not  
5 really clear to me that that has been laid  
6 out to the committee as a whole as to where  
7 this fits into the entire evolution of  
8 vaccines for this specific purpose.

9 We're told that this is going to  
10 be for first responders, but there is also  
11 an indication up there for primary  
12 vaccination of healthy adults which speaks  
13 to a much broader population, and it's not -  
14 - wasn't clear to me, at least, in the  
15 presentation that that was really something  
16 for which we should be considering this  
17 vaccine. It looked like there was more of  
18 an evolution to it. I wonder if somebody  
19 might address that?

20 DR. HACHEY: One group that's  
21 likely to receive this vaccine, in part  
22 because DoD does have a smaller stockpile



1 than HHS, but nonetheless we do have a small  
2 stockpile of the 1203 (clade 1) vaccine.  
3 And we are probably likely to be included  
4 eventually in the national strategy as far  
5 as the larger stockpile that will be  
6 evolving over time.

7 DoD has some unique attributes  
8 that we have built into our immunization  
9 programs already that all of our active duty  
10 members which represent that healthy kind of  
11 middle-age-younger-age group, every vaccine  
12 that they do receive, whether it's  
13 influenza, anthrax, or in this case, a pre-  
14 pandemic or a pandemic vaccine, is already  
15 monitored. So we do have a tracking system  
16 already in place as well as a tracking  
17 system to monitor for adverse events. We  
18 have also established a system that could be  
19 easily adaptable to monitor, again, ongoing  
20 adverse events and efficacy of the vaccine.

21 So DoD is in somewhat of a unique  
22 niche in that we're likely to use the

1 vaccine should a pandemic be imminent. We  
2 have some already in hand, and we do have  
3 tracking mechanisms that are either already  
4 in place or easily adaptable to monitor the  
5 outcomes that are currently of interest.

6 DR. KARRON: Dr. James, did you  
7 also want to respond?

8 DR. JAMES: Yes. I just wanted  
9 to clarify the third question that we are  
10 asking for is really on if the vaccine is  
11 licensed post use. So post licensing use of  
12 the vaccine, what sort of effectiveness and  
13 safety data you would like to see, you would  
14 like collected. Okay? So it's not  
15 necessarily unless you believe that the data  
16 presented are not sufficient to license it,  
17 then, of course, you can speak on additional  
18 studies that you believe need to occur. But  
19 that question is specifically for if the  
20 vaccine is licensed and it is used, how do  
21 we go about collecting effectiveness and  
22 safety data.

1 DR. KARRON: If I can maybe  
2 clarify or amplify your question, Dr.  
3 Hetherington. I think you were asking not  
4 so much that as perhaps where does this fit  
5 into the pipeline of vaccines that are going  
6 to come before the FDA to help us understand  
7 given that everybody admits that this is a  
8 stopgap vaccine, to help us plan what kinds  
9 of post licensure test, if this vaccine were  
10 to be licensed, should be done. Is that --  
11 did I say that correctly?

12 DR. HETHERINGTON: I think the  
13 FDA spokesperson was correct in that I did  
14 misread the question, but I think the larger  
15 question was exactly as you said. What is  
16 the overall roadmap for this approach.

17 DR. KARRON: Dr. McInnes?

18 DR. MCINNES: Thank you, Ruth.  
19 I'm struck by these conversations that we  
20 don't seem to have a real advocate for this  
21 vaccine, which is an unusual circumstance  
22 coming before the FDA Advisory Committee.

1 We don't have a manufacturer of a product  
2 that intends to market this commercially,  
3 and all those incentives that go with that  
4 are not in place. And so I think we have to  
5 take a little bit more pragmatic view here  
6 with all of this uncertainty. And I think  
7 we do have a vaccine here. It is  
8 immunogenic. It's not as immunogenic as  
9 we'd perhaps like to see. It uses a lot of  
10 antigen. It's a -- you have to have two  
11 doses which is very inconvenient. But it is  
12 a vaccine.

13 And the safety profile in the  
14 small numbers that have been put before us,  
15 I find somewhat comforting. I think it's  
16 acceptable. Of course, we'd like more data  
17 but I think it looks quite good at this  
18 particular point in the small numbers.

19 I think all of the uncertainty  
20 tracks around the concern that, you know, a  
21 recommendation to license, and we don't  
22 understand how the product will be used, by

1 whom, when, where. We normally have a  
2 vaccine manufacturer who is manufacturing  
3 bulk lots of vaccine, not just one, who is  
4 marketing product, it is getting used, we're  
5 continuing to gather data, which then  
6 provides the basis for a community  
7 assessment of whether this is safe and  
8 efficacious, and we're not going to have any  
9 of these readouts. We're not going to have  
10 any of these signals coming in this  
11 situation.

12           And so -- yet the option on the  
13 table is to look at licensure for a product,  
14 and we understand licensure and use in a  
15 completely different framework normally. So  
16 I am left -- I am struck -- you know, Sanofi  
17 does not propose to conduct further studies  
18 to gather data, and I understand that they  
19 were a contract manufacturing in this  
20 situation. They have no commercial market.  
21 I'm not sure I totally understand why there  
22 isn't a potential commercial market, but

1 that's apparently not their plan.

2           So I'd like to understand a  
3 little bit more from the Department about a  
4 very clear articulation of use of this  
5 product, when, where, how, decision making.  
6 And I'd like ask for some guidance from the  
7 FDA about what options they have to manage  
8 not only the license but the use of the  
9 vaccine, because I think once it's licensed,  
10 it sits there with that. And I'm trying to  
11 understand the options for management of  
12 this package which is very unusual.

13           DR. KARRON: Bruce?

14           DR. GELLIN: Pam's laid out some  
15 important principles and questions. There's  
16 also been a discussion about 20 million, 600  
17 million, where the vaccine sits, so I think  
18 that we need to remember that this was  
19 created for the stockpile.

20           And it's also important to  
21 remember that when we started doing this,  
22 the idea of stockpiling an influenza

1 vaccine, if you, you know, rewind the clock  
2 and look at what the doctrine was at the  
3 time, nobody was stockpiling influenza  
4 vaccine because you know the virus would  
5 change and you had to keep up with it.

6 So the idea that you would go  
7 into creating stockpiles knowing that the  
8 virus would now do what it has shown it is  
9 going to do was where we got into this, but  
10 the idea was that a stockpile could provide  
11 some protection.

12 I would think, importantly, early  
13 on we also recognized that going through all  
14 this was going to provide a lot of  
15 experience to a lot of people that we didn't  
16 want to learn in the time of an emergency.  
17 That's separate from the discussions here,  
18 but what we have now is vaccine that's  
19 created for a stockpile.

20 As you've seen from Robin's  
21 slide, accumulating enough to hit the  
22 national target of enough vaccine for 20

1 million people is not easy given that your -  
2 essentially we refer to this as - you know,  
3 we're asking the manufacturers to use every  
4 available minute of their off season  
5 production. So the slid that Robin showed  
6 shows how you can only get incremental  
7 amounts of that to be able to put that  
8 vaccine into a stockpile. And then we have  
9 the issues of time that -- of what happens  
10 to this vaccine over time, what happens to  
11 the virus over time. So we have -- so the  
12 20 million goal was set as a construct to  
13 have something at the beginning of a  
14 pandemic that you might use that would  
15 provide some protection to people on the  
16 front lines while you were then creating the  
17 better vaccine that was tailored to the  
18 circulating virus.

19 So the piece that was in -- so  
20 I'll draw on two different slides -- so I  
21 think that Norman ended with his final  
22 bullet was the benefit of having a licensed



1 vaccine against a potential influenza virus  
2 strain weighed against the risk of having no  
3 vaccine. So that's an important principle.

4 The other piece was embedded  
5 within David Shay's slides that while he  
6 talked a lot about the process for  
7 revisiting the pandemic vaccine  
8 prioritization, there's a separate process  
9 done by the same interagency group to take a  
10 hard look at that if we had to use it today,  
11 how we would use the existing vaccine and  
12 then up to 20 million doses -- 20 million  
13 people, what kinds of people would those be.  
14 So I don't have a clear answer now. There  
15 is a pretty vigorous interagency process  
16 that's defining that at the same time its  
17 defining the priority list broadly, but  
18 that's the construct here.

19 Again, I think it's been signaled in  
20 many of these slides. It is front line,  
21 critical infrastructure that constitutes  
22 those 20 million, but I can't give you more

1 precision until there is clear guidance on  
2 who those people are.

3 DR. KARRON: I do want to follow-  
4 up on Pamela's question, though. So does  
5 that suggest then that the 20 million doses  
6 in the stockpile, it would be used for first  
7 responders, who those people are is to be  
8 defined, but it would not be used for  
9 populations other than first responders?

10 DR. GELLIN: That's the goal of  
11 the stockpile recognizing it was a finite  
12 amount and that you would then -- we have  
13 the larger goal that Robin can talk more  
14 about of creating a production capacity so  
15 you would have enough for the larger  
16 population.

17 DR. KARRON: A production  
18 capacity with this vaccine?

19 DR. GELLIN: For the vaccine that  
20 you needed, that you have to determine at  
21 the time. I mean, again, I think that the  
22 general idea was that they -- this quote

1 pre-pandemic vaccine was the best you could  
2 make based on the viruses that were  
3 circulating at the time that then might give  
4 you somewhat of a match with the idea being  
5 that you would likely have to have a  
6 different vaccine for the pandemic --

7 DR. KARRON: I just have a  
8 particular question for the FDA that has to  
9 do with their draft guidance, because  
10 obviously this stopgap vaccine, if you will,  
11 does not meet the criteria -- some of the  
12 criteria set forth in the draft guidance.  
13 Will licensure of this vaccine, if it is  
14 licensed, have any impact on the draft  
15 guidance or not?'

16 DR. BAYLOR: I'll answer that. I  
17 mean, in essence, no, this vaccine was --  
18 these clinical trials were done prior to  
19 these guidances. The guidance documents  
20 will be applied to forthcoming vaccines, but  
21 I think we have to look at the type of  
22 vaccine we're dealing with. Here we're

1 looking at a vaccine that's been  
2 manufactured by a licensed process. If  
3 another vaccine comes forward with a U.S.  
4 licensed process, more than like, it will be  
5 evaluated the same way. That guidance  
6 document will apply to vaccines, in  
7 particular the pandemic guidance will be  
8 applied to the vaccines that are coming  
9 henceforth.

10 DR. KARRON: Dr. Wharton?

11 DR. WHARTON: Understanding the  
12 need to get these doses manufactured as  
13 quickly as possible and the need to get as  
14 many doses as possible out of the antigen  
15 that could be made, I'm assuming that this  
16 is preservative-containing vaccine in multi-  
17 dose vials. My question regards that  
18 formulation. Given that the 90 microgram  
19 dose, I believe, is a 1-mil dose, from what  
20 was said early, should I -- is it correct  
21 that those two doses administered would  
22 contain 100 microgram of mercury thimerosal

1 preservative in those two doses?

2 DR. JAMES: I'm being told we --  
3 yes, we believe that that's accurate, but if  
4 Sanofi can confirm that.

5 DR. LEE: Hi. I'm Dr. Sam Lee  
6 representing Industrial Operations for  
7 Sanofi Pasteur. Yes, the 1-mL vaccine does  
8 contain 100 micrograms per mL of thimerosal  
9 and the vaccine would contain that 100  
10 micrograms.

11 MS. KRIVACIC: Given that it  
12 contains the thimerosal and we're looking at  
13 annual flu vaccinations, what is the risk of  
14 exposure of thimerosal from your annual  
15 vaccinations as well as this, you know,  
16 stockpile? If you can kind of comment on  
17 that in terms of the exposure of thimerosal?

18 DR. LEE: Right. I'm not sure  
19 I'm the right person to answer that.

20 MR. HOSBACH: Hi. I'm Phil  
21 Hasbach, Government Policy and Government  
22 Relations for Sanofi Pasteur. In terms of

1 our seasonal flu vaccine, we have a variety  
2 of formulations available, some of it  
3 unpreserved with no thimerosal at all, and  
4 of course others with multi-dose vial. It's  
5 really constrained by our filling and  
6 finished capacity for single-dose syringes  
7 and single-dose vials. So for the  
8 traditional multi-dose vial vaccine, it has  
9 25 micrograms of preservative -- of  
10 preservative in it, thimerosal.

11 In this instance, we're looking  
12 to produce as much vaccine as possible to  
13 get it into the arms of citizens as quickly  
14 as possible, and right now with the fill and  
15 finish capacity that manufacturers have,  
16 especially Sanofi Pasteur, it's optimal to  
17 do it with multi-dose vials and using a  
18 preservative.

19 MS. KRIVACIC: I had a question  
20 for Dr. James. On the studies that you were  
21 looking at with the pediatric population,  
22 was there any indication of those that were

1 previously vaccinated with the annual flu  
2 vaccination, the seasonal flue vaccination  
3 that had thimerosal and then this particular  
4 flu vaccine?

5 DR. JAMES: In terms of the  
6 pediatric studies, I will ask the NIH to  
7 address that. The pediatric data has not  
8 been submitted to the BLA.

9 MS. LOWERY: So with the pediatric  
10 trial in which we evaluated two doses of the  
11 45 microgram vaccine or an optional third  
12 dose of the vaccine, we did collect  
13 information on children who had previously  
14 received the TIV or the inactivated vaccine  
15 and also on if they had received FluMist,  
16 but we did not specify if the inactivated  
17 vaccine that they had received that,  
18 trivalent inactivated vaccine did or did not  
19 contain thimerosal.

20 DR. KARRON: Dr. Gellin?

21 DR. GELLIN: I think this is a  
22 manufacturing question. We often hear about

1 that the switch to multi-dose vials to  
2 single-dose vials can translate into numbers  
3 of doses that can be lost because of, I  
4 don't know exactly why, some of it sticks to  
5 the side or whatever, but you have to do  
6 some overfilling of each vial so, therefore,  
7 that adds up. Given the slide that Robin  
8 showed of how difficult it is to even  
9 accumulate the targets we're going for, can  
10 you give us a sense of -- do you know what  
11 the math is, what the calculation would look  
12 like if you went from the number of multi-  
13 dose vials, how many single-dose vials would  
14 you have?

15 DR. LEE: Switching from multi-  
16 dose to uni-dose vials, actually, there's  
17 probably a twofold answer to that. It's  
18 problematic in two ways. One is certainly,  
19 as you said, the filling capacity. The  
20 actually filling rates are significantly  
21 different for a multi-dose versus a uni-dose  
22 vial because just the number of vials that



1 you're dealing with. In this case, we're  
2 talking about a five dose per vial, 5 mL's  
3 in a single vial versus a uni-dose where  
4 there's 1 mL per vial. So the filling rates  
5 right there, you're taking five times as  
6 long to fill the same number of doses.

7 The second is just the sheer  
8 practicality of needing to handle so many  
9 vials for distribution and use. In a  
10 pandemic situation, you're talking about  
11 distributing 300 million, 600 million doses.  
12 You're talking about 300 million, 600  
13 million vials versus. If this is a 5 mL  
14 dose or I'm sorry a five dose vial, you're  
15 talking one-fifth the number of vials. So  
16 those -- kind of a twofold aspect.

17 In terms of the actual overfill  
18 and what you have addressed there, there is  
19 a slight difference in terms of need to  
20 overfill of uni-dose vials, and it could be  
21 fairly significant on the order of 10 to 20  
22 percent.

1 DR. KARRON: Dr. Krivacic?

2 MS. KRIVACIC: I just had one  
3 other question or comment. I guess I  
4 understand we don't have a lot of time here  
5 with regard to, you know, dealing with a  
6 pandemic, but I think it would be very  
7 important to understand what the effects of  
8 an annualized flu vaccine with thimerosal  
9 plus the avian flu vaccine, what kind of  
10 effect that would have on a woman of  
11 childbearing potential. That would be my  
12 concern and I think that's a concern you may  
13 get from the general population down the  
14 road so just a sort of an FYI.

15 DR. KARRON: Dr. Jackson?

16 DR. JACKSON: I guess this is a  
17 question for FDA. I'm struggling with the  
18 obvious limitation of having a study data  
19 set of 100 people who've received the  
20 recommended dose regimen and it being asked  
21 to go forward for licensure which is a very,  
22 obviously, unusual situation. With that

1 number of people, I mean we're not able to  
2 exclude the possibility there are, in fact,  
3 quite common adverse events that we're not  
4 detecting in the original trial. And this  
5 is a vaccine produced by established  
6 methods.

7           However, it's got 12 times the  
8 amount of strain-specific antigen as the  
9 usual seasonal influenza vaccine course, so  
10 I think that leaves open a possibility that  
11 there could possibly be something unexpected  
12 when 90 micrograms is give twice.

13           In addition, we know very little  
14 about, as I said before, any sort of  
15 subsets, potentially important subsets, such  
16 as age within that group, and we probably  
17 can't learn a lot more given the small  
18 sample size.

19           So it would seem like a  
20 relatively straightforward and limited scope  
21 study could be attempted along the lines of  
22 a, you know, traditional immunogenicity

1 safety study that would provide more  
2 information to reassure us about this  
3 particular vaccine and perhaps also to  
4 provide some suggestion of where the vaccine  
5 should be targeted given that the supply  
6 will be limited and we want to avoid  
7 targeting groups for whom the vaccine was  
8 unlikely to be very effective at all if  
9 there was heterogeneity in the response by  
10 personal characteristics.

11 So I wonder if the FDA could just  
12 tell us more about sort of why we are where  
13 we are now in regard to that.

14 DR. BAYLOR: When you say where  
15 we are now in?

16 DR. JACKSON: Why does it -- I  
17 mean I'm just curious as to why we seem to  
18 be restricted to looking at a data set of  
19 100 people without an option for a more  
20 expansive assessment of immunogenicity and  
21 safety prior to moving forward with the  
22 important step of licensure.

1 DR. BAYLOR: Again, I'd go back  
2 to my point, and I understand your point  
3 about the manufacturing process. And if we  
4 go back and look at the process, as I  
5 mentioned earlier today, for strain change,  
6 and we would not require clinical data, we  
7 do not require clinical data for changing  
8 the annual strain. This vaccine is  
9 manufactured by a licensed process.

10 Granted there is more antigen  
11 here, although you are receiving 45  
12 micrograms for -- although it's a single  
13 dose, you do receive 45 micrograms in the  
14 seasonal, so it's a 15. It's a 45 versus a  
15 90 times 2.

16 And we believe that the -- you  
17 know, that we admit -- we recognize that the  
18 data are limited, but I think we really have  
19 to keep a perspective what the aim here.  
20 We're saying -- there are -- there is no  
21 licensed U.S. vaccine for an H5N1 strain.  
22 We have a limited amount of data but with a

1 product that is manufactured by a licensed  
2 process. And so we believe this data would  
3 be sufficient to be submitted for the  
4 evaluation for licensure in the case of, as  
5 we said, during a pandemic or, as we've  
6 said, individuals who may likely be exposed  
7 to an H5N1 or go into a region with an H5N1  
8 as we've heard from our colleagues from the  
9 DoD.

10 So, yes, the data are limited but  
11 we -- the data are supportive -- I believe  
12 the data is supportive of at least  
13 demonstrating that this vaccine, based on  
14 the licensed manufacturing process, doesn't  
15 elicit any undue concerns. But that's what  
16 we're asking you. We're asking you based on  
17 this limited data set, what are your  
18 recommendations.

19 I mean we really want to know  
20 your comfort level in using this vaccine  
21 that's been studied in a limited population  
22 but considering how this vaccine will be

1 used and when it will be sued and the fact  
2 that this vaccine is -- will not be  
3 commercialized. This vaccine will not, more  
4 than likely, be distributed to the entire  
5 population in the country. So within that  
6 realm, we're asking you for your opinion and  
7 recommendations and advice.

8 DR. KARRON: Dr. Couch first,  
9 then Dr. Farley.

10 DR. COUCH: I guess I just  
11 generally want to speak for supporting this,  
12 and a lot of what we would like to have, I'd  
13 say, I'm not sure that I would strongly  
14 recommend using the resources that would be  
15 necessary to get it. I think -- I don't  
16 know a whole lot about the H flu vaccine --  
17 H5 vaccines that are out there, but there  
18 are others coming along. And if you read  
19 the press reports, they're going to be  
20 better than this.

21 But this is the vaccine we have  
22 before us for licensing, and if you're a

1 practicing physician, there's a whole lot of  
2 difference in using a licensed preparation  
3 and using an unlicensed preparation and even  
4 unapproved use. And so this is -- I would  
5 think of this almost as a step one. This is  
6 not the solution to the H5 vaccine problem,  
7 but a step one in moving that direction.

8 And I would also like to put in  
9 the point that I would strongly support  
10 keeping the five-dose vials, because that  
11 gives us flexibility. You see? And if -- I  
12 would not be unhappy at all as the physician  
13 if I was required to make this my first  
14 priming dose to be followed by the clade 2  
15 vaccine if that's the supply required me to  
16 make that kind of decision and use it in  
17 that way so that we've got an approved  
18 preparation that is less than desirable to  
19 what you're hearing a lot about around this  
20 table, and nobody would differ with that.  
21 There are other preparations that are coming  
22 along that the press reports say are better,



1 and there's actually some of it published.

2 But this is a step one to move us  
3 in that direction. And Dr. Baylor has been  
4 saying, you see, this data is with a  
5 preparation that is using a manufactured  
6 processed, and it is an immunogenic  
7 preparation, and we don't know how good it -  
8 - we know it'll work. We just don't know  
9 how good it will be.

10 DR. KARRON: Dr. Farley?

11 DR. FARLEY: Well, I just wanted  
12 to comment that, in response to Dr. Couch's  
13 statement, that I think physicians are much  
14 -- they have a comfort zone of using a  
15 licensed vaccine because it has gone through  
16 a rigorous standardized process that has,  
17 you know, set, you know, a high standard.  
18 And I think that we would probably all agree  
19 that this is a special circumstance quite  
20 different from our usual rigorous high  
21 standards and high expectations. And that's  
22 sort of the issue here, and that is, you

1 know, is there any mechanism for licensure  
2 that is, you know, has some sort of  
3 qualifier that it is licensed for non-  
4 commercial use in special circumstances. I  
5 mean are there different levels or  
6 circumstances. Or in our pandemic planning,  
7 should we be setting some policy that would  
8 allow for -- you know, that we are -- we  
9 would be designating this?

10 I mean otherwise, our concern is  
11 that we're licensing it and it's just  
12 licensed like every other vaccine and we've  
13 given it a seal of approval that says it  
14 met, you know, a standard that I'm not sure  
15 we're able to say here but we understand  
16 why. And I'm supportive of the idea of  
17 having the stockpile and being prepared, but  
18 the standard of licensure is this question  
19 of are there gradations.

20 DR. KARRON: Dr. Webster, did the  
21 FDA want to say anything in response to that  
22 or? No. Okay. Dr. Goodman?

1 DR. GOODMAN: Yes. I -- you  
2 know, one comment I would make is that the  
3 original concept here is this vaccine was  
4 developed. I mean the thing that is  
5 different about this vaccine, every year a  
6 new influenza vaccine is made using this  
7 process by this manufacturer. And, you  
8 know, tens of millions of doses are used.  
9 And there's a very well-established record  
10 there.

11 So what's -- in fact , the  
12 original intent is that if somebody used a  
13 licensed manufacturing process -- and in the  
14 original draft guidance -- that we would  
15 require some clinical data to be --  
16 establish the dose and immunogenicity but it  
17 wouldn't necessarily require a new license.  
18 Okay. The issues here are that dose is  
19 somewhat higher, but there was not an  
20 anticipation that this is as if this is an  
21 entirely new vaccine So I think it's  
22 important to put that out there. Because it

1 is intended for this specific use, because  
2 it could potentially be available when an  
3 annual vaccine is available, and it's a  
4 different indication, it's gone down the  
5 pathway of having -- being proposed for a  
6 separate license.

7 But, you know, just to sort of  
8 share our view of what this all came from.  
9 So it's not that this is sort of this new  
10 product in a vacuum and we're saying just  
11 consider it based upon 100 patients of  
12 something like this, but this is really a  
13 modification of a well-characterized  
14 product.

15 But that said, you know, I'd also  
16 like to point out that on -- I mean I think  
17 we're here to hear these concerns, and if  
18 there's a feeling that additional patients  
19 would add to the comfort level here, we  
20 should hear that.

21 But one comment I would make is  
22 that, for example, in 1976 with swine flu