

1 accessed. And we plan to work with the Hispanic
2 advocacy groups, both nationally and locally,
3 starting with a survey of the Hispanic
4 communities themselves to find out what they know
5 about Chagas already. And then, also, the
6 healthcare providers that they will actually seek
7 care from, which is not, necessarily mainstream
8 healthcare. It's often local clinics where they
9 are comfortable going for care. We want to reach
10 those people, as well.

11 In our efforts to educate healthcare
12 providers, we did publish the MMWR in February
13 with the American Red Cross and Blood Systems,
14 basically informing people that the screening has
15 started, and letting it be known that CDC was a
16 resource to be used for clinical questions. We
17 are issuing clinical case management guidance for
18 Chagas Disease, and we hope to have that
19 published in June of this year.

20 We're going to be presenting at
21 various national medical and public health
22 conferences on Chagas Disease, and there is a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 clinical pre-meeting course at the American
2 Society for Tropical Medicine and Hygiene this
3 fall, that is dedicated to Chagas Disease, and is
4 directed at clinicians.

5 CDC continues to provide clinical
6 support on an individual consultation basis. We
7 are, as I mentioned before, increasing our supply
8 and capacity of anti-parasitic drug. We respond
9 to individual physician and donor inquiries about
10 Chagas Disease; although, to-date, we've only
11 heard from really a handful of the positive
12 donors, or their physicians. And we're working,
13 as Sue had mentioned, with local hospitals in
14 areas where we expect to have a fairly high
15 prevalence of Chagas Disease, to establish
16 Centers of Excellence where physicians have
17 greater familiarity in dealing with this disease.

18 And, finally, the public health
19 surveillance is challenging because this is not a
20 reportable disease, so any reporting is really on
21 a very volunteer basis. We're hoping to
22 establish strong collaborations between state

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 health departments and blood banks, so that as
2 blood banks identify positive donors, they can
3 notify the health department. And often, we
4 think, that's going to be the best way to get
5 care for those donors. And we also are very
6 interested in collecting as much data as we can
7 on identified cases of Chagas Disease, so that we
8 can better define the epidemiology, which I think
9 will have implications for screening algorithms,
10 because as you saw from Sue's map, these donors
11 are actually all over the country. They're not
12 only in the south, or only in California, they're
13 everywhere. And that reflects the immigrant
14 population, which is often unrecognized, and has
15 become concentrated in areas that we may not be
16 aware of, that the census isn't picking up,
17 either.

18 One of the programs that we're very
19 interested in collaborating on is the AABB's
20 biovigilance program, where blood banks will be
21 reporting donors centrally, and that will be, for
22 us, a very welcome source of surveillance data.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 We also are going to have the clinical consults
2 that come in to us; although, they're not as many
3 as we would like right now. And, eventually, we
4 expect that this disease will become nationally
5 notifiable.

6 I just wanted to end with a slide
7 that shows you resources that are available now
8 to learn more about Chagas Disease, and what CDC
9 is doing. We have our web pages. The MMWR is
10 available on the web, and also, our inquiries
11 phone number. We receive inquiries at that
12 number from the donors, from patients,
13 physicians, the press. That is the number to
14 call for the parasitic diseases branch. Thank
15 you.

16 DR. SIEGAL: Thank you, Dr.
17 Montgomery. Are there any questions for Dr.
18 Montgomery?

19 DR. GLYNN: I just had a question on
20 the effect of the medications on chronic disease.
21 Can you go over that? And, also, their side
22 effects.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. MONTGOMERY: I'm sorry. The
2 effect of the medication on the chronic stage?

3 DR. GLYNN: Yes.

4 DR. MONTGOMERY: So when patients are
5 in the asymptomatic indeterminate phase, they are
6 only intermittently parasitemic. However, there
7 is evidence, and it's accepted now, that the
8 parasite is persistent, and that's what causes
9 the progression of the disease.

10 In the endemic countries of Brazil
11 and Argentina, there have been some clinical
12 trials of treating, they're actually ongoing
13 clinical trials treating patients in the
14 indeterminate phase. And there has been some
15 evidence - these have to be very long-term
16 studies, obviously, to show that the disease
17 progression has been reduced.

18 Based on that, we are now much more -
19 - our threshold for treatment decisions is much
20 lower. If a patient has - say a blood donor is
21 asymptomatic infection, has come to the U.S.
22 within the last six years, is a 23-year old

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 person, we would recommend treatment, because of
2 the desire to reduce the progression of the
3 disease. There are no good markers for
4 progression. We can't look at an asymptomatic
5 person in the indeterminate phase and know
6 whether that person will develop cardiac disease
7 or not. But because there's a 30 to 40 percent
8 chance that that person will, we feel that it's
9 worth treating. And based on these clinical
10 trials, we feel there's evidence to support our
11 decisions.

12 MS. BAKER: Has the CDC started to
13 develop collaboratives with the APHA, the
14 American Public Health Association, and the
15 university-based schools of public health?

16 DR. MONTGOMERY: We have not gotten
17 there, yet. That will be one of the
18 organizations that we're reaching out to. Right
19 now, we're really trying to get at much more
20 clinical aspects of it. We want to provide
21 education to physicians in a medium that is
22 accessible to them at all levels of healthcare,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but the public health system is next.

2 We are going to be at the Council of
3 State and Territorial Epidemiologists meeting in
4 June, and that's another effort to reach out to
5 the state health departments.

6 DR. SIEGAL: Question?

7 DR. SCHREIBER: CDC has all of these
8 outreach programs now, but what are you doing in
9 the way of surveillance in the community, or
10 epidemiological studies to address what the
11 prevalence and incidents are in the affected
12 communities?

13 DR. MONTGOMERY: This is an unfunded
14 initiative at CDC right now, so our ability to
15 actively perform surveillance is very limited.
16 However, we are collaborating with several
17 university research studies that are conducting
18 community-based surveillance locally, and one of
19 them will be in Louisiana, we hope. They're in
20 the process of seeking funding now, and then in
21 Los Angeles, there is a clinical-based study
22 where the cardiology service in a public hospital

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is screening any patient who comes in for a
2 cardiac workup. That's obviously going -- it's
3 going to be enriched sample. They already have
4 cardiac disease, and it's in high prevalence, we
5 think a high prevalence area, but we are making
6 efforts at that.

7 DR. SIEGAL: Okay. Thank you, Dr.
8 Montgomery. Let's move on. We're going to first
9 hear from Mike Busch, M.D., who's at the Blood
10 Systems Research Institute, and then from Brian
11 Custer at the same institute, targeted testing
12 for *T. cruzi* in repeat donors.

13 DR. BUSCH: Thank you. Yes, Brian
14 and I will share this presentation, and the next
15 slide actually outlines. What I'll do is address
16 the first three bullets here. I just want to
17 summarize the studies that we're conducting
18 related to *T. cruzi*, Chagas Disease, both the
19 U.S. activity that is funneling data into Sue,
20 but also, a study that we're conducting under the
21 Red's NHLBI program in Brazil, talk a little
22 about our assessment strategies with current

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 screen donors in terms of test performance and
2 evaluation, and then also talk about activities
3 we have planned in order to really more
4 rigorously evaluate the clinical status of the
5 identified confirmed positive donors. And then
6 Brian will follow and really get to, I think, the
7 meat of what this committee - one of the issues
8 you'll be addressing, which is whether
9 alternatives to universal screening may be
10 viable, and our approach to generate the data
11 that will help answer that question.

12 So the first thing, I want to just
13 tell you about a project that's, I think, quite
14 relevant for a number of reasons to the
15 discussion. It's a project that we actually
16 developed two plus years ago, and is now funded,
17 and beginning to move into enrollment phase in
18 Brazil. And it's the Red's program, which this
19 committee has heard about for a long time. For
20 the first time, about a year and a half ago, it
21 initiated an international component that
22 includes a program in Brazil, in collaboration

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with our group, as well as a program in China in
2 collaboration with Johns Hopkins. And the Brazil
3 activities include a Chagas study that has three
4 aims. And the first aim is actually to
5 characterize the natural history of *T. cruzi*
6 disease in individuals who are identified as
7 seropositive following a blood donation. And
8 what we've exploited here is the fact that
9 Brazil, of course, has been screening for Chagas
10 for decades, and our close collaborators, our
11 programs in both Sal Paulo, Brazil, as well as in
12 a small region, a region called Minjerass, a
13 small rural region, a city called Santos Claros,
14 they have identified infected donors from about 8
15 to 10 years ago, and we have samples stored from
16 those donors. So we're actually doing a
17 retrospective natural history study, and
18 recalling donors who were previously identified
19 as confirmed infected almost a decade ago. And
20 then, we're enrolling them now and evaluating the
21 frequency of clinical disease, and also, looking,
22 as I'll explain a little bit later, with fairly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 intensive clinical assessments. And also,
2 looking at the evolution of parasitemia, and
3 seroreactivity, and potentially evaluating other
4 potential prognostic markers, especially since we
5 have stored samples from 10 years ago to
6 correlate these potential markers with subsequent
7 disease evolution.

8 The second aim, actually, is, I
9 think, quite relevant, and I'll show a little bit
10 of data both from studies in Brazil, as well as
11 some of the U.S. data. And this is related to
12 the persistence of reactivity in infected
13 individuals over time. As I'll show you, there
14 are a number of studies, and I'll focus on one,
15 in Brazil, in endemic countries, that have
16 demonstrated that some infected people
17 spontaneously may resolve the parasitemia and
18 sero revert. And when they're treated, and
19 particularly effectively treated, again, sero
20 reversion may occur. And this is kind of a
21 general phenomenon in infections that only
22 establish a transient infection, that antibody

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 wanes over time. So one consideration is whether
2 some of the borderline reactive problematic
3 samples that Sue has identified that look like
4 they're true confirmed sero reactives, whether
5 these individuals may, in fact, represent
6 resolved infections rather than persistent
7 infections. So one of our aims in Brazil is to
8 correlate the persistence of antibody in these
9 individuals who were historically confirmed
10 positive over time, and correlate that with
11 detectible parasitemia.

12 And then the third aim addresses
13 another concern, which is, there are several
14 studies, probably not real good ones, but they
15 have alleged that there is a substantial
16 proportion of parasitemic individuals who, in
17 fact, are not antibody positive. In particular,
18 there's one study, for example, from Brazil,
19 where they tested several hundred individuals
20 from a highly endemic region, and identified 10
21 people who were serologically negative on
22 multiple tests, but were purportedly PCR

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 positive. The specificity of that PCR assay
2 wasn't well established, so one of our other aims
3 is in the high risk region, the Santos Claros
4 region, is to repeat that study on a larger
5 number of 500 seronegative samples from an
6 endemic region, and see if we can detect any
7 frequency of a cult parasitemia in seronegative
8 individuals.

9 I want to just take a minute, though,
10 to summarize a fairly recent paper from last
11 year, from a group in Brazil, *Annals of Internal*
12 *Medicine Study*, publication, and I think it's
13 important in pointing out three or four issues
14 with respect to both the clinical management, and
15 the potential for treatment of infected donors,
16 as well as this issue of sero reversion. And in
17 this project that was actually a randomized,
18 formal randomized trial of Benznidazole, which is
19 one of the Chagas effective agents, and these
20 were individuals who were identified as in the
21 so-called indeterminate phase. They were found
22 through a clinical referral of seropositives,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with subtle, and in some cases more significant
2 cardiac symptoms on exam, but then they were
3 followed for up to 15 years after randomization
4 to treatment or non-treatment. So it's an
5 observation, it gives us the opportunity to look
6 at this phenomenon of loss of antibody, and
7 effectiveness of treatment during chronic phase
8 infection. So I can't even see this from here,
9 but basically, this is the study design. They
10 had about 1,500 people who were referred. The
11 people who were excluded were mostly out of the
12 age range. They wanted to focus on middle aged
13 individuals 30 to 50 years old, who did not have
14 sort of advanced cardiac disease. And then they
15 ended up with a population of eligibles who were
16 then randomized to about 250 per arm, who were
17 then either treated or not treated. And then
18 ended up with a fairly substantial follow-up of
19 again, around -- so there were about 300 per arm,
20 so they had about 283 in each arm who were
21 actually either treated or not treated with
22 Benznidazole.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 And, importantly, they were able to
2 document, as Sue kind of alluded to, newer
3 studies are showing that the treatment of people
4 in this chronic asymptomatic phase does result in
5 response that, in this case, this is looking at
6 subsequent progression of cardiac findings using
7 the staging system, I think it's called Kirchner
8 Group Staging of Cardiac Symptoms, so you can see
9 that the treated group had a dramatically lower
10 rate of progressive cardiac symptoms than the
11 untreated group.

12 This table I particularly wanted to
13 point out, because I think it may be relevant to
14 these borderline reactives. What they found is,
15 this summarizes the outcomes for the treated
16 groups, versus the untreated groups, and then has
17 the odds ratio. And the committee did get this
18 paper. So the observation here is that, again,
19 there was a dramatically lower rate of
20 progression to cardiac disease in the treated
21 group, compared to the untreated, 4 percent
22 versus 14 percent, a lower rate of developing new

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 EKG abnormalities, 5 percent versus 16 percent.
2 But most important, there was a much higher rate
3 of loss of antibody reactivity, so only 60
4 percent of the treated group continued to be
5 reactive on three of three serologic assays, and
6 15 percent completely sero reverted to negative
7 on serological assays following treatment. And
8 even in the untreated group, only 17 percent
9 remained reactive on three assays, and 6 percent
10 sero reverted all assays, so this is further
11 evidence of the sero reversion phenomenon.

12 And, actually, as I indicated, our
13 aim in Brazil was to study this possibility of
14 sero reversion, and what led us to be concerned
15 that this might be going on was data that we were
16 involved with, with Ortho in the preclinical
17 trial, where we identified, they identified a
18 number of specimens from Latin American
19 countries. And you can see that there the sero
20 reactivity really is quite high, in the range of
21 3 to 8 signal to cut-off, with some samples in
22 the borderline range. Well, in our initial

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 screening of our donors in a trial that was a
2 preclinical evaluation, 2 of the 3 reactivities were
3 borderline reactive, so this led us to wonder
4 whether we might begin to see as we screen the
5 U.S. donor pool, people who have remote resolved
6 infection who are borderline reactive. Again, so
7 that's part of one of our aims, is to understand
8 that.

9 Just one other slide to just mention
10 that there are these several existing treatments,
11 drugs that are approved there, not well
12 tolerated, and as you saw, the response rate is
13 not excellent, but I just want to mention that
14 there is a lot of work going on to develop new
15 treatments for Chagas Diseases. Gates Program,
16 for example, is funding development of new drug
17 regimens, and particular protease inhibitors and
18 the concept of cocktail treatments similar to
19 HIV. And the potential of eradication of this
20 organism, I think, is realistic, as evidenced by
21 the natural clearance in some people, and the
22 efficacy of even the Benznidazole alone trial.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So, again, back to our studies, our
2 Brazil study then will enroll 500 donors from Sal
3 Paulo, and Montes Claros who were identified 10
4 years ago, and matched controls matched by time
5 of positive, time of donation, as well as gender
6 and age, and repeat versus first-time status.
7 And these donors are being, after recruitment,
8 we'll first do a death index search of the
9 potentially eligible donors, which number several
10 thousand, and then we'll recruit working back,
11 working forward from the date of the original
12 donation. We'll repeat all the blood testing,
13 medical history, risk factor assessment, and then
14 they'll have a detailed physical exam,
15 electrocardiogram, and echocardiogram. And we're
16 working with NHLBI cardiology group, which will
17 actually electronically receive the EKG and the
18 echo data, and under code, be characterizing the
19 rate of disease in these previously healthy
20 donors who were now recalled approximately a
21 decade later.

22 In terms of our own donors, as Sue

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 summarized, we're contributing data to Sue's
2 summary analysis in the AABB website with respect
3 to reactivity and confirmation data, but we also
4 have, like Sue, developed an IRB-approved
5 protocol, really modeled after her studies, and
6 the clinical trial studies, to enroll and follow
7 these donors, both with symptom and risk factor
8 interview data following the reactive donation.
9 That data is actually elicited from all reactive
10 donors prior to knowing the confirmation status,
11 and then follow-up samples are obtained one to
12 two months later, after the RIPA data is
13 obtained. And those are characterized by the
14 ELISA, by RIPA, and the plan is to do PCR testing
15 using the same modified protocol that David Leiby
16 has described, where samples are actually
17 processed in the field to stabilize the nucleic
18 acids.

19 And then based on this discussion,
20 we're still unclear as to what level of other
21 organism testing is warranted. And then,
22 importantly, we're hopeful of getting a fairly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 large number of these donors really clinically
2 evaluated, similar to what's being done in
3 Brazil. And we actually have an application to
4 Ortho, which is being reviewed, and we're hopeful
5 will support the clinical assessment of at least
6 50 confirmed positive donors in terms of they
7 can't through their own resources fund the
8 detailed echocardiogram and EKG assessment.

9 The other activity, then, is to
10 really, as Sue has kind of described, really
11 validate, are the index donation results
12 sufficient to confirm the true infection status,
13 and that will include both validation based on
14 the index data, but also, in correlation with
15 symptoms, but also, importantly, the follow-up
16 findings from donors who do return for follow-up
17 testing, so correlating the index reactivity
18 pattern with the follow-up data.

19 I mentioned the clinical assessment,
20 so really, just like in Brazil, asking what the
21 relationship is between clinical disease findings
22 after intensive assessment, and the demographics,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the estimated time since infection, which would
2 be when these people left high risk regions, and
3 the findings from PCR.

4 And one of our goals here is to both
5 characterize the status of a reasonable number of
6 donors, and this would be, again, a collaboration
7 with Red Cross, the resources we hope to get from
8 Ortho could fund clinical evaluations of donors
9 found at Red Cross or other centers. And through
10 that process, our sort of goal is to not only
11 define their status now, but sort of establish a
12 cohort of confirmed sero positive donors who
13 could be followed prospectively, and potentially
14 qualify into treatment trials with some of these
15 newer regimens.

16 And then in terms of the clinical
17 assessment, the RIPA confirmed donors are the
18 ones who would be eligible for the detailed
19 clinical evaluation that would include the EKG
20 and echo work, just like the Brazil work. And we
21 hope to use the same NHLBI cardiology group to
22 help in the standardized assessment. And, again,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we'll be saving samples, also, that we could help
2 evaluate whether there may be other predictive
3 markers. And there's some evidence that troponin
4 and some other cardiac disease markers may be
5 predictive of clinical disease.

6 At that point, I'll ask Brian to take
7 over.

8 DR. CUSTER: Thank you. So I'm going
9 to talk about two things, first, is this idea of
10 the decision analysis study, and I'll come back
11 to that. And then, also, specifically, our
12 experience with these donor health issue
13 questions that we've added to our questionnaires
14 at UBS.

15 So the aim of this decision analysis
16 study would be to try to say there are
17 potentially different strategies for testing
18 donors, and to look at which ones might be most
19 effective. And, perhaps, also, which ones might
20 be most cost-effective, although, the real goal
21 of the study is not a cost-effective analysis. I
22 will come back to that, so I just wanted to touch

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 on sort of the other aims of studies we're
2 working on.

3 Specifically, with respect to the
4 donor health history questionnaire, United Blood
5 Services, for about two years now, we've actually
6 asked race ethnicity questions. In addition, at
7 the time of the initiation of *T. cruzi* testing,
8 we started inquiring about country of birth. And
9 then about a month after we initiated the *T.*
10 *cruzi* testing, we also implemented three donor
11 history questions. These are, have you spent
12 time that adds up to three or more months in
13 Mexico, Central America, or South America? Has
14 your mother spent time that adds up to three or
15 more months in Mexico, Central America, or South
16 America? And, since your last donation, have you
17 traveled to Mexico, Central America, or South
18 America? And I'm going to show you some early
19 data that we have on this, sort of showing just
20 what the response rates are, and how things are
21 falling in terms of the frequencies.

22 For each of those three questions,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 there are four possible answers. And everybody
2 has, I think, a copy of our health history
3 questionnaire, so you can see that, but those are
4 no, Mexico, Central America, or South America, or
5 (b) for both, being in Mexico, and Central and/or
6 South America. So the results that I'm going to
7 present actually are only for allogeneic eligible
8 donors. We actually, of course, are asking as on
9 all prospective donors, autologous donors, and so
10 on and so forth, but I just wanted to focus on
11 the allogeneic donors at this point. And you can
12 see the date there is from February 26th through
13 April 7th of this year.

14 So with respect to race ethnicity,
15 this is actually kind of a complex slide in the
16 sense that you can be in different categories,
17 honestly, and there's obviously a Hispanic
18 category, can perhaps fall into some of these
19 other categories. I just wanted to provide that,
20 capturing the capability for getting this
21 information. It potentially could be useful,
22 although, probably not, as a factor for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 screening. But you can see sort of the breakdown
2 for the UBS centers.

3 With respect to country of birth, I
4 want to make a note about this. As you can see,
5 if you look, this is the one that we're having
6 the most trouble capturing the information on,
7 and so we clearly have some work to do here to
8 improve our response rates on this, because we
9 have as much as 30 percent missing here for this.

10 But, obviously, the vast majority of donors are
11 from the U.S., but we do have other percentages
12 from Central America, Mexico, and other
13 countries. The relative number of people who are
14 refusing is small, and there is a distinction
15 between sort of refusing and just missing
16 information.

17 Moving more directly into the sort of
18 three questions that we're using, we looked at,
19 actually, has the donor spent three or more
20 months in Mexico, Central or South America? This
21 data is broken out by, of course, repeat versus
22 first time status. And you can see the sort of,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 for the most part, obviously, most donors haven't
2 spent time in those settings, but we do have
3 distributions of people. The idea here is that
4 one could think about if we wanted to use this as
5 a pre-screening question that would establish
6 whether you would do screening or not, you can
7 look at the various percentages, or the number of
8 people that you might have to screen under
9 certain testing algorithms.

10 Clearly, like I said before, this is preliminary
11 information, so it's really just sort of to guide
12 you, to just sort of show that we're developing
13 the capability to capture this information right
14 now, and we have some work to do. We're getting
15 pretty good compliance with these questions, but
16 continue to try to encourage people to complete
17 the answers. They are voluntary.

18 For mother having spent three or more
19 months in Mexico, Central, or South America, the
20 distribution of the data is actually really quite
21 similar to the donors themselves, which I think
22 is, perhaps, not unexpected.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Going on to a donor who has reported
2 travel to Central or South America since the last
3 donation, I do want to make clear that this is
4 not Malaria donors who are excluded for actually
5 travel to Malaria endemic areas are not included
6 here. And one of the important things to
7 recognize is that 5 percent is pretty high, but a
8 number of UBS donor centers, of course, are very
9 near the border, and so there's probably a fair
10 amount of just local cross-border traffic, not
11 necessarily to Malaria endemic areas. So in
12 terms of donor compliance, actually, right now we
13 have 1.7 percent of donors have left these blank.

14 They are voluntary, and you can see that the
15 percentage is about the same for first-time and
16 repeat donors.

17 Going on, when you think about
18 possibly targeted testing strategies, we sort of
19 look to other examples where they have been sort
20 of some type of segmentation system has been
21 made, and would point out, obviously, CMV testing
22 with separate inventories, West Nile Virus-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specific types of testing. In addition, there
2 are, of course, the emerging issues related to
3 HLA, and considerations about special testing, so
4 we have also implemented questions about ever
5 transfused and ever pregnant on the health
6 history questionnaire. These are used to flag
7 donations that should not be used for plasma
8 components.

9 Other countries have different
10 approaches to different diseases. In some
11 European countries, there are first-time only
12 targeted for certain infections, and I just point
13 this table out from 2003 data, but just to sort
14 of make the point that certain settings do choose
15 to try to divide the donor population based on
16 specific factors.

17 All right. So then this decision
18 analysis, at least the way that we've started to
19 formulate, the way that we would look through it,
20 would be, first, we would start with no
21 screening, so as if we were doing nothing, and
22 the purpose of this strategy is really not to say

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that that's a viable strategy, that that's what
2 we're here discussing, but what is the benefit
3 for doing the screening, so this is your baseline
4 in which you can compare any results in a model
5 to. And so that's why it becomes critical to
6 include this in the analysis.

7 You might think of these, as I walk
8 down these slides, actually, it's increasing
9 intensity of testing, and so we're just proposing
10 to sort of take a larger and larger portion of
11 the donor population, and so unlimited strategy
12 might be something like screening of first-time
13 donors, who report travel or lived in Latin
14 America for three or more months. This would
15 exclude screening of repeat donors. I wouldn't
16 say this is a viable strategy. The purpose of
17 it, though, is to try to appreciate what it gains
18 in terms of additional safety, so it's not that
19 this is proposed as one that you would say to
20 BPAC or something like that, that we want you to
21 consider that this is a possible strategy, but I
22 think it's also important to have sort of a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 hallmark, kind of test yourselves, are you
2 identifying strategies that are relevant, and
3 what kind of gain would you get from this very
4 limited testing strategy?

5 Moving on, you could look at
6 screening of all first-time donors and only
7 repeat donors who then reported some kind of
8 travel to Latin America since their last
9 donation. That, perhaps, is a more viable
10 strategy. You could also then, and I think that
11 this is, perhaps, close to where we really are,
12 is after some defined period of sort of universal
13 testing, moving to a regimen where you're then
14 only screening people who present to donate
15 following the implementation, and actually, those
16 who report some sort of travel since that time,
17 or there is the universal screening strategy,
18 which would be just from here on, continue to
19 screen everybody, every donation. I do want to
20 make a point, though, that this is just sort of
21 the ways that we've started to structure the
22 problem. There clearly are probably other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 strategies that might be relevant, and we'd be
2 very happy to hear comments or suggestions about
3 how to improve the strategies that we're
4 considering.

5 So at this point, there are
6 insufficient data for us to really be able to
7 model this. That's partly why we're asking our
8 donors these questions, once we have enough data,
9 and we can actually look at that with respect to
10 also testing results. We'll be in a far better
11 position to see if any of those strategies are
12 really effective, so we stand there.

13 One of the things I want to make
14 clear is that there's a lot of uncertainty around
15 this, and so we would definitely try to capture
16 that as much as you can in a modeling exercise.
17 And as an example, I wanted to point out,
18 actually, this paper, which was specifically a
19 cost-effectiveness analysis, but it nicely sort
20 of has already gone through a lot of the work of
21 trying to create a mathematical model to talk
22 about Chagas Disease progression, and the various

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 stages, and so I just show you the model, which,
2 unfortunately, you can't see. But the idea here
3 is that you would need to understand, after
4 having been able to stage the infection, what the
5 probability is that a donor would be in any one
6 of these categories, which, obviously, no disease
7 - that's where most donors are going to be, acute
8 stage - extremely unlikely, indeterminate stage -
9 most likely, versus the chronic stage, where they
10 probably wouldn't pass the donor health history
11 screening. But the purpose of this is also to
12 recognize that Leslie Wilson has done a lot of
13 work already related to this, and she'd be one of
14 our collaborators on this analysis.

15 And so with that, actually, I would
16 open it up for questions to Mike and I.

17 DR. KATZ: Brian, that decision model
18 is great as a public health decision model.
19 We're interested, primarily, in not transmitting
20 to recipients. I accept my public health role as
21 a blood banker, and I'm certainly, if and when I
22 identify a Chagas infected donor, going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 immediately refer that person for an appropriate
2 clinical eval. But what we're interested in is
3 transmission from blood components, and my
4 question is, it's a little bit more detail on
5 that aspect of your decision analysis, what kind
6 of data are you going to use to risk of
7 transmission by component X, Y, and Z, and that
8 sort of thing, because that's really what we're
9 about.

10 DR. CUSTER: I think that's a very
11 good point. I mean, obviously, already we've had
12 the earlier discussion about the predominant role
13 that appears to be of platelet transfusions.
14 That clearly would need to be accounted for.
15 Finding the data, other than platelet
16 transfusions, is a real challenge, but you're
17 absolutely correct, that a good decision model
18 would need to account for the component factors,
19 also. Yes?

20 DR. KUEHNERT: I just had a couple of
21 questions. One was about the country of birth
22 question, and the compliance rate with that. It

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 just seemed really low, and I was just trying to
2 understand why that was, compared with your other
3 questions.

4 DR. CUSTER: It has to do with some
5 operational issues, and it's also a voluntary
6 completion. And I think that is reflects some
7 improvements we need to make within the
8 organization of getting the health historian
9 takers to actually make sure that information is
10 recorded, because I just don't think it's being
11 done right now. So I think it has more to do
12 with some structural issues, than a specific
13 avoidance of that question.

14 DR. KUEHNERT: And the one that I
15 thought would have the most trouble would be this
16 question about exposure and travel history, and
17 the donor's mother. If you're trying to get at
18 congenital transmission, wouldn't it be - the
19 question would be even more complicated, which I
20 guess, what was the history in your mother before
21 you were born, rather than after. But I guess --

22

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. CUSTER: You're absolutely
2 correct, I mean, and so when we thought about
3 versions of the question, as how we would pose it
4 to donors, really that is, indeed, the risk
5 interval that you want to look at, but we thought
6 that that would get too complex, and perhaps not
7 be easy to interpret. And out of fairness to the
8 questions, right now, of course, they haven't
9 been validated themselves, but anything that even
10 is more complex than -- would potentially be more
11 problematic.

12 DR. KUEHNERT: So that was my final
13 question, was about validation. I mean, how are
14 you going to go about like trying to figure out
15 how a question like this might be comprehended
16 and answered accurately?

17 DR. CUSTER: It's a good question.
18 I'm sure that we could do some cognitive
19 evaluations. We haven't done that yet. We're
20 really just building the capacity right now to
21 ask the questions, but we do have some more work
22 to do on that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BUSCH: The mother question is,
2 has your mother in her life resided in these
3 countries for three months, so it would cover
4 back to prior to the donor being born.

5 DR. KUEHNERT: Oh, right. I was just
6 saying that it also covers a part of her life
7 that wouldn't be relevant.

8 DR. BUSCH: Oh, I see. Yes, yes.
9 And then the other point, I mean, I think
10 ultimately the validation is the responses, the
11 detection of infected donors, so the question
12 here is once we accrue a year or two of data, and
13 we have 30, 40, 50 confirmed infected donors,
14 were these pre-donation screening questions
15 adequate, because these are not resulting in
16 donor deferral. The donors are allowed to give
17 despite positive answers.

18 DR. SIEGAL: Dr. Nelson.

19 DR. NELSON: Yes, I had a question,
20 too, about the validity. As I remember, an
21 earlier study where they looked at donors in
22 Miami and Los Angeles, I think it was a Red Cross

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 study, and they had controls who answered
2 negative, and they were tested. It was actually
3 one of the controls that was positive. And on
4 repeating the question and re-interviewing the
5 donor, they found that this person actually had
6 lived in Guatemala or somewhere for quite a
7 period of time. It would be possible to validate
8 some of this history. In other words, when a
9 person with independent data, let's say that when
10 a person said that they have or haven't visited
11 there, or they hadn't been born or lived in one
12 of the endemic areas, I mean, that would be
13 possible to do. It would require getting another
14 data set, but I don't know if you thought about
15 that.

16 DR. SCHREIBER: I think there might
17 be green card issues, or all kinds of things why
18 a person might not want to say that well, I -
19 before they put that wall up, I snuck across the
20 border from Mexico. I mean, there are --

21 DR. BUSCH: Certainly, the option of
22 doing a parallel follow-up interview of donors

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 who said yes or no, and I think realistically,
2 our program, as you heard Red Cross, we
3 implemented universal screening, so
4 realistically, to me, the big question, important
5 question will be, are we having any donors who
6 are detected as confirmed positive, who had
7 previously been screened and were negative? Are
8 we having any "incident" cases, or reactors that
9 are detected. And then, particularly, were the
10 questions effective at detecting those
11 infections, because then it would be selective
12 repeat donor screening approach.

13 DR. SCHREIBER: I think it's a good
14 idea to see if there's some kind of a selection
15 criteria, but are there any other instances where
16 we're doing selective testing? It's easy to do
17 universal testing, and it's easy to use a
18 screening where you get the donors out of the
19 system, but how easy would it be operationally to
20 identify donors who you then only screen that
21 segment of the population, which could be 4 or 5
22 percent, say, or 3 percent.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BUSCH: What we do, for example,
2 with CMV, if a donor is historically CMV
3 negative, they're the subset that get tested.
4 For West Nile, we literally track zip codes,
5 regions, and are able to then selectively do
6 individual donation NAT on regional donations
7 that come from zip codes that are literally
8 sticker coded, as from a particular subset of a
9 larger region. So these are responses to the
10 need to try to operationalize. One of the
11 ultimate goals would be to have clearly, it's as
12 Sue said, it's the implementation of selective
13 testing that makes these approaches somewhat
14 problematic. But those are solutions that can be
15 solved, they're really IT, and bar code labeling
16 solutions that we think if there's opportunities
17 to overall save resources by this kind of
18 approach, we can fix those problems, and safely
19 triage samples that need question-based
20 selections.

21 DR. FINNEGAN: One of the things
22 that's been concerning me as we're talking about

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very small numbers, out of a very large group of
2 people. We're also talking about cost
3 effectiveness for lots of areas of the country
4 that don't have the funds to do universal
5 testing. And it seems to me, we're also talking
6 about a blood supply that's going to be narrowed,
7 and narrowed, and narrowed as we test for more,
8 and more, and more things. And according to the
9 Red Cross study, it would appear that if the
10 patient is not in parasitemia, that, in fact,
11 perhaps the blood is not at risk. And so my
12 question is for your Brazilian partners, is
13 anyone looking at a test for parasitemia?

14 DR. BUSCH: Yes, not as a realistic
15 alternative to screening. I mean, there, because
16 they've had such real epidemic activity, they do
17 three tests in parallel, historically, serologic
18 for antibody. And I'm not aware of any success.

19 I mean, the problem is the parasitemia is so
20 low-level, I mean, what Sue sort of didn't point
21 out, the method, such as David developed, use 30
22 milliliters of blood, and you lie a single

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 parasite in that 30 Mls would release this
2 repeated kinetoplast sequence, so to take 30 Mls
3 and process it through, it's not like NAT that we
4 do for the other viruses where it's a half Mil of
5 plasma. It's just unrealistic. Parasitemia is
6 so low and intermittent that I don't think you
7 could rely on a negative nucleic acid test to
8 assure non-infectivity.

9 DR. SIEGAL: Okay. Dr. Katz, and
10 that'll be it, because it's time for --

11 DR. KATZ: Yes. I have a question
12 about travel and Chagas Disease. Lou Kirchhoff,
13 an old pal of mine from Iowa City says he's
14 unaware of a traveler to Latin America acquiring
15 Chagas Disease in what constitutes the bulk of
16 travel from the United States, so I'm very
17 interested, and maybe Sue Montgomery can shed
18 some light on this. How non-specific is travel
19 going to be?

20 DR. BUSCH: Those Canadian cases
21 where Canadian citizens who lived --

22 DR. KATZ: Lived, yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BUSCH: But how do you define
2 travel?

3 DR. KATZ: Yes, I think that's what I
4 was asking, how do you define travel?

5 DR. CUSTER: Well, of course, so
6 we're leaving that as just sort of three or more
7 months, and that's not necessarily saying travel.
8 But, obviously, it could be three months, or it
9 could be 10 years.

10 DR. SIEGAL: Thank you very much.
11 Shall we take a 10-minute, rather than a 15-
12 minute break so we're more on time? So everybody
13 back by 25 of.

14 (Whereupon, the proceedings went off
15 the record at 4:26:07 p.m., and went back on the
16 record at 4:39:37 p.m.)

17 MR. JEHN: Okay. Could everybody
18 please take your seats? We're going to go ahead
19 and get started. Next on the agenda will be the
20 open public hearing. I believe the Chair has a
21 statement to read prior to that.

22 (Audio problem.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. SIEGAL: -- a particular matters
2 meeting, and now I'm required to read this to the
3 group. "Both the Food and Drug Administration
4 and the public believe in a transparent process
5 for information gathering and decision-making.
6 To ensure such transparency at the open public
7 hearing session of the advisory committee
8 meeting, FDA believes that it is important to
9 understand the context of an individual's
10 presentation. For this reason, FDA encourages
11 you, the open public hearing speaker, at the
12 beginning of your written or oral statement, to
13 advise the committee of any financial
14 relationship that you may have with the sponsor,
15 its product, and if known, its direct
16 competitors. For example, this financial
17 information may include the sponsor's payment of
18 your travel, lodging, or other expenses in
19 connection with your attendance at the meeting.
20 Likewise, FDA encourages you at the beginning of
21 your statement to advise the committee if you do
22 not have any such financial relationships. If

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you choose not to address this issue of financial
2 relationships at the beginning of your statement,
3 it will not preclude you from speaking." So,
4 thank you.

5 We have five speakers, they will have
6 five minutes each, including questions. So the
7 first speaker will be Dr. Benedict Marchlewicz
8 from Abbott Laboratories, Abbott Diagnostics. I
9 hope I pronounced you correctly.

10 DR. MARCHLEWICZ: Thank you very
11 much. Again, I am from Abbott Laboratories. I am
12 the program manager for PRISM R&D, and I'd like
13 to thank the committee and CBER for allowing us
14 this opportunity to present some information
15 today on some assays under current development at
16 Abbott.

17 We'll be talking about two assays
18 that are currently in development at Abbott. One
19 is a PRISM Chagas assay. Those who may be
20 familiar with the PRISM system, is a fully
21 automated chemiluminescent screening assay, where
22 you have several other markers already on the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 system. And along with that, we are developing a
2 Chagas confirmatory assay which is an immuno blot
3 assay which I'll describe later.

4 A key part to the design of both
5 assays for Abbott to try and address some of the
6 issues discussed previously this afternoon
7 relative to lysate-based assays were there may be
8 cross-reactivity due to the nature of the
9 substrate being used. Abbott is approaching this
10 with a recombinant based peptides for both
11 systems. And you'll see that this from some of
12 the data that we've generated minimizes the
13 potential for cross-reactivity, with some of the
14 other parasitic diseases mentioned so far.

15 For the PRISM system, to give a quick
16 overview of how the assay is formatted, the
17 patient sample is incubated in it's specimen
18 diluent, with micro particles coated with the
19 specific recombinant antigens. After appropriate
20 incubation time, it is washed to remove unbound
21 antibody. The micro particles are then incubated
22 with a mouse anti-human conjugate that is linked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with an acridinium dye, after again the
2 appropriate incubation and wash, an activator
3 solution is added. For those samples that are
4 positive for antibodies to *T. cruzi*, there is
5 release of light. The acridinium reacts with the
6 activator to produce photons of light. The
7 amount of light released is proportional to the
8 level of anti-*T. Cruzi* antibody in the specimen.

9 The four peptides that we use in our
10 system, just to give a very brief overview, we
11 have designated as TcF, FP3, FP6, and FP10. This
12 gives you an overview of just the raw four amino
13 acid size and molecular weight. In each of
14 these, we have repeat sequences primarily of the
15 Pep-2, which are specific to the two most
16 vegetative disease states of the *T. cruzi*
17 organism.

18 As we've heard already today,
19 specificity for a blood screening product is
20 really crucial. This is very preliminary data on
21 approximately 12,000 samples looking at the
22 specificity of the PRISM Chagas assay. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 majority of these specimens were collected from
2 areas where we have seen in some of the previous
3 presentations that the incidence appears to be
4 higher within the U.S. These are all U.S. source
5 samples, so the values are certainly within range
6 of what Dr. Stramer had shown of the .04, .042
7 reactive rate.

8 Also, the fact we are identifying
9 some confirmed positives, and by confirmed, I
10 mean they've been tested by a RIPA test to show
11 that there are positivity in these areas where
12 the samples are collected.

13 Another key point presented earlier
14 today was the cross-reactivity with some of the
15 existing methodologies, especially with
16 Leishmania samples, and malaria samples. To-
17 date, we have tested over 40 Leishmania from the
18 visceral and the cutaneous stage of the disease,
19 sourced from India, an endemic area for
20 Leishmania, and we do not see any cross-
21 reactivity. All the tests have come up negative.
22 Similarly, 10 malarial samples have been tested

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and all 10 are negative.

2 In addition to the specificity,
3 certainly for a blood screening assay,
4 sensitivity is extremely important. We have
5 looked at over 400 confirmed positive samples.
6 Again, the confirmed positivity is based on RIPA
7 testing. All of these have been sourced from
8 endemic areas in Central and South America. All
9 419 specimens are repeatedly reactive in the
10 PRISM system.

11 The other part of the story we've
12 really been hearing a lot about is beyond the
13 screening test. There's the need for some form
14 of supplemental testing, so we are developing in
15 parallel to the PRISM screening system, and
16 immuno blot confirmatory assay. This figure
17 shows the configuration of the immuno blot. We
18 have designed it with three on-board controls.
19 There is a high IgG control and a low IgG
20 control, which is, in essence, the cut-off for
21 the assay. We'll talk about interpretation in a
22 minute.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 There's also an on-board sample control, and
2 anti-human IgG to show that it's coming. Then we
3 have the four peptide antigens.

4 In the interest of time, we'll go
5 through the actual mechanics, but very similar to
6 any other immuno assay. For the interpretation,
7 as I mentioned, the low on-board control is
8 defined as a one plus. Any specimen that
9 develops two or more bands with at least one band
10 exhibiting a one plus reactivity, is determined
11 to be positive for antibodies to *T. cruzi*.

12 Sensitivity of the confirmatory assay
13 is important, so we've looked at, again, over 400
14 specimens that are RIPA positive, all 410 were
15 immuno blot positive with no discordant results.

16 Those samples have been sourced from a number of
17 endemic areas covering what we've looked at in
18 terms of Central and South America. Although the
19 specificity is -- we're looking at positive
20 reactives in a confirmatory assay, we've also
21 looked at 500 unscreened random donors. All of
22 those are negative by both RIPA and Chagas in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 PRISM. And lastly, we wanted to look at
2 unrelated medical conditions; meaning, other
3 viral disease, autoimmune diseases. All of those
4 were negative, unless confirmed also by RIPA and
5 immuno blot. Similar to the PRISM assay, the
6 specificity was looked at on Leishmania, and
7 malarial samples, again, 100 percent specificity,
8 no false reactives with the confirmatory test on
9 those parasitic infections. So, in
10 summary, just wanted to highlight for the
11 committee that there are other alternatives in
12 development, a fully automated blood screening
13 assay for *Trypanosoma cruzi* on the PRISM system,
14 as well as what will be a licensed immuno blot
15 confirmatory assay that will be performed on
16 repeatedly reactive specimens in the PRISM
17 system. Thank you very much.

18 DR. SIEGAL: Thank you, Dr.
19 Marchlewicz. The next we're going to hear from
20 is Dr. Brian McDonough, Vice President for Donor
21 Screening of Ortho Clinical Diagnostics.

22 DR. McDONOUGH: Yes. As I have no

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 slides, I'll speak from this microphone, if
2 that's appropriate. I am an employee of Ortho
3 Clinical Diagnostics. I simply want to take
4 about a minute to provide you with some
5 additional background information.

6 If we use the denominator of 16
7 million as the number of annual donations made in
8 the United States, or 1.33 million per month,
9 then based on our data, which includes shipments,
10 as well as contracts with testing laboratories,
11 we can confirm that as of the end of April, 71
12 percent of the U.S. blood supply will have been
13 screened on a monthly basis. And through the end
14 of May, that number will be 77 percent. The
15 existing laboratories that are now doing testing
16 have more than enough capacity to test the
17 additional 25 plus percent. In addition to that,
18 we have the capacity to install our system in up
19 to 30 different sites.

20 Lastly, I would like to say that we
21 will be filing our 510(k) application on the
22 first of August of this year, and we expect our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 cadaveric claim to be filed on the first of
2 December of this year. Thank you.

3 DR. SIEGAL: Thank you. Next we're
4 going to hear from Celso Bianco of the ABC. Dr.
5 Bianco.

6 DR. BIANCO: Thank you, Fred. I'm a
7 full-time employee of America's Blood Centers. I
8 was born in Brazil, a conflict of interest.

9 (Laughter.)

10 DR. BIANCO: But I'm a citizen of the
11 United States. I'm representing 77 members of
12 America's Blood Centers. They provide about half
13 of the blood supply in the U.S., and we have also
14 two Canadian members, Hema-Quebec, and Canadia
15 Blood Systems.

16 We heard a very good review today of
17 many of the issues, and some of the data that is
18 coming up. And I'd like to give you a very short
19 overview of the status of implementation of the
20 Ortho assay among members of America's Blood
21 Centers. And explain why some of the ABC members
22 do not have a sense of urgency about implementing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the assay. And, finally, ask that the committee
2 discuss and advise FDA about the number of issues
3 that are very important for us. Regarding
4 the status of implementation, about 35 percent of
5 the ABC member centers have implemented the
6 assay, or have out-sourced testing to contract
7 laboratories that are performing the assay. This
8 represents about 3.6 million of the 8 million
9 collections by ABC member centers in the United
10 States.

11 Another 20 percent, about 2 million,
12 are anticipating implementing testing during the
13 current quarter; that is, by the end of the
14 second quarter of 2007. About 35 percent of ABC
15 members are waiting for one of the following;
16 outcomes of the studies at Blood Systems and the
17 American Red Cross about the prevalence of
18 confirmed positives, geographic distribution,
19 correlation with answers to questions about risk
20 of exposure to *T. cruzi*, and most importantly,
21 the results of look-back tracings of prior
22 donations. And the other part of this group are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 waiting for availability of the Abbott test,
2 because that's their main testing platform. And
3 they do not want to add a different, second
4 platform. And, at the end, we have about 10
5 percent of our members that have said that they
6 plan to wait until the FDA issues a mandate
7 before they implement the test.

8 The low sense of urgency about
9 implementation derives from a number of factors.

10 First, the limited number of transmissions of
11 Chagas disease that we heard, the seven cases in
12 about 20 years. And the fact that, actually,
13 despite the fact that studies have demonstrated a
14 number of positives, particularly, the Red Cross
15 studies, in certain areas of the country. We
16 would expect more transmissions.

17 The second concern that they have are
18 the negative results in the 40,000 specimens of
19 the Ortho pivotal trials, and the fact that FDA
20 required extension of the trials to generate
21 confirmed positive results, as shown in the
22 presentation very clearly today by Dr. Susan

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Stramer.

2 The other issue that concerns those
3 members is what I called here lower specificity
4 of this Ortho assay, but I was told by Dr. Hira
5 Nakhasi is that this is low positive predictive
6 value, and he gave me a hard time about it. And
7 of the data that was posted at the AABB website
8 earlier this week, there were 41 of 332 - no, I
9 think that there is an error here - there were
10 212, that's a copy that has an error, that it was
11 about 20 percent of the specimens that were
12 confirmed by RIPA, so 80 percent were not
13 confirmed, the positive predictive value is about
14 20 percent.

15 And, finally, the question of no
16 confirmatory test, no additional supplemental,
17 more specific test. And I say here that Ortho
18 had not indicated that it did not intend to
19 submit RIPA for licensure. And I was told today,
20 I was corrected that the Ortho is still
21 considering analyzing the data, is still
22 considering implementation of the test.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Finally, we hope that BPAC will
2 provide advice to the agency on a number of
3 issues that are very important for us in the
4 blood banking community. Blood Systems
5 introduced the assay, and additional donor
6 questions in a pilot to help define the most
7 appropriate format of future donor screening for
8 *T. cruzi*. And this is the model aptly presented
9 by Drs. Busch and Custer.

10 We expect that -- we will also
11 consider this early testing a pilot, collect and
12 analyze the accumulated experience in order to
13 generate policy. We hope that BPAC will consider
14 and advise FDA on the merits of the different
15 approaches for screening, including screening for
16 selective screening, or these different formats
17 that were discussed here today.

18 In one of the bullets in this point,
19 I added that screening for tissues and organ
20 donors, particularly organ donors, since they are
21 being infused into severely immuno compromised
22 patients, should be considered. I received a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comment from a member of UNOS, Dr. Michael Hagan,
2 indicating at this point, that they are not
3 prepared yet to introduce screening for Chagas,
4 because they do not have a system that can, in
5 terms of logistics, have the assays available as
6 they are needed with the speed and 24-hour, seven
7 days a week that is required for transplants,
8 organ transplants, particularly.

9 And we also hope that this concept of
10 selective screening will be discussed today, and
11 will be accepted, because unless this moves on,
12 there will be no encouragement for the
13 development of the logistics and software that
14 are necessary for a successful implementation of
15 a selective screening program.

16 We hope that there will be
17 encouragement for alternate manufacturers. We
18 know that if this is proven to be a very
19 important test for blood safety, that it's
20 essential for blood safety, that we have more
21 than a single manufacturer.

22 And, finally, we hope that there will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 be encouragement for the development of licensed
2 confirmatory assays, or algorithms based on
3 validated, but yet unlicensed assays. And I
4 thank the committee for the opportunity to make
5 these comments. Thank you.

6 DR. SIEGAL: Thank you, Dr. Bianco.
7 Next we'll hear from Scott Brubaker, Chief Policy
8 Officer, AATB.

9 MR. BRUBAKER: Thank you, and I'd
10 like to thank Mr. Jehn for accepting our request
11 to be invited to present. I do have a conflict.
12 I work full-time for the AATB. I'm Chief Policy
13 Officer, and I'm Office Liaison for a few of our
14 committees, and many of our task forces.

15 I'm going to talk a little bit about
16 process conventional HCTPs, conventional is a
17 term that FDA actually gave to us in one of their
18 rules, final rules. I think it was the DTP rule.

19 Our tissue banks were called conventional, so
20 we've stuck with that term, and I'll use that
21 throughout the presentation.

22 A little bit of history about AATB.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I won't go through that due to limited time, but
2 we do represent now about 100 accredited tissue
3 banks. There are about 25,000 tissue donors
4 annually, per our surveys. And I think with Dr.
5 Stramer's information, that would equate to
6 possibly one, maybe two tissue donors a year that
7 would be positive for Chagas.

8 Now the conventional HCTPs on the FDA
9 list, those are the ones that would apply to us,
10 and the arrow, I've rearranged the order, but the
11 arrow is indicating distribution from high to
12 low. And bone is actually very high compared to
13 the rest of the tissues, and I'll show you that
14 on graph.

15 AATB has different designations over
16 the years. Since 1976 they've evolved, and you
17 can see those there. We also cover reproductive
18 tissues and have standards for those, and
19 accredit those banks. We accredit about 10 of
20 those right now.

21 So this is one of the graphs I wanted
22 to show you that does have musculoskeletal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 allografts and distribution back from 1996
2 through a few years to 2003. We're currently
3 putting together a survey to cover the last three
4 years, and we'll update this, but you can see for
5 musculoskeletal tissues, which includes bone and
6 soft tissue, we were at about 1.3 million in
7 2003, and of those 1.3 million, there are about
8 81,000 soft tissue grafts included in that
9 number. And if you look at that broken down, you
10 can see those are pericardium, fascia lata,
11 ligaments and tendons in increasing numbers of
12 distribution.

13 It's important to keep in mind a
14 little bit about the different types of tissues
15 that conventional tissues do cover. And just to
16 give you an idea of how musculoskeletal grafts
17 are handled, they're recovered aseptically and
18 either kept refrigerated or they're frozen soon
19 thereafter, within three days. If they're kept
20 at processing, they're kept refrigerated.
21 They're usually just cleaned and disinfected, and
22 kept refrigerated, and I'll go over later in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 another slide how long they are refrigerated.

2 If they're frozen, the processing can
3 occur months later. They'll be thawed, cleaned,
4 disinfected, and often sterilized today.

5 Sometimes before thawing, depending on
6 preprocessing cultures that were usually obtained
7 at recovery, there can be a non-terminal gamma
8 irradiation if it's indicated because of the
9 organisms that grew. But after thawing, there
10 are chemical washes and soaks. It can include
11 alcohols, detergents, surfactants, hydrogen
12 peroxide. Today, now, the processing includes
13 agitation, sonication, centrifugation to really
14 remove the marrow elements and lipids from the
15 interior of the bone.

16 Now, possibly, in many of the grafts,
17 musculoskeletal grafts that are distributed today
18 for bone are demineralized, and that's using a
19 very strong acid, many washes and soaks with that
20 acid, and then it's buffered to come back to a
21 normal pH. Now following that, the grafts can be
22 very often lyophilized, which involves another

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 freezing process down to negative 80 degrees
2 Centigrade. And the residual moisture content,
3 in our standards it must meet less than 6
4 percent, so many organisms or microorganisms
5 cannot survive that kind of reduction in residual
6 moisture and survive. Parasites definitely
7 cannot.

8 Freezing or cryo-preservation can
9 occur, as well. And there's often now today,
10 with ligaments and tendons, used for sports
11 medicine applications, a terminal gamma
12 irradiation that occurs, and you can see it's 1
13 to 2.5 megarads, which is equivalent to 10-25
14 kilogray doses.

15 Now I thought one of the most
16 important things I could do would be to show you
17 pictures. These are demineralized and
18 lyophilized bone products. As you can see, they
19 come in different shapes, different sizes, and
20 configurations here. This is demineralized bone,
21 black powder that's widely distributed, and this
22 is an injectable paste. It can be even twisted,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 and shaped and formed. This is a putty-type.
2 Then we have what we call our traditional grafts,
3 which have been used for many, many years in
4 different applications, corticocancellous, this
5 is just cancellous blocks. These are ilium
6 strips, and these are not doctored pictures,
7 these are exactly how they looked to the clinical
8 as he or she is implanting them. This is an
9 illia crest wedge, or a tricorticol wedge, and
10 this is a patella wedge.

11 Now sometimes the bone can also,
12 after going through that processing, pieces of
13 the bone be put together. Actually, it's a lot
14 like carpentry when you think about it. There
15 are these -- you can't see these dowels here in
16 this graft, but they are made from cortical bone,
17 and they're holding cortical and cancellous bone
18 together. Very strong grafts are produced this
19 way. But you can see, again, that there are no
20 marrow elements or lipids left.

21 Now grafts can also be determined
22 fresh, frozen, cryo preserved, or lyophilized.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 And a lot of these, especially this graft, which
2 is a patella ligament, often called a bone tendon
3 bone, and this Achilles tendon graft, they are
4 often irradiated at the end of the processing
5 today so they can be labeled as sterile.

6 Now this is the only picture that
7 doesn't represent what the surgeon sees at
8 implant. It's to show you the joint of an ankle,
9 but fresh osteochondral grafts are also offered,
10 and the most high uses of the knee. Next is the
11 ankle, then the shoulder, and it's rare for the
12 elbow for reconstructions.

13 Normally there would be a lot more
14 connective tissue, that's part of that graft and
15 the capsule is in tact. Now for skin, it's very
16 interesting, it has changed over the past 10
17 years, fresh skin is rarely distributed, but just
18 by a few banks and in low numbers. Cryopreserved
19 is next in line, and much higher than fresh. And
20 this is a piece of mesh skin used for burn
21 patients, so that's pretty much what that looks
22 like, but they are mostly cryopreserved.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Then we get into the rare lyophilized
2 skin grafts, which in the U.S. is not very
3 popular any longer, but this is the most popular
4 type of processing in skin today, and mainly by
5 one tissue bank. But it's decellularized freeze
6 dried matrix, and there's a picture, a depiction
7 of it those ways. And then this can also be
8 cryofractured into an injectable form and used
9 for different various applications. So a lot of
10 processing, even for skin, that occurs.

11 Now we get into cardiac and vascular.

12 Basically, they're just infected grafts
13 subjected to antibiotics, and cryopreserved, and
14 you can see the different types there. So this
15 is the one we're concerned about, and AATB has
16 had standards since 2001. We've required heart
17 valve donors be evaluated for Chagas risk. Banks
18 have been doing it by questionnaire. When they
19 recognize a risk, then they usually test, and
20 they do that on their own. But that is, a
21 myocardium is a risk tissue, and the processing
22 method is a risk, as well.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 So here's the different methods of
2 preserving and storing. I just wanted to show
3 you the one for frozen, and there was a question
4 about the temperatures, and how long. But
5 normally, it's below negative 40 for long-term
6 use is what's commonly used the most, because it
7 does allow the longest time for storage.

8 Now parasites can be preserved by
9 refrigeration, preserved by cryopreservation,
10 killed by these other three methods, and I could
11 probably put demineralization in there with the
12 acid washes that are done, so a majority of the
13 tissue types that we do distribute, our banks
14 distribute, would be able to kill the parasite.

15 Now this isn't in your handout, but
16 there was a question about does irradiation kill
17 parasites? And this was a paper that was
18 actually published in 2001, and they looked at
19 malaria parasite in blood, and would the 15
20 kilogray sterilization dose in the UK kill the
21 malaria parasite for tissue, and the
22 determination was yes, it would. It would be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 very safe. In fact, a much lower dose of 574
2 grays would kill Plasmodium falciparum. And
3 there was just a graft.

4 So to finalize this consideration, we
5 hope that this does continue to be a tiered risk-
6 based approach for our conventional HCTPs, when
7 you're considering testing recommendations. This
8 was actually promoted by FDA, that they would do
9 this type of tiered risk-based approach in their
10 publications going back to 1997.

11 What we'd like to do is, there's many
12 validations involved with our processing
13 methodologies that are in place today, and we'd
14 like to discuss that more with FDA, and we have
15 actually done that. And maybe we could have a
16 workshop and they could better understand our
17 processing and validation methods.

18 We do have a high false positive rate
19 for cadaveric specimens, historically, so we'd
20 like to work on that. And that's all, thank you.

21 DR. SIEGAL: Okay. Thank you, Dr.
22 Brubaker. The last is Linda Fraser, Executive

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Director, Rochester Eye and Human Parts Bank, New
2 York.

3 MS. FRASER: Good afternoon. I have
4 no financial interest to declare; however, I am
5 Director of an Eye and Tissue Bank in Rochester,
6 New York, and I currently serve on the Eye Bank
7 Association of America's Medical Advisory Board,
8 the Accreditation Board, and the Board of
9 Directors as Secretary of the Association.

10 On behalf of the EBAA, thank you for
11 allowing me the opportunity to speak this
12 afternoon, and present an EBAA perspective on eye
13 banking and corneal transplantation, as it
14 relates to Chagas disease. I'm pleased to
15 present this perspective. All of you should have
16 a full text of my comments. I'm only going to
17 highlight those for you.

18 The EBAA was formed in 1961, and
19 represents more than 98 percent of the eye banks
20 in the United States. Medical standards were
21 promulgated in 1981, and are based on scientific
22 research, and information that relates

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 specifically to eye banking and corneal
2 transplantation. EBAA standards are reviewed
3 semi-annually, and are updated to ensure state-
4 of-the-art practices.

5 The Medical Advisory Board has
6 instituted a number of firsts in the field of
7 transplantation, beginning in 1986 with HIV
8 testing. Subsequently, instituted hepatitis B
9 and hepatitis C testing. In 1991, we introduced
10 an adverse reaction reporting system, and data
11 have been reported since then, and made available
12 to the FDA, among others. These contributions
13 have created a system that's universally
14 recognized as safe and effective.

15 Since the inception of our medical
16 standards, there have been no reported fatalities
17 as a result of corneal transplant, and since
18 1987, there's been no transmission of systemic
19 infectious disease as a result of cornea
20 transplants.

21 To date, there's been no reported
22 transmission of *T. cruzi* via cornea

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transplantation, or transplantation of other
2 ocular tissue. In fact, in the one known case
3 in which a cornea was transplanted from an
4 antibody positive donor in May of 2006, the
5 transplant recipient is disease-free. The
6 transplant surgeon was appropriately advised, and
7 no adverse reaction has been reported.

8 Additionally, the CDC examined the other cornea
9 which had not yet been transplanted from the same
10 infected donor, and reported finding no evidence
11 of *T. cruzi*.

12 We know that during the acute phase
13 of Chagas, shortly following vector borne
14 transmission, active and localized ocular
15 inflammation occurs. This active and localized
16 inflammation is easily detectible during physical
17 assessment, which is required, and would make the
18 donor's ocular tissue ineligible transplant
19 according to our current standards.

20 When the disease enter chronic phase,
21 visible ocular inflammation does subside, but if
22 active lesions like keratitis were present in the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 corneas in the chronic phase, the lesions would
2 be identifiable on slit lamp inspection of the
3 tissue; thereby, again, rendering the ocular
4 tissue not eligible for transplant.

5 Having said this, during the chronic
6 phase of the disease, ocular inflammation may not
7 be detected, and thus, not identified during
8 physical inspection of the eye. It might be
9 considered eligible for transplantation,
10 initially. However, this group of infected
11 donors likely would not provide ocular tissue for
12 transplantation, as they would likely be
13 eliminated from the donor pool following review
14 of the medical, social, and behavioral risk
15 assessment, and medical record.

16 This review is conducted by eye
17 banks, and may find a potential donor with Chagas
18 disease ineligible at two separate points.
19 Number one, the conditions may be documented in
20 the donor's medical record as Chagas, or
21 suggestive enough to determine a rule-out.
22 Number two, the travel record of the donor may

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 also point to suspected Chagas exposure, and this
2 information would be considered in conjunction
3 with other supporting information, and medical
4 record material.

5 There have been no reports of *T.*
6 *cruzi* organisms isolated from the corneas or
7 ocular tissues of human patients in the chronic
8 phase of Chagas. We're not aware of any research
9 demonstrating the presence of live organisms in
10 human corneal tissue. Ocular lesions in the
11 chronic phase of Chagas are primarily limited to
12 post inflammatory or immunological changes in the
13 retinal pigment epithelium in a small percentage
14 of affected patients.

15 Previous speakers have spoken about
16 research and animal models, and I won't repeat
17 that, except to say that in summary, there's no
18 evidence that infectivity in these animals or via
19 these routes of inoculation, or with these
20 numbers of organisms, mimics clinical infection
21 in humans.

22 Beyond the issue of whether the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 pathogens can remain viable in the human cornea,
2 are the issues of whether they're transmissible
3 via cornea transplantation, and whether any
4 resulting disease in the recipient poses a
5 significant threat to health, reference the
6 previous case that I discussed.

7 Given that there are no reported
8 cases of transmission through corneal or ocular
9 tissue transplantation, and that active screening
10 policies are employed that can help to identify
11 the disease, the EBAA would not support mandatory
12 serological testing for *T. cruzi* for eye donors
13 at this time. It appears that the risk of
14 transmission of Chagas via corneal
15 transplantation is low enough to make routine
16 testing of corneal donors for Chagas unlikely to
17 prevent a single case of transmission.
18 Serological testing should not be required or
19 recommended for eye donors unless data specific
20 to ocular tissue proves that such a step would
21 provide statistically significant measure of
22 protection. Otherwise, Chagas should not be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 considered a relevant communicable disease for
2 ocular transplantation purposes.

3 In determining a testing requirement,
4 the cost of the test, the number of potential
5 lost, otherwise usable tissues due to false
6 positive results should be considered. And, in
7 addition, the lack of an approved test for
8 cadaveric samples is an impediment to
9 establishment of a requirement or recommendation
10 at this time.

11 In summary, we believe that it's
12 counterproductive to test for Chagas disease for
13 ocular tissue. We can safely say that the risk
14 of transmission via corneal transplant is
15 reasonably estimated to be extremely low, since
16 recipients are not immunocompromised, as organ
17 donors are - I'm sorry - as organ recipients are.

18 Moreover, should Chagas disease ever
19 be transmitted via ocular tissue, it's very
20 likely that it would be treatable, as the
21 mortality rate from the disease is also known to
22 be very low. In the absence of evidence for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transmissibility of the disease via ocular
2 tissue, we would urge the FDA to collect adequate
3 relevant data on which to make an informed
4 decision. Screening through physical inspection
5 of the eye and its parts, the medical, social,
6 behavioral risk assessment questions, and review
7 of medical records are all tools in a complete
8 donor profile that we evaluate for tissue
9 suitability, and that would appear sufficiently
10 to reduce the risk of transmission of Chagas
11 disease through avascular corneal tissue. Thank
12 you, again.

13 DR. SIEGAL: Okay. At this point,
14 unless there are any other speakers who wish to
15 be heard, we will proceed to the open committee
16 discussion with questions for the committee.

17 DR. DUNCAN: So we'll begin with the
18 blood screening part of this question, and that
19 specific question is - "Please comment on any
20 scientific issues that FDA would further consider
21 in developing its recommendations on
22 implementation of blood donor screening for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 antibodies to *T. cruzi*."

2 DR. SIEGAL: Anybody want to start?

3 DR. KATZ: I will. I think the
4 critical issue is, unlike HBV, HIV, HCV, where we
5 understand that a large majority of infected
6 donors transmit to recipients, and we do
7 understand that in Latin America where this
8 disease is endemic, and transfusion practices are
9 different, and screening assays they're using are
10 different, whole blood and platelets clearly
11 transmit with reasonable frequency. We transmit
12 - I think this is going to be a right number - 4
13 million doses of platelets annually,
14 approximately, in the United States, but closer
15 to 14 million of red cells. Virtually, none of
16 that, very, very little is whole blood, so I
17 think my biggest question at this point is how
18 much transmission is going on, apart from how
19 many donors have confirmed antibody? And is this
20 an opportunity where both the regulated community
21 and the FDA can have an interval here prior to
22 the definitive guidance coming, whenever that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 comes, when we can think out of the box a little
2 bit about a risk assessment approach that is a
3 little less blunt than universal screening at all
4 times.

5 DR. Di BISCEGLIE: I guess in terms
6 of scientific issues, we've really heard very
7 little about an issue that I think is important,
8 which is the correlation of the screening test
9 result with parasitemia and infectivity. We've
10 heard little bits and pieces of it, but I'm not
11 sure we need to wait for that before making a
12 decision, or the agency should wait for that
13 before making a decision to implement screening
14 of whatever form. But that's something that
15 clearly needs more work. Maybe we just didn't
16 hear about it today and the data exists, or maybe
17 the data need to be gathered.

18 DR. NELSON: I'm impressed that this
19 screening test, the Ortho test, and probably the
20 Abbott, as well, seems to be pretty good. There
21 were only 150 out of a million, so we're not
22 going to have -- and 20 percent or so of those

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 were confirmed with a confirmatory assay. And
2 that doesn't sound good, but it's pretty good,
3 it's better than the other tests that we're
4 doing. And this is not an insignificant disease,
5 30 percent of people go on to chronic cardiac or
6 GI symptoms, so I would think that from the data
7 we have, I can't see any rationale for not
8 implementing screening. Now whether we implement
9 it for all donors, or a selected subset of
10 donors, or selected those who have platelets, but
11 I can't see any reason for not implementing
12 screening given the data that we have now.

13 DR. FINNEGAN: I'm going to follow
14 that up with a classic orthopedic comment. We
15 have the technology, but we have no good reason
16 to use it, whatsoever. I think the -- that's a
17 little caustic, and I don't mean it to be that
18 caustic, but if you look at the look-backs, I
19 mean, that's pretty impressive that we weren't
20 screening. We did all these look-backs, and we
21 have no transmission of disease. And I agree
22 that the disease is not a good disease to get,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 but it seems to me, and I agree with Adrian, that
2 perhaps we're not testing for the right thing.
3 Perhaps we should be testing for those patients
4 who are in the middle of a parasitemia where they
5 could, in fact, infect someone, rather than
6 whether they have the potential to ever be
7 infected.

8 I do think it's a good public health
9 screening test, and that it does pick up those
10 people who come here who have the disease. But I
11 think for the -- if you look for the cost -- I
12 mean, I'm in a big public hospital with its own
13 blood bank, and that cost is going to be
14 significant for the system. And the question is
15 -- and I'm in a state where it's a disease that
16 probably we need to worry about. The question
17 is, is it going to be -- is a cost-risk benefit
18 ratio good, and I think the answer is no.

19 DR. NELSON: I disagree.

20 DR. DUNCAN: If I could just insert
21 one correction. You cited that the look-backs
22 are all -- I mean, there are no transmissions on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 look-back, and that's not exactly right. There
2 are a lot of look-backs where there's no sero
3 positivity, but there are five out of 13 that
4 were positive.

5 DR. FINNEGAN: Right, but the test
6 that the American Red Cross just did, there are
7 none out of 30 whatever she gave.

8 DR. SIEGAL: I'd like to ask, if I
9 may, if there's any way of comparing the
10 transmissibility in a country where there's
11 indigenous transmission, as compared to a country
12 like our's in which there is no real transmission
13 from vectors, so if we have anything to do with
14 the situation, as we do in AIDS, it's the primary
15 infections which are the highest transmitters,
16 and that might be something that might create
17 much more trouble in South and Central America,
18 than in the United States. Is there anybody
19 who's studied that, has looked at the
20 differences?

21 DR. DUNCAN: You mean looking at the
22 difference in the probability of a transmission

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 from a sero positive unit? I would say that's
2 being done at the current time with the look-back
3 studies.

4 DR. KUEHNERT: I guess I'm troubled
5 by a lot of these statements that are being made
6 saying that well, since we haven't seen any
7 cases, it must not be happening, because, as you
8 all know, we really don't have a robust
9 surveillance system in this country to detect
10 these sorts of infections, so it would depend on
11 an astute clinician or laboratorian to pick it
12 up. And it's amazing the number of serendipitous
13 ways that we've seen it picked up in either organ
14 transplantation or blood transfusion. And you
15 just can't imagine how it got picked up, because
16 it seems so coincidental, and so I really would
17 urge caution about trying to judge the
18 transmissibility by those data.

19 Now that being said, I think there's
20 an opportunity here through the data that's
21 already been collected, and is going to be
22 collected in the future as far as the look-backs,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because that is really going to be critical data,
2 because the numbers I saw today were pretty
3 small. And I don't think I'd be able to judge
4 anything based on those data. But I think in the
5 future, it may say more, and it's really going to
6 depend on the aggressiveness of the follow-up,
7 how robust those data are, so I guess I would
8 just encourage as much as we can that the follow-
9 up be as complete as possible. And, of course,
10 that's going to be dependent on the survival, in
11 part, the survival of the recipients, which we
12 can't do anything about, but as far as the rest
13 of it, we should try to get as complete data as
14 possible.

15 DR. NELSON: I agree with that. In
16 fact, the study we did with David Leiby and Red
17 Cross, it was interesting looking at cardiac
18 surgery patients who had been transfused. We
19 looked at the recipients, rather than the donors.
20 And we found six cases, but neither the
21 cardiologists, nor the cardiac surgeons, had made
22 the diagnosis, only in one of those cases,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 because they had coincident coronary disease, as
2 well, but they also had Chagas heart disease, I
3 think this disease has probably been grossly
4 under-diagnosed. It's not an easy diagnosis, and
5 I think it's been missed. But I'm impressed,
6 this really is a pretty good test. I mean, I
7 don't know what it's going to cost, and
8 implementing another ELISA assay to the five or
9 six we're doing seems feasible, to me.

10 DR. SIEGAL: Harvey.

11 DR. KLEIN: I agree with Matt. I
12 think follow-up really is key, and there are a
13 couple of things that I think we really need to
14 define. We need to know about the sero negative
15 window. We need to know if you can test someone
16 after a transfusion three months down the line
17 they're negative, whether it's especially if
18 they're immuno suppressed because they haven't
19 made antibody yet, but they may be infected, and
20 we just don't know that. Or if we do, those data
21 haven't been presented here, so I think we have
22 to have that kind of information, as well as the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 persistence data. We need to know something
2 about this issue of frozen samples, because it
3 really makes our repositories useless. If, in
4 fact, we can't go back to the repositories and
5 get data from that, then what are we going to do?

6 I've also heard that the parasite
7 won't survive freezing, but I really haven't seen
8 much in the way of data. This seems, to me, a
9 very easy thing to do. I mean, how many
10 experiments does it take, and how long to figure
11 out whether fresh/frozen plasma can support the
12 parasite, and that might be an important piece of
13 information, so I think that may not stop you
14 from either implementing, delay implementation,
15 but I think it ought to be gotten.

16 DR. SCHREIBER: I agree with Matt. I
17 think this is a condition that we need to follow
18 closely. I don't agree with Ken here, that it's
19 a particularly great test. With the 20 percent
20 predictive power, positive predictive value, it's
21 certainly twice as good as the HIV test where
22 we're running about 9 percent in a low-risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 population. So I think one of the things that we
2 need to do is, I like the approach of BSRI, where
3 you're going to have a focused testing, and the
4 possibility of that, to me, has a lot of appeal
5 to focus down on your high-risk populations.
6 From the numbers that Sue did and some back of
7 the envelope calculations, I think you'd probably
8 expect that there would be 1 or 2 percent of the
9 people that were born in the United States, born
10 out of the United States in Central or South
11 America, would be infected with the agent. And I
12 think that we do know it is transmitted by blood,
13 so as a precautionary principle, I think we'd be
14 remiss by not taking some action.

15 The other problem that I have is that
16 we really don't have a confirmatory test. And I
17 think that I have a lot of problem with screening
18 tests, where you come up with a lot of people who
19 are told that they're repeat reactive, but then
20 you can't confirm. And I think that causes a lot
21 of heartache on the part of people, so one of the
22 real goals, I think, of the FDA should be to push

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to have a much better confirmatory test, so that
2 we know what we should be telling these people.

3 DR. Di BISCEGLIE: I would echo all
4 of what you just said. The issue of the
5 confirmatory test, I'm not sure that I would
6 advise the agency to wait for the availability of
7 a good confirmatory test. I think it clearly is
8 needed, but the prevalence in the population
9 that's being screened is so low, that the number
10 of individuals affected by a false positive is
11 fairly low; and, therefore, the impact is lesser.

12 But, obviously, a confirmatory or supplementary
13 test is really needed, ultimately.

14 DR. KLEIN: Just a couple of other
15 points, I do think the agency needs to think
16 prospectively about a re-entry algorithm. When a
17 confirmatory test is available, that'll be a lot
18 more helpful, but I think we don't have to wait
19 for that. We assume that there is going to be
20 something. We need to start thinking about that
21 now.

22 In regards to tissues, I think in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some ways it's a little bit easier because I'm
2 very impressed by the amount of processing that's
3 done on a number of tissues, clearly enough to
4 kill parasites. It seems to me all you need to
5 do is demonstrate that your processing technique
6 kills parasites, and then you wouldn't have to
7 test the tissues that are treated in that way, so
8 I would encourage industry to do that.

9 DR. SIEGAL: Dr. Stramer.

10 DR. STRAMER: Yes. I just wanted to
11 address a couple of points. One, the look-back
12 data, although I presented the results of
13 screening of almost 2 million donations, and
14 we're aggressively pursuing look-backs, and have
15 had very good success from hospitals and getting
16 recipients in for testing, zero out of 16 where
17 only one is a platelet is really zero data, so I
18 mean, we're going to need a long time to collect
19 enough look-back data to make it significant.
20 And even in previous studies, the numbers of
21 platelets that have been collected from look-back
22 studies, platelet recipients have been very, very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 few, so I think we're still very early in this.

2 Regarding donors and parasitemia, I
3 think another issue that was raised, we are doing
4 PCR on all of our donors; that is, on all of our
5 donors who participate in follow-up. Now, PCR,
6 this is not a virus, this is a parasite, so we
7 know these individuals may only be intermittently
8 parasitemic, and it may take a number of follow-
9 up samples to demonstrate that individuals are
10 parasitemic. We have two parasitemic donors, our
11 two youngest donors, actually, a 23 and a 27-year
12 old who may be in the beginning of their donation
13 lives, so we will continue to see parasitemic
14 donors. David Leiby has published in the past
15 doing repeated PCR on donors, that 63 percent of
16 donors are parasitemic, so we will find those,
17 and we will find positive look-backs. It' s just
18 a matter of time.

19 And regarding supplemental testing,
20 even in the absence of a supplemental test, as
21 long as we have a second FDA licensed screening
22 test, we have algorithms where you can use two

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 screening tests, and really increase the positive
2 predictive value of a result to a donor.

3 DR. KUEHNERT: I think on the issue
4 of the positive predictive value of the test, I
5 mean, I think where it really causes an issue is
6 in the situation where you have a repeat
7 reactive, and you're trying to confirm. And that
8 really does make a difference as far as
9 counseling the donor, and telling them what they
10 have. And that is a big issue, but it's going to
11 be hard to resolve without looking at doing more
12 studies to look at how the RIPA actually compares
13 against other confirmatory tests. And, also, how
14 the currently licensed test performs against
15 tests used in other countries.

16 I don't fully understand what's done
17 in Brazil. Maybe that would be helpful just for
18 the committee to understand. They either use two
19 or three ELISA-based tests, or some other tests.

20 And then if one is positive, then it's
21 considered a reactive. So I wonder, can that
22 approach be compared against the Ortho test, as

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 far as comparing positive predictive values, and
2 how that -- basically, the positive predictive
3 value of the test. Is that being considered by
4 the REDS II Group, the international study?

5 DR. BUSCH: My understanding with
6 Brazil is, historically, they did require,
7 mandate like two or three parallel tests, and if
8 any of them were reactive, they deferred. And
9 the donors who were enrolling into the RED study
10 are the subset who are concordant reactive 10
11 years ago. They've moved now because their tests
12 have improved. They've moved now to a single
13 defined sensitive screening test, and they
14 actually do what Sue described, they use a second
15 EIA to serve as a confirmatory, as well as IFA.

16 We are anticipating bringing the
17 positive samples from our studies into the U.S.
18 and testing them on Ortho, and using the Ortho
19 assay, for example, to look at change in
20 reactivity over time, but we really hadn't
21 thought about kind of a head-to-head comparison
22 of Brazilian screening data with Ortho assay.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Dr. KUEHNERT: It's more the point of
2 how good the RIPA is. And the other problem with
3 RIPA is that it depends whose hands it's being
4 done in. I mean, if Dr. Kirchhoff is doing it,
5 it's as good as it can get, probably. But in
6 other hands, it may not be, and so that is a
7 concern. I think that is a concern, and so,
8 again, it doesn't have an impact on blood safety
9 per se, but it does have an impact on how the
10 donors are counseled, and then undergo evaluation
11 for further diagnosis and treatment.

12 DR. McDONOUGH: Can I ask a question?
13 I just wanted to give a little bit of
14 explanation on a couple of things. One is on the
15 biology of the parasite. Even though it may not
16 -- Sue suggested that there is an intermittent
17 parasitemia, but it is important to know that
18 many times there may not be parasite in the
19 blood, to look for it by PCR or anything. It
20 goes into the hiding, and you can also -- all the
21 time keep stimulating the immune system, and,
22 therefore, you will have antibody. So it doesn't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 mean that if you do not find parasites in the
2 blood, there is no parasite in the blood.

3 The second issue about the testing
4 using -- supplemental testing, RIPA has its own
5 advantages and disadvantages. However, you heard
6 today that the other companies are also pursuing
7 other types of tests, so I think we should keep
8 that in mind.

9 DR. SZYMANSKI: Bringing up the cost
10 of the test, and I would like to know if anybody
11 could tell approximately how much it will cost
12 each test, and per year in the United States?
13 This might not be a deterrent to doing it,
14 because I think the population in the United
15 States is changing. And like in New England, you
16 didn't have any of these cases at all, but there
17 was immigration to that area, as well, and so I
18 think it's going to be in a few years quite
19 different, and Chagas could be much more
20 prevalent condition everywhere.

21 DR. KATZ: Well, I can tell you, I
22 can give you a range for what the test is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 costing. I don't think that's illegal, is it,
2 for me to say? It depends on who you are, but
3 from about four and a half dollars, to somewhat
4 over \$7 dollars per test. It's not chicken feed,
5 I would say. Under certain scenarios in my blood
6 center it would be 5 percent of our operating
7 budget to implement this test, under other
8 scenarios it's much less than that, including a
9 selective scenario that we're thinking very hard
10 about, but a lot of that depends on what we see
11 is the sense of this committee and the agency.

12 DR. SZYMANSKI: But it probably would
13 go down within time, the price?

14 DR. KATZ: I'm looking into my
15 crystal ball. I think that's the nature of this
16 kind of activity. Eventually, I don't think
17 it'll be \$7 a test for my center forever, I hope
18 it's not.

19 DR. NELSON: I'd be interested from
20 the FDA. It sounds - there isn't a confirmatory
21 test, but yet there is a confirmatory test, it's
22 the RIPA. And maybe it doesn't perform perfectly

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 now, but what would it take to get -- I mean, as
2 I understand it, another immunoblot, it's similar
3 to the Western Blot that's used for HIV, for
4 HTLV, for other confirmatory assays, so what's
5 the status of that being approved or considered
6 as a confirmatory test? What's the status of the
7 science?

8 DR. EPSTEIN: I think the science is
9 available. The problem is that a manufacturer or
10 sponsor has to want to make it. And FDA has no
11 tool to compel any manufacturer to make anything.

12 And so it's market-driven. We've had many
13 conversations about reference laboratories and
14 the like, but that's not currently our system.
15 We depend on commercialized tests.

16 DR. Di BISCEGLIE: Mr. Chairman, I
17 guess just in terms of other scientific issues,
18 we've heard a discussion between selective
19 screening and using a blood test to screen
20 everybody, but I've seen - I've heard almost no
21 discussion of the science of the selective
22 screening, the questions. I mean, we're

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 potentially screening a vulnerable population,
2 vulnerable in the sense of their immigration
3 status, their language, their socioeconomic
4 status. And what is their willingness to answer
5 these questions in an honest and forthright way,
6 without the risk of coercion?

7 DR. DUNCAN: If I could make a
8 suggestion, I understand that you posed that
9 question in the context of implementation,
10 overall, but we have a specific question related
11 to that, and we might develop that conversation
12 once we move to that question.

13 DR. STRAMER: Can I just make one
14 more point about the RIPA, coming to the defense
15 of the RIPA. Just because something is FDA-
16 licensed, nothing against the FDA, it doesn't
17 make the test any better. We've been using
18 Western Blot for HIV since 1987, 1988. It
19 doesn't make it a good test. The screening tests
20 are leaps and bounds more sensitive than the
21 Western Blot. We continue to use it. RIPA, in a
22 sense, is a blot. I mean, you're reacting gel,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 radioactive gel that's run up, separated by
2 molecular weight against an antiserum, so it is
3 an immunoblot of types, but it doesn't - just
4 because, again, it's not FDA licensed, it doesn't
5 make it any less good.

6 We did an evaluation of all our
7 repeat reactives in three labs that do RIPA, and
8 we had 100 percent concordance, so it was only a
9 panel of 74 samples, but still, we looked at the
10 Red Cross, David Leiby's RIPA, QUEST, and the
11 Ortho RIPA, and they all performed identically.
12 So I'm not sure if we could take another, even
13 FDA licensed confirmatory, run multiple
14 iterations, or even multiple master lots within
15 once licensed product and get as good a
16 concordance, so I don't really see the issue
17 right now with RIPA.

18 MR. ARANA: Can I make one comment
19 about the RIPA test? I do represent QUEST
20 Diagnostics, and Dr. Louis Kirchhoff did train us
21 personally in the use of the assay, did review,
22 and was part of our validation process, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 continues to this day to review all our runs, so
2 I just wanted to say, referring to your comment
3 of the RIPA being in somebody else's hands.

4 Okay.

5 DR. EPSTEIN: Yes. I mean, what's at
6 issue here is assuring manufacturing consistency,
7 and product quality. And what's being said is
8 that absent the FDA process, we can't assure
9 that. Now there's a parallel system in our
10 country for lab-based testing, which is oversight
11 under the Clinical Laboratory Improvement Act,
12 which is a responsibility of the Center for
13 Medicare/Medicaid Services, and not the FDA.
14 What FDA is saying is that we're not in a
15 position to recommend actions by regulated
16 entities based on tests that we have not
17 reviewed, and whose quality we cannot assure.
18 There's also the question of what happens over
19 time.

20 Now that said, I'm not asserting that
21 there aren't very good laboratory-based tests, or
22 that there aren't tests, nor am I contradicting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 Sue. An FDA approval process validates a product
2 claim, but it doesn't make a thing better than it
3 is. Hopefully, however, it has honest labeling,
4 truth in labeling. So we're missing - we're kind
5 of mixing up issues here.

6 FDA is not asserting that there is no
7 availability of laboratory-based testing for
8 Chagas Disease, there is. And we're also not
9 asserting that it's valueless. We're only saying
10 that it doesn't meet the standard of the
11 requirement to use a confirmatory test, if there
12 is a required screen, and available supplemental
13 test, we call it. And we're saying that we're
14 not in a position to make recommendations for re-
15 entry with tests whose quality we can't assure.
16 So those are the regulatory issues, and I'm
17 really not speaking to what may or may not be
18 true about an unregulated test, or I should say
19 non-FDA regulated, because there is CLIA.

20 DR. KATZ: I just want to say one
21 thing, and that is, as the AABB Association
22 Bulletin was developed and there were discussions

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with the FDA about these very issues, I think
2 that the blood community feels reasonably well
3 served in our ability to counsel our donors who
4 are repeat reactive, based on what's available,
5 as Jay says, the laboratory-based testing
6 facilities that are available.

7 We're good now. We finally learned
8 the lesson on how to talk to donors about
9 difficult serologic messes, and with what's
10 available from QUEST and David Leiby, and Von
11 Kirchhoff, we can tell the donors what we think
12 is going on with reasonable precision, so it's
13 not an insoluble thing. The positive predictive
14 value of this assay is, in fact, superb.

15 DR. FINNEGAN: Can you review for me
16 what are the good known scientific facts about
17 Chagas in our blood system today? What do we
18 have good data on?

19 DR. DUNCAN: You mean specifically in
20 the U.S.?

21 DR. FINNEGAN: Yes.

22 DR. DUNCAN: Right. So the seven

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transfusion cases, and five organ donation cases.

2 DR. FINNEGAN: So what percentage is
3 that of the blood that's used? What are the
4 percentages we're talking about here?

5 DR. DUNCAN: Sure. I mean, take 15
6 million and multiply it by 20, and that's your N,
7 and you've got 12 on top. So that's the evidence
8 of reported transmissions. It's not the evidence
9 for transmissions.

10 DR. FINNEGAN: Okay. And any other
11 good science that we have? Basically, you're
12 telling me you have .000001 percent known
13 infection rate, and we have an unquantifiable
14 unknown infection rate.

15 DR. NELSON: .004 percent prevalence
16 among donors in the Red Cross study. Is that
17 right?

18 DR. FINNEGAN: But what we're worried
19 about is preventing disease.

20 DR. NELSON: Well, presumably, these
21 units weren't transfused, and I'm not sure that
22 the transfusion medicine people would have liked

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 to transfuse them.

2 DR. KATZ: Chagas is transfusion
3 transmitted. There is no controversy.

4 DR. NELSON: No question about that.

5 DR. KATZ: Absolutely no controversy.

6 I think the interesting question that BSI is
7 trying to get at, and I'm trying to get at is, do
8 we have to screen every donor every time from now
9 until forever, because we do, in fact, have other
10 safety priorities that we would like to put the
11 resources to. That's really the fundamental
12 question. I absolutely, even in my selective
13 strategy, I'm testing all my platelet donors when
14 we go live, I'm going to test all of them until I
15 have a database, because those are the people in
16 the United States that are most strongly
17 associated with transmission. I really think
18 that the selective strategy probably works for
19 whole blood donors who we take the whole blood
20 and turn it into something else that appears less
21 likely to transmit. I mean, that's really,
22 certainly, my interest, and many of my

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 colleagues, is there a different way than we've
2 always done things, to do this one?

3 DR. GLYNN: I think I agree
4 completely with you, Lou. I think the problem
5 right now is that there are just not enough data,
6 so I think the screening test is, as far as I
7 personally think, should be implemented
8 universally to begin with, and then we need to
9 collect data and make sure that those data are
10 collected on all - like is it platelets on the
11 look-backs. And then, of course, this begs for a
12 case control study that should be done if you can
13 identify some risk factors, that then you could
14 think about targeted selection for your donors.
15 But I just don't think we have enough data right
16 now to assess selectively, you should only test
17 these kinds of donors.

18 DR. SIEGAL: Okay. In the back.

19 DR. KLEINMAN: Yes, Steve Kleinman,
20 Medical Advisor to AABB. Just wanted to make a
21 comment about the selective screening, because I
22 guess it's going to come up later, but the focus

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 is on asking donors specific questions, but
2 there's another selective screening strategy,
3 which is screen everybody once, but then if
4 people don't leave the country, or even if they
5 do leave the country, since you've already proven
6 they haven't gotten - they don't have a chronic
7 infection with Chagas, the only reason to re-
8 screen them is to see if they've got a new
9 incident infection. And I think that that is a
10 potential strategy, because most people will not
11 be exposed to new incident Chagas infection. And
12 so you can at least think about that in the
13 selective strategy, and it doesn't involve the
14 validation of questions, and how people answer
15 them, unless you want to add a travel question,
16 and re-screen some repeat donors. So just kind
17 of a different way to think about selective
18 screening.

19 DR. DUNCAN: So we posed this
20 question to get this kind of input, and we
21 certainly take to heart all the comments that
22 have been made. We're not going to ask for any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 kind of vote on this question, so depending on
2 the strength of the chair, we could either move
3 on to the next question, or continue.

4 DR. SIEGAL: Or some other burning
5 comments, why don't we move on to the next
6 question?

7 DR. NELSON: It'S not a vote, it's
8 comment. But one of the issues with regard to, I
9 think it's biologically plausible that it's
10 platelets that's the problem. But the other
11 problem is that the recipients who have developed
12 Chagas Disease were mostly immunosuppressed so
13 they've got all kinds of things. And it seems to
14 me that before we say that plasma that's been
15 frozen from a Chagas Disease infected donor is
16 now safe, it seems to me that there should be
17 some experiments done to show that. And that's
18 pretty obvious, that that needs to be done. It
19 could be rigorously evaluated probably pretty
20 easily, I think. But it may be that we just need
21 to screen platelets, but I don't know that we
22 have the data yet.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DUNCAN: So on the second
2 question, we wanted to focus specifically on the
3 question of selective testing. And the question
4 reads: "What suggestions does the committee have
5 on the design of research studies to validate a
6 strategy for selective screening of repeat
7 donors?"

8 DR. SZYMANSKI: I agree very much
9 with Dr. Kleinman's comments. I think it's very
10 reasonable to do universal testing, and then test
11 the others only - test people only once, and then
12 only if they have visited an area where Chagas is
13 common the second time. I think that would be
14 very safe, and good strategy. And then you
15 wouldn't need to test everybody all the time,
16 which would be so expensive.

17 DR. DUNCAN: So a critical part of
18 the question is, if we were to propose adopting a
19 test everyone once, and then selectively test the
20 returning screening negative donors, what would
21 we need to do to show that that's an effective
22 strategy?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. KLEIN: Traditionally, we've
2 moved from selective strategies to testing.
3 That's been the safer strategy by far, and I
4 think before I would feel comfortable with most
5 of the selective strategies that we've heard
6 proposed today, I would like to have validation
7 of the questions, and a little bit more data.
8 We've only got a very small amount of information
9 telling us that these selective strategies are
10 any good, at all.

11 In terms of the issue of whether or
12 not to simply screen first-time donors once, once
13 again, I think if we get a little bit more data,
14 and probably before there's a guidance document,
15 we will, since so much of the country is being
16 screened, we'll have a pretty good idea what the
17 number of incident cases are. We'll be able to
18 calculate that pretty well, and I think we'll
19 have a lot better idea as to whether that's a
20 good strategy, or whether it isn't.

21 DR. NELSON: It seems to me that if
22 you were going to screen selectively only repeat

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 donors who traveled, you'd have to do one of two
2 things, and that is, you'd have to ask them a
3 different question than you ask the first-time
4 donors about their travel, or you'd have to ask
5 everybody about their travel to endemic areas.
6 And it might make sense to do that, to implement
7 a question about --

8 DR. KATZ: We already do.

9 DR. NELSON: And then after you have
10 the data, that you could then look and see
11 whether or not it works, because there was this
12 one case from the early study of Chagas, where
13 the woman denied traveling, but, in fact, had
14 traveled, and was Chagas positive, and was found
15 to be wrong in retrospect.

16 DR. KLEIN: I think we also have the
17 data from malaria screening, as well. The cases
18 that get through are generally people who are
19 from endemic areas, who've gotten through the
20 screening process, so I really do think we need
21 to validate the questions, perhaps more so for a
22 population that may not be native English

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 speakers.

2 DR. Di BISCEGLIE: I was going to ask
3 somebody to tell us what travel questions are
4 currently asked. I didn't know about malaria,
5 but I thought we ask about travel to the United
6 Kingdom for BSE, and excluded those donors. Is
7 that still what we're doing now?

8 DR. KATZ: The question is outside
9 the U.S. and Canada during the past three years.

10 DR. KUEHNERT: I guess - I mean, it
11 seems pretty easy to say just validate the
12 questions, but I think a lot of people around the
13 table know that that's not a small thing, and so
14 I guess I would encourage that if there is some
15 sort of selective screening on that basis, that
16 it be as simple as possible. I mean, trying to
17 determine what are endemic areas, I'm just
18 getting flashbacks to malaria risk, which is
19 really, really hard. We struggle with it.
20 We're, at CDC, trying to help, and even with a
21 perfect map, it's very difficult, so maybe some
22 other - I guess you just have to look at the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 numbers, as far as how many you lose if you say
2 any travel outside the U.S., or at least in the
3 Western Hemisphere outside the U.S., as opposed
4 to trying to pinpoint where the reduviid bugs
5 are.

6 The other thing that I see as sort of
7 a pitfall here, possibly, in looking at
8 validation of a strategy is, if you look at
9 repeat donors that have already tested negative,
10 well, their positive predictive value is going to
11 be even lower when you try to confirm that. And
12 you're trying to run after the result to try to
13 resolve it, and figure out whether it's a true
14 positive. And that really is going to, I think,
15 be very, very difficult, so that will be even
16 more pressure to have confirmatory tests that's
17 very, very accurate.

18 DR. McDONOUGH: I just want to focus
19 here. The strategy is for you to comment on what
20 was proposed by Brian Custer, and whether that
21 strategy is what they propose, is it valid, or do
22 we need to add anything more to that? I think we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 need to focus on that question.

2 DR. KUEHNERT: And what is that - he
3 presented some questions, so then the questions -
4 so to validate those questions, is that -

5 DR. McDONOUGH: Is that appropriate,
6 and do we need any -

7 DR. KUEHNERT: Well, because to
8 validate the question - you have to validate the
9 question, as far as whether it's really - whether
10 the person is answering it accurately, but then,
11 also, does it predict the test result?

12 DR. GLYNN: So I'm wondering if Brian
13 can comment, are you proposing to do like a case
14 control study, and then different scenarios
15 afterwards in your evaluation, or if you could --

16
17 DR. CUSTER: Initially, we were not
18 proposing a case control study, I mean, so
19 perhaps if the committee thinks that's a good
20 idea, we would pursue a more formal analysis. I
21 think that we were just going to say we're asking
22 these questions. We'll admit that these are not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 necessarily the perfect questions. The question
2 is do they correlate with testing results, and so
3 we'll just start down that road. We don't know.

4 I think the other thing that I'd like
5 to point out is that it isn't just the three
6 questions. Perhaps, even the most relevant
7 question is getting a handle on the country of
8 birth in terms of some sort of testing strategy,
9 or something along those lines, and so there are
10 some other things. It was not just the
11 strategies that we put forward, as the only ones
12 we'd consider, or the only ones that are
13 relevant.

14 DR. SCHREIBER: I personally don't
15 think we should be addressing the strategy that
16 Brian presented. I think the general issue is, is
17 there a possibility of developing a strategy, and
18 then how do you validate that strategy? We heard
19 a suggestion from Dr. Kleinman, that might be a
20 perfectly good one. Another one might be that
21 you only screen people once a year, and if
22 they're repeat donors, you don't screen them

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 again that year, so I think there are a number of
2 variations on a theme, and I don't think it
3 should be up to us to pick, or give a stamp of
4 approval to something that we've heard without
5 very much information about it. But I think what
6 we should be able to do is say, do we think that
7 to have a strategy like this, is a possibility,
8 and should it be gone off and developed further,
9 and then brought back for discussion when the
10 study plans are firmed up?

11 DR. KATZ: It's important to have a
12 clear signal to the people that make our IT
13 systems, that this is something that we can use,
14 because short of that, the pressure doesn't build
15 to develop them. We do not, in general, have
16 such systems in blood centers at this time that
17 allow us to, with CGMP level process controls
18 manage such systems.

19 DR. SIEGAL: Dr. Bianco.

20 DR. BIANCO: I'm Celso Bianco,
21 America's Blood Centers. I want to go back to a
22 point that Dr. Klein made, that is a question of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 incidence. The most -- we are discussing a lot
2 of details of strategies, but really, the most
3 important piece of data that we need are data
4 about the incidence of positives, that is, how
5 many of our donors will become positive over
6 time? And that will define what the strategy
7 will be to select those donors for testing to
8 prevent them from donating. If we test everybody
9 once, like Dr. Kleinman proposed, we may be, we
10 won't need to test them again ever, if the
11 incidence is zero, at least in a theoretical
12 point of view. So we need data before we discuss
13 the strategies.

14 DR. FINNEGAN: I'm going to beat a
15 dead horse. One of the things I would ask is
16 that the American Red Cross expand their look-
17 back across the country, because they should be
18 able to do that, or at least to have larger areas
19 that they look at. And I think that'll do two
20 things. If it's geographic, it may, in fact,
21 show you that there are areas where you only have
22 to test once, and you probably don't ever have to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 test again, and there are areas where you
2 probably have to test every time you get a
3 donation. But I think that kind of data is
4 critical for you to decide what the strategy is
5 going to be.

6 DR. DUNCAN: Well, Sue might want to
7 comment, but I think their look-back is
8 universal.

9 DR. STRAMER: Yes, all the look-back
10 data I showed is nationwide. That is everything,
11 all the repeat donors who are reactive in the
12 study, nationwide. The regions provide us all
13 the components that were manufactured, what
14 happened to each and every one of those
15 components. Those components are then traced to
16 the hospitals. We find out if those components
17 were destroyed, were they transfused. For every
18 single one of those components, if they were
19 transfused, then we trace the recipients, so we
20 have done that for every single repeat reactive
21 donor that we have in the study.

22 DR. FINNEGAN: And what's the time

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 frame of that?

2 DR. STRAMER: The time frame is we
3 implemented testing August 28th with the clinical
4 trial. That clinical trial for 148,969 donations
5 went until January 27th, and then we started
6 testing using the licensed test, and I presented
7 the preliminary look-back numbers we have for
8 those.

9 DR. FINNEGAN: So that's a year, less
10 than a year.

11 DR. STRAMER: Yes, it's less than a
12 year, and for the first four months, it was less
13 the nationwide.

14 DR. FINNEGAN: So then, perhaps, a
15 prospective study, or is there any way to look
16 back on your previous recipients to see if anyone
17 has gotten Chagas Disease, and then, perhaps back
18 at the --

19 DR. STRAMER: When we do look-back,
20 if there is a donor who's positive, we go back.
21 As I said, as long as the electronic records
22 exist, to every recipient who potentially could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have received a component from that positive
2 donor.

3 DR. FINNEGAN: Right. But you may
4 have some donors who haven't come forward, again,
5 because they're too sick, or whatever, who may
6 have given it previously. Is there any way to
7 track that? Do you understand what I'm saying?

8 Somebody who had Chagas, gave blood, that was
9 not giving blood during the time period that you
10 did your look-back.

11 DR. STRAMER: Well, we would only
12 know if they came to donate, yes.

13 DR. SZYMANSKI: Do you have data on
14 the donors who are negative, their second
15 donation if they become positive?

16 DR. STRAMER: You mean along the
17 lines of Celso's question. I have to search the
18 database, and this is something that's in
19 progress, to see if donors who've come back, is
20 this their second donation, so that we can search
21 to see how many have already had multiple
22 donations, if we've had any sero converters. All

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 of our positives, so far, this is the first time
2 they've been tested with the test. But over
3 time, we will accumulate that information.

4 DR. SIEGAL: Comment in the back.

5 DR. LEIBY: Yes, David Leiby, the
6 American Red Cross. I'm going to address this
7 question, but I want to jump on the look-back
8 question, first, because this keeps coming up,
9 and I think it needs to be addressed head-on.

10 We talk about look-back, I think one
11 of the problems we're having is that we're basing
12 our experience of look-back on what we've seen in
13 viral infections before. As Lou has already
14 said, there's not a question that this parasite
15 is transmitted by blood transfusion. That's well
16 known, so it gets to the question of how often it
17 happens, so we're trying to use look-back to look
18 at that question. The problem is with viral
19 agents, how many viruses are found in an infected
20 unit. Millions, thank you, tons of them, yes.
21 There's lot of viruses in an infected unit. With
22 a *T. cruzi* unit, there may be zero, there may be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 one, there may be ten, but there's very few there
2 each time, so the risk of getting it and looking
3 at a look-back, the look-backs are not going to
4 be very effective at telling you how frequently
5 it occurs. So this hang-up on look-backs is
6 really, I think, glossing over what the real
7 issue is here.

8 DR. FINNEGAN: So how do we find out
9 what the incidence is, because as far as I can
10 see, we do not have data on what the incidence -

11 DR. LEIBY: It's going to be very
12 difficult to determine. I mean, you have a unit
13 of blood from a blood donor. You know that they
14 are infected by it based on antibodies, and as
15 Hira Nakhasi says, they have the infective
16 parasite, perhaps some cardiac tissue. It may
17 not be in peripheral blood, so I'm giving you
18 blood from an infected person. I'm saying go
19 ahead, you may transfuse this to a recipient. Do
20 we know it's infective or not? We don't know,
21 but that's the risk you're taking, and I don't
22 know if that's a risk you want to take.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. FINNEGAN: If my blood bank is in
2 Iowa in the middle of a rural area, what's the
3 risk?

4 DR. LEIBY: I will address that
5 issue, too. Have you gone to your meat packing
6 industry in Iowa?

7 DR. FINNEGAN: True.

8 DR. LEIBY: Lou, who works in the
9 meat packing industry in Iowa?

10 DR. KATZ: If we have to administer
11 the donor history questionnaire in Spanish, we
12 will test in our selective screening, so yes, we
13 have - in Iowa, for example, and one of the
14 reasons I'm attracted to selective screening is
15 in the census data, the number of Hispanic,
16 Latino immigrants in Iowa is, as you may or may
17 not guess, very, very low, but it's not zero.
18 And there are places we do mobile blood drives,
19 where it's high, and I want to test those donors.

20 DR. FINNEGAN: Okay, but my point was
21 not well described. What I was trying to do was
22 pick out the white born in America, hasn't left

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the heartland, wouldn't know whatever kind of bug
2 that is if he was covered with them, why are we
3 spending money testing him?

4 DR. LEIBY: That's a valid question.

5 What we're trying to do is find the individuals
6 who are infected. Now to go to the question
7 standpoint, question, which actually addresses
8 the Question 2 that's up there, and Ken has
9 alluded to one of our studies which we published
10 in 1997 several times. When we ask questions in
11 L.A., we asked about birth in endemic countries,
12 we asked about time spent, six months that they
13 had been there, and we actually looked at donors
14 who answered no to the questions. Yes, we did a
15 case control study, and this is published, and we
16 found infected people among those individuals who
17 answered no. And they were Latin American
18 immigrants.

19 We also had questions - initially, we
20 asked people if they had traveled to - very
21 similar to what Brian proposed - if they lived in
22 or had been in Latin America for more than six

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 months. We didn't ask about birth, we asked if
2 they had been there more than six months. It
3 turns out people who are born in Latin America,
4 many of them didn't consider that they had spent
5 more than six months in Latin America.

6 We had a similar question on the
7 thing when we started screening those who
8 answered yes to a question, the question was,
9 were you born in, and lived in Latin America?
10 They checked no. At the bottom, there is a REDS
11 question at that time, five REDS questions, and
12 those in REDS will be familiar with these
13 questions. And one of the questions was country
14 of birth, so they'd answer no at the top if
15 they'd been born in Mexico, Central America, or
16 South America, and in the bottom they'd write in
17 Guatemala, or some other country, so the
18 questions really don't work.

19 I think overall, the effectiveness of
20 using questions is very difficult. And when you
21 get into this community who we are talking about,
22 it's a very sensitive issue, if you start asking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 them where were you born? Were you born in
2 Central America, South America, particularly with
3 the given climate of immigration. We talked to a
4 lot of these donors in our previous studies in
5 L.A., tried to get them to come back in, and
6 actually give additional samples, we found all
7 kinds of stories that I can relate to you about
8 what goes on in the community, what they're
9 afraid of, and why they don't want to become
10 involved. And so, from that standpoint, I think
11 asking any of these questions, as harmless as
12 they may seem, are actually very difficult, so I
13 agree with Celso, no questions.

14 DR. DUNCAN: And I would just tack
15 onto the discussion about questions, is that
16 what's being proposed to find questions that
17 would trigger retesting of repeat donors, and
18 that means a person who was born in South
19 America, tested once negative. The question is
20 going to be, have you been outside the United
21 States, as Matt was saying. This question could
22 be much simpler, much more discriminating than

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 some question about where have you lived, or
2 what's your ethnicity.

3 DR. KUEHNERT: More discriminating
4 without being discriminating.

5 DR. DUNCAN: There you go.

6 DR. KUEHNERT: Because you're
7 absolutely right. I mean, you take away that
8 part of the question about foreign birth, or
9 American citizenship, and turn it into a travel
10 question, which is much less seemingly biased
11 towards someone wondering why you're asking that
12 question, for a reason other than the safety of
13 the blood supply.

14 DR. DUNCAN: Right. Although, you're
15 still going to have the problem of people
16 answering truthfully.

17 DR. Di BISCEGLIE: It all comes down
18 to the data. Does the question, whatever the
19 question is, predict a positive test result, when
20 that person comes back? And at this stage, we
21 don't know, we have to gather those data,
22 obviously. It just has to - the gold standard

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 has to be the test result, even although the test
2 is not a very good standard, but that's what you
3 have to compare the questions to.

4 DR. GLYNN: So I guess one other
5 major issue is how are those data going to be
6 collected, because I think we need those data to
7 be able to do any of those research studies. And
8 you can't do anything from case control, cohort
9 study, incident studies, I think all of it should
10 be done, but you need the data, so who and how
11 are these data going to be collected, I guess?

12 DR. KLEIN: I think a lot of them are
13 being collected right now. I mean, certainly the
14 REDS study collecting some data. And the data
15 from the Red Cross and Blood Services will tell
16 you whether the travel question works, because
17 you have a travel question on every
18 questionnaire. I mean, you're going to get some
19 information from just going back and looking at
20 what we have as a screen.

21 DR. KUEHNERT: Right. Isn't the
22 Uniform Donor History Questionnaire, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 abbreviated questionnaire, is there some
2 validation process going on for that now? So
3 there isn't any evaluation of that, because that
4 would be very helpful, because like Harvey says,
5 it includes travel questions that could be useful
6 here.

7 DR. NELSON: It seems to me, though,
8 if there are 15 million donors a year, or 12, or
9 something in that range, and out of every million
10 donors you get 60 positive, repeat positive
11 tests, and half of - that are confirmed, and half
12 of the donors are repeat donors, you should have
13 some data in six months or a year that you could
14 look at this question. It seems like - and maybe
15 the donor questionnaire doesn't need to be
16 changed at all, if it now - if you can tell if
17 somebody's been to Latin America with the
18 questionnaire as it is. I mean, it doesn't seem
19 like it's going to be very hard to do, but it
20 would seem to me that we need those data before
21 we make a recommendation.

22 DR. McDONOUGH: I think the second

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question in Brian Custer's - I think the decision
2 process, I think the last slide that was either
3 screen once and ask the question, or go for a
4 couple of years, then screening everybody, and
5 then once you can have a wash-out period so that
6 you - data will be collected, because there will
7 be repeat donors there, so you will find out
8 whether there are any people coming.

9 DR. NELSON: Be the repeat donors
10 that have previously been screened, that would be
11 your numerator.

12 DR. GLYNN: But I think for the
13 incidence data, at least usually, you need about
14 two to three years of data to be able to get a
15 number that has huge wide confidence interval.

16 DR. NELSON: I'm a repeat donor,
17 interval is about every three years.

18 DR. DUNCAN: Yes, I think that was an
19 important number to get on the table, that in the
20 study that Brian Custer proposed, there would be
21 a period of universal testing of first-time and
22 repeat donors, and then look at how would the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question discriminate among the repeat donors.
2 And that will take some time. It would be
3 probably multiple years. Is that what you're
4 thinking?

5 DR. GLYNN: Right. I was saying
6 about two to three years, usually, has been the
7 time it took to get incidence on other markers.

8 DR. Di BISCEGLIE: I'm a little
9 confused. Is the study that he proposed in
10 Brazil, or in the United States?

11 DR. DUNCAN: He'S talking about two
12 studies.

13 DR. Di BISCEGLIE: Two studies, okay.

14 DR. DUNCAN: One in Brazil, one in
15 the United States.

16 DR. Di BISCEGLIE: Okay. Because the
17 incidence would be much lower here, and so the
18 confidence interval around any number that you
19 get for an incidence rate would be very wide,
20 just because of the very small number of incident
21 cases.

22 DR. DUNCAN: Well, you might want to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 speak to it again, Brian, but my perception is
2 the study is not primarily to identify an
3 incidence rate.

4 DR. CUSTER: No, actually. And so we
5 have, obviously, the Brazil REDS II studies, and
6 those are very much more formal studies, and
7 we're trying to launch similar kinds of studies.

8 The decision analysis a separate issue, and we
9 are not asking about incidence. I mean, to sort
10 of go to what it is, it really is very simple at
11 this point, and it sounds like the committee is
12 saying they need more formal thinking, and
13 perhaps, even a more formal analysis than just
14 sort of correlating data. But it wasn't designed
15 or thought to be sort of what's going to measure
16 incidence. You would get that if you do one to
17 two years, and it might need to be more than two
18 years of universal testing of all donors. You
19 will then have, incidence may not be the right
20 word, but the actual sort of real prevalence in
21 the donor population in the U.S.

22 DR. NELSON: The real issue is after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 you get the incidence, does the incidence
2 correlate completely with those who have had a
3 travel history.

4 DR. GLYNN: And when you get that,
5 you get your window period, so we need to do a
6 lot of lab studies, as well. It should be done.

7 And I think in REDS II, actually, you're
8 proposing to - international, you're proposing to
9 do several laboratory studies. Is that right,
10 Brian?

11 DR. CUSTER: Yes, that's correct,
12 actually, for sure, for Brazil, which will serve
13 as a good model. And with the 10 years worth of
14 follow-up we know the sero status 10 years ago,
15 we'll do a whole battery of tests today. This
16 does provide some important information, not only
17 on that, but on persistent parasitemia. I mean,
18 we don't have interval samples, but we do know 10
19 years later what they have, and we will be doing
20 RIPA and PCR, and all of those tests.

21 DR. SIEGAL: Well, perhaps we should
22 go on to the next question at this point.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. DUNCAN: Yes. So question three,
2 "Please comment on the need for and design of
3 studies to determine whether repeatedly reactive
4 test results for antibodies to *T. cruzi* should be
5 further investigated for cross-reactivity to
6 *Leishmania*, plasmodium, *Paracoccidiodies*
7 *Braziliensis*, or other agents when the donor
8 lacks risk factors for *T. cruzi* infection, or a
9 test sample is found negative by other more
10 specific tests."

11 DR. Di BISCEGLIE: This just seems
12 like a waste of time, to me. I don't see the
13 point.

14 DR. NELSON: Well, these are - at
15 least plasmodium and *Leishmania* are transfusion
16 transmitted. I mean, it isn't a huge number,
17 you're talking 100 or so.

18 DR. Di BISCEGLIE: Well, the test for
19 something else.

20 DR. DUNCAN: The question is not
21 whether we need to test for *Leishmania* for
22 improving blood safety. It's not a blood safety

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 question. It's primarily a donor counseling
2 question, and the question is, is there
3 sufficient evidence that the test is detecting
4 Leishmania positive, *T. cruzi* negative
5 individuals to advise them to get Leishmania
6 testing? Or do we need more evidence that
7 supports that kind of consideration? We've had
8 one suggestion from Dr. Stramer that additional
9 information is not being gained by Leishmania
10 testing. That's one consideration.

11 DR. KATZ: Yes. I mean, certainly,
12 we were happy that Sue was going to do this,
13 because this issue came up during the clinicals,
14 and whatnot, and her data is getting reasonably
15 compelling, this is not something I want my blood
16 center to do any more, which is different than
17 the letter I'm going to send to the physician I
18 refer the patient to, which is to say that there
19 are some reports that these are cross-reacting,
20 these infections produce cross-reacting
21 antibodies, and so, if the epidemiologic
22 circumstances are correct, you, the clinician

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 that we send this person to, or the Center of
2 Excellence, may want to think about doing that
3 testing. But I think Sue is showing us that it's
4 probably not really an effective use of our time
5 and resources to be setting up these assays in
6 blood centers as part of routine testing.

7 DR. KLEIN: This doesn't seem to me
8 to be a particularly productive area, any more
9 than when you get an STS test, if you say let's
10 look for Lupus. It's just not very productive.
11 You may find something sometime, but I wouldn't
12 spend a lot of effort on doing this.

13 DR. KUEHNERT: I'm a little confused
14 about the question. I mean, are we asking
15 whether there should be more studies to determine
16 how the donor should be counseled, about what the
17 positive result means, are you asking should
18 there be more studies done to see whether blood
19 centers should have to do these other tests,
20 because those are very different questions,
21 because it looks like from the data we saw today,
22 you wouldn't - it wouldn't seem reasonable to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 have blood centers do these other tests. But if
2 you're talking about the other issue, are there
3 studies that could be done to better clarify what
4 you tell a donor, and what you tell the referring
5 clinician, then maybe there might be another
6 answer. So I just wondered if you could clarify
7 that.

8 DR. DUNCAN: Right, and that's an
9 important distinction. I mean, the question
10 could be posed, do we have enough evidence now
11 not to recommend that blood centers do further
12 follow-up for Leishmania?

13 DR. McDONOUGH: Also, you have to
14 remember that what are the - it's not based on
15 what the issue is - what are the risk factors
16 which are associated, because if this is a person
17 who has gone to Afghanistan, or Iraq, or
18 someplace, what are - those risk factors are
19 there, too, so I think the question is that if
20 you are a repeat reactive, and negative on
21 supplemental test, what do you have? And we know
22 that this test can cross-react with Leishmania,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 so is this Leishmania, does this person have
2 Leishmania infection, and then what should that
3 person be told about the event, so that's the key
4 question you have to pose.

5 DR. Di BISCEGLIE: But I don't know
6 why that's a blood bank question. That's a
7 medical question. You get the letter, the donor
8 is deferred, they go see their doctor, that's for
9 the physician to figure out, I would think.

10 DR. KLEIN: What is the positive
11 predictive value for picking up Leishmania with
12 this test?

13 DR. DUNCAN: It depends on the
14 population. In the U.S., it's probably very low.
15 I mean, what we're - the data that we're looking
16 at so far is 100 patients who had Leishmania, had
17 Leishmaniasis.

18 DR. KLEIN: That was a rhetorical
19 question.

20 DR. DUNCAN: And those are going to
21 be potentially very low prevalence in the U.S.
22 donor population. It's really more a question of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 the performance of the test, and the
2 characteristics of the test. Do we know enough
3 about the characteristics of this test to
4 recommend that medical follow-up include test for
5 Leishmaniasis in a screening positive but follow-
6 up testing negative individual? Or we do we need
7 to have more studies?

8 DR. SCHREIBER: I, personally, don't
9 think that we know enough, and I would recommend
10 that we need more studies. I don't think you get
11 to the answer by looking at a Leishmaniasis
12 population and then look at the other test. I
13 think when you look at a low prevalent population
14 with the test, the numbers, to me, just were not
15 there to come to the conclusion that there is not
16 a problem. And while you do tell the person to
17 go see their doctor, I think this is a population
18 that is not big healthcare provider users, so I
19 think it's our obligation to be able to have the
20 best level of information, and we should be able
21 to spend some time in answering this question. I
22 don't think it would be a hard question to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 answer.

2 DR. Di BISCEGLIE: I guess a broader
3 version of what you've just said is, research is
4 needed to understand why there are some false
5 positives. Leishmania may be one explanation,
6 cross-reactivity with other live species, or
7 other situations. I think that's needed, again,
8 for medical purposes. I don't believe for blood
9 bank purpose.

10 DR. KLEINMAN: Yes, I was just going
11 to comment from the Red Cross data that it
12 appears that getting access to a test that's both
13 sensitive and specific for Leishmania is a
14 problem. I mean, I think that was one of the
15 conclusions, so if you're going to do these
16 studies, you have to be doing them with a test
17 that has good both positive and negative
18 predictive value, and it seems like these IFAs
19 for Leishmania haven't gone through near the
20 standardization that the RIPA for *T. cruzi* has
21 gone through, so I think you could design a
22 research study, but you'd need access to better

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 assays to do the right research study. And it
2 certainly shouldn't be a research study that's
3 tied to routine notification of donors, at least
4 in my opinion.

5 DR. DUNCAN: That's exactly the
6 point. And the one study that we proposed
7 started out with well-characterized Leishmaniasis
8 individuals that had been identified in the
9 United States, that the CDC has access to. I
10 agree, that one of the big problems with follow-
11 up of ongoing donors being tested currently is
12 that there isn't a good sensitive and specific
13 Leishmaniasis test.

14 DR. SIEGAL: It sounds like we have a
15 consensus, and maybe we should move on.

16 DR. KATZ: Yes. I might have read
17 this too narrowly. I just don't want this to
18 show up in guidance, I guess, is what I wanted to
19 say. A requirement that we do this doesn't need
20 to be in guidance. Those of us who are
21 interested will certainly follow-up these donors.

22 DR. DUNCAN: And that's precisely why

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 we set this aside as a separate kind of question
2 in the area of research needed. We would like to
3 have feedback from the Advisory Committee about
4 the scientific need for research, not the need
5 for blood centers to add this to their regimen.

6 DR. McDONOUGH: And, also, you need
7 to keep in mind what you heard from other test
8 manufacturers, also, that there may not be cross-
9 reactive, so I think it is important to keep -
10 it's not part of what should be done, but I
11 think it's important to remember that if you
12 miss, what will happen in that situation.

13 DR. GLYNN: Well, I guess, again, I
14 see it as a medical issue, so I think yes, the
15 donor should be - if there is any doubt that
16 maybe the doctor should know that they should
17 test for Leishmania, but I don't think it has to
18 be done within the context of blood banking.

19 DR. NELSON: I agree, and I think
20 it's a clinical issue, but I think it may be
21 incorrect that Leishmania, that very few
22 Americans have been exposed to Leishmania. I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 remember the deferral of veterans from Iraq and
2 Afghanistan, et cetera. I think this may not be
3 as rare as - it's not endemic, but it may not be
4 that out of the question, that this may have
5 occurred.

6 DR. SIEGAL: Okay. Next question.

7 DR. GREENWALD: Okay. So our question
8 for the committee is narrow, but not necessarily
9 easy. "Please comment on the current scientific
10 data as it relates to the potential for
11 transmission of Chagas Disease by HCTPs."

12 DR. TOMFORD: I think it's important
13 to realize there's a big difference between blood
14 and tissues, and that the blood is meant to be
15 living, or at least able to stay alive. Tissues,
16 most of the time, are meant to be dead, so given
17 the processing that the tissues go through of
18 freezing, we may not know whether freezing kills
19 the parasite, but I suspect it does, given the
20 fact it's a more complex organism than a virus.
21 Most of these are treated by bleach, most tissues
22 treated by bleach, and other chemicals that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 really came out of the AIDS era when tissues were
2 found to transmit AIDS. So I think it's highly
3 unlikely that tissues would transmit this
4 disease. There are a few fresh grafts
5 transplanted in the United States, probably maybe
6 100, 200 a year, so in that population, possibly,
7 you might say yes, there probably is some blood
8 in those grafts. But in all other grafts the
9 blood is taken out by chemicals, so I think it's
10 highly unlikely that tissues would transmit
11 Chagas Disease. Cells, perhaps, I don't know
12 that much about cells, but most of the tissues
13 certainly wouldn't.

14 DR. KUEHNERT: I guess what is
15 challenging me a little bit is knowing about the
16 spectrum of processing. So I would agree that
17 most of the allografts transplanted in the U.S.
18 are bone, and so that would be probably very
19 little risk considering how they're processed,
20 but then you look at fresh grafts, and there
21 would be a very different risk. And then frozen
22 grafts are somewhere in the middle. Then there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 corneas, which aren't processed much, at all, and
2 so I would be - those are sort of - I think of
3 potential concern, also, because when you look at
4 the animal studies, I mean, the parasite goes
5 everywhere. And so, I think there needs to be
6 some consideration of the amount of processing
7 involved. And then this also goes back to just
8 the need for studies. I mean, it would be pretty
9 simple to develop some sort of a model where you
10 take musculoskeletal tissue that's been infected
11 with *T. cruzi*, and freeze it for a while, and see
12 what happens, you know, at various temperatures,
13 but that way you could just say it, instead of
14 trying to guess. So I guess that's what I would
15 suggest, but I guess, the bottom line of what I'm
16 trying to say is that there is a spectrum of
17 processing. There is this term sterile, which
18 also bothers me a little bit, because to most
19 people, sterile means the lack of any organisms,
20 but there's a healthcare standard that means a
21 six log reduction in organisms, and depending on
22 the organism load, that may be the same thing, or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 it may not be. So I guess I would just - I would
2 urge some careful thought about what's considered
3 sterile, what's processed, and then what the risk
4 is.

5 DR. SZYMANSKI: I would like to
6 comment on donors who have false positive tests.
7 And the comment is that maybe this is something
8 temporary, and would disappear in a few months,
9 and maybe recommendation should be to retest the
10 donor again, and if it is then negative, that
11 could be from some temporary infectious illness,
12 and you don't have to worry about it. And not
13 even to refer to any other testing, but if it
14 persists, then further testing might be
15 appropriate.

16 DR. FINNEGAN: Does the World Health
17 Organization have some guidance on organ
18 transplant in patients with Chagas Disease?

19 DR. GREENWALD: They do. We're not
20 talking about - you want your question answered,
21 I'm sure.

22 DR. FINNEGAN: Well, I think I read

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 something about a case -

2 DR. GREENWALD: In endemic countries,
3 this is from my recollection of reading the World
4 Health Organization report on American
5 *Trypanosomiasis*, that the recommendations are,
6 it's very organ-specific. Some organs they
7 recommend in Chagas positive donors not to
8 transplant at all, and then other ones, there's
9 recommendations to transplant, but to treat the
10 recipient. And, of course, they have to actually
11 know the donor's status in order to treat the
12 recipient.

13 DR. FINNEGAN: Because that was my
14 understanding, is that other than cardiac, there
15 pretty well was you can transplant it, but then
16 you just need to treat the recipient for the
17 Chagas Disease. And I would - I mean, this is
18 sort of predictable, but I would support Dr.
19 Tomford. I think that bone, for sure, is so well
20 cleaned out of any other tissue, and it's been
21 used for so long as a graft, as far as I can
22 tell, there are no reported cases of Chagas

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 coming from bone grafts. And I think that the
2 bone tendon, and the tendon units, as well, are
3 pretty well sterilized; although, I do agree that
4 perhaps taking some Chagas-infected tissue and
5 putting it through the process is not a bad thing
6 to do. But I think what's been said before, is
7 that probably the allograft tissue is, at least
8 for the musculoskeletal system, it's pretty safe.

9 DR. KLEIN: I want to emphasize that
10 these are tissues, and not organs, and organs are
11 totally different and they're regulated,
12 actually, by a different part of the federal
13 government. I want to get back to what Matt said,
14 because I think it's very important. This is a
15 large spectrum of things that we have here, and I
16 bet that we could go to the literature and find
17 that some of the processing techniques are known
18 to kill everything known to man, if not,
19 certainly, the Chagas organism. And right away,
20 you could simply define things that are processed
21 in that way you don't have to worry about it.
22 There are other tissues, such as progenitor cells

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 collected by apheresis where you better test the
2 donor, because they're going to be given fresh,
3 and I'm absolutely sure they could transmit.
4 Then I think there are a whole host of things in-
5 between where we just don't have the data, but
6 the data would be easy enough to get, where then
7 you would be able to say if processed by this
8 method, you don't have to worry about Chagas
9 Disease.

10 DR. SCHREIBER: I think the people
11 that are processing the different organs should
12 easily be able to do studies to support the
13 viability of not doing testing. I think it's not
14 a sound ground to say that we haven't seen
15 anything, so it doesn't exist. I think that if we
16 don't look for it, we'll never find it, so that
17 perhaps there might have been some cases of
18 transmission, but we just never looked, because
19 it is rare. But I think that just as we do in
20 things like viral inactivation, where they're
21 required to show how many logs removal they have,
22 I think they should be able to do the exact same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 thing in these type of tissue studies to then
2 convince the user world that those particular
3 studies, whether it's a tendon or a bone, in
4 fact, are not capable, because I do have the same
5 concern with the ocular, that we say that it's
6 not, but perhaps you look at the eye, and maybe
7 it's not where we should be looking. Maybe it's
8 the left ventricular dysfunction where the Chagas
9 shows up, and you don't look at that if you've
10 had an ocular implant, so I think they should be
11 able to easily show, and support the data, and
12 come back and whatever the legal term is, or the
13 FDA term, go for a variance, or whatever, to be
14 exempt from some testing. And if not, I think
15 they should be held to the same standard as the
16 blood industry.

17 MS. BAKER: Following up on Dr.
18 Kuehnert's question about freezing, I was
19 interested in knowing if there were any studies
20 about Chagas in sperm or semen. There was
21 reference in the questions that we received, the
22 issue about repeated donors to sperm banks. And

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 with nearly, reading in the L.A. Times one place
2 where one gets most science, that about a million
3 children are born in the U.S. annually through
4 artificial insemination. I was curious about the
5 lack of studies in the packet that we received
6 about any transmission through sperm or semen.

7 DR. GREENWALD: I'm unaware of
8 studies in sperm. And the best I could find as
9 far as looking at congenital transmission,
10 because it's not well studied about how it
11 occurs, was that one study. I'm sure there's
12 probably a few more, but showing that placental
13 cells are infected, able to be infected by *T.*
14 *cruzi*.

15 Dr. KUEHNERT: I just wanted to just
16 add one more thing. We've talked about organs a
17 little bit, and it's not the purpose of this
18 committee, because of the way that regulatory
19 authority runs, and the federal government, but I
20 just want to say on the record that if there is
21 any biologic tissue that we should be considering
22 for screening, it should be for organ

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 transplantation. So maybe someone associated
2 with that authority will read the transcripts,
3 but I just think that's really missing from
4 consideration. Now tissue banks work with OPOs,
5 so that may be an opportunity to talk about that
6 risk differential that exists.

7 DR. SIEGAL: Comment in the rear.

8 DR. LEIBY: Yes, I'd like to answer
9 maybe some more information, offer more
10 information to some of the questions that were
11 posed. For semen, we've asked our reproductive
12 council about any information they know, or
13 they're aware of with the transmission of any
14 parasites, I guess, via semen donation. And they
15 couldn't find anything in their literature
16 searches. One comment that was made, I thought
17 was interesting, which was made at a TSAC meeting
18 recently, as well, was that it's not been
19 recognized as a sexually transmitted disease in
20 endemic countries, so that might be the answer
21 there.

22 For most of our donors and the grafts

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 I showed you, of course, were all from deceased
2 donors, so we have no chance for retest, so we
3 really rely on the tests to be the best they can
4 be so we don't lose donors needlessly. That's
5 really a huge point. For instance, just core
6 antibody testing, total, we have a positivity
7 rate of 4.7 percent. And for sterility,
8 biological medical devices are 10 to the negative
9 3 log reduction to be labeled sterile, and that's
10 been focused by most of our banks, but now
11 they're going to 10 to the minus 6 log reduction
12 for them to qualify. That's their own SAL that
13 they set for them to meet that sterility
14 labeling.

15 DR. SIEGAL: All right. Lacking any
16 further comments, perhaps we can adjourn. Any
17 objections? Yes, for those of you who are
18 attending tomorrow, we will resume at 8:00
19 tomorrow morning.

20 (Whereupon, the above-entitled matter
21 went off the record at 6:41 p.m.)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701