

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

+ + + + +

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

VACCINES AND RELATED BIOLOGICAL PRODUCTS

ADVISORY COMMITTEE

+ + + + +

MEETING ON INFLUENZA VIRUS VACCINE FORMULATION FOR 1999-2000

+ + + + +

Thursday,

March 11, 1999

+ + + + +

The Advisory Committee met by teleconference, coordinated from Room 121, Building 29, National Institutes of Health, Bethesda, Maryland, at 12:30 p.m., Dr. Harry Greenberg, Chair, presiding.

PRESENT:

- | | |
|-----------------|--------|
| HARRY GREENBERG | Chair |
| ADAORA ADIMORA | Member |
| ROBERT DAUM | Member |
| KATHRYN EDWARDS | Member |
| MARY ESTES | Member |
| WALTER FAGGETT | Member |
| DIANE GRIFFIN | Member |
| ALICE HUANG | Member |
| STEVE KOHL | Member |

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

TEMPORARY VOTING MEMBERS:

ROBERT BREIMAN
REBECCA COLE
ROBERT COUCH
THEODORE EICKHOFF
PATRICIA FERRIERI
EDWIN KILBOURNE
GREGORY POLAND

ALSO PRESENT:

NANCY CHERRY
NANCY COX
ROLAND LEVANDOWSKI
JOHN O'BRIEN
STEVE SALMON
CAPTAIN DAVID TRUMP

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

P-R-O-C-E-E-D-I-N-G-S

(12:44 p.m.)

1
2
3 DR. GREENBERG: I'd like to welcome all of
4 you to this conference call, which is an important
5 one, to try to help the FDA pick the correct
6 constituents of next year's influenza vaccine. This,
7 as all of you know, is my first term at leadership.
8 I'm very happy that Dr. Ferrieri is still among us,
9 and Pat, please correct me when I stray from the
10 procedures.

11 DR. FERRIERI: Don't worry about a thing,
12 Harry. You're doing fine.

13 DR. GREENBERG: What I would like to say -
14 - you should have all, all of you in front of you
15 should have an agenda. What I would like to do is
16 make sure that all of us are here, and I guess, Nancy,
17 the operator is going to do that confirmatory call?

18 MS. CHERRY: Well, I expect to hear from
19 the operator, and I was going to ask for a roll call
20 at that time.

21 DR. GREENBERG: Why don't you, Nancy,
22 because you have it there, simply ask for a roll call
23 now, and if the operator breaks in, we can do it over
24 again, but I think it's important that we all in front
25 of us know who is out there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 MS. CHERRY: Okay. First of all, Captain
2 Trump, are you there?

3 CAPTAIN TRUMP: I just walked in.

4 MS. CHERRY: Oh, he just -- son of a gun.
5 The operator is probably trying to reach you. Okay.
6 Dr. Greenberg?

7 DR. GREENBERG: Here.

8 MS. CHERRY: Dr. Ferrieri?

9 DR. FERRIERI: Here.

10 MS. CHERRY: Poland?

11 DR. POLAND: Here.

12 MS. CHERRY: Adimora?

13 DR. ADIMORA: Here.

14 MS. CHERRY: Mrs. Cole?

15 MS. COLE: Here.

16 MS. CHERRY: Estes?

17 DR. ESTES: Here.

18 MS. CHERRY: Huang?

19 DR. HUANG: Here.

20 MS. CHERRY: Edwards?

21 DR. EDWARDS: Yes.

22 MS. CHERRY: Daum?

23 DR. DAUM: Yes.

24 MS. CHERRY: Couch?

25 DR. COUCH: Was that Couch?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. CHERRY: Yes.
2 DR. COUCH: Here.
3 MS. CHERRY: Eickhoff?
4 DR. EICKHOFF: Here.
5 MS. CHERRY: Cox? Nancy Cox?
6 DR. COX: Yes, I'm here.
7 MS. CHERRY: Okay. Kilbourne?
8 DR. KILBOURNE: Here.
9 MS. CHERRY: Griffin?
10 DR. GRIFFIN: Yes.
11 MS. CHERRY: Faggett?
12 DR. FAGGETT: Here.
13 MS. CHERRY: Kim? Oh, that's right, he's
14 not -- Breiman?
15 DR. BREIMAN: Here.
16 MS. CHERRY: Kohl?
17 DR. KOHL: Here.
18 MS. CHERRY: Goldenthal? Okay, she's on
19 our staff, and she should be tying in. Parkdale?
20 UNIDENTIFIED PARTICIPANT: Parkdale is
21 here.
22 MS. CHERRY: Okay. Penat (phonetic)?
23 MR. PENAT: Here.
24 MS. CHERRY: Medeva?
25 MR. MEDEVA: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 MS. CHERRY: Okay, I think that's it.

2 DR. GREENBERG: Okay, well that's great.
3 Thanks for joining us, and what I would like to do now
4 is actually hold the meeting --

5 MS. CHERRY: Well, I have an announcement.

6 DR. GREENBERG: Yes. So Nancy, an
7 announcement.

8 MS. CHERRY: Yes.

9 UNIDENTIFIED PARTICIPANT: You've got to
10 do five.

11 MS. CHERRY: I could not let you get by
12 without reading the wonderful statement.

13 This announcement is made a part of the
14 record at this meeting of the Vaccines and Related
15 Biological Products Advisory Committee on March 11,
16 1999. Pursuant to the authority granted under the
17 committee charter, the Director of the Center for
18 Biologics Evaluation and Research has appointed Mrs.
19 Rebecca Cole and Drs. Robert Breiman, Robert Couch,
20 Theodore Eickhoff, Patricia Ferrieri, Caroline Hall,
21 who is not with us, Edwin Kilbourne, and Gregory
22 Poland as temporary voting members. I should note,
23 too, that we are joined in the room by a guest, Dr.
24 David Trump.

25 Based on the agenda made available, it has

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been determined that all committee discussions at this
2 meeting for the influenza virus vaccine formulation
3 for 1999-2000 present no potential for a conflict of
4 interest. In the event that the discussions involve
5 specific products or firms not on the agenda for which
6 FDA's participants have a financial interest, the
7 participants are aware of the need to exclude
8 themselves from such involvement. Their exclusion
9 will be noted for the public record.

10 With respect to all other meeting
11 participants, in the interests of fairness, we ask
12 that you address any current or previous financial
13 involvement with any firm whose products you wish to
14 comment on.

15 And that's the end of the meeting
16 statement. I would add, though, please help Dr.
17 Greenberg. This is a teleconference, so any time you
18 wish to speak, please state your name before you
19 speak. Also, please do not put us on hold, because
20 that causes unpleasant results for us.

21 DR. FAGGETT: Nancy, Dr. Faggett. Was I -
22 - did I miss my name as a voting member?

23 MS. CHERRY: You're a member of the
24 committee, Dr. Faggett, so you automatically get a
25 vote.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. FAGGETT: Thank you.

2 MS. CHERRY: Okay. Then, I just want to
3 repeat that if you get disconnected, there is a number
4 to call. 1-800-545-4387, and ask for confirmation
5 number R37481. For the international people -- excuse
6 me. Oh, they call the same number. And with that,
7 then, I will -- Dr. Greenberg, I'm tossing it back to
8 you.

9 DR. GREENBERG: Nancy, could you just
10 state that number for everybody one more time?

11 MS. CHERRY: Of course. 1-800-545-4387,
12 then you have to state a confirmation ID, R37481. And
13 actually I see here that, for Medeva, if you get
14 disconnected, you call the same number, but you give
15 a confirmation ID of AR52984.

16 MR. MEDEVA: Thank you.

17 DR. GREENBERG: Okay. Well, we are
18 actually a little ahead of schedule already. I
19 commend you all for that. What I would like to do now
20 is turn over the meeting to Roland Levandowski, who is
21 going to introduce and review the subject matter, and
22 then he and Dr. Cox will lead us through the data that
23 we are going to need to have to evaluate how to move
24 forward. Roland?

25 DR. LEVANDOWSKI: Okay, thank you Dr.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Greenberg. This is Roland Levandowski --

2 DR. GREENBERG: Roland, we're not hearing
3 you.

4 DR. LEVANDOWSKI: Okay, I'll try to speak
5 louder. This is Roland Levandowski. Can you hear me
6 now?

7 DR. GREENBERG: No.

8 UNIDENTIFIED PARTICIPANT: Is the green
9 light on?

10 DR. LEVANDOWSKI: The green light is on.

11 DR. GREENBERG: Roland, it's the same
12 problem we were having earlier. It's not the
13 loudness, you're garbled. You're electronically
14 messed up, so you need to figure that out.

15 DR. LEVANDOWSKI: How about now?

16 DR. GREENBERG: It's garbled.

17 DR. LEVANDOWSKI: Am I too close? How
18 about if I get farther away?

19 DR. GREENBERG: Better.

20 DR. LEVANDOWSKI: Too close. Can you hear
21 me now?

22 DR. GREENBERG: Still a problem.

23 UNIDENTIFIED PARTICIPANT: Still a
24 problem.

25 MS. CHERRY: Roland, come on my side of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the table. We're going to switch places.

2 UNIDENTIFIED PARTICIPANT: We can hear you
3 now well, Nancy.

4 DR. LEVANDOWSKI: Okay, how about me?

5 DR. GREENBERG: No.

6 DR. LEVANDOWSKI: No.

7 (Laughter.)

8 DR. GREENBERG: It's not not hearing you.
9 It's sort of like you're talking through --

10 UNIDENTIFIED PARTICIPANT: Marbles.

11 DR. GREENBERG: Yes, marbles.

12 DR. LEVANDOWSKI: Well, that should make
13 me speak better, I guess, if I use a few marbles. I'm
14 not sure what to do. I'll keep talking, but if you
15 can't hear me, it's sort of --

16 DR. GREENBERG: It's okay.

17 DR. LEVANDOWSKI: -- not so good. Okay,
18 I'll keep talking. You'll have to tell me when you
19 miss something you think is important. Is that okay?

20 DR. GREENBERG: Not great. You know,
21 you've got to figure this out, because we are going to
22 have to hear the information, and it's really not --
23 it's not perfect, Roland.

24 UNIDENTIFIED PARTICIPANT: Can you talk
25 into a phone, instead of a speaker?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LEVANDOWSKI: I would if I had one
2 available.

3 MS. CHERRY: No.

4 DR. LEVANDOWSKI: At the moment, all I
5 have is the speakerphone. We don't have a handset.

6 DR. GREENBERG: Something is peculiar,
7 because Nancy is sounding fine, but you're not.

8 MS. CHERRY: See if the cord is being
9 pinched.

10 DR. LEVANDOWSKI: Okay, I'll try a
11 different microphone. Is that any better? Hello? I
12 guess not.

13 DR. GREENBERG: Nancy?

14 MS. CHERRY: Yes? Harry, can you hear me?

15 DR. GREENBERG: I think we've had this
16 problem before. It's actually going -- needs to be
17 mastered.

18 MS. CHERRY: Well, I don't know. Let me
19 see, maybe the cord is being pinched here on the
20 bottom.

21 UNIDENTIFIED PARTICIPANT: Whatever you
22 just did made it better.

23 MS. CHERRY: Okay, now how is it?

24 DR. GREENBERG: Better.

25 MS. CHERRY: Okay, let me try Roland

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 again.

2 DR. LEVANDOWSKI: Testing, testing, 1, 2,
3 1, 2. Is this thing on?

4 UNIDENTIFIED PARTICIPANT: Yes.

5 DR. LEVANDOWSKI: Okay. I'll start, and
6 I'll just keep going until you tell me stop the next
7 time.

8 DR. GREENBERG: You're doing good.

9 UNIDENTIFIED PARTICIPANT: Whatever you're
10 doing now is good.

11 DR. LEVANDOWSKI: All right.

12 UNIDENTIFIED PARTICIPANT: What you were
13 doing.

14 DR. LEVANDOWSKI: Thanks very much.

15 DR. GREENBERG: Don't move and don't
16 breathe. Just talk.

17 DR. LEVANDOWSKI: Okay, I'll try to do my
18 best on this. What we are here for today, of course,
19 is to try to complete the recommendations for the
20 influenza viruses to be used in the vaccine for 1999
21 to 2000. And, of course, there are some questions for
22 the committee, and they will seem pretty obvious when
23 I state them, but I will state them anyway.

24 We have two questions. What strains
25 should be recommended for the influenza A(H3N2)

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 component of the vaccine, and what strain -- and the
2 second question is, what strains should be recommended
3 for the influenza B component of the vaccine.

4 DR. GREENBERG: Roland, this is not going
5 to work for me. Are other people having trouble?

6 PARTICIPANTS: Yes.

7 UNIDENTIFIED PARTICIPANT: He's breaking
8 up real bad.

9 UNIDENTIFIED PARTICIPANT: How much money
10 are we saving by doing it this way?

11 UNIDENTIFIED PARTICIPANT: Can't you get
12 to another line?

13 MS. CHERRY: Well, they've gone to call
14 the operator to see if the operator can do anything.

15 (Whereupon, the foregoing matter went off
16 the record at 12:54 p.m. and back on the
17 record at 1:00 p.m.)

18 DR. LEVANDOWSKI: I'm speaking to you by
19 space satellite.

20 DR. GREENBERG: It's perfect. Go on.

21 DR. LEVANDOWSKI: All right, I'll get
22 started. I won't repeat the questions, but the two
23 questions really are what should be the other two
24 components of the influenza vaccine for next year.
25 And I just wanted to remind everybody that we had sent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 out materials as background for the meeting today, and
2 we will be speaking from those materials. It would be
3 good for people to try to follow along.

4 The three pieces include Weekly
5 Epidemiologic Record, which has the WHO
6 recommendations; there's a package of data on string
7 characterization from CDC; and there's a summary of
8 serologic data that was used for the WHO that we put
9 together here at the Center for Biologics.

10 Based on the data that were available at
11 the time in January, the committee recommended that we
12 retain the A Beijing 262/95 strain for the H1N1
13 component of the vaccine, and at that time the
14 committee indicated that additional data would be
15 useful to determine whether a change would be needed
16 for the H3N2 influenza A or the influenza B components
17 of the vaccine. The committee also indicated, by its
18 discussion at least, that the initial data suggested
19 the possible need for a change in both the H3N2 and
20 the influenza B strains.

21 Subsequent to that, as you know, the WHO
22 held its meeting on February 15th and 16th, and
23 recommendations from that meeting were published on
24 February 26th in the Weekly Epidemiologic Record,
25 which was sent out. Based on the information that was

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 available at that meeting, including the data that
2 were not available at the end of January, WHO
3 recommended that a trivalent vaccine contain an A
4 Beijing 262/95-like H1N1 virus, an A Sydney 5/97-like
5 H3N2 virus, and either a B Beijing 184/93-like or a B
6 Shangdong 7/97-like virus. That B recommendation is
7 a bit unusual, and it acknowledges the fact that there
8 are differences in the epidemiology of influenza B
9 viruses around the world at the moment.

10 Whereas B Beijing 184/93-like viruses
11 continue to be found in all areas of the world,
12 viruses that are related to B Shangdong 7/97, which is
13 the other lineage, have been confined to parts of
14 Asia, and although in past years the frequency of
15 these viruses like the B Shangdong were not so much,
16 they have been increasing, and in the case of Japan,
17 those viruses appear to make up more than 80 percent
18 of the isolets.

19 To really start to describe how those
20 recommendations came about, the information that was
21 used for those recommendations, and to permit us to
22 complete our recommendations, we want to review the
23 pertinent data that would supplement what we had
24 available to us in January, and so to start I'm going
25 to ask Nancy Cox if she'll present some information on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the characterization of strains. Nancy, are you
2 there? Can you hear me?

3 DR. COX: Yes. I'm here. Can you hear
4 me?

5 DR. LEVANDOWSKI: I can hear you.

6 DR. COX: Good. I was going to give a
7 brief update of the influenza activity that's --
8 ongoing season, actually, because activity may be
9 starting to decline, but there's still a lot of
10 influenza activity going on in the U.S., and then go
11 on to the strain characterization. I thought the
12 Committee would be quite interested.

13 We'll have a publication, an influenza
14 update, in the -- in MMWR, which will be published on
15 March 12th. And this MMWR summarizes the activity
16 through February 27th. We have a bit of updated
17 activity for the subsequent week which indicates that
18 influenza activity is declining a bit, but to give you
19 some of the numbers.

20 We should have expected that influenza
21 activity might occur a bit early this year because of
22 the large, rather remarkable outbreak that was
23 investigated in Alaska during the summer months, but
24 in fact the season started off a bit later than in
25 some recent years. And what we can see from our

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 surveillance information is that influenza activity in
2 the U.S. began to increase in mid-January, and has
3 remained elevated in most regions of the country
4 through the week ending March 6th, or March 4th.

5 Percentage of patient visits to the
6 sentinel positions has been above the baseline for
7 seven consecutive weeks, and since the week ending
8 January 23rd, at least 25 states have reported either
9 widespread or regional activity each week. For the
10 most recent week for which we have data, 37 states
11 reporting widespread or regional activity. The week
12 ending February 13 where 43 states -- regional or
13 widespread activity.

14 Now, we always look at the severity of the
15 season, examining mortality, and we now have four
16 consecutive weeks where C and I mortality has been
17 above the threshold. That includes this week for
18 which we have the most recent data, ending March --
19 last Friday.

20 So I think that what we can say is that we
21 have had a fairly active influenza season. There have
22 been a total of 6,000 -- over 6,500 influenza viruses
23 detected in the U.S. so far. The majority of those
24 that have -- roughly now 78 percent are A's, 72
25 percent are B's, and roughly 12 H1 out of the A's. 40

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of the A's are really H3N2, and that's for our
2 discussion later.

3 Now influenza A has predominated overall,
4 but in the west north central, the east north central,
5 and east south central regions, 35 and 45 percent of
6 the influenza isolets are type B, so there really is
7 some regional variation in the circulation of A's and
8 B's.

9 Also reporting in the MMWR, results of an
10 investigation undertaken by the California Department
11 of Health Services, and the important thing for the
12 Committee is that because a fairly low percentage of
13 the staff have just gone from care facilities, have
14 been vaccinated, it was possible to estimate vaccine
15 effectiveness against influenza-like illness.
16 Outbreak was H3N2 -- have been characterized, some of
17 them, four isolets have been characterized as Sydney-
18 like viruses. Vaccine effectiveness was estimated --
19 among the 47 staff members. So I think that will be
20 relevant to our discussions later, and I just wanted
21 to mention that MMWR will be coming out.

22 Now if you would please turn to the CDC
23 portion of the material that was sent out to you, I
24 would just --

25 UNIDENTIFIED PARTICIPANT: Say what the

1 estimate of efficacy was?

2 DR. COX: Sorry. 72 percent.

3 UNIDENTIFIED PARTICIPANT: And you said
4 that was in staff?

5 DR. COX: It was in staff. Because the
6 residents were so highly vaccinated, it was impossible
7 to estimate vaccine effectiveness.

8 We have a table, the first page of the
9 information from CDC -- type table, has H1N1 strains
10 in it. We have had only 12 H1N1 isolets reported from
11 the entire United States. One of those isolets is
12 shown on the test dated February 5th, and that's the
13 Florida-12 strain. It looks very much like the strain
14 from Michigan -- at the time of the meeting, in that
15 it is clearly a Zairean-like strain, and is low
16 inhibited by the reference antisera in that group.

17 We also included in this table viruses
18 from Asia, some of the most recent strains that we had
19 not tested previously. The -- of special note are the
20 viruses from Nanchang, from the seasonal that we have
21 the date listed, date collected, pardon me, listed as
22 unknown. We do know that these viruses were collected
23 -- season. The reason we wanted to put this in is
24 basically to reassure the Committee that the situation
25 has not changed -- at the end of January, so we don't

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really want to make any major point, but the -- really
2 hasn't changed.

3 I think we can just skip over the
4 frequency table for H1N1, to the next page, and go on
5 to the H3N2 tables. Now, we have two additional H3N2
6 tables -- generated in February, and these tables
7 include some of the most recent strains that we
8 analyzed -- come from, some from the U.S., and some
9 from Europe, and on the first page we have quite a
10 number from Asia.

11 As you have seen at our meeting in
12 January, the majority of strains are Sydney-like.
13 They are very well inhibited by the Sydney antiserum.
14 But there are some strains which are -- inhibited by
15 the Sydney sera, and they are represented by antigen
16 11, 12, 15, and 19. We have looked rather carefully
17 at whether the antisera Sichuan -- you that we were
18 able to identify a couple of Sichuan variants, which
19 are listed as antigens 6 and 7 in the reference
20 antigen section. And those viruses can be
21 distinguished one way from Sydney and a Chile and
22 Argentina, which are all Sydney-like viruses, but if
23 you look at the antiserum, these viruses, they do
24 inhibit the Sydney -- reasonably well, so the
25 activity, cross-reactivity is in one direction. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 difference is they are in one direction.

2 And we have looked rather carefully at
3 whether there is better coverage of the lower reactors
4 with the antiserum to the Sichuan viruses, and I would
5 say that our antiserum produced here at the CDC does
6 cover these variants marginally better overall.
7 However, antisera produced at Mill Hill in London, at
8 the WHO center in Melbourne does not bear out this
9 finding. In other words, if they use the Sydney --
10 I'm sorry, the Sichuan antisera in Australia to look
11 at some of the low reacting viruses that they have
12 isolated in Australia, and likewise if they use the
13 Mill Hill serum, the -- actors from Europe. It's the
14 poorer coverage, not better coverage, antisera
15 generated to the Sichuan strain. So -- see marginally
16 better coverage and they -- poorer coverage.

17 The other thing that I want to mention is
18 that the genetic analysis of the Sichuan viruses
19 indicate that they form -- and we have --

20 DR. GREENBERG: Could you say that again?
21 I heard a beep. I missed what you said.

22 DR. EDWARDS: This is Kathy Edwards. I
23 was cut off, but I got reconnected. So, that was the
24 beep.

25 DR. COX: Okay. The genetic analysis

1 shows that the Sichuan viruses are quite a tight
2 genetic group, with some signature amino acid changes
3 not shared by other viruses, and we have only a total
4 of 12 strains -- can't prove. They are all from
5 Sichuan. They are not from anywhere, not from any
6 other city in China or any other country, Asia or
7 anywhere else, and they were isolated in the period
8 between April of '98 and September of '98. In other
9 words, we don't have any more recent strains after
10 September -- into this group.

11 So I think that if you turn the page to
12 the next table that was generated --

13 DR. KOHL: Before we turn the page,
14 strains 11, 12, 15, and 19, which look like the low --
15 this is Dr. Kohl -- look like the low Sydneys, I'm not
16 impressed that there's much higher activity with the
17 Sichuans. Is this what you are talking about,
18 marginally better?

19 DR. COX: Exactly. That's what I'm
20 talking about.

21 DR. KOHL: Okay. It's not very
22 impressive, is it?

23 DR. COX: It's not very impressive at all.

24 DR. KOHL: Thank you.

25 DR. GREENBERG: Not impressive and not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reproducible.

2 DR. COX: That's correct. So, we --

3 MS. CHERRY: Please remember to state your
4 name.

5 DR. COX: -- and look at the second HI
6 test that we put into the package, which was dated on
7 the 18th of February. -- don't have the Sichuan 346
8 in this particular test. We have the Shenzhen
9 antiserum in, which was representative of another
10 group. But again, you can see that in this test, O
11 reacting viruses -- the Sydney antiserum, those that
12 are less well inhibited by the Sydney antiserum, which
13 include antigens 9, -- teen, 17, and 18, aren't really
14 better covered by the Shenzhen, not by the Shenzhen
15 nor the Sichuan 418 antisera.

16 So, I think that we should, with that, go
17 on to look at the -- table, and just see what
18 proportion of strains are really down with the Sydney
19 antiserum overall. Now, in the material which we sent
20 you, we had a total of -- strains, I characterized,
21 that were isolated during the period October '98 to
22 February '99, actually the end of February, and since
23 then we have actually tested additional viruses up to
24 a total of 401 strains. Now this is about -- because
25 the influenza season got a fairly slow start, they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 looked to -- we've been able to analyze about two and
2 a half times the number of strains -- data for when we
3 presented for the FDA meeting at the end of January.
4 I think sometimes there's a feeling that we really
5 generate a lot more information in that additional
6 month or so, but I think you can see that we really
7 have generated a lot more information this year.

8 What we -- the bottom line is, -- been
9 with these additional strains that we've analyzed --
10 extensive stable -- that we still have only ten
11 percent of strains are reduced in titer with the
12 Sydney antiserum. So I think that our feeling is that
13 Sydney -- we really didn't expect that the China
14 viruses would be Sydney-like. When we -- anticipating
15 their arrival, we were sort of speculating that they
16 might look either like Sichuan or perhaps even
17 something entirely new. That hasn't really been borne
18 out.

19 We looked very, very carefully at the
20 genetic data, and -- we would say is that we have some
21 things that are beginning to fall out for the H3N2
22 strains, but there is really no clear direction --
23 viruses -- feel that Sichuans really are not
24 representative, but we've been seeing more frequently.

25 Are there any questions?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. BREIMAN: Nancy, this is Rob Breiman.
2 What, I missed what you said was following out the
3 genetic material. What's being suggested?

4 DR. COX: There are some groupings that
5 are falling out, but within each grouping we have
6 viruses which are reacting normally to antiserum.
7 Also viruses that are reacting a bit lower. So
8 there's not a clear signature sequence that tells us
9 that these are viruses which are moving antigenically.
10 So the viruses are quite heterogeneous in terms of the
11 genetic analysis -- not a clear direction yet.

12 Are there any other questions?

13 DR. KOHL: Could you tell us historically,
14 when you see a pattern like this --

15 DR. GREENBERG: Identify yourself, please.

16 DR. KOHL: I'm sorry, Dr. Kohl. When you
17 see a pattern like this, with a two year predominance
18 of one type of virus, the Sydney in this case, what
19 are the chances that the third year is going to be the
20 same, historically.

21 DR. COUCH: Unprecedented.

22 DR. KOHL: Unprecedented.

23 UNIDENTIFIED PARTICIPANT: Who said
24 unprecedented?

25 UNIDENTIFIED PARTICIPANT: That was Couch.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 UNIDENTIFIED PARTICIPANT: Dr. Couch.

2 DR. COUCH: We've seen two H3N2 years in
3 a row at least once, clear cut. Chalmers Victoria
4 years for two in a row, and then we had a break before
5 Texas. And since we've been monitoring it here, two
6 years in a row is the most we've seen.

7 DR. KOHL: This is Dr. Kohl again. Dr.
8 Couch, when there is a change in the third year, is it
9 a shift of that virus, or is it a completely different
10 virus or something? Do we have a track record on
11 that?

12 DR. COUCH: When it reappears?

13 DR. KOHL: No, no. What happens in the
14 third year, after you see --

15 DR. COUCH: Oh, third year it's B, or it
16 was H1.

17 DR. KOHL: Okay, so it's not a shift or a
18 drift from that same --

19 DR. COUCH: No, no. It's not a third
20 year, there has not been a third year of H3 as the
21 major virus. And I think that's correct. Isn't that,
22 Nancy, are you aware of any three years in a row?

23 DR. COX: I don't think we really have
24 three years in a row where H3N2 has been predominant,
25 but we've had three years in a row where we had

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 significant amounts of H3N2 activity, and usually when
2 we've had circulation of the H3N2 subtype for a couple
3 of years, we have kind of a signal that the virus is
4 moving in one direction or another.

5 DR. COUCH: Yes, we were able to identify
6 that. You know, we put the label Harold Wave --

7 DR. GREENBERG: Identify yourself.

8 DR. COUCH: Couch, Couch here. We were
9 able to identify viruses ahead, and we put the label
10 Harold Wave on them, and the Texas virus was present
11 in the Victoria epidemic. I remember that one very
12 well. And yet was not the major virus until two years
13 after that, but was more prominent during the
14 subsequent year, which was either H1 or B, I'm
15 forgetting at the moment, and then a year after that
16 it became the dominant virus. But Nancy has suggested
17 that things are -- there are some viruses there, but
18 not one you can put your finger on.

19 DR. COX: I think that in the past, you
20 know, during the past decade, we've been -- put a
21 great deal of effort into improving surveillance in
22 Asia and specifically in China. We've been rather
23 fortunate in that we've been able to detect the
24 variant that was subsequently responsible for
25 epidemics a couple of years, some cases, in advance of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the times that it actually produced the epidemic in
2 the U.S. and Europe. And so we were able to really
3 first detect the virus and then monitor its spread,
4 and get high growth reassortants ready, and so on and
5 so forth.

6 That was not the case for Sydney. That
7 was a surprise. And in fact, we see now that at least
8 northern China didn't experience a Sydney outbreak
9 until this winter. -- had a Sydney outbreak in the
10 U.S. before we had Sydney, and now -- the many
11 surprises that influenza has in store for us.

12 DR. EDWARDS: This is Kathy Edwards. The
13 decision by WHO, does that make it very difficult for
14 us to choose something different, just practically,
15 with vaccine manufacturers trying to make one vaccine
16 for one country or a group of countries and one for
17 another. Is that an issue that's important for us to
18 understand?

19 DR. COX: Roland, do you want to comment
20 on that?

21 DR. LEVANDOWSKI: This is Roland
22 Levandowski. There can be difficulties when there are
23 different strains that are chosen, and it's not just
24 even for the manufacturers. It's also for use of the
25 vaccines. In many instances, people are traveling,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 workers are traveling back and forth across
2 continents, and I think in some sense it probably is
3 helpful, not only for standardization of vaccines and
4 for manufacturing the vaccines, but just for the use
5 of the vaccines, that they -- that there is some
6 coherence in what is in the vaccine.

7 DR. EDWARDS: Thank you.

8 DR. COX: And the only thing I would like
9 to add to that is that there is really no scientific
10 reason for differences for vaccine composition for
11 Europe and North America.

12 DR. GREENBERG: This is Harry Greenberg.
13 Nancy, I have two questions. If I got this right in
14 summary, despite how unusual the situation is, as
15 finely read as you can make it, you could see no
16 indication of what new H3N2 strain could emerge.
17 There's no -- there's nothing in the tea leaves at
18 this moment.

19 DR. COX: There's nothing in the tea
20 leaves. If we were to choose the Sichuan, which is
21 probably the best characterized of the viruses that
22 are a bit different from Sydney, we might be in a
23 worse situation than we would be if we just stuck with
24 the Sydney.

25 DR. GREENBERG: And I have one other

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 question, and maybe I'm getting ahead of myself here.
2 Certainly anecdotally in California, much the way Dr.
3 Kohl mentioned at the last meeting, I now have seen
4 many people with flu-like illness, not documented flu,
5 mostly, who have been vaccinated. What you seem to
6 say is, despite that anecdotal observation, it does
7 not look like the vaccine was working poorly where
8 it's been studied scientifically. Is that correct?

9 DR. COX: I think we have very limited
10 data, but I think that what, in this one long term
11 care facility, where vaccine effectiveness could
12 really be examined, and it was just against -- and it
13 wasn't against confirmed influenza, but it was
14 effectiveness against influenza-like illness, there
15 was a 72 percent effectiveness calculated for that --
16 for the health care workers. So I think that there
17 always are vaccine failures. We know it's not a
18 perfect vaccine, but there are abundant antigenic
19 characterizations -- would indicate that there is a
20 very good match with the majority of isolets that are
21 circulating, that it's a tiny percent of the isolets
22 that are circulating, and we know that there are other
23 respiratory agents circulating -- else.

24 I think it's a combination of factors.
25 There are more people getting vaccine now, and there

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 are multiple respiratory pathogens circulating, and
2 it's not a perfect vaccine.

3 DR. FAGGETT: Speaking anecdotally again,
4 in terms of studies in the long term -- this is Dr.
5 Faggett -- were there any instances of Guillaume-Barre
6 identified, either in the California or the long term
7 facility?

8 DR. COX: Any -- no, I don't believe so.

9 DR. FAGGETT: Thank you.

10 DR. LEVANDOWSKI: Okay, we're getting a
11 little bit behind time. This is Roland Levandowski.
12 I guess I should be pushing our -- reminding the
13 speakers, that's me and Nancy, basically, that we
14 should keep on track and keep moving. So Nancy, are
15 you prepared to go on with the B?

16 DR. COX: Sure. I'm prepared to go on
17 with the B. If you would to the page with the first
18 HI test of influenza B. Test -- on the 11th of
19 February. You'll see that we have tested with eight
20 different antisera, and the thing that I would to
21 point out is that we tested rather a large number of
22 viruses in the United States that were isolated this
23 season. These are primarily from January.

24 And if you concentrate on column number
25 two where we have the antiserum with the Harbin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 strain, that's a titer of 640, this antiserum does not
2 inhibit -- very well. We have a number of titers of
3 40, 80, and 160. RS is switched. All of which would
4 be fourfold down or more to the -- the homologous
5 titer.

6 We have been looking at a variety of
7 additional strains and antisera. I think I'll just
8 move very quickly through the second table. You can
9 see that there is a similar pattern there. Then we
10 have a lot a viruses from the U.S. A test that was
11 generated on the 25th of February. Then we have a
12 number of strains which are reduced fourfold or
13 greater in titer with the Harbin serum in column two.

14 On this test, we see that the Yamanashi
15 antiserum does a bit better. It has a somewhat lower
16 homologous titer, but we don't have that many viruses
17 that are twofold down from it. Also -- that --
18 better. Georgia 02 is not terribly good, we've found,
19 in -- not the C's test, but a number of other tests.
20 It's not as good as the Yamanashi or Bucharest.

21 DR. KOHL: This is Kohl. The Yamanashi,
22 just eyeballing it, doesn't look any better than the
23 Beijing 184.

24 DR. COX: Actually, it does. Oh, Beijing
25 184. Beijing 184 is the prototype strain, and it is -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 - it has been very consistently good, but it did not
2 grow well enough for the vaccine manufacturers to use,
3 and was not considered satisfactory for vaccine
4 production at the time. That's why we moved to the
5 Harbin, because it grew quite well. At the time, we
6 hadn't tested it nearly as much, and we considered it
7 antigenically equivalent.

8 As things have moved on and our analyses
9 have progressed, we note that there are differences --
10 viruses on this -- there are, of course, two separate
11 lineages of influenza B viruses circulating -- of the
12 viruses -- lineage -- really two groups. One group is
13 related to Beijing 184, and the other is the Harbin.
14 Now, the Beijing 184 lineage appears to be
15 predominating.

16 DR. KOHL: Dr. Cox, isn't the Beijing 184
17 what's recommended by the WHO?

18 DR. COX: Yes, but all countries have used
19 Harbin.

20 DR. KOHL: Okay. Thank you.

21 DR. COX: So Yamanashi would be considered
22 a Beijing 184-like strain. In other words, if we were
23 looking for a strain that was more contemporary than
24 Harbin 94, and which gave us better cross protection
25 than the actual vaccine strain, Harbin -- we would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 still, we could still be using a Beijing 184-like
2 strain.

3 DR. GREENBERG: The WHO, if you just read
4 it, it says, "Beijing 184-like," and like is the
5 Harbin.

6 DR. KOHL: Right. Okay.

7 DR. COX: If we could move on to the --
8 table, I think that's probably the most informative.

9 DR. POLAND: This is Greg Poland. I've
10 been paying attention, when there's a short pause,
11 Nancy, the next word drops. When there's a longer
12 pause, it doesn't drop.

13 DR. COX: Okay. I'll try not to pause --
14 just pause longer.

15 The frequency table that we sent out had
16 a total of 108 influenza B viruses that were isolated
17 between October '98 and February '99. And we've
18 updated that number with some recent stuff that we
19 have done. Now we have 138. There are only 50 --
20 characterized at the time of the FDA meeting, so we
21 really do have a lot more information.

22 And what we've seen is that now, with a
23 total of 138 strains, seven percent of them are
24 reduced in titer to the Harbin vaccine strain at least
25 fourfold.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. EDWARDS: What was that number?

2 DR. COX: 67 percent.

3 DR. EDWARDS: 67.

4 DR. COX: That's correct. So it has been
5 varying between about 65 and 75 percent, depending on
6 the latest information, but it certainly has increased
7 compared to the period April '98 to September '98. If
8 you look at the next section on the frequency table,
9 you'll see that approximately 35 percent of a smaller
10 number of -- were reduced at titer to Harbin serum.

11 DR. HUANG: Are they more like the
12 Shangdong strain? This is Alice.

13 DR. COX: No, they're not.

14 DR. HUANG: They're not, okay.

15 DR. COX: No. The viruses -- there are
16 only two strains that we've examined that are like the
17 Shangdong, and they are listed on this table as
18 Victoria-like. And those two are from Asia, as you
19 can see, they are in the Asia column.

20 Okay, so we actually looked through a
21 fairly large number of tests, and tried to see which -
22 - which of the strains, more contemporary strains
23 might offer better cross protection against the
24 currently circulating influenza B viruses. And --
25 found that -- Yamanashi strain actually looked better

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 than Bucharest and Georgia 02, which were the two
2 other contemporary strains that we have the most
3 information for.

4 So in contrast to -- at the time we did
5 the calculations, we had about 74 percent of strains
6 down to Harbin, and only 13 percent of strains -- to
7 the Yamanashi antiserum.

8 Okay, I think that finishes up what I had
9 to say, unless there are any questions.

10 DR. KOHL: This is Kohl. Is the Yamanashi
11 a good grower.

12 DR. LEVANDOWSKI: This is Roland
13 Levandowski. The answer -- the short answer is yes,
14 and I'll give a little more information on that a
15 little bit later.

16 DR. COUCH: But the Yamanashi with ferret
17 sera would still be -- would it not be still
18 classified as a B Harbin-like strain?

19 DR. COX: Yes, it would be B Beijing or
20 184-like.

21 DR. COUCH: Or 184 as you choose.

22 DR. LEVANDOWSKI: Okay, are there other
23 questions?

24 DR. KOHL: So, Bob, this is Kohl again,
25 does that mean that the Yamanashi would probably

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 perform just as poorly as the Beijing Harbin?

2 DR. COUCH: Well, I guess I mean by that,
3 we can see if Nancy and Roland do, it's somewhat
4 unpredictable that it would be a better strain.

5 DR. KOHL: Okay.

6 DR. FERRIERI: This is Pat Ferrieri.
7 Nancy, I missed the points on the Bucharest strain.
8 Why would that one not be so good?

9 DR. COX: Actually -- counted the number
10 of strains that were reduced fourfold or greater in
11 titer with the Harbin antiserum, with the Yamanashi
12 antiserum, the Bucharest antiserum, and with the
13 Georgia antiserum. And the numbers are 74 percent, 13
14 percent, 31 percent, 51 percent respectively. We have
15 fewer data for the Georgia 02, but we were really
16 trying to look at a strain that is more representative
17 of the contemporary strains, either better cross
18 reacting antibody at least with the ferret sera, and
19 the Harbin current vaccine strain appears to do it.

20 Now, the only other thing I would like to
21 add is that there are data from Europe which confirm
22 our findings, and those data come from the
23 Netherlands, from France, and from the U.K.

24 DR. GREENBERG: Okay, Roland, are you
25 going to continue?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. LEVANDOWSKI: Yes, I think we should.
2 We are getting a little bit behind, and I'm feeling
3 nervous about it, and I'm sure you probably are, too.
4 There will be time for some further discussion and
5 questions on all these points, so I don't think we'll
6 miss anything.

7 I'll go ahead then and describe some
8 serologic data, and if you want to turn to the handout
9 that CBER put together, this handout is a summary of
10 data that was compiled from haemagglutination
11 inhibition antibody testing that was done at the
12 centers that participated. Those are listed at the
13 beginning of the handout. I don't think we need to go
14 into that part of it.

15 I'd like to call your attention to the
16 tables that start on page 5 of the handout. And the
17 tables on page 5, 6, and 7 indicate strains that were
18 used for serologic testing during the last couple of
19 periods, extending back to September of '98 for
20 different WHO recommendations that have been made.

21 There are tables on page 8, 9, and 10, and
22 those tables summarize where we have data from at
23 least two laboratories have tested a strain. You will
24 see that there are quite a few -- there are fewer
25 strains that were tested widely, and I will point out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that for the antigens and the strains that are listed
2 for serologic testing, not all of these strains, of
3 course, were tested in every laboratory, and that's
4 mostly because of the timing and the logistic
5 constraints of getting these strains shipped from
6 place to place to do the testing.

7 Some of these strains were tested in a
8 single laboratory, and sometimes it was with a single
9 serum panel, so that what's shown, what I am going to
10 try to describe from the listing of the different
11 strains used for serologies takes a little thinking
12 about.

13 The data as they have been accumulated
14 really reflect the fact that the current influenza
15 season has been developing at a later time than in
16 other recent years, and so that's another thing that
17 has impacted on our ability to get a lot of data at
18 different places.

19 On page 5, the H1N1 strains used for the
20 serologies are shown, and the strains are subdivided
21 into A Beijing 262/95-like strains at the top and A
22 Bayern 7/95 like strains at the bottom. Vaccine
23 strain on this table is shown with an asterisk, and
24 the strains that are underlined are those for which at
25 least one test in at least one laboratory showed a 50

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 percent or greater reduction in the geometric mean
2 titer compared to the vaccine strain.

3 You'll note that there are a number of
4 strains that showed a reduction compared to the
5 vaccine strains, but overall the reductions were not
6 uniform in most instances, and I'll call your
7 attention now to page 8, which has data for the H1N1
8 strains that were tested in multiple laboratories.
9 And these tables will all be somewhat similar. The
10 table on page 8 summarizes the data from four labs,
11 from the four labs listed. It shows how many sets of
12 sera gave a 50 percent or greater reduction for the
13 newer strains in comparison with the vaccine strain.
14 The upper two strains there are actually Beijing
15 262/95-like.

16 I'd like to call your attention to the one
17 that is shown as A Hong Kong 4847/98. You'll see that
18 three labs tested the strain, and you can see that a
19 few of the tests from two of the labs were low, but
20 overall the reduction that was found was less than 50
21 percent. And you can see that only about 25 percent
22 of the tests really looked like they were low.

23 Similarly, for the A Michigan 24/98
24 strain, you can see that there were some tests that
25 were low, but overall, again, it didn't look -- it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 didn't appear to be, not a consistent finding among
2 the different tests that were done.

3 So I'd say in summary about the H1N1's,
4 and not to belabor this, although some of the tests
5 were reduced, the overall data don't indicate that
6 there was a major antigenic change in the H1N virus,
7 as at least demonstrated from these human serologic
8 data.

9 Moving on to the H3N2 viruses on page 6 of
10 the handout, going back to page 6 of the handout, the
11 H3N2 strains that were tested are shown. And these
12 strains are broken down into groups based on genetic
13 and antigenic characterization. Nancy Cox did not
14 emphasize the genetic characterization, but did
15 mention it. The strains in the upper group are those
16 that are most like A Sydney 5/97, and you see there
17 are quite a few of those.

18 The bottom group is most antigenically
19 distinct from the A Sydney strain, and that's the A
20 Sichuan-like viruses, which seem to be off by
21 themselves somewhat, and then there are others in
22 between that at least genetically appear to be forming
23 their own little groups.

24 The underlying strains, again, are those
25 that show at least one lab and at least test finding

1 of 50 percent or greater reduction, but you can see
2 that there are some strains -- and you can see that
3 there are some strains in all the groups that were low
4 on occasion, but you can see that the majority of the
5 strains tested did not show a reduction. And, again,
6 calling your attention to the Sichuan strain on page
7 9, the summary table where more tests were done, this
8 has been updated somewhat since January, not by much,
9 but with the additional data that were available. And
10 you can see that for Sichuan 346/98 there were some
11 tests that were low for all of the laboratories, but
12 calling your attention to the last two columns,
13 overall you can see that less than half of the tests
14 were reduced by 50 percent or greater, and overall the
15 reduction based on all of tests was not quite 50
16 percent.

17 So, again, in summary, for the H3N2
18 viruses I would say that there were some reductions
19 that were seen, but for the majority of viruses that
20 were tested representing the broad diversity of the
21 strains that were examined, the current vaccine
22 strains induced reasonably good antibodies, and I'd
23 say the bulk of the data again do not indicate a major
24 antigenic change in the H3N2 viruses.

25 On page 7, going back to page 7, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 antigens here for the influenza B viruses. And the
2 antigens are broken up into the B Beijing 184/93-like
3 viruses at the top, and the B Shangdong 7/97-like
4 strains at the bottom. I should mention that B
5 Shangdong 7/97 is very much related to the B Victoria
6 2/87 strain that Nancy was talking about.

7 In most instances, these serologic tests
8 were done with ether disrupted virus, but one of the
9 four laboratories involved performed all the tests
10 with whole virus, and I don't know that it actually
11 made any difference here. The underlined strains are
12 those for which at least one lab found a reduction in
13 at least one test. And, reductions actually were
14 found for both the ether disrupted and the whole virus
15 antigen in some of the tests.

16 Although some of the B Beijing 184/93-like
17 viruses gave reduced responses compared to the vaccine
18 strain, which here was B Harbin 7/94, most of the
19 results were very comparable to those with B Harbin
20 7/94. However, as has been previously true for
21 several years, all of the strains that were related to
22 B Victoria 2/87, and here it's the B Shangdong 7/97
23 predominantly we're talking about, they showed
24 consistently reduced responses in most of the tests.

25 And on page 10, the summary of that, if I

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 just call your attention to the next to the last
2 column, and just looking again at the B Shangdong 7/97
3 antigen, you can see that in more than 80 percent of
4 the tests performed there was a reduction, and in the
5 case of the B Shangdong and related viruses, that
6 reduction was reasonably substantial.

7 Not shown in any of the material except as
8 described briefly in the WHO recommendations, there
9 was also a study that was done using a trivalent
10 vaccine, contained a B Shangdong 7/97 B component.
11 That vaccine was made by Pasteur-Merilleux, and the
12 vaccine was administered to 60 adults and 60 elderly
13 in Australia. The pre- and the post-immunization sera
14 -- and that was done in the fall -- the pre- and the
15 post-immunization sera have been collected from the
16 study participants, but the serologic testing has not
17 yet been completed as far as I know, and the data
18 presented at WHO were for about half of the sera that
19 have been collected.

20 But, for the sera that were tested, the
21 antigens included B Shangdong 7/97, which in that case
22 was the vaccine strain, and it was compared to a
23 vaccine that included B Harbin 7/94. And, in this
24 particular test, there were several other recent B
25 Beijing 184/93-like viruses that were looked at,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 including the B Romania 318/98, the B Delaware 2/98,
2 and the B Foshan 396/98, representing a strain --
3 several strains from different continents.

4 The preliminary data indicate that the
5 vaccine was immunogenic, in that it produced
6 antibodies that are similar in titer for the vaccine
7 virus, and in the case of the B Shangdong containing
8 vaccine, the titers were similar for the B Beijing
9 184/93-like viruses.

10 There's one important item that I'd like
11 to mention in relation to that study. The study does
12 not include children or any immunologically unprimed
13 individuals, and I point out that based on previous
14 experience in unprimed children, it's not likely that
15 antibodies, the B Beijing 184/93-like viruses, would
16 be induced very well, if at all, by a B Shangdong 7/97
17 vaccine, and therefore for children, where B Beijing
18 184/93-like viruses are prevalent, a vaccine
19 containing something that's like B Beijing 184/93
20 would be a much closer antigenic match.

21 I'll stop there. If there are any
22 questions, I'll try to answer them.

23 DR. FAGGETT: This is Walt Faggett. With
24 the children, so that would still be a two shot series
25 with Beijing 184?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LEVANDOWSKI: Yes, for children under,
2 I guess it's age 9, if they have not been previously
3 immunized or infected.

4 DR. FAGGETT: So there are no studies of
5 children that we can refer to?

6 DR. LEVANDOWSKI: Doing immunization
7 studies in children -- yes, they are fairly difficult
8 to come by, unless they've been arranged in advance.
9 It's a population that is hard to enroll, and there's
10 a lot of work that has gone into preliminaries to have
11 it set up, but we do not have any information
12 specifically on the B Shangdong 7/97 vaccine, or on a
13 B Beijing 184/93-like vaccine in recent years.

14 In the past, we have looked at strains
15 that are on the B Beijing 184/93 -- in that lineage,
16 and we have seen there also that those vaccines do not
17 induce antibodies that cross react with the other
18 lineage as represented by B Shangdong 7/97 or B
19 Victoria 2/87.

20 DR. KILBOURNE: Could you remind us again
21 what population base we are talking about?

22 DR. LEVANDOWSKI: I'm sorry. This is
23 Roland. I don't understand the question.

24 DR. KILBOURNE: The question is, the data
25 you have shown us -- populations are represented?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. LEVANDOWSKI: Oh, I see. For all the
2 serologies that were done. These sera, the sera that
3 are shown in the studies here, are all from adults and
4 elderly. The adults and the elderly were on three
5 different continents. There were some number of sera
6 -- there were 172 some odd serum pairs altogether, and
7 there were populations from Europe, from the United
8 States, and from Australia represented in both the
9 adults and elderly in separate serum panels. Does
10 that answer the question?

11 UNIDENTIFIED PARTICIPANT: Who asked the
12 question?

13 DR. LEVANDOWSKI: That was Dr. Kilbourne.

14 DR. GREENBERG: If there are no further --
15 Roland, or -- do you have any more questions from the
16 committee?

17 DR. LEVANDOWSKI: Okay, I actually have
18 some additions -- this is Roland again -- I have some
19 additional information, and there are a couple of
20 other items that we would like to address before the
21 discussions of the committee, if that's okay?

22 DR. GREENBERG: Sure.

23 DR. LEVANDOWSKI: I did want to touch on
24 availability of strains and reagents, just to let you
25 know where those things stand, and at that point it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 might be reasonable if there were some comments from
2 the manufacturers about their view on some of these
3 strains, to let us know.

4 Also, I have asked, invited to our meeting
5 somebody from the Department of Defense, Captain David
6 Trump from the Office of the Assistant Secretary of
7 Defense for Health Affairs, because it was thought
8 that it might be useful to have some input from the
9 Department of Defense on what their view is on some of
10 the issues that may come up, but I'll go ahead first
11 with the availability of strains and reagents, and
12 just say generally that for any strains that are
13 currently in the vaccine, of course, we already have
14 the reagents, and there aren't any problems or seed
15 viruses that are available. The manufacturers know
16 how to handle the strains well. Everything that needs
17 to be done can be done.

18 If there are any new strains that are
19 chosen, we do not have reagents for those things in
20 hand at the moment, and based on previous experience,
21 we would anticipate that the reagents would not be
22 ready for use until sometime in May.

23 For H3N2 viruses, there really are no new
24 suitable candidate strains that have been identified
25 since January. There is a high growth reassortant of

1 the A Sichuan 346/98 strain, which is RESVIR 14 from
2 our lab, and Dr. Kilbourne may have something from his
3 lab that I don't know about, but the strain that we
4 know about has not yet been fully characterized
5 antigenically and genetically, and we're not really
6 sure whether it would be appropriate even if it were
7 selected.

8 For the influenza B viruses, among the B
9 Beijing 184/93-like virus, there are several strains
10 that have been partially evaluated and appear
11 promising, and among those strains, the B Yamanashi
12 166/98 and B Romania 318/98 appear to grow quite well,
13 and in some reports they may grow better than the
14 current vaccine strain, the B Harbin 7/94.

15 Some of the other strains that were being
16 discussed have been looked at also, including B
17 Bucharest, and we have a little bit less information
18 there. That strain, from what I know, appears to be
19 somewhat lower growing, although that is somewhat
20 variable. There are some reports that it may be
21 reasonable.

22 For the B Shangdong 7/97 virus, of course
23 that strain has already been used for making an
24 experimental vaccine, and from everything we know from
25 other manufacturers who have had a chance to examine

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it, it appears to be quite good growing.

2 I guess I would stop there on that, and
3 see if there are any questions, and if there aren't
4 any questions, there may be questions that the
5 manufacturers might need to address. I might ask the
6 opportunity for any manufacturers who feel like they
7 have some set of information about strains that they
8 have been looking at, or strain collections, if they
9 might be willing to do that. And I guess if there
10 aren't any voices raised, or hands raised for anybody
11 who might be in the room where I am not, then I guess
12 --

13 MS. CHERRY: No hands raised here.

14 DR. LEVANDOWSKI: Okay. Then I guess, Dr.
15 Greenberg, I might ask if Captain David Trump, who I
16 mentioned is with the Office of the Assistant
17 Secretary of Defense, Health Affairs, might be willing
18 to give us some comments from the Department of
19 Defense point of view.

20 DR. GREENBERG: I think that's great. Dr.
21 Trump, I would ask you to be concise.

22 CAPTAIN TRUMP: Yes, sir. This is Dave
23 Trump. Can you hear me?

24 MS. CHERRY: Yes.

25 CAPTAIN TRUMP: It's working fine here in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the state where I am. Historically, we do not differ
2 as far as the vaccine that's been used for the
3 military, at least in the last several years if not
4 longer, from the national recommendation, and that is
5 something that -- differing from that would not be a
6 decision that DoD would make very lightly. The
7 recommendation coming out of the WHO certainly with
8 its geographically based recommendations raises some
9 concerns and questions.

10 We have about 100,000 military personnel
11 who are at any one time in the Asian area of
12 operation, the majority of them based either in Korea,
13 the Republic of Korea, or in Japan.

14 DR. GREENBERG: You're breaking up. I
15 guess we'll just try to go with it, but it's hard.

16 CAPTAIN TRUMP: I'll keep it short.
17 100,000 primarily in Japan, Okinawa included, Guam,
18 and Korea, and on ships. Probably another 200,000 who
19 are either getting ready to move into that area or
20 would be on standby to move there, and about 75,000
21 family members. And so a recommendation for the
22 nations of Asia that would recommend one vaccine, and
23 then what would be here in the United States for us
24 recommended, would be a challenge about deciding what
25 to do for those who are in Asia. We certainly will

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 await your recommendations, and the decision from this
2 group and from the advisory committee next week.

3 This most likely will be a question that
4 we'll pose to our Armed Forces Epidemiological Board,
5 and Dr. Poland and Dr. Eickhoff are very familiar with
6 that group.

7 DR. GREENBERG: Roland, can I get a
8 clarification?

9 DR. LEVANDOWSKI: Yes.

10 DR. GREENBERG: The charge to our
11 committee is to make a recommendation for vaccination
12 in the United States, or for recommendations for
13 vaccination in something more than the United States?

14 DR. LEVANDOWSKI: My understanding is that
15 our committee would make the recommendation for the
16 U.S. Public Health Service, and my belief is that that
17 recommendation would reflect what's best for the
18 civilian population. Historically, as Dr. Trump was
19 mentioning, I think I was having trouble hearing also,
20 the Armed Forces Epidemiological Board has made its
21 own recommendations, and there have been occasions in
22 the past where the military has required a vaccine
23 that was somewhat different from the vaccine that was
24 used in the United States. There is precedent for
25 that, and that can be accommodated, and as I've

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 discussed with others, licensing of vaccines that
2 might be different for the military can probably be
3 accommodated in the current system. It's a matter of
4 having the reagent available to be able to produce the
5 vaccines, so -- I'm rambling on here, but I believe
6 that our recommendation is for the civilian
7 population.

8 DR. GREENBERG: And I have one other
9 question, personally, here. It's my impression that
10 the B Shangdong-like viruses have been present in
11 Asia, or in Southeast Asia, for a long time. What is
12 the change to make this a bigger question for the
13 military here?

14 DR. LEVANDOWSKI: This is Roland. Do you
15 want me to answer that one?

16 DR. GREENBERG: Yes. Whoever can answer
17 quickest.

18 DR. LEVANDOWSKI: I would say that what
19 would spur this would be the fact that the percentage
20 of B Shangdong or B Victoria 2/87-like viruses
21 occurring in Asia seem to be increasing, but Nancy Cox
22 I think should maybe answer that with some of the
23 frequency data that she was describing earlier.

24 DR. COX: I think that the percentage of
25 viruses that are B Victoria-like or B Shangdong-like

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in China has decreased, and the difference is that the
2 viruses -- Victoria or B Shangdong-like viruses are
3 circulating in Thailand on -- but they have been, and
4 they may be predominating in these two countries. But
5 I don't think that the epidemiology has changed very
6 much since last year.

7 But what's happening at the WHO level is
8 that we're trying to take into account the fact that
9 a lot more influenza vaccine is being used in Asian
10 countries now -- likely to be used in Asian countries
11 in the future. So we want to take that fact into
12 account and make appropriate recommendations for those
13 countries to sort of encourage their -- increasing use
14 of influenza vaccine.

15 DR. GREENBERG: Nancy.

16 DR. COUCH: Couch. An interest question.
17 Does -- what B strains have been used in the vaccines,
18 say in the last couple of years, in Japan and in
19 Australia?

20 DR. COX: In Australia, B Harbin has been
21 used. In Japan, I believe that two years ago they had
22 a tetravalent vaccine, a B Harbin-like and a B Beijing
23 184-like -- Victoria -- like strain, so they had two
24 B components.

25 DR. COUCH: I see.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. COX: Now that they didn't have very
2 good immunogenicity -- for this past season went back
3 to a B Beijing 184-like, so they had a trivalent
4 vaccine for this past year.

5 DR. GREENBERG: Roland?

6 DR. LEVANDOWSKI: Yes, sir.

7 DR. GREENBERG: Do you have any additional
8 comments before we open this up to committee
9 discussion.

10 DR. LEVANDOWSKI: I think it would be good
11 if, maybe, Nancy Cox would be willing to summarize
12 what the options are at this point. Would that be
13 okay?

14 DR. GREENBERG: That would be terrific.

15 DR. COX: Okay, I'll start with the H3N2
16 viruses. What we know is that -- viruses have --
17 worldwide, both in 1997-98 and the 1998-99 influenza
18 seasons, and widespread outbreaks have been reported
19 in a number of countries in Asia, Europe, and North
20 America. -- sense of H3N2 activity overall. -- type
21 tests with post-infection ferret sera, the majority of
22 influenza A -- Africa, the Americas, Asia, Europe, and
23 Oceania.

24 Our -- hopefully related antigenically
25 through Sydney 5/97. Of the portion of viruses, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is about 10 percent that are antigenically
2 distinguishable from Sydney, however these viruses --
3 heterogeneous -- analyses have not revealed the
4 emergence of a representative variant that's the
5 geographic -- . Options for that C component --
6 retain the Sydney component -- the advantages would be
7 that most current strains are Sydney-like -- ours has
8 known characteristics, or to update the Sydney
9 component, the H3N2 component, and -- know that this
10 variant has already circulated widely for two years,
11 and that H3N2 viruses cause more hospitalization and
12 mortality than viruses from the other two groups, but
13 I think that we are a bit stuck -- that Sichuan 346
14 variant that really hasn't proven to be representative
15 of the currently circulating strains.

16 For the influenza B viruses, if I could
17 just quickly go on, we do have viruses from both the
18 B Victoria or B Shangdong and Yamagata or B Harbin
19 when it is circulating worldwide, but I could qualify
20 that by reemphasizing the B Victoria-like viruses have
21 been seen only in Asia for the past nine years.

22 Influenza B activity has been moderate
23 worldwide. However, some countries in Europe actually
24 had influenza B strains predominating. -- some of the
25 FDA meeting, we had 12 of 34 U.S. isolets -- titered -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 - B Harbin antiserum. Now at the present time we have
2 53 of 96 U.S. strains which are reduced in titer with
3 the B Harbin strains.

4 As Roland mentioned, the current influenza
5 B component -- this antibody that cross reacts with
6 many of the Harbin or B Beijing-like viruses -- all of
7 these studies, and we know that we lack test data to
8 these components in 1995.

9 Our options for the B vaccine component
10 are to retain the B Harbin -- a C strain seems to
11 cover most of the current related strains in the --
12 studies it has known characteristics. Or we could
13 update the B Harbin 7/94 component, and we would be
14 looking for a B Beijing 184-like strain. -- to do this
15 on the basis that there is a reducibility of the
16 Harbin ferret serum to inhibit the current strain, as
17 I mentioned before, and we've looked at a whole
18 variety of strains, and the B Yamanashi does appear to
19 be sturdier to the B Harbin vaccine component. And,
20 of course, the third alternative is to update the B
21 Harbin component -- on the B Victoria lineage, because
22 these viruses continue to circulate in Asia, and there
23 is a susceptible population in the United States.
24 However, both the virology and the epidemiology argue
25 against this option, because there is very, very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 pronounced antigenic differences between viruses on
2 the Victoria or Shangdong lineage -- lineage, and the
3 genetic differences among these viruses are very
4 great. It's approximately 30 amino acid differences
5 between the two lineages.

6 So I think I will stop there.

7 DR. GREENBERG: Nancy, did I miss H1N1?

8 DR. COX: We had already decided the H1N1
9 part, so I thought in the interests of time I would
10 just skip over that.

11 DR. GREENBERG: Fine. Roland?

12 DR. LEVANDOWSKI: That's it from us, Dr.
13 Greenberg. We'll turn things back to you and the
14 committee for discussion.

15 DR. FAGGETT: So Dr. Greenberg, Dr.
16 Faggett, Nancy said that I was to sign on the H1N1, so
17 that's A Beijing 262?

18 DR. COX: Yes, that's correct.

19 DR. FAGGETT: Okay, thanks.

20 DR. GREENBERG: And that was pretty much
21 decided at the last meeting, and there's been no new
22 information, as I understand it, to make that decision
23 -- on, and that's obviously a WHO decision as well.

24 I'd like to open up this conversation now
25 for an open discussion of the committee. That could

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 be a little complicated on a conference call. I think
2 we've had an excellent presentation from the CDC and
3 the FDA, and I think we have all the information that
4 one can have. I am -- all of you feel we don't have
5 all the information you would like, and -- frequently
6 is, we have to make a decision -- late, and I think
7 we're going to have to do it with what is available.

8 So what I would do now is just open this
9 up. People identify themselves, and ask questions or
10 give statements, and after we've exhausted that,
11 hopefully -- they vote.

12 DR. COUCH: Harry, this is Couch. Would
13 you like me to start you?

14 DR. GREENBERG: I'd love you --

15 DR. COUCH: Not with a statement. I was
16 going to suggest a motion, and just maybe a comment
17 about the motion, and then see if there is discussion.

18 DR. GREENBERG: I actually have to go to
19 the bathroom, so if we can move this quickly, this
20 would --

21 (Laughter.)

22 DR. COUCH: Well, it seems to me that the
23 H3N2 doesn't require much discussion, that we've had
24 major Sydney two years in a row, and while the
25 desirability would be to pick an H3N2 strain and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anticipate what's going to happen, perhaps to some
2 extent, next year and may be significant the year
3 after, we can't do that. We don't have strains in
4 place that say that there is a justifiable change in
5 A Sydney, so my motion would be that we retain A
6 Sydney as the vaccine strain.

7 DR. GREENBERG: Do I have a second?

8 DR. FERRIERI: Pat Ferrieri seconds it.

9 DR. GREENBERG: Can I have a vote, then,
10 of the members, and --

11 DR. FERRIERI: May I just say something,
12 Harry? I'm really glad that we didn't make the
13 decision in January. We sort of teetered on the brink
14 of doing it then. I'm very happy we waited to hear
15 the data, and even though our decision is probably the
16 same as what we would have done in January, I
17 appreciate hearing all this information today.

18 DR. COUCH: Couch. I will always second
19 that. The latest possible data insures our --
20 reassures that we are going in the right direction.
21 This time, as you say, it's a good change, but
22 sometimes it's not.

23 DR. GREENBERG: And I underline that. Can
24 -- should I, Nancy, can you call out the roll call for
25 votes?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. KOHL: Can I ask a question? This is
2 Kohl.

3 DR. GREENBERG: No. Yes, you can.

4 DR. KOHL: What I gather we are doing is
5 we're saying that it is very unlikely that A Sydney is
6 going to be circulating next year, or an A Sydney-like
7 is going to be circulating next year, and --

8 DR. COUCH: Or, if so, it's not going to
9 be the dominant virus producing the epidemic.

10 DR. KOHL: Okay. And the only reason
11 we're not changing is because we don't know what to
12 change to, is that correct? If there were something
13 that was sticking out, we would probably change.

14 DR. COUCH: Well, that would be my
15 preference. If we had clearly a clear cut Harold Wave
16 with epidemiologic significance of a strain that is
17 changed, of an H3N2, but was not dominant, that would
18 be the kind of thing that I would have been looking
19 for, and with all of the supporting data that goes
20 with that for a recommended change, but we don't have
21 that.

22 DR. GREENBERG: Dr. Kohl, I think that's
23 always the case, that if there is a clear indication
24 that a new strain is coming and we know what it is, we
25 are likely to make that recommendation.

1 DR. COUCH: Actually, that's well said,
2 Harry. That's sort of a generality.

3 DR. GREENBERG: So can, Nancy, can you
4 call a roll call?

5 MS. CHERRY: I will. Dr. Poland? Okay,
6 Dr. Adimora?

7 DR. ADIMORA: I agree with the motion as
8 presented.

9 MS. CHERRY: Mrs. Cole?

10 MS. COLE: Yes, I agree also.

11 MS. CHERRY: Dr. Estes?

12 DR. ESTES: I agree.

13 MS. CHERRY: Dr. Huang?

14 DR. HUANG: Yes.

15 MS. CHERRY: Dr. Edwards? Dr. Edwards?

16 DR. GREENBERG: I think she went to
17 clinic.

18 MS. CHERRY: Okay. Dr. Daum? Dr. Couch?

19 DR. COUCH: Agreed.

20 MS. CHERRY: Dr. Eickhoff?

21 DR. EICKHOFF: Agree.

22 MS. CHERRY: Dr. Kilbourne?

23 DR. KILBOURNE: Yes, I'd like the
24 opportunity to say something now. That is, I don't
25 think we should be embarrassed about this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 recommendation, and I think that -- the best we can do
2 at the moment to bear in mind that even if a new
3 strain appears, we are going to have some significant,
4 perhaps heterovariate immunity, so we are not leaving
5 the country unprotected.

6 DR. COUCH: Absolutely not. I agree,
7 Henry.

8 MS. CHERRY: Okay. Dr. Griffin?

9 DR. GRIFFIN: I agree.

10 MS. CHERRY: Dr. Faggett?

11 DR. FAGGETT: Could you repeat the motion?
12 I'm sorry, I didn't hear -- I was off the line.

13 MS. CHERRY: The motion was to retain the
14 Sydney 5/97.

15 DR. FAGGETT: I heard Bob Couch say --

16 DR. COUCH: As the H3N2 component.

17 DR. FAGGETT: Thanks. Definitely, yes.

18 MS. CHERRY: Okay. Dr. Kim, are you there
19 yet? No. Okay. Dr. Breiman?

20 DR. BREIMAN: Yes.

21 MS. CHERRY: Dr Kohl?

22 DR. KOHL: Yes.

23 MS. CHERRY: Okay, and Dr. Ferrieri?

24 DR. FERRIERI: Yes.

25 DR. GREENBERG: And for the record, I also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 vote yes, and so I think we've picked one of our two
2 choices, and I thank you for being -- thank Bob Couch
3 for being so expeditious. Perhaps, Bob, since you
4 seem to have a knack for this, you can start us off on
5 the group B's.

6 DR. COUCH: Me? Couch, did you call on me
7 for that?

8 DR. GREENBERG: I sure did.

9 DR. COUCH: That one's not quite as easy,
10 but I think -- but I know what I think we ought to do.
11 Well, Nancy, I couldn't hear all of Nancy's options.
12 I'm sorry about that, but I think we know what they
13 are. I suppose the only -- well --

14 DR. GREENBERG: Do you want me to
15 summarize the options, Nancy's options for you.

16 DR. COUCH: Let's not -- I don't need
17 them, if everybody else heard them all right. My
18 recommendation will be, without a whole lot of
19 conversation, that we retain B Harbin as the vaccine
20 strain. If we were looking for a change in this
21 lineage, why, as I read the data, if Yamanashi and
22 Foshan and Georgia 02, and there was one other there
23 that looked like they may be candidates, but when you
24 look at the ferret sera and what we knew about them,
25 I wasn't convinced that there was enough data to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 suggest change in the B Harbin component, and that
2 would be my recommendation.

3 I suppose the data from Australia is a
4 little disturbing with Shangdong, but on the other
5 hand, they were dealing not with children, they were
6 dealing with adults, and we might expect something
7 like they saw in the way of cross reactivity. The
8 only other consideration would be that a bi-component
9 of influenza B, and we've discussed that, I think, at
10 least two or three years in a row, and I would not
11 support that, even though I think some argument could
12 be made for it. It's interesting that the Japanese
13 went that direction at one time in the past.

14 So, I would think that monovalent
15 Shangdong would be the one that I would reject.
16 Bivalent, or B Harbin, or updated B Harbin, and of
17 those three, it looks to me like the only one that's
18 reasonable is to continue B Harbin as is. So that
19 would be my recommendation, and we'll see what happens
20 to the discussion.

21 DR. GREENBERG: Okay.

22 DR. HUANG: Harry, could I break that up
23 into a different kind of motion that may move us along
24 a little faster. This is Alice. I think that it
25 would be easier to first consider whether we want a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Beijing 184-like virus versus the Shangdong, and then
2 later go to the specific strains, that is --

3 DR. GREENBERG: I think that's an
4 excellent idea, Alice. So could you make your motion?

5 DR. HUANG: So the motion is that we
6 prefer a Beijing 184-like strain for the B virus.

7 DR. GREENBERG: I need a second to that
8 motion.

9 DR. EICKHOFF: Eickhoff. I'll second it.

10 DR. GREENBERG: So Nancy, could you call
11 the roll?

12 MS. CHERRY: Yes, I will.

13 DR. GREENBERG: So, just so everybody
14 knows, what Alice has done is said we haven't picked
15 the exact strain, but she said this year, like years
16 in the past, although we know that Shangdong is out
17 there and is in Asia, we know that that is not the
18 primary choice to be in the vaccine for the U.S.
19 population, and that it still should remain a Beijing
20 184-like virus. The precise choice of that virus
21 remains to be chosen by us. That's what we are voting
22 on now.

23 MS. CHERRY: Okay. Dr. Poland?

24 DR. POLAND: Yes, I agree.

25 MS. CHERRY: Okay. Dr. Adimora?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. ADIMORA: I also agree.

2 MS. CHERRY: Mrs. Cole.

3 MS. COLE: Yes, I agree.

4 MS. CHERRY: Dr. Estes?

5 DR. ESTES: I agree.

6 MS. CHERRY: Dr. Huang?

7 DR. HUANG: Yes.

8 MS. CHERRY: Dr. Edwards. No, still not
9 there. Dr. Daum?

10 DR. DAUM: Yes, I agree.

11 MS. CHERRY: Okay. Dr. Couch?

12 DR. COUCH: I agree.

13 MS. CHERRY: Dr. Eickhoff? Was that a
14 yes?

15 DR. EICKHOFF: Yes.

16 MS. CHERRY: Okay, it didn't come through
17 very well. Dr. Kilbourne?

18 DR. KILBOURNE: Yes.

19 MS. CHERRY: I'll have to go back and look
20 at my list a minute. Dr. Griffin?

21 DR. GRIFFIN: I agree.

22 MS. CHERRY: Dr. Faggett?

23 DR. FAGGETT: I agree.

24 MS. CHERRY: Dr. Kim, you're not there?

25 Okay, Dr. Breiman?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 DR. BREIMAN: Yes.

2 MS. CHERRY: Dr. Kohl?

3 DR. KOHL: Yes.

4 MS. CHERRY: Dr. Ferrieri?

5 DR. FERRIERI: I agree.

6 MS. CHERRY: And Dr. Greenberg.

7 DR. GREENBERG: And for the record, I also
8 agree, and thank you, Alice, for doing it that way,
9 which will speed this up. And now, I think we are in
10 the home stretch, and I can't last much longer, so
11 what I would like some discussion of -- if I heard
12 Nancy Cox correctly, and she broke up for me too, I
13 think her impression was that maybe the Yamanashi
14 strain did represent a better choice than sticking
15 with the B Harbin from her reading of the serology.

16 I also got the impression she had a bit
17 more serology in front of her than we have in front of
18 us, so maybe I could ask Nancy and Roland to reiterate
19 or give a hint of what they think one more time, and
20 then I'll open it up for the committee.

21 DR. COX: Roland, do you want to go first,
22 or do you want me to?

23 DR. LEVANDOWSKI: I don't have as much to
24 say, probably, so maybe I could go first. I would say
25 that the choice originally back five years ago, if we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 could have done it, would have been for a B Beijing
2 184/93-like virus. The B Harbin -- the use of B
3 Harbin 7/94 was kind of a fallback position that
4 resulted from the fact that the B Beijing 184/93 virus
5 itself did not grow well enough for manufacturers to
6 be able to make a vaccine, and we were fortunate to
7 have that strain available to us at that time.

8 There has not been a compelling reason to
9 change that strain in the interim, or at least not as
10 much of a compelling reason to change that strain in
11 the interim, and although the data as they exist from
12 human serologies don't necessarily indicate that the
13 current vaccine is ineffective, the data from the
14 ferrets does suggest that we can detect what we would
15 expect, a continuing antigenic drift of the B Beijing
16 184-like viruses. And in the respect that we possibly
17 have an opportunity to change a strain that otherwise
18 we might be in a hard position to do, I think I should
19 remind everybody that it is not a light undertaking to
20 change any strain for the manufacturers. It's
21 particularly hard, and -- because it's totally
22 unknown, but it's almost impossible to make three
23 changes in one year.

24 And if we make no change at all this year,
25 I worry that we would be in a position in coming years

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 where if we wanted to update the B strain, we might be
2 a little more stuck, and then maybe Nancy should say
3 what she's got to say.

4 DR. COX: Okay, thanks, Roland. What I
5 would say is that we are seeing about the same pattern
6 of antigenic variation that we saw when we updated the
7 vaccine from the Panama 90 strain to the B Beijing
8 184-like recommendation back in '95. And it makes us
9 feel fairly uncomfortable here at CDC when we have
10 viruses rolling in from the United States and other
11 parts of the world that are really not well inhibited
12 by ferret antiserum, that is, when the titers are
13 reduced by four to sixteenfold, as the current B
14 strains are.

15 And we actually went back and looked very
16 carefully over data that we had accumulated over the
17 last year and a half, and found that we had a good
18 consistency with a couple of different lots of ferret
19 antiserum that had been used very extensively in our
20 labs, and that it was very clear that the number of
21 virus -- the proportion of viruses that are reduced in
22 titer with the actual -- with the antiserum for the
23 actual vaccine strain is increasing over time. And
24 this, I think, is something that always makes us feel
25 nervous. We are reluctant to report these viruses out

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 as being Harbin-like in that instance. You know,
2 there's clearly when they are Beijing 184-like, or
3 Yamanashi-like, but they are not -- they are reduced
4 in titer before they are greater with the Harbin
5 serum.

6 So, I think that having looked at the B
7 data over a number of years, we are getting to the
8 point where we were when we changed from B Panama to
9 B Beijing 184, and it -- so it makes us here at CDC
10 feel that it is time for an update of a few
11 components.

12 DR. KOHL: This is Kohl. Dr. Cox, at one
13 point in your presentation, you summarized the
14 fourfold decrease in percentages, going from Beijing
15 on to Bucharest. Can you repeat those summaries of
16 fourfold decreases?

17 DR. COX: Yes. I'll start with Beijing
18 184. That's 7 percent. Harbin, 74 percent.
19 Yamanashi, 13 percent. Bucharest, 31 percent. And
20 Georgia 02, 51 percent. And I should mention that we
21 have fewer data with the Georgia 02 antigen and
22 antiserum.

23 DR. GREENBERG: Okay, I'd like to open
24 this up. This is obviously the most difficult part of
25 our decision. But I'm glad Nancy and Roland clarified

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 their point of view, because I do think that we are
2 hearing at least from them that they are, in their
3 very discreet ways, saying that they think it is time
4 for a change. Do I have any comments from the
5 committee or any further questions?

6 DR. ESTES: Harry, this is Mary Estes. I
7 have a question. I had the impression from Roland
8 that if we decide we would like to make a
9 recommendation, there are some possibilities of
10 strains, the Yamanashi, he said, and the Romania may
11 actually grow better than the Harbin. But how soon
12 will it be known if those are really viable
13 alternatives? And how quick -- I mean, if we don't
14 make a final decision today, is there still time to
15 evaluate those and have them be able to be used by the
16 manufacturers.

17 DR. LEVANDOWSKI: This is Roland. I'll
18 try to answer that partly. I think Nancy might need
19 to jump in on this one also. It's always difficult
20 for the manufacturers to make a change. They have to
21 start everything over anew, but they are looking at
22 these strains already. These all have been -- many of
23 these influenza B strains have been distributed to
24 manufacturers, and they have had an opportunity to see
25 at least what the growth characteristics are.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 They don't really know how these things
2 will go through the process altogether. They don't
3 have as much information as they would have for, say,
4 B Harbin. But, from their experience, it sounds like
5 some of these strains do grow well enough that they
6 would be acceptable, and for some reports it sounds
7 like the Yamanashi strain might be as good or better
8 than the Harbin strain.

9 We -- if you don't make a decision on the
10 actual strain today, that I guess could be
11 accommodated. We're not -- I don't know that we have
12 all the information in hand to be firm about which of
13 these strains would be the optimum one, to tell you
14 the truth, and we have been anticipating getting some
15 information from our European colleagues in that
16 respect to know exactly what they are thinking about
17 strains.

18 But what I have heard informally from
19 Europe is that they too see that the Yamanashi and
20 Romania strains look like they grow pretty reasonably
21 well, and if there is not a problem with these things
22 from antigenic or genetic characterization, then they
23 would probably be useful.

24 DR. GREENBERG: Roland? So a
25 recommendation could be for a B 184-like strain that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 more closely approximated circulating isolets than the
2 B Harbin. Would that be what -- that would be -- that
3 would not specify the specific strain, but I think
4 encompasses what you and Nancy are saying.

5 DR. LEVANDOWSKI: I guess that would be
6 the sense of it, yes.

7 DR. GREENBERG: Okay, any comments? Any
8 additional questions?

9 DR. FERRIERI: This is Pat Ferrieri,
10 Harry. I think that what you have just stated could
11 stand as a motion, essentially.

12 DR. GREENBERG: I was trying to sneak one
13 in there.

14 DR. FERRIERI: And I second it as a
15 motion.

16 DR. KOHL: I want to clarify the question
17 to the FDA. Kohl. You did not require us to select
18 a specific strain at this point, is that what you are
19 saying? Is it the sense of the committee that it
20 should be something better than Harbin?

21 DR. LEVANDOWSKI: Actually, yes. There is
22 precedent for that in the past, where we have had
23 multiple strains to consider, we would like to, as
24 much as possible, optimize the antigenic
25 characteristics and also the growth properties. We do

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 want to pick a strain that seems to be best for most
2 of the manufacturers. We understand that there's
3 nothing that's perfect for every manufacturer, but we
4 would like to try to optimize that, and they do
5 provide information. That is one of the comments that
6 we would like to have from the manufacturers, in fact.
7 So, yes.

8 DR. GREENBERG: Do I have any other
9 comments or questions from the committee?

10 DR. DAUM: Yes. This is Daum from
11 Chicago. I'd actually like to ask Dr. Couch to
12 comment on his original view that we should not change
13 in light of the discussion that has gone on since he
14 spoke.

15 DR. COUCH: The ferret sera suggest a
16 minor difference in the strains, whereas, as Nancy
17 pointed out, there are -- frequently they are fourfold
18 lower, which you don't like to see. The Harbin
19 vaccine serologic responses, when you look at some of
20 the strains -- I don't remember seeing Yamanashi, it
21 wasn't one of the strains used, but Foshan and other
22 strains were used, and the serologic responses using
23 those different strains following vaccine were
24 identical, almost, to the responses following Harbin,
25 were not different in terms of their reactivity with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 those strains.

2 So that, you know, there's some rumblings
3 out there that B is changing, but I don't mean
4 anything critical by the comment, but I think Roland's
5 phrased it very well, that, gee, if we don't maybe do
6 something now, it may be too difficult to do it next
7 year, and let's sort of anticipate and get ahead a
8 little bit of the game, but I don't think there's a
9 strong argument at this end to doing that for another
10 basis. But on the other hand, the practical aspects
11 of this business are very appropriate.

12 DR. GREENBERG: Nancy?

13 MS. CHERRY: Which one?

14 DR. GREENBERG: Nancy Cox.

15 DR. COX: Yes?

16 DR. GREENBERG: You know, both you and Dr.
17 Couch have a tremendous repository of knowledge about
18 this, and I'm getting a slightly different feeling
19 about it from the two of you.

20 DR. COUCH: Oh, no, I don't differ with
21 the recommendation.

22 DR. GREENBERG: Okay.

23 DR. COUCH: No. I just meant that all of
24 the ducks are not in place that strongly support that,
25 but I thought Roland justified it in a very

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 appropriate way.

2 DR. GREENBERG: Bob, is that satisfactory
3 to you?

4 DR. DAUM: Yes.

5 DR. GREENBERG: Are we ready to vote on
6 the motion, then?

7 DR. ADIMORA: This is Ada Adimora. Could
8 you please just restate it again?

9 DR. GREENBERG: I think the motion as I
10 stated it is that our recommendation is for a B
11 Beijing 184/93-like virus that better fits kind B
12 isolets than the B Harbin strain does.

13 DR. ESTES: If an appropriate isolet that
14 can be made into a vaccine becomes available.

15 DR. GREENBERG: Right. Thank you, Mary.
16 Nancy Cherry, can you call a roll?

17 MS. CHERRY: Okay. Dr. Poland?

18 DR. POLAND: Absolutely agree.

19 MS. CHERRY: Dr. Adimora?

20 DR. ADIMORA: Agree.

21 MS. CHERRY: Mrs. Cole.

22 MS. COLE: I agree.

23 MS. CHERRY: Dr. Estes?

24 DR. ESTES: I agree.

25 MS. CHERRY: Dr. Huang?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. HUANG: Me, too.

2 MS. CHERRY: Dr. Edwards. Oh, she's gone.

3 Dr. Daum?

4 DR. DAUM: Yes. Agreed.

5 MS. CHERRY: Dr. Couch?

6 DR. COUCH: Okay.

7 MS. CHERRY: Dr. Eickhoff?

8 DR. EICKHOFF: Yes.

9 MS. CHERRY: Dr. Kilbourne?

10 DR. KILBOURNE: Yes.

11 MS. CHERRY: Dr. Griffin?

12 DR. GRIFFIN: I agree.

13 MS. CHERRY: Dr. Faggett?

14 DR. FAGGETT: I agree.

15 MS. CHERRY: Dr. Breiman?

16 DR. BREIMAN: Yes.

17 MS. CHERRY: Dr. Kohl?

18 DR. KOHL: Yes.

19 MS. CHERRY: Dr. Ferrieri?

20 DR. FERRIERI: Yes.

21 MS. CHERRY: Dr. Greenberg?

22 DR. GREENBERG: For the record, I agree.

23 Does this mean that we are close to the end?

24 MS. CHERRY: I think so. Roland?

25 DR. GREENBERG: I thank all of you for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 making my first turn at this very, very easy. I guess
2 our next meeting is going to be -- when is our next
3 meeting, Nancy?

4 MS. CHERRY: Our next meeting is May 10
5 and 11, but I don't have information for you on that
6 yet, but I expect it to be a meeting here in town, and
7 until we meet and decide, I think you'd better plan on
8 both days.

9 UNIDENTIFIED PARTICIPANT: Couldn't hear
10 a thing.

11 DR. GREENBERG: The next meeting is May
12 10th and 11th, and you should expect a two day meeting.

13 UNIDENTIFIED PARTICIPANT: Thank you.

14 MS. CHERRY: Hang on, I just don't want
15 you to close the meeting before we have open public
16 hearing. I just want to remind you of that.

17 DR. GREENBERG: I'm just biting the bullet
18 here.

19 DR. DAUM: Harry, Mr. Chairman?

20 DR. GREENBERG: Yes.

21 DR. DAUM: This is Bob Daum from Chicago.
22 While everybody who -- there seems to be a lot of
23 expertise on influenza on the telephone here, and I
24 would like to make a call for there being more studies
25 in place to monitor the efficacy of this vaccine in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 years to come. I'm a little disappointed that there
2 isn't more that we were able to hear today, and maybe
3 it's too early in the season to have it, and lots of
4 things are in place.

5 But someone made the comment that they
6 have an anecdotal experience, there's lots of
7 vaccinated people with pretty nasty disease this year.
8 I have the same anecdotal observation, and I recognize
9 that it doesn't mean 72 percent isn't right on the
10 money. Boy, I'd sure like to know more about how this
11 vaccine is performing in different populations.

12 DR. KOHL: This is Kohl. I would add to
13 that. I think that it really is important that we try
14 to get in place a mechanism for studies in children,
15 which are a fairly large percentage of people who --
16 or a significant percentage of people who have serious
17 influenza infections.

18 DR. FAGGETT: This is Walt Faggett. I
19 just want to second that comment. It's really
20 critical.

21 DR. GREENBERG: Are our colleagues at the
22 FDA listening?

23 DR. LEVANDOWSKI: This is Roland. I'm
24 listening.

25 DR. COX: -- at the CDC are also

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 listening. This has been a recurring theme at many of
2 our meetings, and we are actually in the process of
3 doing -- looking back at vaccine effectiveness, at
4 least elderly populations, and we know the importance
5 of also looking in younger populations. We have a
6 study that we have been doing in a day care population
7 for a number of years.

8 But it is difficult to find the funding to
9 do these studies properly, but we do recognize the
10 need, and are proceeding to get the information, even
11 if it is a bit later than would be ideal.

12 DR. KOHL: I would like to propose that
13 you hear that the committee says that they think that
14 this is an important item, that funding should be
15 aggressively sought for it.

16 MS. COLE: This is Rebecca Cole. I think
17 in children really should be a priority.

18 DR. COX: We will take that up the line
19 here, and work as hard as we have over the past few
20 years -- continue to work as hard as we have over the
21 past few years to get funding for such studies, but we
22 certainly appreciate your support for this type of
23 endeavor.

24 DR. LEVANDOWSKI: This is Roland
25 Levandowski. I'd like to say something about that,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 too. We also very much appreciate the comments along
2 those lines that -- you probably don't know, but the
3 FDA for about 20 years did fund clinical trials on a
4 very regular basis every year to look at issues of
5 efficacy and also to look at safety issues for
6 influenza vaccines, and unfortunately the budgetary
7 constraints at the FDA have prevented those from
8 continuing. But obviously it's something that has
9 been of great interest to FDA for a long, long time,
10 and part of the reason for that is that, of course,
11 the influenza vaccine changes every year, so it is
12 always a moving target trying to keep up with it.

13 But while the military are here as our
14 guests, I think it should be pointed out that they,
15 too, in the past have had more substantial support for
16 doing efficacy trials, and a lot -- in fact, much of
17 the most important information we have on efficacy,
18 including the original studies that were done to get
19 the vaccines licensed in the first place were
20 supported by the military. There have been somewhat
21 of a general complacency about influenza because it
22 has been thought to be so well controlled, and in
23 defense of that, I would say that every study where
24 someone has tried to show efficacy for influenza
25 vaccine almost routinely shows that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. GREENBERG: I would like to move it.
2 I think these are all very good points, but I would
3 like to, unless somebody has another -- I think we've
4 made our point here. I would like to move on to the
5 open public hearing.

6 MS. CHERRY: Let me ask, is there anyone
7 in the room that would like to make a statement? I'm
8 not aware of anyone here in the room. In that case I
9 guess I can declare the open public hearing session
10 closed.

11 DR. GREENBERG: In that case, I would
12 again to thank all of you for taking your precious
13 time and devoting it to this useful service --

14 DR. SALMON: This is Steve Salmon at
15 Parkdale. Can I say something for a minute, please.

16 MS. CHERRY: Oh, yes.

17 DR. SALMON: Okay, yes, we appreciate the
18 fact that the Sydney was chosen, and that does give us
19 something to work on. It is important that a choice
20 be made on the B well, well in advance of the May
21 meeting that is scheduled. If you wait until the May
22 meeting to make that kind of decision, you will limit
23 the number of doses that will be available in the
24 marketplace this year.

25 DR. GREENBERG: It was my impression, and

1 maybe I got this completely wrong, that the precise
2 choice of the strain will come from work that the CDC
3 and the FDA and the manufacturers do, and that's an
4 operations choice, and really doesn't need to come
5 back to the committee. Do I have that wrong?

6 DR. LEVANDOWSKI: That's correct.

7 UNIDENTIFIED PARTICIPANT: I think you
8 have it right.

9 DR. GREENBERG: Okay. So it doesn't have
10 to wait to the May committee. The May committee is
11 not involved in that choice.

12 DR. SALMON: Okay, so a choice will be
13 made in the next several weeks, then?

14 DR. LEVANDOWSKI: This is Roland again.
15 It could be in the next several hours.

16 DR. SALMON: Okay, very good. Thank you.

17 DR. GREENBERG: Again, I'd like to thank
18 everybody, and if nobody has anything else to say,
19 we'll have this meeting adjourned.

20 (Whereupon, the foregoing matter
21 concluded at 2:39 p.m.)
22
23
24
25

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

