

1 [Slide]

2 As an analogy to the microchimerism that we see in  
3 allotransplantation whereby cells from the transplant  
4 migrate and traffic through the recipient's body, and also  
5 because we knew that we had patients who were receiving  
6 extracorporeal splenic perfusion the day that we were to  
7 take the samples, we had to have a strategy to test for  
8 microchimerism.

9 You have already heard about this, but just to  
10 give you a refresher course, all pig cells contain PERV DNA,  
11 and on average you will see approximately 50 copies of PERV  
12 per cell. As you heard also from Gillian before, each pig  
13 cell contains approximately 2500 centromeric sequences so  
14 that if you did a ratio, you would do a ratio of PERV to  
15 centromeric copies and in a normal pig cell it would be  
16 approximately 50:2500. If you find a patient who has  
17 microchimerism and infection, there will then be more PERV  
18 than what you would expect for just a pig cell alone.  
19 Therefore, the ratio of PERV to centromeric would be greater  
20 than 50:2500, and indicative of infection. Of course, as you  
21 heard also, low-level infection cannot be excluded in the  
22 presence of microchimerism using this method.

23 [Slide]

24 Here is our DNA testing strategy. PERV DNA was  
25 looked for by PCR, and this could be attributed to either a

1 pig cell or a human cell. If you did not find any PERV DNA,  
2 then it would be considered no infection detected. If pig  
3 DNA was detected, we would then move on -- and this would be  
4 considered microchimerism if you had evidence of pig  
5 centromeric DNA, and this was done at GTI, or if you found  
6 pig mitochondrial DNA this would be microchimerism. If you  
7 found PERV DNA but you did not find any pig DNA, then the  
8 patient would be considered to be infected. If you had any  
9 evidence of microchimerism, then you would do the ratio  
10 which I just mentioned of PERV to pig centromere. Because of  
11 analogy to feline leukemia virus where the virus is  
12 sequestered in the salivary glands and then saliva can act  
13 as a reservoir, in patients who would be found to have  
14 microchimerism we went back and tried to obtain saliva to  
15 then test for PERV barriers in the saliva by RT-PCR. If you  
16 found a patient had microchimerism, we then did the ratio.  
17 If the ratio was equivalent to what you would find in a pig  
18 cell the patient would be considered to have no infection.  
19 If the ratio was larger than what you would see in a pig  
20 cell, then the patient would be considered to be infected.  
21 For infected patients, we would then go back and test the  
22 body fluids for PERV, as well as test the close contacts  
23 which we define as persons living in the same household.

24 [Slide]

25 That was to test for PERV DNA, which would be

1 testing for the latent type of PERV infection. To test for  
2 actual viral infection and looking for virions in the serum  
3 we went to testing by RT-PCR. If the test was negative, then  
4 you would consider the patient to have no infection  
5 detected. If the RT-PCR was positive, then you would  
6 consider that the patient was infected. Then we would test  
7 the bodily fluids by RT-PCR as well as test the close  
8 contacts.

9 [Slide]

10 Finally, we have tested for the DNA and the RNA,  
11 and for a historical overview of the patient to see if they  
12 had been exposed to PERV virions we did the Western blot  
13 looking for antibodies to PERV. If it was negative, the  
14 patient would be considered seronegative. If it was  
15 positive, the patient would be considered seroreactive. For  
16 seroreactive patients we would then test the saliva by RT-  
17 PCR as well as test the close contacts.

18 [Slide]

19 To give you a bit of more detail as to the actual  
20 tests -- you have heard about them already today, at GTI  
21 looking for DNA by PCR for PERV they were able to detect 1  
22 PERV DNA copy/half a million human cells, and the false-  
23 negative rate for 10 copies would be 0.03 percent.

24 At the CDC they did 2 PCRs. One was looking for  
25 PERV pol sequences, and they could detect down to 5 PERV

1 copies/150,000 cells, and 1 PERV gag DNA copy/150,000 cells,  
2 with a false-negative rate, based on empirical value, of  
3 less than 0.02 percent.

4 [Slide]

5 Finally, the microchimerism assay -- at GTI they  
6 used the pig centromeric assay, where they could detect 10  
7 copies/half a million cells, and here the false-positive  
8 rate was less 0.83 percent. At the CDC they used the pig  
9 mitochondrial assay where they were looking for 1  
10 copy/150,000 cells, and the false-positive rate here was  
11 less than 0.02 percent.

12 [Slide]

13 In terms of the other assays, the Western blot  
14 assay looking for antibodies was carried out at Q-One  
15 Biotech. There they used a recombinant gag and if this was  
16 positive, they would then test against the whole virus  
17 looking for p30. At the CDC they used infected human 293  
18 lysates looking for gag and p27.

19 For the RT-PCR looking for virions in serum, the  
20 GTI was able to detect 400 particles/ml, with a false-  
21 positive rate of less than 1 percent. At Q-One, looking for  
22 PERV virions in the saliva, the sensitivity of that assay  
23 was 1000 particles/ml, with a false-negative rate of less  
24 than 1.25 percent.

25 [Slide]

1           The interpretation of these assays are the  
2 following: No infection detected we be if we found no PERV  
3 DNA nor any PERV RNA in the patients. Microchimerism was  
4 defined as if ever we found any porcine genomic DNA in any  
5 of these patients. Infection with PERV was if we could find  
6 circulating virions in the serum and/or presence of viral  
7 DNA not which was not accounted for by microchimerism and/or  
8 if there was presence of virions in the saliva. Potential  
9 exposure to PERV would be if there was seroreactivity to any  
10 PERV antigens.

11           Now, these assays were quite complex. Although the  
12 study was designed two years ago, we have only completed the  
13 testing of the last five patients approximately ten days  
14 ago. We have had confirmatory testing by the CDC because we  
15 knew that this was a public health concern so that if  
16 anything had been of concern, this would be known to the CDC  
17 and reported appropriately.

18           We hope that over the summer we will have the  
19 report which will then be submitted to the regulatory  
20 authorities, and in particular to the FDA. Thank you.

21           DR. AUCHINCLOSS: Thank you very much. Now, it is  
22 essentially 11:30 and our next formal presentation is  
23 scheduled for 1:50, and between now and then we have, I  
24 think, three things to do. We have questions from the  
25 committee to our various speakers; we have questions to the

1 committee from the FDA; and we have lunch to have. To give  
2 you a sense of the schedule that I think we will follow  
3 here, we probably won't break for lunch quite at 11:50. That  
4 is only 20 minutes. I suspect we will go for about 45  
5 minutes before we break for lunch. Then I won't give you a  
6 full hour for lunch, so we will be back here by one o'clock,  
7 or something. The discussion of the questions to and from I  
8 suspect will amalgamate into one process here, and I will  
9 try to make sure that over the course of the next  
10 essentially two hours we cover the issues that you have  
11 expressed to us. If I haven't by the end of that time, we  
12 will come back to them and make sure that we have.

13           So, what I am going to do is just initiate  
14 questions from our committee members, and what I will then  
15 try to do is capture topics and keep us focused on  
16 individual topics as we go so that it won't necessarily be  
17 one person and then another person, and one speaker and  
18 another speaker. We will go by topic. Just to get the  
19 process started here, I thought I would turn to Jonathan  
20 Allan because his list of questions is growing long,  
21 although I know lots of people have long lists, and also he  
22 is the first in the alphabet. Jonathan, do you want to start  
23 the questions for us?

24                           **Ques from the Committee**

25           DR. ALLAN: Yes, why not? I have several

1 questions but I will just start with the first speaker,  
2 Gillian. As a point of information, you were demonstrating  
3 that you were able to get microchimerism with the pig to  
4 baboon studies, in the primate studies that were performed.  
5 I really liked your real-time PCR that you have done because  
6 it is very quantitative. So, one of the questions I had was  
7 were you able to quantitate exactly how many pig cells you  
8 were finding in the primates and over time, were you able to  
9 look to see whether the number of pig cells diminished or  
10 were stable? The third part of that question is whether or  
11 not different organs gave you the potential of having more  
12 numbers of pig cells in terms of microchimerism?

13 DR. AUCHINCLOSS: Is the question for Gillian  
14 Langford?

15 DR. ALLAN: Yes, for Gillian, wherever she is.

16 DR. AUCHINCLOSS: Will you come to the microphone,  
17 please? We will be asking questions of all of our speakers.  
18 So, be prepared to step up to the microphone.

19 DR. LANGFORD: In answer to the first part of your  
20 question, yes, we were able to quantitate the level and the  
21 number of pig cells, and we found that in most of the  
22 samples we looked at it varied from between 1-20 pig cells  
23 per sample. We didn't really find a correlation between the  
24 tissue types that we analyzed and the level pig cells,  
25 although in some lymph nodes we did find higher levels of

1 pig cells than in some of the higher tissues that we looked  
2 at but it wasn't universal throughout all the samples that  
3 we looked at.

4 DR. ALLAN: Did it vary based on when you took the  
5 samples?

6 DR. LANGFORD: All the samples that we analyzed  
7 were terminal autopsy samples so we don't have any serial  
8 samples taken from our primates. We are collecting serum at  
9 the moment to do a study to look for viral release but they  
10 were all terminal samples.

11 DR. ALLAN: Which is another point for discussion,  
12 which is when you take the samples and when you test them.  
13 If you test them late after the transplants happen you may  
14 get a different result than you would get if you would take  
15 them two days after the transplant or a week after the  
16 transplant. So, those are things that one needs to consider.  
17 If you take it at the endpoint you may get a negative  
18 whereas the cells have already gone to tissues.

19 DR. AUCHINCLOSS: Undoubtedly, that is true. What  
20 I would like to do is to take your question about the  
21 microchimerism and now focus on the microchimerism issue for  
22 a few minutes here, and then we will look for a new topic.  
23 All right, is the committee -- and I am looking for comments  
24 from committee members here -- is the committee satisfied  
25 that you can detect viral infection, should it occur, from



1 microchimerism with the dilutional assays that are currently  
2 being used? Marian?

3 DR. MICHAELS: I think all of the speakers have  
4 actually pointed out that while it is able to detect  
5 infection versus microchimerism if you have a greater amount  
6 of PERV than you had anticipated based on the correlation of  
7 how much there should be of the pig cells versus the PERV to  
8 start with. I think low-level infection isn't going to be  
9 able to be detected. I think everyone pointed that out.

10 DR. AUCHINCLOSS: Yes, please?

11 DR. ONIONS: First of all, I think all of the  
12 groups have presented developing assays that are very  
13 sensitive and well controlled, and I think that is to be  
14 congratulated on, the work they have done.

15 I think we are probably pushing these assays  
16 almost to the limit and I think this is going to raise some  
17 problems later on when we start to compare data from  
18 different groups. We are pushing the detection of  
19 microchimerism to a limit and we are pushing the detection  
20 of PERV to a limit. So, we are really asking a lot more of  
21 these assays than of conventional assays for HIV or for  
22 HTLV.

23 But my comment would be that I think that it is  
24 going to be important that each group actually validates in  
25 the background positive, let's say a 293 cell that contains

1 two or three copies of PERV; that they then validate in  
2 those assay systems what level of infection can they detect  
3 above that. In other words, we actually do have real data,  
4 validated data of what the level of detection would be in  
5 various backgrounds of microchimerism because, in fact, some  
6 of these assays will have different backgrounds. They are  
7 all interesting approaches but they will have different  
8 backgrounds. For instance, if you do a cell dilution, that  
9 would give you potentially a very discrete signal but its  
10 sensitivity is going to be much lower than if you use a  
11 whole DNA sample, say. So, I think we need to have those  
12 data.

13 DR. AUCHINCLOSS: Can you expand this for me, what  
14 do you mean when you said "we are pushing" these assays to  
15 the limit?

16 DR. ONIONS: Well, it is the very issue of when  
17 you start to look at ratios when it is necessary. You either  
18 have to look at discrete cell populations or you have to  
19 look at ratios with some kind of approach that GTI has used.  
20 They are using excellent technology. They are using  
21 quantitative PCR technology, the best that is available at  
22 the moment. But you are inevitable left between a ratio  
23 between two results that are quantitative, each with their  
24 own level of variation. So, you are then always going to  
25 create a grey area where you are not sure whether you are

1 looking at microchimerism alone or microchimerism in the  
2 background of infectivity. The same sort of arguments apply  
3 to the also excellent work done by the CDC. The same sorts  
4 of caveats apply.

5           So, I think what we have to do is actually to  
6 validate those systems to actually show in a real sense what  
7 infectivity they would pick up in a background of  
8 microchimerism.

9           DR. AUCHINCLOSS: Okay, so there is a general  
10 comment that the assays that are currently being used would  
11 not detect a low level of infection in the presence of  
12 microchimerism, and you are suggesting that it would be nice  
13 if we could figure out exactly what that low level would be  
14 that we would miss.

15           DR. ONIONS: It is not quite what I said. It is  
16 not an implied criticism. I think we are actually at the  
17 limit of what you can do.

18           DR. AUCHINCLOSS: I didn't mean it as criticism.

19           DR. ONIONS: All I am saying is that we need to  
20 know what level of infectivity we would pick up.

21           DR. COFFIN: In theory at least it would be  
22 possible to improve the situation with some sort of sorting  
23 or separation technology. I wonder if any of the  
24 representatives would care to address whether they would  
25 consider that with the practical issues --

1 DR. AUCHINCLOSS: So this is a question for either  
2 the committee or for the speakers, is there a different  
3 technology that would enable us to detect infection versus  
4 microchimerism perhaps by sorting cells --

5 DR. COFFIN: Sorting cells, separating human from  
6 pig, for example.

7 DR. SALOMON: That is a great idea theoretically.  
8 The limitations of that, at least in looking at  
9 microchimerism in, say, the human transplant situations had  
10 been that you really are talking about very few cells in  
11 these tissue compartments. So the idea, let's say, of  
12 sorting 20 pig cells out of a large population -- and then  
13 what you want to do is analyze the tissue without the pig  
14 cells. Right? I think that would probably be beyond the  
15 technology. Anyone could disagree with that but I would be  
16 skeptical.

17 DR. AUCHINCLOSS: There are more comments on this  
18 possibility of other assays.

19 DR. VANDERPOOL: I just want to ask a question,  
20 hopefully that we can keep in mind from the standpoint of  
21 where we came from and where we are going. We had a  
22 presentation to indicate that the last time we met --  
23 certainly in October of '97 -- there was such concern over  
24 particles identifying endogenous porcine retroviruses as to  
25 put experiments on hold. Now, as I heard the speakers with

1 my somewhat layman's ears, even though I am getting more and  
2 more educated by the week, I put stars by the presentations  
3 that were heartening in terms of former worries we had in  
4 terms of controlling and identifying infection.

5           Now, what I would like for the committee to  
6 address at some point is where are the worries, and how big  
7 are then? I mean, do we really have worries? And, if we  
8 have worries that there are infections that are being  
9 produced, what are they? Of what nature? How significant  
10 are they? And, I totally agree that we should start with  
11 microchimerism, but I want to keep that larger perspective  
12 in mind. Where have we come now? Are we in a place of  
13 greater comfort? Of very good comfort? Or, do we still  
14 need to worry and ask for more things?

15           DR. AUCHINCLOSS: I think that is a fair comment.  
16 Let me go back to the big perspective. What I think we have  
17 heard from the morning is that a lot of data is beginning to  
18 accumulate that is essentially negative with respect to  
19 actual infection of humans having been exposed to pig  
20 tissue.

21           The issue that we are now addressing, over the  
22 next hour and a half or so, is how good is that data? Can  
23 we trust the data that we have? Are we interpreting it  
24 correctly, or are there other kinds of data that we should  
25 be looking at? I think that is the issue that we are now

1 addressing. The answer to your question is no. What we have  
2 learned so far reads negative. How good is that negative?

3 Back for a second now, I saw Jonathan shaking his  
4 head about there is no other assay that will detect  
5 infection in the setting of microchimerism except a  
6 dilutional approach.

7 DR. ALLAN: Well, the context that I would like to  
8 look at is you have microchimerism, and the next part is the  
9 infection which is what assay -- RT-PCR versus whatever.  
10 Then the third part of that is the antibody-based assays --  
11 are they good enough? So, are the RNA-based assays which  
12 detect virus, are they good enough from what we heard this  
13 morning? The second part is are the antibody-based assays  
14 good enough to detect -- from the negative results you are  
15 hearing today the question is are those negative results  
16 good enough? Are they truly negative results?

17 DR. AUCHINCLOSS: That is exactly the question. I  
18 want to come to the antibody issue for sure, but any other  
19 ideas?

20 DR. SIEGEL: I didn't mean to interrupt but I did  
21 want to address the question and comment that Dan Salomon  
22 made a minute ago. With current sorting technology, if you  
23 have a rare population, say 1/1000 or even rarer, it can be  
24 very difficult to quantitate that population or to purify it  
25 because there will be in the general population other cells

1 that will create noise and that will look like the rare  
2 population. So the purity of the rare population, if you  
3 were to try to sort it, may be very low. But it should not  
4 be particularly more difficult to remove such a population  
5 than to remove a more common population providing you have  
6 whole cells and you have antibodies that selectively can  
7 identify that population.

8           It seems to me that you may also remove a small  
9 number of cells that you didn't want to remove. That  
10 shouldn't probably create a problem in this context. It  
11 seems to me it is a technology that ought to be looked at.  
12 If you get less than 100 percent removal of contaminating  
13 porcine cells from a specimen the expectation may be that  
14 while you may not totally eliminate the problem you should  
15 see, if you were to get 90 percent or more removal, a  
16 significant shift in the ratio of, say, centromeric DNA to  
17 PERV DNA if there really is PERV infection and you are  
18 selectively removing the microchimerism.

19           So, I am not sure this is something that is  
20 feasible to do routinely but it certainly is a testable  
21 hypothesis that this can be useful. Much as David Onions was  
22 saying that we look at the sensitivity of the test to  
23 distinguish between microchimerism and infection, it seems  
24 it would be easy enough to also look as to whether that can  
25 be improved so that when samples are positive one might

1 selectively study those samples, assuming they are whole  
2 cell samples as opposed to extracted DNA samples. One might  
3 then study those samples.

4 DR. CHAPMAN: Just to clarify a point, my  
5 interpretation -- and I ask Onions and Allan and Coffin to  
6 correct me if they feel this is incorrect, but I think what  
7 you were trying to communicating was not that you think  
8 these particular assays that have been presented are being  
9 pushed to the limits but that all of the assays and  
10 approaches we are using are being pushed to the limits in  
11 terms of the competence of detection at the limit we are  
12 dealing with, and the confidence we can place in that  
13 determination between infection and microchimerism. And,  
14 barring a major conceptual or technological advance, we are  
15 probably struggling in an area in which our currently  
16 available technology, even with refinements on the present  
17 efforts, is not going to be able to give us a great deal  
18 more confidence than we have at present. Is that a proper  
19 interpretation of what you are saying?

20 DR. ONIONS: I am not quite sure, but I will try  
21 to restate it. The limits of sensitivity and the limits of  
22 detection have been defined for these assays. So taking the  
23 limits of sensitivity as being usually lower, we know from  
24 the comments we have heard about quantitative PCR we can  
25 detect very few proviruses in the background of  $10^5$ . I mean,



1 it depends on which group you go to but we are talking about  
2 a few proviruses.

3           The routine limits of detection are usually put  
4 high to allow for statistical variation and various other  
5 factors. That is fine; that is understood. But these cannot  
6 be refined better than that with our current technology, in  
7 my view.

8           My problem I think is quite simply this one of we  
9 have this unusual problem of microchimerism. We have to  
10 relate a distinction between microchimerism and low-level  
11 infection. Inevitably, however good your assay is, you are  
12 going to have this grey area. All I am really just saying is  
13 that I think it would be useful to try and actually validate  
14 exactly what we can pick up routinely as low-level infection  
15 versus microchimerism. That is my comment.

16           My second comment would be that if that is all we  
17 are worried about, we are not worried about a great deal  
18 because if that is routinely the levels that we are going to  
19 be worried about, that is probably not a good sign. It means  
20 that we are not going to be hitting a major problem.

21           My caveat to all of that, of course, is that the  
22 kinds of work that have been done so far are not on the  
23 kinds of patients that we are going to be concerned about,  
24 which are the ones that are going to have immunosuppression  
25 and, on the whole, have not involved animals where we might

1 not have complement lysis of virus because they are coming  
2 out of transgenic animals. With those two caveats, I think  
3 the data are very encouraging.

4 DR. AUCHINCLOSS: Those are three really important  
5 points. If we get down to this level it would be kind of  
6 reassuring but we are not looking at the patients or the  
7 donor organs in the right combinations that really are the  
8 test --

9 DR. HIRSCH: Or perhaps the tissues that you need  
10 to be looking at. I mean, we asked a couple of years ago  
11 that PBMCs be routinely tested, and everybody came here with  
12 presentations about negative PBMCs. On the other hand, we  
13 have heard that PBMCs may not be infectable, given the  
14 caveat that Dr. Onions said, that under certain  
15 circumstances it is conceivable they could be but,  
16 nevertheless, we have no data that they are. And, the  
17 question is should we be continuing to ask all the companies  
18 to rigorously look at PBMCs and be assured, perhaps falsely  
19 assured that represents no infection because you can't find  
20 it in PBMCs. If you are putting tissues in brains or you are  
21 using hepatocytes, then PBMCs, to me, would seem to be the  
22 wrong area to look at.

23 DR. AUCHINCLOSS: This is going to become our next  
24 topic of conversation, which is what tissue should be  
25 sampled, but before we do that there is a speaker that

1 wanted to be recognized that I haven't gotten to.

2 DR. GORDON: Thank you. I would just like to ask a  
3 lay question --

4 DR. AUCHINCLOSS: Just identification, if you  
5 could.

6 DR. GORDON: Oh, I am sorry. My name is Allistair  
7 Gordon. I am with the Islet Foundation, in Toronto, Canada.  
8 The question I would like to ask is a lay question relating  
9 to the whole point of distinguishing between microchimerism  
10 and low-level infection. If we have some results in which we  
11 have that ambiguous outcome, will the passage of time not  
12 resolve it? In other words, will that low-level infection  
13 not become a more unequivocal infection over time,  
14 especially in some of the patients that were tested that  
15 were immunosuppressed? And, in the event that it remains  
16 indistinguishable from microchimerism, then does that tell  
17 us that we don't have a very virulent infectious agent?

18 DR. AUCHINCLOSS: Anyone on the committee want to  
19 respond? Jonathan?

20 DR. ALLAN: I think that is a beautiful point,  
21 which is if you are trying to decide if it is low-level  
22 infection either it will go negative, and that is okay, or  
23 it will increase, and that is not okay. If it increases or  
24 even if it doesn't increase, if you have active infection in  
25 the PBMCs or any tissues in the human you are going to get

1 antibodies probably. So, even if you lose the detection  
2 system for molecular approaches you are still going to get  
3 the antibody approaches.

4 DR. AUCHINCLOSS: And if it is really infection I  
5 want to know pretty quickly about it but, never mind, I  
6 think we have made the point here.

7 I promise we are going to come back to the  
8 antibody assay. We do want to come back to the antibody  
9 assay but right now the topic on the table is are we looking  
10 at the right tissues when we look at peripheral blood  
11 mononuclear cells? Comments?

12 DR. HENEINE: Walid Heneine, CDC. I want to come  
13 back to your original question, which is how much can we  
14 trust these negative data given the newly developed  
15 technologies and the limited validation we associate with  
16 them?

17 I just want to point out that the new information  
18 we have acquired when we have applied those new assays, for  
19 example, the data we showed on detection of viremia in pig  
20 plasma -- this is new information that we were not aware of  
21 when we applied RT-PCR or reverse transcriptase testing to  
22 pig plasma and became aware that there are some free  
23 particles that are found in this compartment. Applicability  
24 to factors derived from pig plasma, porcine Factor VIII,  
25 when we used those techniques, again, we were able to define

1 PERV in these factors.

2           So, given the data, one should not take lightly  
3 the results we are seeing, the negative results we are  
4 seeing. Unfortunately, we are dealing here with several  
5 limitations, for example, for the serology assay, and I know  
6 we are going to discussion this further, but the problems we  
7 have there seeing whether these assays can really detect  
8 antibodies in infected individuals is the fact that we don't  
9 have any infected person or animal that we can test.

10           DR. AUCHINCLOSS: That we can use as a positive  
11 control.

12           DR. HENEINE: Exactly. Unlike with an HIV-infected  
13 person, we don't have a pool of infected people that we can  
14 use to evaluate the sensitivity of the assays of 99 percent,  
15 95 percent or 50 percent. So, keep in mind those limitations  
16 that are inherent with us, and we have to live with them and  
17 move forward.

18           DR. HIRSCH: Along that line, could I just ask you  
19 a question while you are still at the microphone? You  
20 correctly say that there aren't any infected humans that we  
21 know of, and we have heard a lot about that non-human  
22 primates probably aren't a very good model system, at least  
23 the baboons and other ones we have heard about. There was  
24 some data in our materials about cats and mink being perhaps  
25 more susceptible. Is anybody trying to develop models in

1 these kinds of animals?

2 DR. HENEINE: Yes, this is what John Coffin  
3 actually touched on in his talk. The discussion at the  
4 Banbury Center meeting was focused on really trying to  
5 develop an animal model where we can evaluate all these  
6 parameters that so far we are not aware of. But there are  
7 several ways one can approach that situation other than now  
8 looking at xenograft recipients in baboons, and whatever.

9 One question that was discussed was what if we go  
10 and inoculate high virus titers into baboons or other animal  
11 species trying to see whether a persistent infection can  
12 take place, and then study kinetics of viremia, and so  
13 forth.

14 DR. ONIONS: Maybe I could just comment on his  
15 last point. I think he is right. I think when we look at the  
16 permissivity of a particular species for infection, it  
17 should always be borne in mind that the infection in vitro  
18 does not always mean you can infect in vivo. I think Karen,  
19 in her excellent summary of this meeting, pointed out that  
20 you can give chemografts into rat cells in vitro but it has  
21 not been possible to infect rats, as far as I am aware, in  
22 vivo.

23 On the other hand, we do have some primates now  
24 that Gillian Langford referred to, and both in collaboration  
25 with her and independently we have shown that rhesus cells

1 can be infected. So, I think I think we need to look for a  
2 primate model. That might be worth investigating because, to  
3 my mind, it would be better to have a primate model because  
4 of all the other associated factors, including complement-  
5 dependent lysis.

6 So, I think there are models that can be pursued,  
7 but I have some reservation about whether they are really  
8 going to give us the kind of data that would be helpful.

9 DR. AUCHINCLOSS: We now have actually two topics  
10 on the table, which is fine. We will have more than that.  
11 One is which tissue do you want to sample in and now we have  
12 shifted over a little bit into which species do we want to  
13 look at and which species is worth looking at.

14 Can somebody summarize for me? Yes, baboons can  
15 be infected with this virus; yes, rhesus cells can be but so  
16 far no animals? Is that correct? Does everybody agree that  
17 the baboon can be infected with this virus?

18 DR. HENEINE: Baboon cells.

19 DR. AUCHINCLOSS: Baboon cells, thank you.  
20 Chimpanzee, yes, also.

21 DR. HIRSCH: Is there any evidence of baboon?

22 DR. ONIONS: I am not aware of baboons being able  
23 to be infected but maybe someone else in the audience could  
24 correct me if that is the case.

25 DR. AUCHINCLOSS: Sorry?

1 DR. ONIONS: As far as I am aware nobody has shown  
2 infection of baboon cells in vitro. There adverse event data  
3 on the gorilla, there are data on the chimpanzee, and there  
4 are data on the rhesus that show that you can infect certain  
5 cells, but I would again add the qualification that in the  
6 case of the rhesus cells, in our hands, to do that we  
7 actually concentrated the virus very significantly before we  
8 actually infected the cells.

9 DR. HIRSCH: Did any of the sponsors say yes to  
10 baboon cells?

11 DR. ALLAN: I mean, I think you have to consider  
12 whether or not, or how seriously people looked at baboon  
13 cells lines and how thoroughly they have investigated that,  
14 and I don't really know at this point.

15 DR. ONIONS: I think Jonathan's point is very good  
16 -- perseverance, how much you use and whether you have luck,  
17 and it is probable that you could infect other primates.

18 DR. COFFIN: It is also important to keep in mind  
19 in that kind of analysis that these cells lines are all  
20 different from one another. The cell lines used in humans in  
21 no way parallel the one that you might have from a baboon.  
22 You take what is on the shelf basically, unless you are  
23 looking at fresh tissue, which there hasn't been much result  
24 with. This whole effect could just be a difference between  
25 one cell line and another that might have nothing to do with



1 the species of origin. So, we still have to keep that in  
2 mind when we are talking about negative results.

3 MR. BENEDI: It is a non-medical question, and  
4 maybe it is a silly one but I had it written down and you  
5 are touching on it now. The baboon and other non-human  
6 primates that have been infected, are we not creating  
7 something that we haven't introduced to the human cells yet  
8 but have introduced to baboons that could go from the  
9 baboons to humans instead of pigs to humans?

10 DR. AUCHINCLOSS: Your question, I guess, is by  
11 doing the baboon experiments are we setting up --

12 MR. BENEDI: Are we creating something new that we  
13 haven't tested human cells with in the baboon that has been  
14 developed through the pig?

15 DR. AUCHINCLOSS: I guess it would be  
16 theoretically possible but there are enough humans that have  
17 been exposed directly that the question has really been  
18 addressed even in the human directly.

19 DR. SACHS: I think Dr. Coffin's point is  
20 extremely important. How many different cell lines of humans  
21 were looked at before some good ones that get infected  
22 easily by these viruses were found? Was it quite a few?

23 DR. ONIONS: Well, in our initial screening we did  
24 about 20 cells lines of which we got two infected.

25 DR. AUCHINCLOSS: I think what we are hearing is

1 that if you look hard enough for a cell line from any  
2 species you will find cell lines that can get infected under  
3 the proper circumstances in vitro with virus if you have  
4 enough virus. And, I think the importance of it is that the  
5 baboon, the rhesus, any other primate as an in vivo model is  
6 reasonable to be looking at in terms of screening to find  
7 out whether or not any infections occur, regardless of  
8 whether the data are identical on the cell lines.

9 Well, let's put it in practical terms, and the  
10 practical terms are that in non-human primates you can do  
11 the experiment with transgenic organs; you can avoid  
12 complement; you can give them lots of immunosuppression; you  
13 can biopsy them at lots of different times; you can get lots  
14 of different samples from different places. So, you have all  
15 those things that you can do. But the disadvantage is that  
16 when you have done all the experiments and you get a  
17 negative result --

18 DR. SACHS: Well, it is the same as saying two  
19 different humans. I don't see that there is any real  
20 difference. There is no evidence that there is a real  
21 difference between what is going to happen in a non-human  
22 primate and what is going to happen in a human when exposed  
23 to a xenograft.

24 DR. AUCHINCLOSS: So, in the face of a big  
25 negative, which is what we have, it is worth doing these

1 experiments even though the result may be negative also.

2 DR. KASLOW: I was just going to follow-up on an  
3 earlier point from Dr. Wilson's presentation. You made it  
4 sound like, if I remember correctly, that human lung cells  
5 were the most favorable in terms of your infectability. Did  
6 I misunderstand that?

7 DR. WILSON: The 293 cell line is actually in  
8 kidney.

9 DR. KASLOW: I thought you mentioned lung cells as  
10 well when you were going over the various cell types that  
11 you had tried to infect or that somebody had tried to  
12 infect. Not true? No? Sorry.

13 DR. MICHAELS: I think that was Gillian's comment,  
14 and Gillian correct me -- the porcine lung had more PERV.  
15 High levels of PERV came from the lung from the pig.

16 DR. KASLOW: Thank you.

17 DR. HIRSCH: I am not sure I agree with David's  
18 comments that a baboon model is necessarily as good as a  
19 human model, if I heard him right, and that just because you  
20 haven't infected baboon cells doesn't mean that the baboon  
21 can't be infected. I think the onus has to be to prove that  
22 the baboon can be infected. If the baboon can't be infected  
23 in vivo, then it is not a good model system.

24 DR. SACHS: We have no evidence that a human can  
25 be infected in vivo.

1 DR. HIRSCH: Well, I am not saying a human can but  
2 I am just saying that if you are trying to see what the  
3 effects are of pig endogenous retrovirus and using a baboon  
4 as a negative model, and you don't have to worry about it  
5 because you haven't seen it in a baboon, until you can show  
6 that a baboon cell or a baboon in vivo can really be  
7 infected then you can't say it is a good model.

8 DR. ALLAN: That is the model though, the model is  
9 to determine whether or not that species can be infected or  
10 not. So, that is really the model. So, even when you get a  
11 negative -- that is why you are doing the experiment, to see  
12 whether the animal would be infected or not. So, it is  
13 reasonable to do that with high levels of virus in several  
14 different species. If you get a negative, like what we are  
15 seeing, it doesn't tell you that you are going to get a  
16 negative in humans but it gives you more information. So, I  
17 think we are saying the same thing; maybe we are not.

18 [Laughter]

19 DR. AUCHINCLOSS: There are three or four hands  
20 up. Let me start over here.

21 DR. COFFIN: I think in many respects the best  
22 animal model is the one that works, even if it is the mouse.  
23 I mean, you can get a lot of information on what could  
24 happen and what to look for in a virus infection with any  
25 species, any mammalian species into which you can get any

1 infection at all. Obviously, you want to be as primate as  
2 possible, and you would like to learn that the infection  
3 can't go in certain species, but I think it is worth working  
4 right on down until one finds something that is infectable  
5 because of the amount of sort of basic information and  
6 information on what to look for in people that could be  
7 generated.

8 DR. HIRSCH: John, wouldn't you say even though in  
9 vitro and in vivo are not necessarily parallel, but if you  
10 have data to show that pig viruses can easily infect cat  
11 cells that would be a model that you would be interested in  
12 looking at.

13 DR. COFFIN: I would start there, yes. That would  
14 make, I would think, a sensible starting point. If that  
15 doesn't work you would just keep on going.

16 DR. COOPER: This discussion about the model makes  
17 me think very closely because in vitro would be one thing to  
18 show that the cells could be infected but what we really  
19 want is an in vivo model and, as mentioned, we want a  
20 primate model. Now, a big problem with a primate model is  
21 that the primates, the Old World monkeys have these  
22 antibodies that destroy these pig tissues very quickly, and  
23 even though we have talked today about sort of long-term  
24 follow-up, we are actually talking about a very few cells  
25 surviving or we are following up an animal that has rejected

1 its organ within a few days or weeks in most cases, often  
2 earlier than that.

3           Have we thought of looking at New World monkeys  
4 which do not have antibodies against pigs? They have the  
5 sam gal expression on their tissues. So, the whole business  
6 of antibody complement-mediated rejection would be overcome,  
7 and if we could show that you could infect a New World  
8 monkey cell in vitro, then you would have an in vivo model  
9 for a least putting in cells. New World monkey happen to be  
10 rather small, but at least you could put in pig cells in  
11 large numbers and see if they became infected.

12           We know that this would be rather like a  
13 concordant xenograft with the antibody problem, and we know  
14 that in other models of concordant xenografts in primates,  
15 for example monkey to baboon, with the standard  
16 immunosuppression of today we can have survival of over a  
17 year. So, we would then have follow-up of living pig cells  
18 in a primate for more than a year, which would give us some  
19 idea perhaps in vivo whether these monkeys can get infected.

20           DR. AUCHINCLOSS: From the experts, is there  
21 enthusiasm for going to a species that doesn't have a lot of  
22 anti-gal to do these experiments?

23           DR. COFFIN: Well, as far as infection is  
24 concerned, I don't think it is such a serious problem in any  
25 species because one passage through a primate cell will take

1 care of that. So, virus produced by 293 cells, for example,  
2 will now be the same as virus that was produced after one  
3 round in a human. So, as far as that issue goes -- there may  
4 be other perfectly good reasons for doing it but I don't  
5 think that necessarily is the best reason for it.

6 DR. LERCHE: The last speaker covered the issue I  
7 was going to bring up, namely that with regard to many  
8 retroviruses non-human primates are not created equal in  
9 terms of susceptibility to these things, and I think a  
10 systematic approach is necessary for doing this, and I think  
11 the New World species are worth looking at in the grander  
12 scheme of things. So, in the interest of time I will just  
13 leave it at that, but there are other primates that haven't  
14 been looked at that may offer at least some comparative  
15 model systems to gain some more information.

16 DR. CHAPMAN: My point has been partially made but  
17 I will try to underline it with more clarity than I did the  
18 last time I spoke. As long as there is not in vitro evidence  
19 that you can infect baboon cell lines I would argue that it  
20 is foolish to put efforts into in vivo models using baboons  
21 to ask the question of whether PERV can cause infections.

22 Now, given that the baboon is a good model for  
23 other aspects of things people are going to be looking at,  
24 like the physiology of whether the organ will function and  
25 perhaps immunology -- I defer to people more expert on that

1 than I, it is reasonable and it is probably prudent to  
2 continue to study baboons who are exposed to porcine  
3 xenografts for other reasons for evidence of infection  
4 because evidence of an active in vivo infection with PERV in  
5 the baboon would be helpful. But as long as you don't have  
6 in vitro evidence you can infect any baboon cell lines I  
7 would argue that we cannot put any confidence or any weight  
8 on the absence of in vivo evidence of PERV infection in  
9 baboons and make decisions of the safety of xenografts.

10 DR. ALLAN: I wouldn't say it is foolish to go in  
11 vivo into a model system when you haven't -- I mean, how  
12 many baboon cell lines have been looked at? The problem, as  
13 John pointed out, is that every cell line is different and  
14 if everybody has looked at one baboon cell line or three  
15 baboon cell lines from one animal it makes it very  
16 difficult.

17 I agree with you that negative data is going to be  
18 very difficult to interpret in the baboon, but I think it is  
19 not that difficult to take a couple of baboons and bolus  
20 them with virus and see what you get, regardless of the in  
21 vitro data because it is worth doing.

22 DR. AUCHINCLOSS: We are bumping up the problem of  
23 how you go about dealing with a bunch of big negatives.  
24 There is a comment down here and then a comment from the  
25 floor.



1 DR. PAUL: I think there are numerous examples of  
2 viruses that don't grow in vitro and, yet, replicate in  
3 vivo. So, I would say that there is a merit in doing in vivo  
4 experiments. In addition, there is the possibility of  
5 developing standardized reagents for antibody assays.

6 DR. DINSMORE: Jonathan Dinsmore, from Diacrin. I  
7 would agree with Dr. Coffin about if you are looking for an  
8 animal model you want one that is permissive for infection.  
9 I would directly answer Dr. Hirsch's question about the  
10 tropism of this virus. There is a basic biology of different  
11 cell types, different organ types that is very similar  
12 across species. So, you could look to see if it appears in  
13 PBMCs, whether it has the specific tropism for a specific  
14 organ because you can dissect that quite well in an animal  
15 model. And, I would actually go back to the work done with  
16 the cat virus that jumped dogs because there you know what  
17 the history is of that virus in cats. You also know what the  
18 history is in dogs, and you can see if it followed the same  
19 course. So, I would vote for looking for a permissive animal  
20 model to look at these viruses rather than continually  
21 generating negative data.

22 DR. SACHS: The reason I asked how many cell lines  
23 had been looked for before you found some that got infected  
24 was exactly that issue. If all you are really looking for is  
25 to have a cell line that will show an infection, the

1 evidence is that if you keep looking hard enough you will  
2 find it.

3 DR. HIRSCH: Not necessarily. I mean, there is  
4 species specificity for a number of different viruses.

5 DR. ONIONS: I concur with the last statement. For  
6 instance, feline virus has been very extensively looked at  
7 by all sorts of technologies, including serotype marking.  
8 For instance, say, in guinea pig there is not a receptor  
9 that that virus will use, whereas in closer related species  
10 there are receptors. So, you can't make that assumption.

11 Personally, before investing resources in this  
12 kind of experiments -- the ethics of using animals anyway, I  
13 would not do that until I knew that you could infect the  
14 cell in vitro. Infection in vitro does not necessarily mean  
15 you will get infection in vivo but at least it is a start.  
16 For instance, now we know the rhesus can be infected in  
17 vitro, certain cells. That would be the species I would take  
18 as the species of choice to do the kind of bolus infection  
19 that has been suggested here already. That is, perhaps  
20 infecting those animals with high titer virus and 293 cells.

21 DR. SACHS: Can I answer that? I certainly agree  
22 with what you are saying. That could be, but I was just  
23 asking the question has it been done in a statistically  
24 meaningful way.

25 DR. ONIONS: No.

1 DR. SACHS: Has one looked at a similar number of  
2 baboon cell lines as the human? If the answer is you have  
3 and there is a difference, then I would agree with it. But,  
4 if it hasn't been done, it is silly to be speculating that  
5 there is a species difference without having done that  
6 study. That is what I was asking.

7 DR. ALLAN: The problem is that there are so few  
8 monkey cell lines to begin with and there is virtually  
9 baboon. We have generated some in my lab and we have sent  
10 them to Carolyn to look at, and maybe we will get some data  
11 on some of those cell lines. But the problem is deriving  
12 cell lines from monkeys. That is the problem.

13 DR. AUCHINCLOSS: I am going to try a brief  
14 summary of this portion, the animal species to look at, in  
15 the following way: that we have a lot of negative data, and  
16 with negative data it is hard to have confidence. Some  
17 negative data is less reassuring than others because if you  
18 can't even infect the cell line in vitro, then you are  
19 really not impressed by the negative data. Therefore, most  
20 of these animal models should not receive targeted resources  
21 for this kind of experiment but, on the other hand, it would  
22 be equally foolish -- I think I heard everybody say -- not  
23 to look at those animals that were being used for  
24 experiments, anyway -- pig organs going into baboons, or  
25 whatever. But if there was one species amongst the non-human

1 primates -- I thought I heard rhesus as a species that you  
2 might use for further investigator of PERV in non-human  
3 primates.

4 DR. VANDERPOOL: I want to ask one question off of  
5 that good summary, and that is, we said we were reassured by  
6 the data we have heard, and then we said there are two  
7 caveats. Number one, we are not looking at the patients and,  
8 number two, the donor organs that are going to be put in  
9 these patients. Then we shifted to this animal model  
10 discussion. Are we saying by your comment that this  
11 committee feels that an animal model should be looked at as  
12 a prerequisite to clinical trials, or are we saying  
13 something else?

14 DR. AUCHINCLOSS: That is a good question because  
15 it is the ultimate question that the FDA will be asking, is  
16 this a requirement?

17 DR. COFFIN: I don't think it should be a  
18 requirement, but I absolutely think it should be done in  
19 parallel. First, did I hear you say that all data are equal  
20 but some are more equal than others?

21 [Laughter]

22 DR. AUCHINCLOSS: All negative data are equal, and  
23 some are more equal.

24 DR. COFFIN: I wanted to point out that  
25 retrovirology has actually become a fairly advanced anti-

1 retroviral. So, with a lot of viruses we have a very good  
2 understanding about what makes cell lines permissive or not.  
3 So, I would put a plug in here for also doing a lot of basic  
4 studies, like trying to identify the receptor for these  
5 viruses. Then we could find out very directly whether the  
6 receptor was present in the species or not and saves  
7 ourselves a lot of work. We could find out if permissivity  
8 or non-permissivity at some other level, for example how  
9 efficiently the LTR works in certain kinds of cells -- all  
10 of that stuff is very well charted out with experience with  
11 HIV and MLV and lots and lots of other retroviruses, and I  
12 think deserves a lot of support.

13 DR. AUCHINCLOSS: I think that is a really good  
14 point. One can separate from the FDA's point of view a  
15 requirement for testing -- I thought I saw around the table  
16 sort of a lot of people going no. So, I am going to suggest  
17 that that is the committee feeling, that is not something we  
18 are suggesting should be a company requirement. On the other  
19 hand, good basic research in this field should be.

20 Then I am going to suggest that we probably ought  
21 to break for lunch. But we still have a lot of questions to  
22 cover before 1:50. So, we are going to come back here at one  
23 o'clock and keep working as hard as we can. Okay?

24 [Whereupon, at 12:16 p.m., the proceedings were  
25 recessed, to be resumed at 1:00 p.m.]



1 One has already been demonstrated. The other one is that,  
2 and Carolyn, please correct me if I am getting the spin on  
3 this wrong, but the actual data you presented when you  
4 looked at the pig PBL as a source for PERV, these were  
5 activated cells whereas a lot of these studies have been  
6 done without activating them.

7 In some cases, we are doing DNA PCR which would  
8 show integrated pro-virus. So that might be okay but, in  
9 other case, probably a better marker for infection would be  
10 either the release of infectious virions or messenger RNA at  
11 least by RT PCR. In both cases, you might have to activate  
12 the cells.

13 I would also point out that other cell types have  
14 to be considered in terms of infectious profiles. I think  
15 the cell that everyone keeps coming back to is UT93. This  
16 is a human kidney epithelial cell line. It would appear to  
17 have the receptor. I think John Coffin made a key point.  
18 We don't know the receptor yet. I hope that that will come  
19 out in the next year or so. I know there are a couple of  
20 groups working on it but, in the absence of that, pig cell  
21 lines that you know have it, if we are talking about  
22 epithelial cell lines having it, it would, I think, be  
23 remiss not to test at least several epithelial cells from  
24 primary tissues as part of a profile for looking for  
25 infection in animal models or in human patients.

1 DR. ALLAN: We have the opportunity, if you are  
2 looking at the pig-to-monkey studies, you may not have in  
3 humans which is to sample lots of different tissues. I  
4 think, obviously, everybody would suggest that you have to  
5 do that.

6 I don't think I am hearing you say that we  
7 shouldn't be doing DNA from PBMCs on patients. We still  
8 should be doing it. It is just that it is not going to give  
9 you all the answers. But the thing is, even if you get a  
10 very small amount of positive results and it is like  
11 microchimerism or something that we have heard earlier, if  
12 you continue to sample a patient, the real information comes  
13 if that varies, if it, all of a sudden goes up, with or  
14 without any RNA.

15 So the RNA may be intermittent. Depending on the  
16 type of retrovirus, you could only have intermittent  
17 viremias and you may miss them when you are sampling.

18 DR. SALOMON: Or you could also activate cells, is  
19 my point, or stress cells. You could do the same thing with  
20 epithelial cells. I also point out with humans you can do  
21 kidney biopsies, you can do skin biopsies, you can do cell,  
22 corneal epithelial, lung biopsy. Those are not horribly  
23 difficult things to do, actually.

24 DR. AUCHINCLOSS: Can do, but should the FDA be  
25 asking for them?



1 DR. MICHAELS: I actually agree but not completely  
2 to the degree that you are suggesting in terms of the other  
3 types of tissues. I think that when the other types of  
4 tissues are available that the FDA should ask that those be  
5 sampled as well, but I think to ask for a lung biopsy or a  
6 kidney biopsy if there is not another reason to be doing it-  
7 -I don't think you were suggesting that.

8 DR. SALOMON: I wasn't suggesting that.

9 DR. MICHAELS: However, things such as the Diacrin  
10 studies where we have brain tissue available on the patient  
11 that had passed away that, perhaps, that tissue could be  
12 looked at if it hasn't been looked at already.

13 The same thing with patients that, perhaps, are on  
14 hepato-assist devices and then go on to have an  
15 allotransplant and have the native liver removed, we could,  
16 then, look at those tissues or when the abdomen is opened,  
17 take some of the lymph nodes.

18 DR. ONIONS: I am still a proponent of, actually,  
19 looking at peripheral-blood mononuclear cells for several  
20 reasons. One is the reason I adduced earlier and that is  
21 that, in animal systems, the root of infection of the cells  
22 in the peripheral blood is not necessarily through the cells  
23 in the peripheral blood, it is through a stem-cell precursor  
24 that is mitotically active. That is my first comment.

25 My second comment is that what we are really

1 concerned at here is, to a large extent, a public-health  
2 issue and, therefore, the other tissues that you might think  
3 of looking at or at least fluids, body fluids, that are  
4 produced by the patient. Saliva is a very obvious choice  
5 because that is the known means of transmission of some of  
6 these viruses.

7           Whether or not you put that in as a routine is,  
8 perhaps, a matter of conjecture at the moment but,  
9 certainly, I think most of the people who consider the  
10 matter have that in their option program that if they have  
11 equivocal signals from peripheral blood, that those, at  
12 least are examined.

13           I am a clinician, but I am very cautious about  
14 overinvasive procedures on patients without any rationale  
15 for it. So, for the moment, I think peripheral-blood  
16 mononuclear cells are still one of the best sources we have  
17 to examine.

18           DR. HIRSCH: I am a clinician and I certainly  
19 would second that, that to go on a wild goose chase to look  
20 at a variety of different specimens with no real rationale  
21 that it is going to be there doesn't make any sense. I  
22 think if you are talking about putting tissue in brains,  
23 certainly looking at spinal fluid would be reasonable. If  
24 you are doing kidney transplants, looking at urine might be  
25 reasonable.

1 But without a good rationale to look at saliva or  
2 lung biopsy or salivary-gland biopsy, whatever, doesn't make  
3 a lot of sense to me. I would certainly put most of my  
4 money looking at antibody and developing a good, reliable  
5 antibody test. It sounds, from what we heard this morning,  
6 that people are well on their way to doing this.

7 If you can find no antibody in humans who have  
8 gotten various xenotransplants, I think going on a wild  
9 goose chase looking for lots of different specimens doesn't  
10 make much sense to me.

11 DR. ONIONS: Could I just come back very briefly  
12 on that last one. I agree almost word-for-word with that  
13 except one comment, one I keep coming back to, and that is  
14 we are working towards better antibody tests. We have heard  
15 presentations from several groups about that and they will  
16 get better.

17 But I think we must always remember that the  
18 probability--and it can only be a probability at the moment--  
19 is that antibody in these groups of patients is likely to  
20 be indicative of exposure and possibly of recovery. The  
21 patients you worry about are the ones that don't develop  
22 antibody because the cats that develop life-long infections,  
23 the gibbons that develop life-long infections, the primates  
24 that are infected with alphatrophic neuron leukemia virus  
25 that develop life-long infections, don't develop antibody.

1 Those are the people that you are worried about.

2 But there, you get an unequivocal pattern of  
3 infection because you can pick up virus, the pattern of  
4 viremia, and you can pick up virus in all sorts of other  
5 sites including peripheral-blood mononuclear cells. So  
6 antibody is an important adjunct to the test we need, but  
7 you need all of these tests because, only by having each of  
8 those tests, can you put the patient into the correct  
9 profile.

10 DR. AUCHINCLOSS: I want to come back to this  
11 point but just let me close off the discussion. What I  
12 heard for the FDA was pretty resounding silence to the  
13 notion that there was some other tissue that you should be  
14 actively seeking. Is that what you heard?

15 DR. SIEGEL: I guess what I would ask about that  
16 hasn't been addressed in the last two minutes but was raised  
17 earlier would perhaps be a little more discussion about  
18 plasma. It was noted earlier that some retroviruses have an  
19 early plasma-viremic phase. Obtaining plasma certainly  
20 doesn't add any more invasive nature than obtaining  
21 lymphocytes.

22 Should there be more routine screening of plasma?  
23 Should there be more occasional screening or is plasma not a  
24 high-yield place to be looking.

25 DR. AUCHINCLOSS: So we are about to come to

1 antibody assays but, before we go there, we are talking,  
2 now, about other tissues and we are on the question  
3 specifically of plasma. What do the experts say?

4 DR. HIRSCH: I would certainly go for plasma. I  
5 think plasma, given what we have heard so far, makes at  
6 least as much sense as PBMCs because it reflects not only  
7 what is in PBMCs but what may be elsewhere. Certainly, I  
8 would go for that. It is an easy source of material.

9 DR. ALLAN: It is also much more informative  
10 because you are looking for RNA expression so you are  
11 looking for virus expression. So it is much more  
12 informative.

13 DR. ONIONS: I would agree with Dr. Allan's last  
14 point. I think you need all of these. Again, I would just  
15 reiterate, I don't think any of these should be done in  
16 isolation; that is, you need to look in plasma for plasma  
17 viremia, you need to look for latent infection or probable  
18 latent infection in peripheral-blood mononuclear cells and  
19 you need to look for antibody.

20 Each of those gives you a different piece of  
21 information which, in combination, gives you a good snapshot  
22 view of what that patient is--the status of the patient at  
23 that time.

24 The comment I make about looking in plasma is that  
25 I mentioned earlier using RT PCR which you can do and we

1 have done. But that is probably not the ideal test where  
2 you are putting in, or where you are potentially putting it,  
3 solid organs because you will get potential leakage of  
4 messenger RNA from the cells and, whilst there are  
5 techniques for distinguishing between messenger RNA and  
6 virion RNA, that is not, perhaps, the best methodology.

7 The best methodology is probably using reverse  
8 transcriptase-enhanced PCR, RT-PCR, because that will detect  
9 virions down to at least down to  $10^2$  virions. So we can  
10 detect low-level viremias using that kind of technology.

11 DR. COFFIN: David, I assume you meant PCR-  
12 enhanced RT, not RT-enhanced PCR.

13 DR. ONIONS: So sorry. Thank you, John. That is  
14 exactly what I meant.

15 DR. AUCHINCLOSS: Did the FDA get what they are  
16 saying?

17 DR. SALOMON: One point I wanted to emphasize,  
18 though, in my comments, is that I think that, oftentimes, in  
19 these discussions we get so focussed on vascularized organ  
20 transplantation that we don't think enough about the  
21 implications of cellular and tissue transplantation.

22 I realize I set some people thinking that I meant  
23 that you should go around biopsying all those organs. That  
24 was the last thing I meant. For example, if you do pig-  
25 islet transplants, which is right on the horizon, and you

1 inject them into the portal vein, therefore embolizing the  
2 liver, then I don't think it is unreasonable to request a  
3 liver biopsy.

4           If you do porcine stem cells, hematopoietic stem  
5 cells, into a patient in the tolerance-induction strategy  
6 which is not that far off, then I don't think it is  
7 unreasonable to do a bone-marrow aspiration. So that is  
8 actually what I was specifically referring to.

9           DR. ALLAN: I wanted to come back to what David  
10 just said. I want to make sure I understood what you are  
11 saying. When you are asking for an RT-based assay versus a  
12 PCR-based assay, what you are saying, basically, is that it  
13 is more sensitive, therefore it is a first screen. But you  
14 are not saying not to do the RT PCR because you need to know  
15 that what you are looking at is really a pig virus and not  
16 some other retrovirus.

17           DR. ONIONS: Our RT PCR assays and the assay that  
18 Walid calls AMP RT--in other words, a reverse-transcriptase  
19 that has a PCR input--those assays, in our hands, give very  
20 similar sensitivities. In fact, if you actually take the  
21 limit of detection--that is down to literally 10 virions--  
22 but--the limits of sensitivity you put higher because you  
23 need a reproducible assay.

24           So you still are talking there about 100 virions  
25 in either assay system. I prefer to use the reverse-

1 transcriptase-based assay because you can detect, I think, a  
2 message that is creeping back into plasma. Because if you  
3 look for a housekeeping message, then certainly some of the  
4 primates that we have been involved in looking at, you can  
5 pick up those messages.

6 DR. ALLAN: I understand that. What I was getting  
7 at was that if you use an RT-based assay, it is not telling  
8 you what virus you are really looking at. So, to me, it is  
9 a first screen but you still have to go back and do  
10 something like RT PCR to know that it is a pig virus.

11 DR. ONIONS: Absolutely.

12 DR. AUCHINCLOSS: You are allowed to have some jet  
13 lag, Dr. Onions. This is what, your third trip in six weeks  
14 to the United States to help us out? We really appreciate  
15 your coming.

16 DR. HENEINE: I think the point that David was  
17 trying to make regarding the RT PCR analysis of PERV RNA,  
18 that in the pig plasma testing, sometimes you also detect  
19 PERV RNA that is not particle-associated that is coming from  
20 the cells. That would interfere with the interpretation of  
21 the data. Therefore, you should be aware of that  
22 limitation.

23 What we don't know of is whether this situation  
24 will be present in xenograft recipients, cellular xenograft  
25 recipients or maybe it will be present in organ xenograft



1 recipients. But I guess this is what he wanted to  
2 highlight, that there could be a limitation in that. That  
3 is not to say that we need not use this technology for  
4 diagnosis.

5           Again, I fully support also the other point that  
6 we have technologies available to look for reverse-  
7 transcriptase testing which are markers of particles. And  
8 we could also use those.

9           DR. ONIONS: You interpreted me accurately. Thank  
10 you.

11           DR. COFFIN: It is not real hard to centrifuge the  
12 plasma and see if what you are looking at is a particle or  
13 not.

14           DR. AUCHINCLOSS: Any other comments on plasma? I  
15 think I am hearing a loud "yes" there.

16           DR. DINSMORE: Correct me if I am wrong here, but  
17 difference between an infectious endogenous virus and a  
18 noninfectious endogenous virus is just that it could go on  
19 and infect another cell. So you could actually have an  
20 endogenous virus that has malfunctional envelope protein.  
21 You would make perfectly good viral particles but they would  
22 be noninfectious. That could be picked up in this sort of  
23 assay. So, again, we have to be careful about those  
24 interpretations.

25           DR. COFFIN: But, in most of the models, we know

1 retrovirus as the production of those is very low by  
2 comparison because you get so much amplification on  
3 infection of other cells. It is not at all clear whether  
4 you actually see that in any retrovirus in an animal.

5 DR. AUCHINCLOSS: Okay. I think it is time to  
6 move on to the question of do we now have an antibody assay  
7 which, last time we met, was the big missing piece.  
8 Everybody said that when we had it, we would feel much more  
9 comfortable.

10 Let me come back to the point that David Onions  
11 was making that patients with persistent infection may not--  
12 but that is not, necessarily, the issue that we--at this  
13 point, we are still asking the question, can infection occur  
14 and so, even if you miss 80 percent of the people who are  
15 infected, it is still an excellent way of determining the  
16 answer to that question.

17 Is that a fair statement?

18 DR. ONIONS: That is exactly a fair statement.  
19 Could I maybe make a comment about the serologic assays.  
20 There are several people, groups, systems, out there. We  
21 have heard from the Nextran group, from John Logan, from  
22 Walid, from work carried out on behalf of Immutran using  
23 recombinant P30. There are a number of approaches and I  
24 think all of these are interesting approaches.

25 I think one comment I have to make about them at

1 the moment, and I think it is a criticism that Jonathan  
2 Allan has raised and I think it is a well-made one, and that  
3 is that it relates to this problem that we don't have a  
4 patient population--somebody else may--that we know we can  
5 look at the patient population and follow it through.

6 We don't have that so the interpreting the  
7 significance of the data is difficult. The second comment  
8 is that, at the moment, we are looking at reactivities to  
9 maybe one or two viral proteins--sorry; we are looking  
10 frequently to inactivity to one viral protein instead of  
11 P30, although we are usually following up with, say, whole  
12 viral proteins as a sort of an adjunct.

13 Normally, when looking at serological responses,  
14 for instance, the FDA would require in an HIV test or an  
15 HTLV1 test, that you have reactivity to at least two viral  
16 proteins. I think those kinds of criteria are going to  
17 become necessary to be sure that we are looking at true  
18 serological responses to virulence and not to crossreactive  
19 antibody.

20 What we can be certain is that, at least I am  
21 confident from my own data, that there are patients out  
22 there, people out there, with antibody that recognize  
23 epitope on recombinant P30. Whether or not that is antibody  
24 elicited in response to a virus, I cannot say and I do not  
25 know.

1           What I think you, therefore, need is, as a minimum  
2 criteria, response to two viral proteins to be sure that you  
3 are actually looking at a response to a virus-induced  
4 protein response.

5           DR. SIEGEL: I have a question about that comment.  
6 This issue of crossreactive antibodies has come up before.  
7 In this particular case, at least as we move prospectively  
8 from here, we know, unlike in the diagnosis of many  
9 diseases, the absolute time of exposure and we are able to  
10 obtain pre-exposure serum.

11           Shouldn't the existence of pre-exposure serum give  
12 you a pretty good handle as to whether it is a cross-  
13 reactive antibody or a newly arisen antibody?

14           DR. ONIONS: Absolutely. That is a very good  
15 point. Unfortunately, in the particular patients that have  
16 been positive, those sera do not exist.

17           DR. SIEGEL: But prospectively, obviously--

18           DR. ONIONS: Absolutely. No, no; that would begin  
19 to sort itself out. I absolutely agree.

20           DR. AUCHINCLOSS: For my sake, can you elaborate a  
21 little bit more about--there are people out there with  
22 reactivity to at least one epitope on P30. They were people  
23 who received pig tissue or they were people who you just  
24 screened in the population?

25           DR. ONIONS: We have a group of people from

1 different studies who was to have antibody to P30, and we  
2 are confident they have antibodies to P30, because we can  
3 show the purity of the antigen by mass spectrometry. We can  
4 also show you in the Sofigen system that the actual moiety  
5 that is binding to the P30 has the mass spec characteristics  
6 of human IgG.

7           So, I think, to that level we are confident there  
8 is antibody in these people but recognize an epitope on P30.  
9 But, again, I will restate that we do not know the incidence  
10 of that antibody.

11           DR. AUCHINCLOSS: But they were people who  
12 received pig tissue?

13           DR. ONIONS: Yes; they were.

14           DR. AUCHINCLOSS: Do you find any of the rest of  
15 us that have this antibody?

16           DR. ONIONS: That is an interesting question. In  
17 a validation study--I have to be very careful what I say  
18 here if it gives away something, in the validation study, we  
19 did look and we did find two reactors. Those reactors were  
20 exposed, at it turned out, to porcine material.

21           DR. AUCHINCLOSS: But most of us are.

22           DR. ONIONS: Yes; they were exposed in a very  
23 particular way.

24           DR. AUCHINCLOSS: Antibodies?

25           DR. ALLAN: I wanted to just add a little to what

1 David said which is if you are looking--and I think people  
2 have done a very good job of developing antibody-based  
3 assays in monoclonal antibodies and recombinant antigens.  
4 If you look at the established assays, either for HIV1 or  
5 HTLV, which are two human pathogens that are retroviruses,  
6 you typically need to have more than one antigen in your  
7 assay system to validate it.

8           There are a lot of problems with using one  
9 antigen. If you look at the HIV system, you look at SIVAGM  
10 which is a virus found in African green monkeys, those  
11 monkeys don't may antibodies to gag, not that you wouldn't  
12 make antibodies to PERV, but it is possible that you might  
13 not make antibodies to one particular antigen or that it is  
14 not as sensitive as another antigen.

15           I don't know that it is that difficult. It may  
16 be--to produce enough virus to make either a whole virus  
17 assay, Western Blot or ELISA. That is typically what is  
18 used for HIV. With HTLV, it is a little different, because  
19 it is a cell-associate virus. But I would suggest that you  
20 try and stay away from those assays in which you use cell  
21 lysates because of the dirty nature of those things.

22           If you have a choice, and I think you have been  
23 developing them, but whole virus assays.

24           DR. ONIONS: I absolutely agree with you. I  
25 didn't want to compare one assay against another. I don't

1 think that is very productive. But my own view is exactly  
2 yours, that you don't use cell lysates.

3           What we do is we use recombinant P30 as a cell  
4 lysate, but we do as a secondary is we use whole virus that  
5 has been sucrose-banded so this is just virulence, not cell  
6 lysates. So it is equivalent to looking at all the viral  
7 proteins in that secondary Western blot.

8           DR. ALLAN: But should that be the first--

9           DR. ONIONS: I am inclined to agree with you,  
10 actually. Yes; I think it probably should be.

11           DR. HENEINE: The data we have speak to the fact  
12 that cell lysates are good antigens and there are high-level  
13 detectable antigens there and are seroreactive with  
14 antisera, the antisera we use and with diluted antisera.  
15 So, from these data, I am not convinced that these are not  
16 adequate antigens.

17           DR. ALLAN: The only thing I would say is we don't  
18 have any positives, so you really can't know how good your  
19 assay is because you don't have a positive.

20           DR. HENEINE: That is problem we are all faced  
21 with. You don't have a group of 100 infected people where  
22 you can use their sera and define criteria for positivity  
23 like we do with HIV and

24           DR. AUCHINCLOSS: This is the theme of the  
25 meeting; how confident are you with negative data when you

1 can't find any positives?

2 DR. ONIONS: Let me just address this question. I  
3 think this is going to become a real issue. There are very  
4 good labs out there with slightly different assays and I  
5 think you will find, as in all new areas of research, there  
6 are going to be differences of results. That is inevitable.

7 One point I would make earlier, that I didn't make  
8 earlier, about the PCR-type assays is that because people  
9 are pushing these to high sensitivity, we are going to get  
10 into stochastic things where you are almost at the  
11 Poissonian distribution of whether one sample taken from a  
12 patient is positive and then the second is, maybe, negative.  
13 So those kinds of conflicts are going to occur.

14 There are also going to be some problems over  
15 different methodologies and whether they be for serology or  
16 for PCR. What might be very useful for all of the groups  
17 involved is if we had a set of standards and those standards  
18 can be multiple. They could be, for instance--they might  
19 have to be polyclonal antibody raised against virion. They  
20 might be antibodies raised in a primate against recombinant  
21 P30 as a standard--such the we have a number of standards  
22 that can be used between us for inter-lab comparison.

23 I think that is going to become necessary to get  
24 some feel for the sensitivity and specificity of some of the  
25 assays that are around because I do see that as a problem



1 that is emerging. But I think there is good will on all  
2 sides and I think that can be sorted out.

3 DR. AUCHINCLOSS: I want to take that comment to  
4 go in two directions. The first is the question of what the  
5 FDA should actually require of potential sponsors. Are the  
6 antibody tests sufficiently well-developed, useful and  
7 available that that should now become a part of a  
8 requirement for any sponsor coming to the FDA, that they can  
9 track their patients with some form of antibody assay.

10 DR. ALLAN: It looks to me as though we are in the  
11 development phase of our antibody-based assays and there  
12 needs to be some direction in terms of what we are really  
13 going to use to validate the assay and what assay it is  
14 going to be. It is going to be an anti-gag assay.

15 DR. AUCHINCLOSS: That was the crucial word,<sup>3</sup> and I  
16 saw nods around the table. But if the FDA wants to hear  
17 more about this--what I heard was still in the development  
18 stage and not at the point where antibody--but, now, let's  
19 come to the second direction that I wanted to go which is  
20 actually part of a larger question that Dan was bringing up  
21 which is quality control and sharing of tissues and assays  
22 between the different potential sponsors which is a  
23 potentially tricky area.

24 What can the FDA do to foster interactions between  
25 the different companies, get good things to happen so that

1 the assays are done the same way, that we know each company  
2 is doing this assay as well. Is this an issue that you want  
3 to go into a little bit here?

4 DR. SIEGEL: I think that as long as the committee  
5 is advising that we not require the assays, it is somewhat  
6 of a moot issue as to how we can get the companies to do the  
7 same assay.

8 DR. AUCHINCLOSS: But what I am hearing the  
9 committee say is that the assay is not well enough developed  
10 to be a requirement at this time but still a high priority  
11 to develop antibody assays that are of good quality and that  
12 standardization between the different groups is important.

13 DR. ONIONS: I wonder if I could take a little  
14 bit--slightly reply to Jonathan Allan and slightly to extend  
15 the comment you just have made, Hugh. Jonathan Allan is  
16 right. I don't think we yet have assays that we can totally  
17 rely on because first of all I think we will find that there  
18 are going to be some differences between groups. That is  
19 one issue.

20 The second issue, I absolutely do agree with him  
21 that a good serological assay should have a system for  
22 detecting more than one viral protein. I would suggest,  
23 however, that, perhaps because of the speed of  
24 presentations, that has, perhaps, gone by the board. The  
25 assays that have been used by most groups actually do detect

1 antibody responses to multiple virus proteins. That is the  
2 first comment.

3           The second comment was they have actually been  
4 validated in the strict FDA sense of the word validation;  
5 that is, against sera from patients who are clinically  
6 normal, from patients with HIV infections, patients with  
7 HTLV infections. So those kinds of formal validations have  
8 been conducted.

9           We have also heard from John Logan and his group  
10 that pig veterinarians who have been exposed to virus who  
11 are doing very similar study at the moment in collaboration  
12 with a group in The Netherlands.

13           So that kind of work is ongoing and, I think, very  
14 near completion. So I accept the partial criticism but I  
15 think it is only a partial one. I think, of the point of  
16 view of standardization, that is a very simple thing to do.  
17 I am very happy to hand out recombinant P30 to other people.  
18 That is not a problem. I think what we need are a few  
19 standard sera that we could use between labs. That is the  
20 usual way of doing the kind of interlab comparison.

21           DR. KASLOW: That is exactly what I was going to  
22 suggest. At the very least, what you would have is a common  
23 panel that are available to everybody who is developing an  
24 assay so they can compare internally what they are doing and  
25 externally.

1 DR. WILSON: I was wondering if we could just back  
2 up a little bit and ask that same question about looking for  
3 plasma viremia since I think I would value the committee's  
4 comments on whether or not assays for that type of analysis  
5 are better standardized and further along in terms of  
6 development.

7 DR. ONIONS: Could I just comment because--Walid  
8 expressed more elegantly than I did what I was trying to  
9 say. Both Walid and I see the same kinds of data as has  
10 Jimmy Langford and that is that if you look in the plasma of  
11 pigs, you will find--by RT PCR, you can certainly find RNA  
12 of PERV.

13 But the problem is if you also look for a control  
14 RNA, globin, you will find that so that you cannot  
15 distinguish whether that is really associated with a virus  
16 or whether that is just because you are looking at cell  
17 breakdown. So that is probably in the context of the whole  
18 solid-organ transplant into a patient not the methodology of  
19 choice for the initial detection. Probably that ought to be  
20 based on a particle-associated assay which John Coffin has  
21 emphasized is using reverse-transcriptase.

22 Using very sensitive PERV-type assays, you can do  
23 down to  $10^2$  or even lower in terms of the number of virions  
24 you can detect and we are sure of that because we can take  
25 virus particles actually and count them under EM and take

1 the dilutions out. You can validate that that is the number  
2 you can detect.

3 DR. WILSON: But I guess the question you had  
4 asked regarding antibody assays was whether or not the FDA  
5 should require that and whether or not the same question  
6 would apply in terms of, for example, looking for RTX in  
7 these sensitive assays.

8 DR. ONIONS: My position is very simple. It has  
9 not changed for two years. I actually think that if you are  
10 trying to determine the status of a patient, that you really  
11 need three separate pieces of information and those are the  
12 peripheral-blood mononuclear cells PCR-positive, is there  
13 evidence of plasma viremia by an appropriate assay which  
14 could be infectivity if we had a good one, and we don't, so  
15 the next best assay, in my view, would be PERV. And the  
16 third criteria are the patients antibody positive.

17 So I think if you have those three sets of data,  
18 those are the data that are likely, in the most cost-  
19 effective fashion, to give you a picture of the status of  
20 that patient.

21 DR. ALLAN: From my perspective, there was some  
22 suggestion from, I think Jay, that said, "Well, I guess we  
23 are not going to require an antibody-based assay." I would  
24 step back and say maybe not the assay that some people are  
25 using, but I think that you do need to have an antibody-

1 based assay.

2           The only reason we didn't really push it last year  
3 was because there wasn't anything developed. Now there is  
4 something developed. So I think that you can require  
5 antibody-based assays. The only problem, then, is which  
6 assays.

7           DR. SIEGEL: I don't think I was expressing what  
8 my thoughts were. I think I was expressing what I heard  
9 Hugh say, that it is not something the FDA should--

10           DR. ALLAN: I would say just opposite.

11           DR. AUCHINCLOSS: I didn't mean it to be--I meant  
12 it to be sort of a trial balloon to see what the committee  
13 was going--

14           DR. SIEGEL: So you have some consensus on that.

15           DR. HIRSCH: I would think the FDA should require,  
16 certainly, the collection of the specimens and testing by an  
17 appropriate assay as soon as a group determines there is an  
18 appropriate assay.

19           DR. VANDERPOOL: I agree with the comments of  
20 Richard and Martin and a number of you. Isn't there  
21 agreement on this issue of required standardized assay. If  
22 so, I am not sure the committee, at this point, can spend  
23 its time determining which one that is but could, quite  
24 easily, get together a group of very experienced individuals  
25 who are using different types of assays and determine which

1 of those is the best for the FDA to require. Perhaps you  
2 would want more than one.

3 But I am not sure that this group can decide which  
4 one that would be that would give the best information as a  
5 requirement, not that others couldn't also be used by  
6 different parties.

7 DR. HENEINE: Again, we are dealing with  
8 investigational assays that we have some experience with.  
9 We don't have experience enough that the FDA can approve  
10 them for screening the blood supply like some HIV HTLV  
11 tests, but I think we can learn much by using those in these  
12 prospectively done clinical trials.

13 Imagine if you could be able to demonstrate  
14 seroconversion in a patient and persistent antibody  
15 production using those assays. That would be very  
16 informative to know the status of the infection in that  
17 patient.

18 Regarding viremia, too. We have two tools, like  
19 David was saying. You could look at PERV RNA by RT PCR and  
20 you could look genetically for reverse-transcriptase  
21 activity. Again, if we can demonstrate the presence of the  
22 particles using these two tools, why not? This is evidence  
23 of productive release of virions.

24 So we were not where we are today, where we were a  
25 year ago. These investigational tools are available and we

1 would like them to be validated more. Unfortunately, we  
2 cannot. All that we have is a panel of maybe five to ten  
3 antisera that are produced in several labs. What we could  
4 do is pool these antisera so people can exchange and test  
5 sensitivities and specificities of these assays.

6           Regarding just one comment with David, the RT PCR  
7 PERV RNA, I don't think we need to discount its utility  
8 because of the potential problem with mRNA of PERV of  
9 cellular origin. We don't have, yet, evidence that in  
10 people that are exposed to vascularized tissues that we will  
11 encounter that problem like we do in some pig plasma.

12           So, again, we will keep that thing open until we  
13 have that evidence that PERV RNA can be limited by that  
14 limitation.

15           DR. ONIONS: I agree with you.

16           DR. DINSMORE: I would like just like to make one  
17 more comment about the viruses in follow up with you. If  
18 you have a xenotropic virus, it could be a reservoir of pig  
19 cells that are producing it and you would have viremia that  
20 would not be infective. So, therefore, I would encourage  
21 any of these assays to be coupled with something which shows  
22 infectivity, some form of infectivity assay.

23           That goes along with the antibody base test, too,  
24 because one has to know how to interpret the data that one  
25 gets and you should put some thought into how to interpret



1 the results and what tests should be tied together.

2           Finally, I don't see why there has to be one  
3 antibody test so long as you have a protocol for validating  
4 anyone's given assay. You could have ten different assays  
5 all validated to the same criteria and they would all be  
6 viable assays. So I don't think you necessarily have to  
7 have every company using the same antibody.

8           DR. SIEGEL: I guess somebody put to the FDA  
9 whether we had a role in providing a standard or helping  
10 validate them. I wonder, though, given that we don't have  
11 any animal or human model with infection, what is the  
12 standard that one would provide to validate such assays?

13           DR. SALOMON: I have been concerned about that all  
14 morning; really, you have ten different companies with three  
15 different, four different, assays, maybe more. We all know  
16 that when we set up these assays in our laboratories, we  
17 never do them exactly the same way. We have also all been  
18 at meetings where someone has taken a very similar assay and  
19 gotten very different results.

20           So it makes me concerned. I can handle that in a  
21 scientific meeting, but I have more difficulty when that is  
22 part of a regulatory process, the end of which is at least a  
23 perception by the public that there is a risk here. So I  
24 don't think that the FDA, at least this is my personal  
25 opinion, now--I don't think that you can avoid this issue,

1 Jay.

2 I think you are going to have to establish  
3 validity and that validity may change from this year until  
4 next year as the thing evolves. I would finish by saying  
5 that I am sitting here thinking that maybe, after the first  
6 of the year, we ought to be getting a group of us together  
7 and having a workshop where people would agree--there is a  
8 precedent for this, and that is the MHCHLA international  
9 workshops. This has worked very well for defining validity  
10 of tests from typing. I don't see why we can't do something  
11 similar like that collegially in PERV.

12 DR. SIEGEL: I am not trying to avoid the issue.  
13 I can visualize well how one might establish standards and  
14 help validate and cross-validate tests, say, for viral DNA  
15 or viral RNA. It is just hard to imagine how to do that for  
16 antisera when we don't know what the antiserum of an  
17 infected animal would look like, what antigens it would  
18 respond to and what sorts of antibodies, because we don't--I  
19 mean, what is the positive control.

20 DR. CHAPMAN: Not a comment, but a question. It  
21 sounds to me like what is being laid out here, or I will ask  
22 it as a question--is what is being laid out here actually an  
23 argument that the best approach and the fastest progress  
24 would come not from having FDA identify and sanction one  
25 serologic assay as the best for testing but rather an

1 environment in which multiple assays were tested against the  
2 same specimens repetitively which would be either a  
3 requirement for testing in one lab and confirmatory testing  
4 in a second lab, or a panel of assay testing, until we get  
5 to the point where we have better development of the field.

6 DR. AUCHINCLOSS: I don't know that this is a fact  
7 but I imagine it is a fact. One of the concerns that  
8 companies have is letting their tissues out or their samples  
9 out to somebody else for fear that somebody else comes up  
10 with a positive that they don't have a chance to validate  
11 before it suddenly is public news.

12 Are you suggesting that it be a requirement that  
13 everybody make their serum from their patients available to  
14 everybody else so that these kinds of assays can be done--

15 DR. CHAPMAN: I don't know that I would want to  
16 suggest that because I haven't had time to think through all  
17 the implications. But I think, from a practical point of  
18 view, the situation is that we have multiple people or  
19 entities with experience in developing diagnostic assays who  
20 are developing serologic assays.

21 Those assays have been validated, different assays  
22 to different extents, but reasonable well considering that  
23 you are working in the field where we have no known  
24 positives against which to test them. Ideally, you would  
25 want to test each assay against a couple of thousand known

1 positive infected humans and a couple of thousand known  
2 negative infected humans.

3           Instead, we are working in a setting where you can  
4 test them against presumed negative people and a variety of  
5 somewhat artificial positive controls. And you can also  
6 assume, the limits of science and humanity being what they  
7 are, you are going to have false-positive and false-negative  
8 results and cross-reactive results.

9           One way to clarify the significance of results in  
10 any assay may be to test multiple assays on the same sample  
11 and then use the discrepancies in results to target your  
12 further R&D activities on any or all of the assays.

13           DR. ONIONS: Just a comment. I think Louisa's  
14 last point is exactly the one. I don't think this is a  
15 heavy-duty issue. I think it is a normal thing that you do  
16 in developing assays, and that is that you exchange reagents  
17 and compare results. It is a very simple thing.

18           DR. DINSMORE: Again, the foundation of scientific  
19 investigation is that it can be repeated in multiple labs by  
20 multiple methods. So, in fact, all of these different  
21 assays, I think, rather than providing weakness show  
22 strength in that, by many different assays, by everyone's  
23 coming up with the same results and, therefore, it is less  
24 likely to be due to an artifact in one given lab's hands.

25           DR. AUCHINCLOSS: Jay, what are you hearing from

1 this? Are you getting the information that you want or  
2 should we phrase the question differently?

3 DR. SIEGEL: I certainly concur with the committee  
4 that there is a need for standards, standardization and for  
5 validation of sensitivity. It came up before, I guess, with  
6 Dr. Onions' comment about the sensitivity of detecting virus  
7 against microchimerism. Standards are very important for  
8 comparing across assays.

9 The FDA has played a substantial role both in in-  
10 house testing and distribution of standards, for example,  
11 for HIV test that is for the blood supply. There is no  
12 question that that is valid. As to whether we have the  
13 resources and wherewithal to play such a role for this  
14 particular virus is something that we need to check into.  
15 But I certainly hear the committee saying it ought to be  
16 done and I agree.

17 DR. PAUL: I think would should give some thought  
18 to some virus neutralization which may be a very simple and  
19 an old method but, nevertheless, if there are samples that  
20 come up to be questionable, at least to look at virus  
21 neutralization as a backup test.

22 DR. MICHAELS: Not to put David on the spot, but I  
23 was just curious with you all, the discussion going on, on  
24 the samples that you have on patients, and I know all the  
25 qualifications that you have made in terms of not sure if it

1 is crossreactive, have you sent it to any other groups that  
2 have, perhaps, different assays to have them look at it as  
3 well, yet, or is that something you are considering doing?

4 DR. ONIONS: I have two completely different sets  
5 of samples from different origins that are positive. One  
6 set has been compared with another laboratory. The other  
7 set has not. I would rather not comment further at the  
8 moment partly because some of this data is about to be  
9 published or submitted for publication and the other set of  
10 data, again, is under a sponsor who doesn't, at the moment,  
11 wish to go further with it.

12 DR. VANDERPOOL: Just a quick comment. I think  
13 that we let the genie out of the bag when we encouraged the  
14 FDA to have all those who are doing xenotransplant research  
15 to develop assays of various kinds for testing. Now, the  
16 genie that got out of the bag was different people have done  
17 different things, developed different tests and surely have  
18 proprietary interests in quite a number of things done.

19 I think, in the spirit of what Louisa said, the  
20 question is for the people to get together, not necessarily  
21 choose just one thing, but at least find uniformity of test  
22 results between the various assays that are being set  
23 forward.

24 It may mean that one or two would be shown to be  
25 inferior. But plurality is certainly possible within the

1 general framework of being able to cross-test between the  
2 various assays that have been developed at our urging.

3 DR. NOGUCHI: I think what we still would like the  
4 committee to really give a strong signal on--we have heard  
5 that, ideally, there should be three types of assays;  
6 peripheral-blood mononuclear cells, serology and plasma.  
7 But the real question is we required everybody to develop  
8 the PCR assays and we wanted everybody to develop the  
9 antibody assays.

10 Are you now ready to say we need to have everybody  
11 doing the antibody assays or not, because I think we heard a  
12 little divergence of opinion here. We certainly can make it  
13 so that every clinical trial from this point on needs both  
14 the PCR and the antibody assay, not specifying the  
15 particular type of antibody assay, or are we truly still in  
16 a developmental field.

17 Basically, is what we are doing adequate  
18 requirements for continuing a trial at this point or do we  
19 need more based on what we now know?

20 DR. AUCHINCLOSS: I thought that the answer I  
21 heard was bank serum don't require an assay because the  
22 assay is still developmental, or in the developmental stage,  
23 but push everybody to develop it together.

24 DR. COFFIN: I would take a slightly stronger  
25 position that I think studies should continue to be done. I

1 think companies should still be required to continue to  
2 participate in this development of antibody assays. I would  
3 be very uncomfortable right now just telling them to bank  
4 sera against something in the future. I think continued  
5 research and progression--

6 DR. AUCHINCLOSS: I put it that way to kind of  
7 provoke a response from the committee.

8 DR. ALLAN: It seems like most everyone has an  
9 antibody-based assay because they have been told to develop  
10 them.

11 DR. AUCHINCLOSS: Everybody should have an  
12 antibody-based assay that they are working on.

13 DR. ALLAN: Or should use one through whoever.  
14 There are companies, I think, that are developed, not just  
15 Q-One Biotech but other companies that have developed these  
16 types of antibody-based assays. So I think you can require  
17 the clinical trials to use antibody-based assays.

18 Obviously, you can't tell them what assay they  
19 have to use, but it is just like with HIV when AIDS first  
20 came around. Whatever we have got available, let's use it.  
21 Let's not wait because you know what happened when people  
22 waited to test assays. It can backfire. So whatever  
23 resource you have available, I think you should use it.

24 DR. HIRSCH: But the smartest thing, I think,  
25 would be, as was done with HIV, that you use the



1 contemporary assay but you also bank material so that when  
2 you have a better assay come along--

3 DR. LERCHE: I would just like to second the  
4 comments of John Allan and John Coffin and probably others.  
5 I think the antibody testing should become an active,  
6 ongoing component of follow up. I notice this morning, in  
7 one of the presentations, that there was a fairly large body  
8 of patient samples or a population of patients that were not  
9 monitored by serology, at least in the presentation, and  
10 that represents a loss of a lot of information.

11 I think the more experience, the more numbers of  
12 patients that we can look at with these assays, the faster  
13 we will get to where we want to be.

14 DR. AUCHINCLOSS: Phil, I am glad you got us to  
15 clarify that because I think what we just heard in the last  
16 several comments was a little bit different from what I  
17 thought I had heard before.

18 DR. NOGUCHI: No; thank you for that because that  
19 has been a very critical reason for bringing this up before  
20 the committee.

21 DR. AUCHINCLOSS: We may need to come back to some  
22 of it, but I did promise our next speaker that, at 1:50, she  
23 would be allowed to get going and make her plane. Dr.  
24 Khabbaz from the CDC.

25

#### Guest Presentation

1                                   **Nipah Virus Outbreak, Malaysia**

2                   DR. KHABBAZ: Good afternoon.

3                   [Slide.]

4                   My talk is an informational talk so members of the  
5 committee can relax. There are no questions and no vote.

6 In fact, if any of you is a subscriber to ProMed, you might  
7 even want to consider taking a nap because you have probably  
8 heard it all.

9                   What I have been asked to cover is the most recent  
10 emerging viral zoonotic infection we have been involved in  
11 or are assisting in investigating and that is the nipah  
12 virus outbreak in Malaysia. It has affected a large number  
13 of humans and pigs in that country.

14                   [Slide.]

15                   Before I talk about the nipah virus investigation,  
16 to frame it, I thought I would take you back to 1994 where a  
17 cousin of nipah virus, basically what we call now the hendra  
18 virus, first appeared. This virus caused an explosive  
19 outbreak of respiratory infections in horses, in race  
20 horses, in Brisbane. Thirteen horses died. Twenty became  
21 infected. Two humans became infected including this  
22 gentleman here, a trainer of horses, who was reported to  
23 actually have put his arm all the way in the mouth of an  
24 infected ill mare to try to help relieve respiratory  
25 secretions. He became infected and died.

1           The title here suggests that the virus mutated.  
2 That outbreak made headlines. The virus did not mutate. It  
3 was a new virus. What we have learned since then about this  
4 virus is initially it was called equine morbillivirus.  
5 Morphologically, unlimited sequence information suggested it  
6 may be a morbillivirus, but it is not. It and nipah seem to  
7 members of a new genus of paramyxoviruses I will show you.

8           In addition to the explosive outbreak in 1994 in  
9 Brisbane, a year later, was discovered another smaller  
10 cluster, if you will, Mackay. Two horses had become  
11 infected and one person who had handled tissue from--  
12 actually assisted in an autopsy of one of the horses  
13 developed mild meningitis and recovered and, a year later,  
14 went on to develop meningoencephalitis and died. Hendra  
15 virus was recovered from his brain so a latent infection.

16           [Slide.]

17           The Australians have done quite a bit with hendra  
18 virus. They have been able to experimentally infect horses  
19 and cats, guinea pigs and black fruit bats. With horses and  
20 cats, they were able to reproduce a respiratory infection  
21 and these animals experimentally infected, died. Guinea  
22 pigs, a generalized infection, also fatal, clinically ill  
23 and fatal.

24           In fruit bats, it was a latent subclinical  
25 infection. Nevertheless, in all these animals that were

1 experimentally infected, pathology showed endothelial-cell  
2 tropism and formation of syncytia in blood vessels.

3           The Australians have also been successful in  
4 identifying the natural host for this virus, fruit bats,  
5 flying foxes. Four species of flying foxes appear to be  
6 infected in the wild. About 40 percent of some of these  
7 flying foxes have antibodies to this virus and the virus has  
8 been isolated from fruit bats and sequences are identical to  
9 virus from infected horses and people. The virus belongs to  
10 the paramyxo family of viruses.

11           [Slide.]

12           This is the natural habitat for fruit bats in  
13 Australia. I will show you two--

14           [Slide.]

15           This is the spectacled flying fox, one of four  
16 species that harbors hendra virus.

17           [Slide.]

18           This is the grey-headed flying fox. The other two  
19 are the red-hooded and the black flying fox.

20           [Slide.]

21           Now we forward to Malaysia, 1998, 1999. I put up  
22 this map to try to orient you to where the action in  
23 Malaysia occurred. The outbreak appears to have started in  
24 this area. This is Ipoh. This is 200 kilometers north of  
25 the capital, Kuala Lumpur, here, the State of Perak.

1 Infections in pigs and humans appear to have started here,  
2 as I will tell you.

3 A cluster was recognized in the fall of 1998. In  
4 December of 1998, another cluster of infections both of  
5 illnesses in pigs and humans was recognized in Sikamat. It  
6 is a town in the State of Negeri Sembilan. The largest  
7 cluster was actually near a town of Bukit Palandok here in  
8 the State of Negeri Sembilan.

9 I don't know if you can appreciate these blue  
10 marks. There have been clusters and cases and illnesses in  
11 other parts. The Bukit Palandok area has the largest  
12 concentration of pig farms in Malaysia. There have also  
13 been cases of human illness in an abattoir worker in  
14 Singapore who had handled pigs imported from Malaysia, not  
15 recognized to be ill pigs.

16 [Slide.]

17 In terms of the chronology, now, retrospectively,  
18 what we have been able to reconstruct is that--the story  
19 starts in January, 1997 so more than a year before a cluster  
20 of illnesses were recognized, the patient, a pig farmer, in  
21 Ipoh, developed encephalitis and survived. Basically, that  
22 person is IgG positive for hendra and nipah and the viruses  
23 cross-react and, between the standard, clearly have been  
24 fatal and nonfatal encephalitic clinical illnesses, going  
25 back, reports of illnesses in pigs.

1           October, '98 seems to have been an amplification  
2 in pigs. A lot of pig disease and human disease recognized  
3 and the initial thought was that this was Japanese  
4 encephalitis. Some diagnostic testing in Malaysia and Japan  
5 suggested that some of these illnesses may have been  
6 Japanese encephalitis.

7           As I mentioned, the outbreak moved to the Negeri  
8 Sembilan area. I will show you an epicurve and you can  
9 appreciate the number of human cases there.

10           There were some things that didn't fit with this  
11 all being Japanese encephalitis in that predominantly  
12 illnesses in humans were in adult male pig farmers, mostly,  
13 primarily, Chinese ethnic. Why would a vectorborne  
14 infection select adult male of one ethnic group is not  
15 completely consistent with what we know of JE.

16           Also, the deaths in pigs was inconsistent. So, in  
17 March, basically, Dr. Chua, working in Dr. Ken Lam's lab at  
18 Malaya in KL got some specimens, brains and CSF material,  
19 from ill patients and attempted cell culture and were able  
20 to isolate the virus. Actually, the virus was easy to grow  
21 in a number of different cell lines and produced syncytia.

22           Dr. Chua, by mid-March, had done a couple of  
23 things there and, by mid-March, came to the CDC, first came  
24 to Ft. Collins and brought with him serum samples and  
25 tissue-culture material. Basically, the JE serology was

1 negative in 12 out of 13 fatal cases.

2 [Slide.]

3 He contacted us in Atlanta and arrived the next  
4 day with samples. EM was suggesting a paramyxovirus. I  
5 have to say, in hindsight, there was a good investment  
6 following the hendra virus for us to work with the  
7 Australians and get some samples and develop some reagents.  
8 Hendra is considered at BSL-4 agent and so the work was done  
9 in our BSL-4 lab by Dr. Ksiazak and others.

10 So we had some reagents and were able to stain the  
11 slide that Dr. Chua had brought and, basically, reacted for  
12 IFA to hendra. Also, we had some PCR primers and they  
13 amplified a paramyxal sequence. Immunohistochemistry using  
14 hyperimmune antibodies to hendra lit up as well in tissue  
15 samples.

16 We notified the Malaysian officials and they  
17 requested that we assist in investigating and helping  
18 control this outbreak. And then the sequence the next day,  
19 we had sequence information that this was hendra-like. But,  
20 as I will show you, it is not exactly hendra, actually. It  
21 is 25, 30 percent nucleotide different from hendra virus.

22 Serology; I mentioned that 12 of 13 negative for  
23 JE. They turned out to be positive by an ELISA capture  
24 assay, IgM positive. The Singapore cluster, as I mentioned,  
25 we also got samples and confirmed the same nipah virus. The

1 viral isolate from Singapore and Malaysia was the same.

2 [Slide.]

3 This is to show you a nucleocapsid of this virus,  
4 negative strain, by EM. The virologists amongst you can  
5 appreciate, if you can see that, basically EM on the tissue  
6 culture showing here particles with a nucleocapsid. And, in  
7 here, nucleocapsids budding on the plasma membrane tissue  
8 culture.

9 [Slide.]

10 This shows a comparison of the genome of hendra  
11 and Ipoh morbilli and parainfluenza and nipah and hendra as  
12 I will show you on a tree constructed by Paul Rotha and Bill  
13 Bellini in our division appears to be 25 to 30 percent, as I  
14 said, about average nucleotide difference. They have  
15 sequenced, I think, by now, a large part of all the nuclear  
16 protein and another of other genomic areas as well.

17 [Slide.]

18 This is a family tree. This is a genetic tree  
19 based on the nuclear protein sequences. The nipah and  
20 hendra virus here appear to be on one branch of a tree and  
21 the suggestion is that they may represent a new genus. They  
22 are close but different from a morbilli virus and the other  
23 viruses.

24 [Slide.]

25 This is the epicurve. This is the outbreak



1 cluster started in 1998 in Ipoh area. These are the human  
2 cases. You can see here the large numbers of cases in  
3 Palandok, in green. The total number of human cases were  
4 258. This is the official number of cases. There have been  
5 some cases, a handful of cases after that, additional to the  
6 258 and there have been 101 deaths, over 40 percent case  
7 fatalities.

8 [Slide.]

9 What is this disease like in humans? For the most  
10 part, patients presented with febrile encephalitis, fever,  
11 headaches, myalgia, within 24 to 48 hours of presentation to  
12 hospital as they progressed to coma and needed respiratory  
13 assistance. Pathologically, it appears to be a multisystem  
14 involvement, and I will show you some of that, with  
15 vasculitis and syncytial giant cells.

16 It is noteworthy that the respiratory symptoms  
17 have been generally uncommon in patients in Malaysia. I  
18 will have to add that of the 11 abattoir workers seen in  
19 Singapore, there was one fatality. So, clearly, the case  
20 fatality there is different than whether it has anything to  
21 do with different exposure to the virus. We don't know, but  
22 two of the 11 were respiratory and were pneumonia.

23 In the brain, what you see is a diffuse foci of  
24 necrosis and neuronal regeneration, and this is of brain or  
25 from a fatal case, and I don't know if you can appreciate

1 the area of foci of necrosis.

2 [Slide.]

3 This is, again, showing that.

4 [Slide.]

5 This is the giant syncytia cell with  
6 immunohistochemistry staining in red, here, for the  
7 hendra/nipah virus.

8 [Slide.]

9 This is from immunohistochemistry on brain tissue.  
10 Basically, the antigen is found in neuronal cells, glial  
11 cells and other--endothelial cells.

12 [Slide.]

13 This is a blood vessel and you can see the  
14 staining. Endothelial cells.

15 [Slide.]

16 This is to point out that, as I said, it is  
17 multisystem. And renal tubules have stained with antigen as  
18 well, shown here.

19 [Slide.]

20 You concern yourself with animals and pigs. This  
21 is what is the story in pigs. This is one of the farm  
22 stalls in Malaysia. You can see that pigs are kept at large  
23 concentration in stalls. In pigs, the presentation is  
24 different in that there appears to be a significant  
25 respiratory component.

1 Pigs are described here with rapid, labored  
2 breathing. A characteristic explosive nonproductive cough  
3 was described. "Very loud," is what we are told. Pigs  
4 developed neurologic changes as well, lethargy and  
5 aggressive behavior and some focal symptoms as well.

6 [Slide.]

7 In terms of the pathology, it is primarily a  
8 pulmonary pathology and viral antigens have been seen in the  
9 epithelial cells lining the upper airway. But it is, by no  
10 way, only pulmonary in that it is also renal, heart, brain  
11 and other tissue.

12 [Slide.]

13 This is showing a bronchi, trachea, of a pig,  
14 again showing there is some free vascular filtrate as well.

15 [Slide.]

16 Same here. Red is the--it shows the slide that  
17 shows you immunohistochemistry lighting up of the antigen.

18 [Slide.]

19 In terms of the epidemiologic investigations that  
20 were carried out, one concern was whether this viral  
21 infection could transmit from person to person. The concern  
22 was with the health-care workers and pathologists and  
23 clinical microbiologists. Investigation of this group was  
24 undertaken. I think over 300 people were tested. There  
25 were no cases of illnesses in any health-care worker or

1 pathologist or laboratorian.

2 In terms of the serologic testing, I think I can  
3 say with confidence, there is no evidence of acute infection  
4 but some of this testing is being repeated with nipah-  
5 specific tissue at this point.

6 The case-control investigations, looking for  
7 specific, clearly predominantly over 80 percent were pig  
8 farmers, also people exposed to pigs and abattoir workers in  
9 other areas, looking to see what specific exposure to pigs  
10 may be associated with this illness.

11 The cases; over 65 fatal cases are positive for  
12 nipah in tissue and others. I will say that another of  
13 well, or control, pig farmers enrolled here turn out to be  
14 positive and some of them have had minor illnesses  
15 suggesting that the spectrum of disease with this virus may  
16 be broader than suggested by the encephalitic fatal  
17 illnesses.

18 We have also investigated other groups exposed to  
19 pigs, abattoir workers, soldiers, veterinary health-care  
20 workers and others. I mentioned the investigation of  
21 encephalitis cases in Perak and a number of the sera are  
22 still being run. So I don't have the results on those. I  
23 would just say that we saw fatal illnesses in soldiers  
24 involved in culling of pigs. They ran out of protective  
25 gear so some of this was done without protective gear.

1           There have been a couple, maybe a handful, of  
2 cases with no documented exposure to pigs.

3           [Slide.]

4           In terms of control measures, again, killing of  
5 pigs makes the headline but that was part of a number of  
6 efforts. One was basically to try to prevent movement of  
7 pigs from farms with illnesses to others. That, apparently,  
8 turned out to be very hard. There was quite a bit of  
9 illicit traffic of pigs and a farmer who had illnesses would  
10 try to recover rather than let the authorities know that you  
11 had illnesses.

12           Also, try to educate farmers and others in terms  
13 of protective gear and protected ways of exposure to pigs  
14 was undertaken.

15           [Slide.]

16           But the most sensational, and I think a major part  
17 was with killing pigs, culling as we refer to it.  
18 Basically, what was done is an area of about five kilometers  
19 around the area of farms with activities was kind of defined  
20 and quarantined. Within that five-kilometer area, all pigs  
21 were killed.

22           [Slide.]

23           This is just to show you some of the massive  
24 killing of pigs. Holes were dug and pigs were just walked  
25 into it and shot, as you will see here.

1 [Slide.]

2 This is some of the protective gear used.

3 [Slide.]

4 As I said, they ran out of it and so this was  
5 probably the better of the pictures I am showing, and  
6 covered with lime. I have to say that the killing of pigs  
7 was effective and that it did, as I showed you on the  
8 epicurve, brought us down on the curve of illnesses.

9 [Slide.]

10 This is the killing of pigs. Over, I think we are  
11 told, 2 million is the number of pigs in Malaysia before.  
12 And half of those pigs have been killed. The efforts have  
13 not stopped there and cannot stop there. What the  
14 Malaysians have instituted is a surveillance system of pigs  
15 going to abattoirs and surveillance systems of farms where  
16 they test a limited number of pigs every 90 days and farms  
17 that have, I think, more than three infected pigs with  
18 serologic testing, then they cull the pigs and offer  
19 compensation for the pigs and also surveillance for human  
20 illnesses and activity.

21 I have mentioned a couple of foci as being two or  
22 three farms with illnesses in pigs and humans. We think it  
23 is because of the traffic of pigs outside of those areas.

24 [Slide.]

25 An important aspect and question that came up was

1 whether the virus has infected other animal species. The  
2 reports were that yes, dogs and cats and other animals were  
3 dying. And you can see this is pigs kept and dogs running  
4 around close-by. Some of these ill animals were tested.  
5 Indeed, the virus has infected dogs and cats.

6 I think in one area, half of the dogs tested were  
7 positive. I think the good news is there has been some  
8 testing, some systematic testing, done moving away in a  
9 concentric fashion from areas with illnesses in pigs and  
10 humans. As you move out, the number of infected pigs--I  
11 think beyond ten kilometers--you don't see any ill animals.  
12 So that is reassuring that at least that is not more  
13 widespread.

14 [Slide.]

15 There are a number of unanswered questions at this  
16 point. I have listed some of them here. Why and how did  
17 this outbreak start is not clear at all. The reservoir for  
18 this virus, is it a bat? I think preliminary evidence  
19 suggests that it may be a bat. Some number of fruit bats,  
20 flying foxes, were collected by our Australian colleagues  
21 who participated in this investigation.

22 Preliminary serologic testing suggests that some  
23 of these bats may have low-level antibody to nipah and viral  
24 isolation and sequencing will close the loop on this one,  
25 but that is very possibly the case. Why did it start?

1 There were some fires reported in parts of Malaysia. Could  
2 that have affected roosting of bats and moved them closer to  
3 pig farms? Unclear.

4 Is it safe to return to business as usual? I  
5 think I have shown you data that it is spilling into other  
6 animals and the fact that movement of pigs outside to  
7 suggest that no, and an effort to surveillance and  
8 continuing to control this is important.

9 I think the Malaysians I know are pursuing this  
10 very seriously.

11 [Slide.]

12 There are a number of other important unanswered  
13 questions. One is the question of persistent infection. It  
14 brings to mind the hendra experience where one person  
15 developed fatal illness a year after infection. And so a  
16 long-term follow up of the infected person is important.

17 The question of latent infection, persistent  
18 chronic infection in pigs has not been answered at this  
19 point.

20 [Slide.]

21 This is the remnant. This is what remains of pig  
22 farms in some areas. I put this slide to remind me to  
23 emphasize to you that this outbreak has taken a huge toll  
24 not just in terms of human lives and morbidity and mortality  
25 and deaths of pigs, but also an economic impact on pig



1 farming and on Malaysia, and to also tell you that there is  
2 significant concern throughout the area outside of Malaysia  
3 in terms of if the bats turn out to be the reservoir, they  
4 are not restricted to one country and efforts to set up  
5 surveillance and survey pigs and others will be undertaken.

6 Finally, I think--and I should have made a slide  
7 here of acknowledgements to tell you that the work that I  
8 presented is the work of too many people to show here. At  
9 the CDC, in our division, I would like to let you know that  
10 the pathology is the work of Dr. Sherif Zakil. Dr. Bill  
11 Bellini and Paul Rotha did the molecular work and Drs.  
12 Pierre Rollin and Tom Ksiazak and others were involved in  
13 the viral isolation, in the serologic testing and isolation  
14 from the pigs and other animals and humans as well.

15 We had a large number of people in Malaysia and  
16 the team was lead by Dr. Tom Ksiazak who actually spent more  
17 than two months there and I know just left Malaysia this  
18 weekend, wasn't back in Atlanta when I left yesterday. And  
19 also the Malaysian Ministry of Heath, the hospital staff who  
20 spent quite a bit of time involved in doing these studies  
21 and gathering that information and control efforts.

22 The veterinary authorities in Malaysia, as well,  
23 the University of Malaya. Also Singapore. I mentioned the  
24 cases there but we did have a team there go into a case-  
25 control study. Preliminarily, it looks like their exposure

1 to urine and excreta may be associated with cases but, also,  
2 the Singapore authorities contributed to this as well as the  
3 Australian animal labs. They had a team there and they were  
4 experienced with the hendra virus and the bat work was  
5 crucial.

6 I think I will stop there and entertain questions.

7 DR. AUCHINCLOSS: Thank you very much. To bring  
8 us back to work of the committee today, other than the  
9 implication that we don't want the nipah virus in our  
10 potential donor pigs, connect this outbreak to this  
11 discussion. What are the implications, or are there  
12 implications, to the infectious risks of a  
13 xenotransplantation and FDA policy?

14 DR. KHABBAZ: I think this is a prime example of  
15 an emerging viral infection. Three months ago, we had no  
16 idea that this virus existed. It is not primarily a virus  
17 of pigs in that we think that the host is a different agent.

18 In one way, it illustrates the need for vigilance  
19 because these agents are there and cross species. So I  
20 think it is vigilance. It is sobering to see the impact,  
21 the number of illnesses and mortality both in pigs and  
22 humans.

23 In terms of implications of disease outside of the  
24 area, I don't think I can comment on that. Until you start  
25 looking, you don't know but, clearly, if the bat is the

1 reservoir, that host species is restricted to that part of  
2 the world.

3 DR. AUCHINCLOSS: Let me put the question to the  
4 committee perhaps in a slightly different way. To my way of  
5 thinking, when I listen to stories like this, I say, "So why  
6 are we interested in xenotransplantation? These kinds of  
7 events occur in nature. Xenotransplantation isn't the  
8 problem." Is that an implication or is that a way of  
9 looking at this that is reasonable, or is that nuts?

10 DR. CHAPMAN: There are two things I have heard  
11 over and over through this whole xeno discussion. One is we  
12 have lived with domestic pigs in very close contact for  
13 thousands, if not millions, of years and, therefore, we know  
14 there are risks and they don't pose much of a risk to us. I  
15 think Dr. Khabbaz did a very nice job of outlining the  
16 cautionary note in this about new infections.

17 The other side of this; yes, this is an explosive  
18 new infection. It is an experiment of nature and it  
19 occurred in a part of the world that is relatively isolated  
20 from here. But I think what it underlines to me is the  
21 significance and the importance of the husbandry  
22 requirements outlined in our PHS guideline in terms of the  
23 importance placed on raising animals, even animals we think  
24 we know well, that may serve as sources of xenografts in  
25 closed colonies with barriers to prevent just this sort of

1 introduction of infection from vectors we may not be able to  
2 anticipate on the basis of what we know about the past  
3 history of the husbandry of the animals.

4 DR. KHABBAZ: Actually, to follow up on Louisa, I  
5 will tell you that during this investigation, it came to our  
6 attention a couple of cases in this country and one  
7 elsewhere of Malaysians who have traveled there who had an  
8 encephalitic illness. There was quite a bit of concern and  
9 they turned out not to be nipah virus.

10 But preliminary information from some of these  
11 cases, people had worked in pig farms but did not live there  
12 suggested more than two weeks, possibly, of an incubation  
13 period. So with travel and with exposure, I think the  
14 scenario of this possibly having expanded beyond Malaysia is  
15 not too far-fetched.

16 DR. COFFIN: A perhaps subtle point, but like  
17 retroviruses, I would expect paramyxoviruses to be subject  
18 to the same kind of an activation by antisugar antibodies as  
19 human serum. That seems to be not an absolute barrier to  
20 infection in a case like this. It seems not to be a very  
21 good barrier at all.

22 DR. ONIONS: Could I just make a general point?  
23 First of all, I want to congratulate everyone involved in  
24 this work. It has been an excellent to a really important  
25 outbreak. But my comment is these are not the things that

1 concern me from xeno, apart from the facetious comment that  
2 keep fruit bats out of your herd, the real issue is that the  
3 viruses that we really need to worry about are certainly new  
4 viruses that could, in fact, but don't, necessarily, have a  
5 very big impact, perhaps no impact on the pig population and  
6 have a long latent period in people, those are the viruses  
7 that we need to worry about and, by definition, we don't  
8 know what they are.

9 I would also caution--I agree with Louisa's  
10 comments--there are viruses out there we know very little  
11 about. I reiterate a story I presented, and I am  
12 embarrassed about it because we haven't got any further with  
13 it, but there are closed colonies of dogs in which we have  
14 found a virus and others have found a virus here in North  
15 America which looks like a virus called desoxyvirus. The  
16 only other known host of a desoxyvirus is the wart hog and  
17 occasionally gets into pigs.

18 We don't know where this virus came from. We  
19 don't know why it is there. But, clearly, in animal  
20 populations, if you look very carefully, there are viruses  
21 there that we just don't know anything about. I think it is  
22 those kinds of cautions that I am concerned about.

23 These are spectacular but they are not really a  
24 risk for xenotransplantation.

25 DR. PAUL: I think this is an excellent example



1 morning session. I suspect we will come back to the morning  
2 session questions yet some more in different ways. When we  
3 return from coffee, we will have about an hours' worth of  
4 presentations and then end with committee discussion on the  
5 afternoon's questions to and from the committee.

6           The question that I think we have not answered  
7 from your morning list was the second of the two which was  
8 what if somebody comes up with a positive. Let me phrase  
9 that in two different ways. What if somebody comes up with  
10 a patient who is positive but the question was put to me,  
11 what if somebody in an animal system came up with a positive  
12 transfer of a PERV to a cat, for example?

13           So you could answer either of those two questions.  
14 If I recall, the way we answered that question a year ago it  
15 seems to me was if you get a positive, call us back and we  
16 will talk about it. I am a little bit inclined to come up  
17 with the same answer right now, but let me see what the  
18 committee as a whole thinks.

19           DR. WILSON: Can you define what you are going to  
20 call "positive?" I guess we should define what we want to  
21 call "positive" first.

22           DR. AUCHINCLOSS: That is a very good point.  
23 Could you do it for us? What is positive?

24           DR. ALLAN: Positive is a sustained PCR-positive  
25 signal above microchimerism, RNA, antibody-positive. Any of

1 those.

2 DR. ONIONS: You can go in criteria. Again, if we  
3 talk about the gamma retroviruses, the majority of animals  
4 exposed to exogenous retroviruses recover from infection, so  
5 they are not like HIV where, if you are infected, as far as  
6 we know, with very few exceptions, you are infected for  
7 life. So there is a difference. That is the first thing.

8 So if I had a patient that was antibody-positive  
9 and there was no other criterion of infection in that  
10 patient, I would not be too concerned. There would be  
11 evidence accepting the assays. There would be  
12 seroconversion of the evidence of exposure to the virus. So  
13 that might not be of tremendous concern.

14 If there was--I don't know who is going to  
15 disagree with me--if there is evidence of PCR positivity in  
16 peripheral blood cells that was sustained, that is another  
17 order of concern. But if the patient was not shedding virus  
18 or did not have plasma viremia, whilst that patient was  
19 certainly of concern and, certainly, perhaps, at risk of  
20 developing some disease, it is less of a concern than the  
21 next one which is where that patient has plasma viremia  
22 because that patient potentially, it seems to me, is capable  
23 of transmitting that virus onwards.

24 And you want to be very cautious about whether the  
25 virus has been secreted in the body fluids. So I think



1 there is an order of positivity.

2 DR. MICHAELS: Could I just add a proposal and it  
3 could be shot down. If we find a patient that has gone  
4 through one of the procedures of porcine xenotransplantation  
5 and we feel that there is a true positive that, at that  
6 point in time, we would want further samples from that  
7 patient. So we found a positive in the peripheral-blood  
8 lymphocytes.

9 We would want to say, "Hold on. Let's go back.  
10 Let's get some more samples from this patient, repeat the  
11 peripheral blood lymphocytes, look at the plasma, look at  
12 serum, look at the saliva, look at other samples which I  
13 think some of the groups have put down in their algorithms  
14 of how they would approach this.

15 I think a reasonable approach is should be say to  
16 the FDA that we should also, at that point in time, say,  
17 let's put a little bit of a hold on until we can analyze  
18 those samples at that point in time and maybe look at the  
19 close contacts as well. Would that be a reasonable  
20 approach?

21 DR. VANDERPOOL: My questions go beyond some of  
22 what we have been talking about in terms of just testing and  
23 keeping it in house. I am not sure we need the scare, but  
24 it is worth, in the light of this presentation, to raise the  
25 possibility that a patient with a xenotransplant organ would

1 not only become positively infected but be pathogenic and  
2 possibly have a serious enough condition that could be  
3 passed on to others.

4           The question would be with respect to an  
5 experimental protocol, what would happen, like restricted  
6 sexual activity to quarantine, need to be put in any sort of  
7 consent form. It seems to me the possibility of that is  
8 distant enough for that not to happen, but one of the scary  
9 things on this slide is to see all the pigs herded into a  
10 pit and shot.

11           We are not going to do that to humans, but what  
12 would we need to do if some egregious infection happened to  
13 occur. I think it is at least worth considering without  
14 scaring the wits out of ourselves.

15           DR. ALLAN: I want to get back to the antibody-  
16 based assays--

17           DR. AUCHINCLOSS: Antibody-positive but otherwise  
18 negative patients.

19           DR. ALLAN: Yes. I feel much more strongly in the  
20 case when you an antibody-positive individual or an animal  
21 that that is a red flag, that is a situation when you have  
22 to stop and say, "What is going on?"

23           I know, in my lab, what we have done, we have  
24 taken an HIV-infected cell line and IV injected three  
25 monkeys. They don't seroconvert. Those cells are dumping

1 virus and you are not getting an antibody response. So,  
2 many times, the antibody response is in response to an  
3 active infection in the recipient cells even if it isn't.  
4 In most cases it is, so if you get an antibody response, you  
5 have got to stop and wait and see what is going on.

6 It may be that they have cleared the viral  
7 infection. It may be that, but I am not going to assume  
8 that.

9 DR. ONIONS: I don't wish to be misunderstood.  
10 First of all, I don't necessarily disagree. I think any of  
11 these positives is an indicator that is going to take a very  
12 clear look, maybe caution, maybe start. I am not dissenting  
13 from anybody. All I was trying to say is that I do think  
14 that, again, one has to do things by analogy at the moment  
15 and my analogies are not one just going to HIV. They are  
16 the gamma retroviruses.

17 My only comment is that, in fact, if you go and  
18 look at 80 percent of street cats, 80 percent of street cats  
19 have got antibody to FELV. But they are not, at that  
20 moment, at least the majority, not actively infected by that  
21 virus.

22 So, taking that analogy, it is of concern. It  
23 means exposure. It means the virus has been expressed in  
24 that patient. That is clearly a matter of concern but, to  
25 my mind, it is not as great a concern as a patient who has

1 got persistent PCR positivity, persistent infection in their  
2 white blood cells.

3 That is not as great a concern as the next level  
4 up when these patients are viremic. They have got plasma  
5 viremia and they are possibly also shedding virus. It just  
6 seems to me there is a hierarchy of concern. This is not to  
7 say that any of them are not of concern, but it is a  
8 hierarchy.

9 DR. COFFIN: There are two issues of significance.  
10 Is that patient who has evidence of having had or having  
11 presently persisting virus replication. I should point out,  
12 David, the presence of antibodies may mean the virus has  
13 gone underground but it almost certainly means it was  
14 replicating.

15 In the case of many oncogenesis models, it could  
16 be some time after that that the actual disease appears.  
17 But, as far as pathogenesis in that patient, I think  
18 probably most of us would agree there is very little you can  
19 do but watch them very closely. As far as that goes, that  
20 is what you do. You watch them. You take as many samples  
21 as you can and get as much information on the natural  
22 history and possible progression of disease later on.

23 The issue of greater significance, of course, is  
24 where that patient is capable of transmitting virus. It is  
25 to learn to do everything possible to learn whether that