

ajh

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

BIOLOGICAL RESPONSE MODIFIERS ADVISORY COMMITTEE
XENOTRANSPLANT SUBCOMMITTEE

OPEN

**This transcript has not been edited
or corrected, but appears as received
from the commercial transcribing
service. Accordingly the Food and
Drug Administration makes no
representation as to its accuracy.**

Friday, June 4, 1999

8:00 a.m.

Holiday Inn
Bethesda, Maryland

PARTICIPANTS

Hugh Auchincloss, Jr., M.D., Chairperson
Gail Dapolito, Executive Secretary

MEMBERS

Jonathan S. Allan, D.V.M.
John M. Coffin, Ph.D.
Martin S. Hirsch, M.D.
Nicholas W. Lerche, D.V.M.
Ms. Abbey S. Meyers
Claudia A. Mickelson, Ph.D.
David Onions, BVSC, Ph.D., MRCVS, FRSE
Prem S. Paul, D.V.M., Ph.D.
Daniel R. Salomon, M.D.
David Sachs, M.D.
Harold Y. Vanderpool, Ph.D., Th.M.
Leroy Walters, Ph.D.

CONSULTANTS

Mr. Antonio Benedi
William G. Lawrence, J.D.
E. Steve Woodle, M.D.

GUESTS

Marian Michaels, M.D., M.P.H.
Robert E. Michler, M.D.
John Conte, M.D.
Ralf R. Toenjes, Ph.D.

CDC

Louisa E. Chapman, M.D.

NIH

Mary Groesch, Ph.D.

FDA

Eda Bloom, Ph.D.
Louis Marzella, M.D., Ph.D.
Philip D. Noguchi, M.D.
Jay P. Siegel, M.D.
Karen Weiss, M.D.
Carolyn Wilson, Ph.D.

C O N T E N T S

	<u>PAGE</u>
Welcome and Introduction	4
III XENOTRANSPLANTATION PRECLINICAL/CLINICAL ISSUES	
Guest Presentations	
Current Results of Experimental Xenotransplantation in the Pig-to-Primate Model David Cooper, M.D., Ph.D., FRCS	9
Human Xenotransplantation Robert E. Michler, M.D.	28
Clinical Applications: A Discussion John S. Logan, Ph.D. Christopher G.A. McGregor, M.B., FRCS Marlin Levy, M.D., FACS John S. Logan, Ph.D.	47 55 64 71
Current Status of Solid Organ Pig-to-Primate Xenotransplantation Emanuele Cozzi, M.D.	81
FDA Perspective Louis Marzella, M.D., Ph.D.	108
Committee Discussion	114

1 P R O C E E D I N G S

2 Welcome and Introduction

3 DR. AUCHINCLOSS: As I open this morning's
4 meeting, let me first state that the meeting statement that
5 was read into the record yesterday is applicable again
6 today. What I would like to do this morning to introduce
7 the session is to ask each of the members of the committee
8 to introduce themselves as we go around the table, just
9 briefly who are you and why are you here.

10 DR. PAUL: Prem Paul. I am Professor of Virology
11 and Associate Dean for Research in the College of Veterinary
12 Medicine, Iowa State University. My expertise is virology,
13 swine viruses.

14 DR. COFFIN: John Coffin, Professor of
15 Microbiology at Tufts University School of Medicine and
16 Director of the HIV Drug Research Program for the National
17 Cancer Institute. My expertise is in retroviruses.

18 DR. CONTE: John Conte. I am the Director of
19 Heart-Lung Transplantation at Johns Hopkins Hospital. My
20 expertise, I would suppose, is heart-lung transplantation.

21 MR. LAWRENCE: My name is Bill Lawrence. I am a
22 liver recipient. I am Director of Patient Affairs at the
23 United Network for Organ Sharing. I think I am here as
24 penance since I am a lawyer and I don't really speak much of
25 the language.

1 MR. BENEDI: I am Antonio Benedi. I am a liver
2 recipient and Past President of Transplant Recipients
3 International Organization.

4 DR. MICKELSON: I am Claudia Mickelson, the
5 Director of Biosafety at MIT. I think I am here more as a
6 public representative and a little bit liaison some with the
7 NIH and Recombinant DNA Advisory Committee as well.

8 DR. TOENJES: My name is Ralf Toenjes, Paul Erlich
9 Institut, Department of Medical Biotechnology in Germany.
10 We have long years of experience with work on endogenous
11 retroviruses.

12 DR. ALLAN: I am Jon Allan from the Southwest
13 Foundation for Biomedical Research. I am a virologist. I
14 study simian retroviruses. I guess that is why I am here.

15 DR. HIRSCH: I am Marty Hirsch from Mass General
16 Hospital and Harvard Medical School. I am a virologist and
17 infectious disease person.

18 DR. MICHAELS: Marian Michaels, Associate
19 Professor of Pediatrics and Surgery at Children's Hospital,
20 Pittsburgh, University of Pittsburgh. Transplant infections
21 is my area.

22 DR. ONIONS: I am David Onions. I am Professor of
23 Veterinary Pathology at the University of Glasgow. My
24 primary interests are in virology.

25 DR. VANDERPOOL: I am Harold Vanderpool. I am a

1 member of the Institute for the Medical Humanities at the
2 University of Texas Medical Branch and have a particular
3 interest in the ethics of research with human subjects.

4 DR. SALOMON: Dan Salomon. I am a member of the
5 Department of Molecular and Experimental Medicine at the
6 Scripps Research Institute and Director of Transplantation
7 Research. We have programs in pig-islet and tissue
8 xenotransplantation and recently have gone forward with a
9 porcine endogenous-retrovirus animal-model building strategy
10 that is just in its beginning.

11 DR. AUCHINCLOSS: I am Hugh Auchincloss. I am a
12 transplant surgeon at Harvard. Dan Salomon and I are also
13 members of the Biologic Response Modifiers Advisory
14 Committee which is the parent committee to this
15 subcommittee.

16 Let me interrupt for just a second and go back to
17 Robert Michler. We are introducing ourselves.

18 DR. MICHLER: I am Robert Michler. I am Professor
19 and Chief of Cardiothoracic Surgery at the Ohio State
20 University Medical Center.

21 MS. DAPOLITO: Gail Dapolito, Center for
22 Biologics. I am the Executive Secretary for the
23 subcommittee.

24 DR. WALTERS: Leroy Walters from the Kennedy
25 Institute of Ethics at Georgetown University. I have been

1 associated with the recombinant DNA Advisory Committee at
2 NIH beginning when it was dealing with biohazards and ending
3 when it was dealing with gene therapy.

4 DR. LERCHE: I am Nick Lerche from the University
5 of California at Davis. I am a virologist with expertise in
6 non-human primate retroviruses.

7 MS. MEYERS: Abbey Meyers, President of the
8 National Organization for Rare Disorders and former member
9 of the Recombinant DNA Advisory Committee and a former
10 member of the Biological Response Modifiers Committee.

11 DR. SACHS: David Sachs. I am a Professor of
12 Surgery and Immunology at Harvard Medical School and the
13 Director of the Transplantation Biology Research Center at
14 the Mass General Hospital and the Department of Surgery.

15 DR. CHAPMAN: Louisa Chapman. I am a medical
16 epidemiologist at CDC and the point person at CDC on
17 xenotransplant issues.

18 DR. GROESCH: Mary Groesch, National Institutes of
19 Health. I am a science policy analyst and one of our point
20 people in xenotransplantation.

21 DR. NOGUCHI: I am Phil Noguchi. I am Director of
22 the Division of Cell and Gene Therapy. My division is
23 responsible for xenotransplantation.

24 DR. BLOOM: Eda Bloom, FDA, Division of Cellular
25 and Gene Therapies. I head the Center's Xeno Action Plan

1 and represent FDA to the DHHS Working Group.

2 DR. WILSON: Carolyn Wilson. I am a member of the
3 Division of Cellular and Gene Therapies and also have
4 research expertise in retrovirology.

5 DR. MARZELLA: Louis Marzella. I am a medical
6 reviewer in the Office of Therapeutics at CBER.

7 DR. WEISS: I am Karen Weiss. I am the Director
8 of the Division of Clinical Trials in the Center for
9 Biologics.

10 DR. AUCHINCLOSS: A word about the day's schedule.
11 The presentations that are listed will take place as they
12 are listed, with the time limits that are listed, and we
13 will have the break which undoubtedly will be longer than 10
14 minutes, and then come back for the FDA perspective.

15 What I would suggest to you is that it is
16 extremely unlikely that we will break for lunch prior to the
17 completion of the group discussion. We will keep the
18 discussion going as long as it takes to have the discussion,
19 and then you get to eat, but that will at that point end the
20 meeting unless I am caught by surprise, so that is what I
21 expect will happen, that we will come back, hear the FDA
22 perspective, and then talk until we are done.

23 I should mention again to all speakers, both at
24 the table and at the podium, to please speak to the
25 microphone, really into the microphone, so that everyone can

1 hear, and there is a clip-on microphone available at the
2 podium for the speakers if they find that easier.

3 Karen or Jay--Jay just walked in--or Phil, would
4 the FDA like to make a brief statement about the day's
5 topic?

6 DR. WEISS: We just wanted to thank the members
7 and the guests and to welcome everybody to the second day of
8 the meeting. I think this should prove to be a very
9 interesting and important discussion as we embark on I think
10 a new era in terms of transplantation, so I look forward to
11 all these discussions.

12 **Open Committee Discussion Topic III**

13 **Xenotransplantation Preclinical/Clinical Issues**

14 DR. AUCHINCLOSS: I think we will move directly to
15 David Cooper from the Massachusetts General Hospital, who
16 will start the day's presentations with Current Results of
17 Experimental Xenotransplantation in the Pig-to-Primate
18 Model.

19 **Guest Presentations**

20 **Current Results of Experimental Xenotransplantation**

21 **in the Pig-to-Primate Model**

22 DR. COOPER: I hope you can hear me because
23 yesterday I had great difficulty hearing most of the
24 committee speak. Please shout out if you can't hear me.

25 [Slide.]

1 I am going to try to give you in 15 minutes a very
2 brief overview of what has been going on in the pig-to-
3 primate experimental model which we all think is a sort of
4 preliminary of the preclinical model before we go into
5 humans, so if we can get it to work in this model, we can
6 probably get it to work in humans.

7 Now, as you can see, I did a review in 1991 with a
8 colleague of mine when we were writing a chapter for a book
9 and we found four reports in the literature on pig-to-
10 primate transplants and xenotransplants.

11 When we reviewed it again in 1998, there were over
12 120 reports, and I think by now there is probably over 150
13 reports, so there has been tremendous interest in this model
14 in this last few years, and the reason for that is that as I
15 mentioned briefly yesterday, baboons and other old world
16 monkeys have antibodies which are specific for a galactose
17 sugar, which pigs have on the surface of their entire
18 endothelium throughout their whole body, the vascular
19 endothelium throughout the body, and it is this that is the
20 first target for rejection by a primate including a human,
21 and this can cause very rapid rejection.

22 [Slide.]

23 Now, there are a number of rejection barriers that
24 we have got to overcome, and I am sorry that I know many of
25 you will know all of this and it won't be news to you, but

1 there are some in the audience that I think it is important
2 to give this background to.

3 First of all, these antibodies can attach to these
4 sugars on the pig organ surface and cause hyperacute
5 rejection, which is rejection that occurs very rapidly,
6 usually within a few minutes, and this is caused by the
7 activation of complement which actually does the injury, and
8 this is an important mechanism because some of the
9 approaches that we will see, that have been designed to try
10 to prevent this, relate to both the antibody and to the
11 complement aspect of that chain of reaction.

12 But if you get over that hyperacute rejection by
13 various maneuvers, you come to what has been termed
14 "delayed" xenograft rejection or acute vascular rejection,
15 which also appears to be mediated by the antibodies, but is
16 not mediated through the complement cascade. There is
17 another mechanism, but antibodies are still involved.

18 Then, we are only just beginning to see that if
19 you get through that, as well, you come to what we all know
20 as acute cellular rejection, which is the same sort of
21 rejection you get when you put a human organ into another
22 human.

23 Then, finally, in humans, as time goes on, we get
24 what we call chronic rejection, which is very poorly
25 understood, the mechanism, and this can cause damage to the

1 organ, the transplant, over a number of years, for example,
2 by about five years, a significant percentage of the organs
3 have been lost from this rejection, and by 10 years, at
4 least 50 percent have been lost.

5 We expect to see this in the pig-to-primate, so
6 pig-to-human model, as well, probably at an earlier stage
7 than we see it in the human-to-human model, so there are a
8 number of barriers that we have got to overcome.

9 [Slide.]

10 Now, Randall Morris, who many of you here know, is
11 an immunologist and surgeon at Stanford, has this saying,
12 which I have modified slightly. He says there are three
13 golden rules for achieving successful xenotransplantation.

14 [Slide.]

15 And unfortunately, we don't know any of them.

16 [Laughter.]

17 Now, that is not quite true. It is not quite
18 true, and I will show you that we are beginning to get a few
19 approaches to some of them, but he was right a few years
20 ago.

21 [Slide.]

22 Now, if you just put a pig organ into a primate,
23 you put a pig kidney or a pig heart into, say, a baboon or a
24 monkey, and you give no therapy at all, or you give the
25 standard immunosuppressive therapy that we use in human

1 allografting, you will find that the organ will survive from
2 anything from five minutes up to perhaps 24 hours. The
3 majority will be less than an hour or two. So, it is a very
4 rapid rejection.

5 There are a few reports of extended survival for
6 reasons we don't understand where, for example, a pig kidney
7 has survived in a monkey for up to a month, but they are
8 pretty rare and the majority will undergo this, what we call
9 hyperacute rejection within the first 24 hours.

10 [Slide.]

11 Now, what approaches are we taking to try to
12 overcome certainly this initial problem of hyperacute
13 rejection, and as you will see, this has relevance to some
14 of the later problems.

15 Well, we can either delete or deplete or inhibit
16 these antibodies, so if we could get rid of the antibodies,
17 these antigalactose antibodies, we wouldn't start off this
18 reaction which causes the injury, or we can deplete or
19 inhibit the complement because the antibody set the
20 complement chain going, and if we could block the
21 complement, inhibit it or deplete it, so there is no
22 complement, then, obviously the injury wouldn't take place
23 even though the antibody would bind to the surface, and that
24 would get over hyperacute rejection, or we can try to
25 genetically engineer the animal, the donor, and this is the

1 first time, remember, in transplantation that we have really
2 had a chance to modify the donor rather than just modifying
3 the recipient.

4 We can either do that by changing the antigen
5 expression on the surface, we can get rid of its galactose
6 and put another sugar there perhaps, say, a blood group
7 sugar that we all have ourselves, or we can modify the donor
8 organ to make it resistant to the human complement or the
9 primate component, which it doesn't have much resistance to,
10 or finally, we can try, because we know there are so many
11 barriers, we can try to go the whole hog--which is not a
12 pun--in one move and we can try to deplete the immune system
13 of the recipient to such an extent that when we put the pig
14 organ in, when the immune system recovers, it will be
15 tolerant to this pig organ, it will recognize this pig organ
16 is belonging to itself, and it will try to reject it, and
17 will be tolerant.

18 [Slide.]

19 So, there are a number of approaches that people
20 are attempting, and the first one is to deplete the
21 antibody.

22 [Slide.]

23 Now, you heard yesterday a couple of groups, the
24 Munich group, and one of the other groups about depleting
25 antibody with various columns. This is a standard

1 plasmapheresis or plasma exchange machine, and you can see
2 down here at our own center we have included in it a column
3 here, an immunoabsorption column which contains this
4 galactose sugar, synthetic sugar.

5 [Slide.]

6 When you pump the plasma through this column for
7 two or three hours, you deplete all of the antigalactose
8 antibody in the baboon, so the baboon no longer has any
9 antibody against the pig, and if you do this for two or
10 three days running, you actually get really a complete
11 depletion of the antibody and when you then put an organ
12 into that baboon that is depleted of antibody, it will
13 survive at least few days or a week or so.

14 [Slide.]

15 So, this is the first approach that has been used,
16 and using these various techniques of plasma exchange,
17 immunoabsorption columns, and so on, you can see that we
18 have got organ survival, will get out to about one to three
19 weeks if you add immunosuppressive drug therapy, the
20 standard immunosuppressive drug therapy, which suppresses
21 the return of antibody to some extent, but not very
22 successfully. So, we can just by removing antibody, we can
23 get out to one to three weeks.

24 [Slide.]

25 What about if we deplete or inhibit the

1 complement? There are a number of drugs that will do this.
2 Cobra venom factor depletes all your complement and soluble
3 complement receptor 1 inhibits complement and there are a
4 couple of other drugs that we have tried and other people
5 have tried that will also deplete or inhibit complement, and
6 this is pretty successful, too.

7 [Slide.]

8 This will get you, if you add immunosuppressive
9 therapy, this will get you out to at least a week and maybe
10 in some cases up to six weeks just by depleting the
11 complement or inhibiting the complement and adding some
12 basic immunosuppressive therapy.

13 But during this period of time, rejection is
14 slowly occurring, this delayed vascular rejection is
15 occurring. If you look at biopsies of these organs, you
16 will find that they are actually beginning to undergo
17 rejection from quite an early stage.

18 We have gone over the complement activated, the
19 hyperacute rejection, but we still have antibody there which
20 is causing problems. Now, if you combine the complement
21 inhibition with the antibody depletion, you should
22 technically get out a little bit further, but nobody has
23 really done a very good trial of that to date.

24 [Slide.]

25 Now, the most successful approach--and we will

1 hear a lot more about this, this morning, from the two
2 groups who are going to talk following me--is the
3 genetically engineered donor pig, and I am only going to
4 touch on it fairly briefly.

5 [Slide.]

6 There are two approaches I mentioned. Let's take
7 the second one first. If we could get rid of the sugar from
8 the surface, either by knocking the gene out that makes the
9 sugar, or that makes the enzyme that makes the sugar, or
10 competing with that sugar by putting in a gene for another
11 enzyme that makes another sugar, so that we are competing,
12 then, this might be a very good approach, but in the pig,
13 this has not proved possible technically so far.

14 You can do this in mice, and it certainly does
15 prolong survival of the mouse organ, but you can't do it in
16 pigs. It is just technically not possible. Now, maybe
17 cloning technology will allow us to do this, and various
18 groups are looking into this, but at the moment this is
19 ruled out.

20 So, we have to look at this complement regulatory
21 protein expression. We have on our own organs human
22 complement regulatory proteins that protect us from our own
23 complement, not always, but they do most of the time.

24 Pigs have their own complement regulatory protein,
25 but pigs are not protected from human complement regulatory

1 protein very well, and so several groups have manipulated
2 genetically engineered pigs that express human complement
3 regulatory protein, as well as the pig regulatory protein,
4 and these have been really pretty successful.

5 [Slide.]

6 If we look at the results that have been published
7 to date, we have got survival. A few of them still undergo
8 hyperacute rejection possibly because of the expression of
9 the human complement regulatory protein is rather low, but
10 there have been survivals up to 99 days, three-plus months,
11 and this is obviously very encouraging.

12 [Slide.]

13 But if we look at it in more detail--and I know
14 Dr. Cozzi will talk about this in more detail, and I just
15 want to briefly mention it to you--this is the most
16 successful result to date from the Imutran group with
17 orthotopic heart transplants.

18 These hearts are actually supporting the life of
19 this baboon, so here is a pig heart supporting the life of
20 this baboon, which is very important. You see on one
21 occasion they got up to 39 days, and the baboon died of
22 other problems not from rejection, which is extremely
23 encouraging and at least does show that a pig heart will
24 support the life of a baboon, probably also of a human if
25 it's a big enough heart, for a prolonged period of time.

1 But it worries me a little bit that the median
2 survival in this group of six was only 12 days and that as
3 you can see, one still underwent hyperacute rejection and
4 three underwent acute vascular antibody mediated rejection.
5 So, despite a very successful regimen, the majority still
6 were susceptible to rejection, so we haven't got over the
7 rejection problem despite fairly heavy immunosuppressive
8 therapy.

9 [Slide.]

10 If you look at their results with kidney
11 transplants, these are monkeys now living now on a pig
12 kidney. Neither of their own kidneys is left in situ, and
13 you can see that they got from 9 to 71 days, and every
14 encouraging they go to median survival of over a month,
15 which is extremely encouraging, but again, all the animals
16 either died or had to be euthanized, so the regimen was not
17 100 percent successful, and what is very worrying is that 3
18 of the 7 animals developed lymphoproliferative disease,
19 which we know is a result or can be the result of fairly
20 heavy immunosuppression, and can lead to lymphoma type
21 conditions which could kill you if you persisted with that
22 sort of immunosuppressive therapy.

23 So, despite the very considerable response, there
24 are still problems involved in still overcoming rejection
25 and not actually wiping out the animal with the over-

1 immunosuppression. So, it makes one a little worried that
2 that approach has still got some problems.

3 [Slide.]

4 Now, I am working with David Sachs, who has been
5 looking at tolerance induction for a number of years, and in
6 the allograft model of monkey to monkey, he and Ben Cosimi
7 have been very successful in that they can by manipulating
8 the recipient at the time of the transplant, I won't go into
9 the details of it, it requires a low dose of irradiation and
10 some other therapy, and then putting a donor kidney in, a
11 fully mismatched donor kidney, they can stop all
12 immunosuppression within a month, and they have monkeys here
13 surviving now more than five years who have never had any
14 immunosuppression after the first month.

15 So, this monkey is now tolerant to this donor
16 kidney, and we have been trying to do the same thing with
17 regard to the pig to the baboon transplants.

18 [Slide.]

19 Now, here we have the added problem of those
20 original antibody-mediated rejection phenomena, the
21 hyperacute rejection and the acute vascular rejection that
22 we mentioned, which makes it a much more difficult problem.

23 One of the keys to this is to get some pig bone
24 marrow cells or pig hematopoietic cells engrafted in the
25 baboon, which is also difficult. This is akin to the

1 allograft, as well, to get the donor bone marrow engrafted
2 at the time or before you put in the kidney.

3 If you can get that engrafted, you almost
4 certainly can get a kidney or heart to survive from that
5 specific donor long term without immunosuppression.

6 [Slide.]

7 We have developed this technique of leukophoresing
8 pigs, which will stand there quite comfortably while this is
9 going, and we take out a large number of their mobilized
10 white cells which are from the bone marrow originally, and
11 we put those into the baboon.

12 [Slide.]

13 Now, if you put them into a baboon that is getting
14 standard immunosuppression, you find that you get a huge
15 increase in this antigalactose antibody. This is 100-fold
16 increase. This is a log scale. It is a huge increase after
17 you put the cells in, because the baboon is sensitized to
18 the pig tissues or the pig cells, and you will also develop
19 new antibodies that you didn't already have against the pig,
20 but if you actually give one of these new costimulatory
21 blockade molecules, such as anti-CD40 ligand monoclonal
22 antibody, you see that when you put the cells in, although
23 the antibody returns to the original level, you get no
24 sensitization, and I think this is a very important finding,
25 and I think if the groups working with the transgenic pigs

1 incorporate it into their regimen, they may find that they
2 don't get this major late rejection phenomenon.

3 [Slide.]

4 But despite that improvement and despite the fact
5 that we have now got engraftment in pig cells in baboons, we
6 still are only getting survival of one or two weeks.

7 Now, one of the major reasons for this is that we
8 are not seeing rejection, but we are seeing a coagulopathy
9 that develops, and we are not the only people seeing it, and
10 it always surprises me that the Imutran group in particular
11 have not seen it.

12 It may be the construct of their transgenic pig
13 protects the pig organ from this coagulopathy, and this
14 coagulopathy is something we are looking at, at the moment,
15 and is a significant problem.

16 [Slide.]

17 Now, Hugh also asked me just very briefly to look
18 at a couple of points. One is if you have a patient who is
19 highly sensitized to other humans, he has had blood
20 transfusions or is a woman who has had pregnancy or has had
21 a previous kidney transplant, and is highly sensitized to
22 the point that he is probably not going to get a human
23 organ, that you will never find a human against which he
24 doesn't have antibodies basically, rather similar to finding
25 that you have got antibodies against the pig, but these are

1 developed antibodies against other humans, so he won't get a
2 kidney transplant.

3 Can that person get a pig transplant or is he
4 going to have antibodies against the pig that will prevent
5 that? Now, there are two groups, Cambridge and St. Louis in
6 th USA, who have come up and say that some of these
7 developed antibodies against other humans can also bind to
8 pig cells.

9 Now, that is an important finding, and if that is
10 the case, it may prevent some of these patients from having
11 a pig organ transplant. It is something we need to look
12 into very carefully.

13 But our own group has certainly found that if you
14 remove the antipig antibodies from those patients, their
15 serum is no longer injurious or cytotoxic to pig cells.
16 Now, that doesn't mean to say that there is an
17 incompatibility between these results because it means that
18 from the point of view of hyperacute rejection with
19 complement-mediated rejection, those patients appear to be
20 safe.

21 They can give them a pig organ, but it may be that
22 they develop problems later down the line because they do
23 have some of their antibodies can still attach to pig
24 organs, so this is a problem that still has to be looked
25 into before we go ahead.

1 [Slide.]

2 Finally, I asked myself this question - can a
3 patient who undergoes an allograft after rejecting a pig
4 graft, if you put in a pig organ as a bridge, can you then
5 say, okay, the bridge failed, but we have now got a human
6 organ, we will put the human organ in to keep the patient
7 alive?

8 Well, there is virtually no literature on this
9 except from our own group when I was in Oklahoma, and we
10 found that in three baboons that had rejected pig organs, we
11 could not detect any sensitization to other baboon organs,
12 so it looked as if the pig organ did not sensitize them to
13 other organs of the same species, and when we transplanted
14 baboon organs into those baboons that had received the pig
15 organ previously, we did not see hyperacute rejection. We
16 may have seen rejection down the line, but that may be from
17 other factors.

18 So, again, there is very little work on this, so
19 if we are going to consider xenotransplants as a bridge to
20 transplantation, we have to get a little bit more data on
21 whether we are sensitizing the recipient by the pig organ
22 which will preclude him having a human organ.

23 [Slide.]

24 So, finally, this was a saying that somebody
25 mentioned at John Coffin's Cold Spring Harbor meeting

1 recently, one day making a pig of yourself could have a
2 whole new meaning, and I hope it won't be too long.

3 Thank you very much.

4 [Applause.]

5 DR. AUCHINCLOSS: David, thank you very much.

6 Would you mind staying at the podium just for a
7 moment and we will see if there are any particular questions
8 for David's presentation.

9 MS. MEYERS: There is. I am trying to understand
10 whether your presentation said that transplantation of pig
11 organs into baboons doesn't work for more than 30 or 60 days
12 if you are lucky.

13 So, do you think that we are ready for human
14 transplants?

15 DR. COOPER: Personally, I don't, not quite. I
16 think we are getting towards there, but I think to offer
17 somebody, say, a consistent--you can say I can consistently
18 offer you at least a month survival, I don't think that is
19 good enough to go into a clinical trial.

20 DR. AUCHINCLOSS: That is the topic for the day.

21 DR. COOPER: But the argument would be that it is
22 very difficult to manage baboons in the environment they are
23 in. They are prone to infections, and so on. You do not
24 have any of ability to look after that you have to look
25 after a patient in an intensive care unit or in the hospital

1 surroundings. So, it may be much easier to manage patients.

2 You can diagnose their rejection much more
3 quickly, and so on, than it is with these baboons. These
4 baboons generally have been prevented from having that sort
5 of care for a number of reasons, one of which is the
6 authorities in Britain have precluded the use of biopsies
7 and other methods that might have helped you to diagnose
8 rejection was happening, so there are distinct differences
9 between this model and the human model, but I still have
10 worried because of the fact, as I pointed out, the fact that
11 the rejection still does occur in some of them, the fact
12 that they are getting lymphoproliferative disease, that it
13 seems that we have not quite got an immunosuppressive
14 program that will take them or humans relatively long term.

15 MS. MEYERS: So, the pressure that we are feeling
16 to move ahead with this, to finally see it in clinical
17 trials is all premature, isn't it?

18 DR. COOPER: No, I certainly wouldn't say that I
19 think we should hear what the other speakers have to say
20 this morning. I am sure that is one of the reasons this
21 committee is here, to assess whether it's premature or not.
22 There are good arguments for going ahead, there are good
23 arguments for perhaps waiting a bit longer.

24 DR. GORDON: Dr. Cooper, the examples you gave all
25 appear to be whole organ transplants. Would you have

1 expected more positive results if those had been tissue
2 transplants, for example, islets where you would not have
3 had hyperacute rejection, it is not vascularized, so you
4 wouldn't have had acute vascular rejection, and the mass of
5 tissue is much smaller?

6 DR. COOPER: This is my main field of interest,
7 and I am not an expert on the cell transplants. My
8 understanding is, though, that although you don't get the
9 hyperacute rejection because you don't have this vascular
10 sugar--

11 DR. AUCHINCLOSS: I think it is a very important
12 question because it will now enable me to try and clarify
13 the topic for the day. We are going to be talking about
14 solid organ transplantation today.

15 The FDA has approved trials at this time that are
16 going on right now of cell transplants from pigs to humans,
17 neurocells and others. So, today's topic is solid organ
18 transplantation. The issue is certainly different for
19 cellular transplants.

20 DR. VANDERPOOL: Dr. Cooper, very enlightening
21 presentation. With respect to immunosuppression of the non-
22 human primates, would you first compare the ability to do
23 that with non-humans and human beings? I mean are the
24 immune suppression regimens for primates up to the level of
25 that of humans, and if they are not, do you think if you had

1 a better immunosuppression regimen for the non-human
2 primates, you might get a better survival rate for these
3 organs?

4 DR. COOPER: Generally, the immunosuppression, if
5 it works in the baboon, will work in the human, but that is
6 not always the case. There are distinct differences between
7 the sensitivity of the baboon to certain drugs and the
8 human, and in the human, of course, we have got so much more
9 experience of managing it.

10 So, there are distinct differences, and we can't
11 say for certain that because it doesn't work in the baboon,
12 it is not going to work in the human or vice versa, but I do
13 think, yes, we do need a better immunosuppressive regimen to
14 be pretty sure it is going to work in the human.

15 These regimens here may work in the human, but the
16 fact that they don't work completely successful in the non-
17 human primate suggests to me that they may be not fully
18 successful in the human, but there are distinct differences
19 and one will not know what those differences are until you
20 actually go ahead with a human trial.

21 DR. AUCHINCLOSS: David, thank you very much. I
22 think we will move on to Robert Michler for the second
23 presentation of the morning, on human xenotransplantation.

24 **Human Xenotransplantation**

25 DR. MICHLER: Thank you.

1 [Slide.]

2 What I would like to share with you this morning
3 is really the notion that what we are all after is the
4 opportunity to replace organs, and xenotransplantation truly
5 is just one of the tools in our armamentarium for the
6 replacement of those organs.

7 [Slide.]

8 If we look over the history of clinical
9 xenotransplantation, and I have divided it into two eras,
10 the precyclosporin era and postcyclosporin, I think several
11 things are striking about what has been done since the early
12 part of the 20th Century beginning with the variety of
13 organs that have been transplanted including sheep and pig,
14 but also the fact that these most successful xenotransplant
15 in humans occurred over 35 years ago with the use of a
16 chimpanzee organ in a human that survived for nine months.

17 [Slide.]

18 Following the introduction of cyclosporin, we see
19 that there are several interesting cases, again, the variety
20 of organs, two pigs, the majority of the others being
21 baboons. Actually, this was a pig by Len Makowka using the
22 liver, but I would like to focus your attention on the
23 Bailey heart transplant experience, and you are all very
24 very familiar with Suzanne Ilstad's work in AIDS therapy.

25 [Slide.]

1 Interestingly, the first human implantation of a
2 kidney xenograft demonstrated by Keith Reemtsma shows I
3 think several salient points that we should all try and
4 maintain in mind when exploring human transplantation.

5 First, not only is the survival and the successful
6 survival, but also the demonstration that these organs
7 function and that if rejection occurs, they can be treated
8 for those rejection episodes.

9 Keith, in his early publication on this, compared
10 the xenograft, which he called a heterotransplant, to the
11 allotransplant, which is called the homotransplant, and
12 looked at variable features, from urine flow, BUN,
13 creatinine clearance, and demonstrated almost striking
14 similarities between the function of the two organs.

15 [Slide.]

16 At the time of death of this patient, who died
17 from causes unrelated to the xenograft--this was a
18 chimpanzee organ--grossly, the organ looked normal, and
19 histopathologically, the organ looked normal, as well,
20 demonstrating again the feasibility in that particular
21 situation.

22 Naturally, non-human primates for a variety of
23 reasons really are not to be addressed and can't be
24 addressed in the current strategies for organ replacement
25 therapy, but I think with the world experience summarized

1 here for clinical cardiac xenotransplantation, there are
2 actually two cases I would like to focus our attention on
3 because they lend I think two very important lessons with
4 respect to what we are trying to discuss.

5 [Slide.]

6 The first is the case of Baby Fay, which you are
7 all very familiar with, Leonard Bailey's experience, and the
8 second being a case done in Poland by Drs. Czaplicki and
9 Religa in which they implanted the pig organ into a 24-year-
10 old man with Marfan's syndrome, who was dying of severe
11 heart failure.

12 That organ was transplanted after two separate pig
13 organs had been perfused while the patient was on the heart-
14 lung bypass machine, essentially doing what Dr. Cooper just
15 outlined for you, which was immunoabsorption.

16 This organ surprisingly survived for 24 hours, and
17 this is actually the photomicrograph of that organ, the
18 heart, following its explant. I appreciate the Polish
19 investigators sending this to me.

20 At any rate, I think the important feature here is
21 that this architecture for those unfamiliar with cardiac
22 biopsies, this architecture is essentially normal.
23 Unfortunately, what the investigators did not do is look for
24 immunofluorescent staining for the binding of antibody and
25 complement, so we have no understanding of really whether

1 there was any deposition of that.

2 [Slide.]

3 The second case. This is Baby Fay's heart
4 transplant, and this is a right ventricular biopsy or
5 actually a right ventricular specimen taken after the death
6 of Baby Fay. What it shows you is striking interstitial
7 infiltrate and hemorrhage and blood in the blood vessels of
8 that organ.

9 Now, as many of you know, Baby Fay was an ABO
10 incompatible transplant. The blood group area was crossed.
11 Baby Fay being an infant had presumably not yet developed
12 significant antibody to that blood group mismatch and Baby
13 Fay survived for approximately three weeks, died on the 20th
14 day, and this is presumed the cause of death.

15 The important feature here is that this is
16 analogous to an acute vascular rejection that one might see
17 following pig-to-human transplantation under the conditions
18 of transgenic implantation or immunoabsorption, whatever
19 that preclinical scenario might be to allow the organ to go
20 out this far.

21 [Slide.]

22 With this history of what I think many could
23 assume as failures, what is it that really
24 xenotransplantation going? We have had no clear long-term
25 human successes except for the 35-year historical case of

1 Dr. Reemtsma in a non-human primate, and I think the reasons
2 are really very obvious to everyone.

3 [Slide.]

4 First of all, allotransplantation is
5 extraordinarily successful, so successful that this is
6 really the reality for every transplant that we see. There
7 is a long line of patients awaiting, in need of
8 transplantation, and in many centers, transplantation at one
9 year exceeds 90 percent.

10 [Slide.]

11 The other is a point that Dr. Vanderpool and
12 others were raising yesterday, and that is, what is the
13 potential benefit for this therapy, and I wanted to take a
14 moment to just outline to you the true impact of what heart
15 failure is like in this country today and what I, as a heart
16 surgeon, in my microcosm of my heart center have to deal
17 with every single day with patients referred for heart
18 failure.

19 First of all, there are about 400,000 new cases we
20 see every year, 4.8 million patients, 2 million of those
21 patients are under the age of 65. It is the most common DRG
22 that we have to deal with. Nearly a million hospital
23 admissions per year. The one year survival rate for
24 patients with heart failure in the best medical therapeutic
25 hands only approaches 50 percent, and it is the only form of

1 heart disease that is actually increasing in frequency.

2 [Slide.]

3 If you look at its cost, between 10 to 17 billion
4 dollars per year, most of those expenses occur inside the
5 hospital. These patients overwhelmingly are managed inside
6 of the hospital, and heart failure dollars outstrip the
7 treatment of myocardial infarction by 2 to 1.

8 [Slide.]

9 But what else has made it very exciting that keeps
10 xenotransplantation on the table? No question there has
11 been extraordinary advances in the development of molecular
12 technology and the sponsors that are discussing protocols
13 here today have really developed revolutionary technology
14 that I think will one day benefit many millions of patients.

15 They have been able to humanize the donor by
16 creating complement inhibitory proteins. They are on the
17 verge of developing technologies that will alter the
18 antigenic expression on the surface of pig endothelial
19 cells, and the opportunity exists to modify the proteins
20 that are responsible for the coagulation properties on those
21 cells.

22 But if we look at the results, I think it is very
23 important, and many of you are familiar with these results,
24 some were presented yesterday, in the best of hands, whether
25 it is in a heterotopic model--and just for the same of

1 definition, heterotopic means outside of the normal
2 position--so a heterotopic heart transplant can be placed in
3 the neck, it can be placed in the abdomen, it can be placed
4 in iliac region, an orthotopic transplant means that you
5 remove the native organ and implant the new heart in the
6 same position, the results show that in the best
7 circumstances, about two months outside survival has been
8 achieved in heterotopic position, and as you just heard from
9 Dr. Cooper, maximum survival of about a month in the
10 orthotopic position.

11 [Slide.]

12 So, in a very elegant report, Fox and Swazey a
13 number of years ago, in a book entitled, "The Courage to
14 Fail: the Experimental Therapy," and I think it is a
15 fascinating dialogue, they outlined three critical questions
16 that investigators should address if they wish to proceed
17 with clinical trials of any innovative therapy.

18 I think this is a framework in which we can begin
19 to formulate clinical trials. First, what defines
20 laboratory success of a sufficient magnitude to warrant
21 introduction into the clinical arena.

22 Secondly, who will be the patients who we will
23 look at for clinical trials, and thirdly, if we have defined
24 success in these clinical trials, what would be the clinical
25 application and who would those clinical trials be for.

1 [Slide.]

2 I think that it is very valuable to look at the
3 experience with mechanical heart transplantation as a
4 surrogate for some of the answers that we are trying to
5 address today.

6 [Slide.]

7 This is Barney Clark's heart and the Jarvik total
8 artificial heart, but I would like to focus on a device that
9 I have a lot of personal experience with, and this is a
10 Heart Mate left ventricular assist device.

11 [Slide.]

12 Now, what we know from mechanical heart devices is
13 that they can form surrogates of what I like to call
14 xenotransplants, which are biologic assist devices. We
15 know, first of all, that if you took 100 patients with heart
16 failure and needed to do something urgently on those
17 patients, and you implanted a left ventricular assist device
18 in those patients, between 20 and 25 of those patients would
19 die before you could transplant them.

20 Some of those patients would die with an LVAD
21 because they developed a stroke, an infection, or some other
22 reason that would exclude them from transplantation, but
23 others would die with the transplant.

24 Of those 75 to 80 who survive the LVAD, about 85
25 percent of those patients would be successfully transplanted

1 and be allowed to go home. So, of the 100 patients, about
2 60 percent of those, 60 patients would actually survive to
3 transplantation.

4 So, inherent in the opportunity to include an
5 alternative therapy, one must recognize that a significant
6 portion of patients will die, and one has to be able to
7 accept that and acknowledge that depending on the clinical
8 trial one is undertaking.

9 The other very, very interesting point is that if
10 you look at the mean time from implantation of the LVAD to
11 transplantation, it is about between two and three months,
12 60 to 90 days, so I would submit to you, using that kind of
13 information, before we embark on clinical trials of human
14 xenotransplantation, I would suggest that we need to see an
15 excess of 90 percent survival of orthotopic heart
16 transplants for 30 days, and close to 50 percent survival
17 beyond 60 days.

18 Again, I bring this up because I think it is
19 important for us to debate those numbers and to really put
20 some numbers on the table.

21 [Slide.]

22 Second, this is what limits transplantation, the
23 fact that many patients are too large to get transplanted.
24 Many patients on UNOS I wait shorter periods of time than
25 UNOS Status II patients, and blood group has an important

1 impact on their survival and their likelihood to be
2 transplanted.

3 [Slide.]

4 So, getting back to the three criteria, I think it
5 is important to establish a survival time, but I think it is
6 also important to address questions of rejection - are we
7 comfortable with acute vascular rejection and the ability to
8 diagnose it and to treat it, and what happens beyond acute
9 vascular rejection.

10 [Slide.]

11 This is a slide depicting acute vascular rejection
12 from Waterworth's group, Imutran group, and clearly, it is
13 an alarming histologic picture, but as yet we have no known
14 therapy or proven therapy or even attempted therapy that has
15 been published in the literature.

16 [Slide.]

17 Secondly, this is a slide showing an infiltrate.
18 This is work done in our institution on xenografts from pig-
19 to-baboon in unmodified, untreated animals, and we have seen
20 a significant infiltrate in animals surviving beyond
21 hyperacute rejection, the majority of the cells being
22 natural killer cells and macrophages.

23 [Slide.]

24 This is a therapy that we need to investigate as
25 well, and I think there are a number of questions that we

1 should pose that will be important in addressing whether
2 this is clinically applicable at the current time.

3 First, can cyclosporin-based immunosuppression
4 prolong xenograft survival? I think at present our
5 knowledge base suggests that the answer is yes. Can the
6 xenograft heart support the circulation? Unquestionably, it
7 can.

8 Is xenograft rejection reversible? As yet we do
9 not know.

10 Does acute vascular rejection occur in xenografts
11 and can it be treated? Yes, it does occur. We don't know
12 whether it can be treated.

13 Does xenotransplantation jeopardize a subsequent
14 allograft? As Dr. Cooper said, there have been only three
15 experimental attempts at trying to demonstrate whether this
16 is true or not.

17 What is the role of humoral immunity long term and
18 what is the role of cell-mediated immunity long term?

19 [Slide.]

20 Finally, what if we look at the appropriate
21 candidates, a destination therapy versus a bridge therapy,
22 and in the protocols that you have seen addressed today,
23 these questions come up.

24 First of all, is a destination therapy appropriate
25 using a heterotopic heart transplant, and who will be the

1 patients that we use in that kind of scenario, and what
2 would bridge therapy be like for our patients and who would
3 we select.

4 [Slide.]

5 Well, this is what a heterotopic heart transplant
6 looks like. I think it is important for all the sponsors to
7 keep in mind, and I think all of us to keep in mind, as
8 well, that this is a very uncommon operation. Only 13 were
9 performed last year by UNOS records. With over 25,000 heart
10 transplants being performed since the beginning, this
11 remains a very uncommon operation, and when you take a new
12 technology and couple it with a surgical operation that is
13 not performed commonly, I think that the investigators must
14 be very cautious that they not jeopardize their end results
15 simply because they are introducing a therapeutic surgical
16 arm that is not commonly practiced.

17 [Slide.]

18 What about a xeno bridge? Apparently, one must
19 define whether there is reasonable supporting evidence to go
20 on with it. It is very important to study the immune
21 phenomenon. It is the foundation for future destination
22 therapy. Very importantly, the public must be brought into
23 this. Public awareness is high on this. We must ensure
24 confidence that we can be successful in embarking on this
25 kind of therapy.

1 But remember a bridge is epidemiologically
2 inconsequential, it will not impact on the total overall
3 strategy.

4 [Slide.]

5 If we look at appropriate therapeutic individuals,
6 then, one has to consider not competing with a strategy that
7 is already established, such as left ventricular assist
8 device.

9 [Slide.]

10 Candidate selection can be an allotransplant
11 candidate whose body size is insufficient to put an LVAD in.
12 Death is imminent, no allodonor is available anywhere. Some
13 of these patients might be on mechanical support devices.
14 But for a patient in whom destination therapy might be an
15 option, these patients will not be allotransplant
16 candidates. These patients are likely not be left
17 ventricular assist device candidates, and who will be those
18 patients be? Most likely, older aged individuals or
19 patients with multiple comormid diseases or finally
20 retransplantation candidates.

21 [Slide.]

22 In summary, I think we always have to keep the
23 patient as the most preeminent thing that we can consider.
24 Never forget that the patient and the success at bringing a
25 novel therapy to these patients must be considered, but not

1 to be frightened about introducing innovative therapies
2 because it is the only way that we can address an issue that
3 is this germane to the public health.

4 [Slide.]

5 Finally, I think it is very important for us not
6 to be frightened by the cost of these therapies. This will
7 be extraordinarily unimaginably expensive, and to forget
8 that for a moment, I think is inappropriate, but at the same
9 time, it is important to recognize that as time goes by,
10 data will become available that demonstrates, as it has for
11 heart and kidney transplantation, that if you look at the
12 cost of year of life saved for heart transplantation, it is
13 actually less expensive than a single vessel coronary bypass
14 operation.

15 Finally, that the sponsors need to recognize, as
16 well, that millions of dollars must be put into this in
17 order to allow clinical trials to be successful, because
18 certainly Medicare is not going to pay for it initially, the
19 institutions are not going to burden the expense, and that
20 the sponsors must be largely responsible for making clinical
21 trials a reality.

22 Thank you.

23 DR. AUCHINCLOSS: Thank you very much.

24 Dr. Vanderpool, I believe has a question.

25 DR. VANDERPOOL: Dr. Michler, that was a superb

1 presentation. I know you are also familiar with the history
2 of innovative transplantation.

3 Do you find in that history a model for beginning
4 points and second points and third points that we could at
5 least have in mind as we think about xenotransplant
6 innovation? The new types of when heart transplants first
7 started or when other transplants first started, what kind
8 of models do we have in terms of failure and then gradual
9 success and then greater success?

10 Obviously, we are not going to hit a home run the
11 first clinical trial or two. Can you give us some
12 historical perspective?

13 DR. MICHLER: Yes, I would be happy to. Actually,
14 I included for the committee an editorial that I wrote a few
15 years ago that includes some of the historical perspective
16 on this.

17 Before the first human heart transplant was ever
18 performed, the best survival achieved was in dogs. The
19 control groups survived for an average of 7 days and then
20 experimental group of Drs. Lower and Shumway survived for
21 over 200 days. I think the mean survival was 203 days.

22 That was the bulk of the scientific evidence that
23 then allowed heart transplantation to be undertaken
24 clinically. Many of you also realize that the first heart
25 transplant performed by Christian Barnard survived for 18

1 days, but the second and third transplants that survived
2 went 18 months and 20 months. These patients returned home
3 and did extraordinarily well.

4 Unfortunately, within that early time period there
5 was an absolute explosion in the number of transplants
6 performed worldwide with a one year survival rate of under
7 20 percent with 105 centers performing heart transplants
8 within the first year of that transplant.

9 So, there was an astonishing attempt by
10 investigators and clinicians all over the world who
11 literally had no experimental experience in this, and just
12 the desire to do something novel with results that were
13 abysmal.

14 After that, there was really one medical center
15 that persisted, and that was Stanford, and to the great
16 credit of Norm Shumway and his team, they persisted and took
17 this therapy and applied it and religiously made an effort
18 to make it successful to the point where now an excess of 90
19 percent of patients at most centers survive one year.

20 DR. AUCHINCLOSS: At one point, you were
21 considering a trial of bridge cardiac transplantation from
22 baboon to children as a possible way of introducing
23 xenotransplantation.

24 Leave aside the baboon issue, which is no longer
25 at this point on the table, do you still consider that

1 population of children, poor candidates for ventricular
2 assist, waiting for allotransplantation, to be a good
3 population for xenotransplantation as a bridge, did you at
4 that point do any experiments to determine what kind of
5 effect on subsequent allotransplantation the first xeno
6 might have?

7 DR. MICHLER: To address the question firstly,
8 yes, we did give up non-human primates as a bridge and have
9 embarked on a series of investigative efforts to try and
10 look to see whether the pig would not in fact be a better
11 substitute.

12 We feel the answer to that question is yes for a
13 variety of reasons. First, the need is tremendous in that
14 over 30 percent of pediatric patients die on the transplant
15 waiting list.

16 Second, that the procedure is very technically
17 facile in the pediatric population, and third, there may be
18 an opportunity, a window of immunologic opportunity for
19 these patients, and some of our investigative work has
20 actually looked at the development of immunoglobulin anti-
21 Gal antibody in the newborn baboon and the newborn human
22 population, and we have shown that in the newborn baboon,
23 the level of antibody is barely detectable as it is in the
24 human, and it is not until about two months of age in the
25 human that sufficient immunoglobulin has been developed and

1 it parallels about the level that is present in the adult.

2 So, many patients who are in need of
3 transplantation in the pediatric population are actually
4 infants born with severe heart failure or hyperplastic left
5 heart syndrome, and a variety of other congenital
6 abnormalities that we think would be good candidates for
7 this.

8 The issue I have not mentioned, nor has anyone
9 yet, but I expect Dr. Vanderpool would mention, is the issue
10 of informed consent in the pediatric population, and Arthur
11 Caplan has actually published quite extensively on that.

12 DR. AUCHINCLOSS: I do recognize that we are
13 bringing up subjects that weren't a substantial discussion.
14 but we will get to that in a little bit.

15 Martin?

16 DR. HIRSCH: I presume that as progress is made in
17 xenotransplantation, progress is also being made in the
18 mechanical assist devices.

19 Do you think that progress there either as a
20 bridge or a long-term device might eventually obviate the
21 need for xenotransplantation?

22 DR. MICHLER: I don't think it will obviate the
23 need. I do think that we are in the infancy of ventricular
24 assist development technology, and that is really why the
25 title of my talk is replacement therapy, because as a heart

1 surgeon, I just want to see the patient do well and live and
2 get on. Whether that is with the human heart transplant, a
3 xenograft, or ventricular assist device, I suspect there
4 will be differences in terms of how well a patient will do
5 and what will be their ultimate outcome, but I do think that
6 these technologies must continue to progress in parallel.

7 I do not believe that xenotransplantation, even if
8 it were successful in clinical trials, and we were to see
9 its application widespread, would ever eliminate the need
10 for ventricular assist device implantation or vice versa.

11 DR. AUCHINCLOSS: Thank you very much.

12 I think we will move on now to the presentation
13 from Nextran, I believe introduced by Dr. John Logan.

14 **Clinical Applications: A Discussion**

15 DR. LOGAN: I would like to split this morning's
16 talk really into three parts. The first part, I will talk
17 in a little bit of detail about some of our preclinical
18 results. Then, we will move to potential clinical
19 applications, and those presentations will be given by Dr.
20 Christopher McGregor of the Mayo Clinic and Dr. Martin Levy
21 of Baylor in Dallas, and then turn in the last part of the
22 discussion really to what could be the potential
23 requirements in a preclinical model in order to enter the
24 clinical arena.

25 Let me first start off by saying that I believe

1 this is a very important forum to start the discussion on
2 what is required preclinically in order to enter the clinic.

3 I think right now the data that we have does not
4 justify an entry into the clinical arena, but I think we
5 need to start that discussion early in order to set the
6 goals and the framework for what we really need to achieve
7 and what are the milestones that we need to achieve as we
8 think about the clinical process.

9 [Slide.]

10 Let me turn then to the first part of the
11 discussion, which really surrounds our approach and our
12 strategy in xenotransplantation, and let me give you a
13 little flavor of some of our results, and then discuss some
14 of the challenges that we face in obtaining those results.

15 As David Cooper went into, the immunological
16 challenges in xenotransplantation really are at least
17 threefold today. Firstly, it is the problem of hyperacute
18 rejection of a pig-to-primate transplant that occurs
19 immediately after the heart or kidney is transplanted from a
20 pig into a primate.

21 Then, there is a form of vascular rejection which
22 occurs sometime around a week post-transplant, which has
23 been named various different sources. We have called it
24 acute vascular rejection and then presumably there is
25 cellular rejection and chronic rejection, which are problems

1 yet to be overcome.

2 [Slide.]

3 If you look first at the initiative reaction of
4 hyperacute rejection, hyperacute rejection is initiated by
5 the binding of antibody to the antigen on the endothelial
6 cell. In terms of hyperacute rejection, the antibody
7 predominantly recognizes single residue, which is an alpha-
8 gal of sugar on the endothelial cell, the binding of that
9 antibody to the pig antigen activates the complement
10 cascade, activation of the complement cascade results in
11 stimulation of a prothrombotic environment, and then you see
12 the features of hyperacute rejection, which is thrombosis,
13 edema, and graft destruction almost immediately.

14 In trying to think about methodologies to overcome
15 hyperacute rejection, the only ones that have met with
16 success are those which attack the initiating elements
17 either antibody and complement.

18 We have attempted to solve this problem by
19 actually looking at the complement component of that and by
20 the expression of human complement regulatory proteins on
21 the pig endothelium.

22 The goal here is to provide complement regulation
23 on the pig surface, such there are low antibody combined,
24 complement cannot be at its effective functions.

25 [Slide.]

1 Just let me summarize some experiments we did a
2 few years ago in which we developed a number of different
3 lines of transgenic pigs either expressing CD59's or CD55 or
4 CD46 alone. We transplanted these organs into baboons and
5 applied a fairly standard immunosuppressive regimes, the
6 cyclosporin steroid-based immunosuppressive regime with the
7 use of cyclophosphamide in a range between 1 and 5 mg/kilo.

8 What we see in the transplantation of a
9 nontransgenic kidney in this setting is hyperacute
10 rejection, and in this set of experiments there were four
11 nontransgenic kidneys, and they all underwent hyperacute
12 rejection.

13 In the case of transgenic kidneys, we did not see
14 hyperacute rejection, and the grafts lasted for between one
15 and two week post-transplant. Rejection here, these are
16 life-supporting grafts, rejection here was classified as a
17 twice doubling in creatinine, and not death of the animals.

18 In the case of the heart, we essentially saw a
19 very similar picture in that in this case we only did two
20 control hearts, but both control hearts hyperacutely
21 rejected, and the transgenic hearts lasted for anywhere from
22 a few days up to two to three weeks post-transplant with
23 actually one of the transgenic hearts undergoing a
24 hyperacute rejection.

25 [Slide.]

1 If you look histologically at the reason that
2 these organs overcome hyperacute rejection is because they
3 inhibit the complement cascade. If you look at the
4 deposition of antibody comparing nontransgenic and
5 transgenic routes, you see antibodies deposited in both
6 grafts.

7 In the case of the nontransgenic graft, we see
8 activation of the complement cascade as indicated by
9 deposition of C5b and MAC.

10 However, in the case of the transgenic animals, we
11 block deposition of C5b and MAC. So, these organs are
12 protected from hyperacute rejection by blocking the
13 activation of the complement cascade.

14 [Slide.]

15 However, what we have seen with essentially all of
16 our transgenic animals, if we apply normal levels of
17 immunosuppression, is that we see hyperacute rejection is
18 overcome, but all these grafts eventually succumb to a
19 vascular rejection process, and that process starts from a
20 few days to a week post-transplant.

21 In general, we see little evidence of a cellular
22 infiltrate in the presence of immunosuppression,
23 occasionally in the kidney, we will see some cells, but very
24 rarely, and in the hearts, we very rarely, if ever, see any
25 cellular infiltrate.

1 So, we have termed this process acute vascular
2 rejection, and really this presented to us the major barrier
3 at the moment to xenotransplantation.

4 [Slide.]

5 We tried to think about what could be the
6 causative agent behind acute vascular rejection, and tried
7 to do the follow experiment. We essentially took two sets
8 of animals, and these experiments have been published.

9 We took transgenic animals under normal conditions
10 into baboons under an immunosuppression regime of
11 cyclosporin, cyclophosphamide, and steroids, and these
12 grafts rejected with a few days to a week post-transplant,
13 and this is the typical picture that we see of acute
14 vascular rejection.

15 [Slide.]

16 However, if we went into the baboons and actively
17 removed total immunoglobulin before transplant and the
18 immediate days and weeks post-transplant, we could avert
19 this course of acute vascular rejection, and this told us
20 that immunoglobulin really was a key component in this acute
21 vascular rejection process.

22 The nature of that immunoglobulin, we believe
23 actually is targeted against alpha-gal, predominantly, if
24 not exclusively.

25 [Slide.]

1 Really, to try and show that, we really repeated
2 the experiment, the removal of total immunoglobulin, but
3 this time only removed the immunoglobulin to recognize the
4 alpha-gal in a very similar format to what Dr. Cooper
5 described in terms of extracorporeal removal of the
6 immunoabsorption device.

7 The set of controls here were transgenic animals.
8 We performed in this case a splenectomy at D minus 6,
9 applied immunosuppression, which was cyclosporin,
10 cyclophosphamide, and steroids, again a loading dose of 10
11 mg/kilo tapered down to 1 to 5 mg/kilo.

12 In the case of the transgenics, as we have shown
13 you before, these organs essentially lasted somewhere
14 between a few days to a week post-transplant. It underwent
15 process of acute vascular rejection.

16 In the case of antibody depletion, we performed
17 exactly the same protocol in terms of immunosuppression
18 strategy, but in this case, we actively removed just alpha-
19 gal antibody, free transplant, and up to two weeks in the
20 post-transplant arena.

21 In this particular set of experiments, we did four
22 transplants.

23 [Slide.]

24 In these four transplants, which are heart
25 transplants, none of the organs succumbed to rejection. We

1 saw no rejections defined histologically or in terms of
2 cessation of beating of the graft.

3 We lost the animals at 9, 12, 34, and 39 days.
4 The first three animals here at 9, 12, and 34 days were all
5 lost due to complications not related to the graft of the
6 immunosuppression. They were related to surgical
7 complications either related to the immunopheresis or the
8 in-dwelling catheters.

9 The 39-day animal was lost on infection.

10 [Slide.]

11 However, and I think this again exemplifies a
12 point that Dr. Cooper made, which is the challenge in
13 maintaining these animals in a healthy state when one is
14 performing invasive technologies, however, what this pointed
15 to us was that the strategy at least in trying to get a
16 successful xenotransplant was to use the genetically
17 modified, the transgenic organs expressing human complement
18 regulatory proteins to try and develop an appropriate
19 immunosuppressive regime, and then a therapy to control
20 alpha-gal antibodies, which really in our case would be the
21 specific physical removal of antibody.

22 What we are doing now clearly is extending those
23 previous results, looking at different organ types, and
24 looking at larger and longer term survival studies.

25 [Slide.]

1 However, let me now turn from the preclinical side
2 to what potential clinical applications could exist in
3 xenotransplantation. I just really wanted to make two
4 critical points here.

5 The first point is that the goal here is to
6 provide an additional treatment alternative for patients
7 with end-stage organ failure.

8 The second point here is really a very important
9 point and I think is a point that certainly is open to
10 debate, and that is that the comparison and outcomes should
11 really be with other available medical treatments, whatever
12 they are, for the patient in end-stage organ failure, and
13 not allotransplantation, because allotransplantation is a
14 limited resource given to very few people.

15 It really is a comparison of xenotransplantation
16 to other medical alternatives.

17 With that, let me now turn to the clinical
18 applications and introduce Dr. McGregor from the Mayo
19 Clinic.

20 DR. MCGREGOR: Thank you, Dr. Logan. Good
21 morning, ladies and gentlemen.

22 23,000 solid organ transplants are performed in
23 the United States each year. There are, however, 65,000
24 people waiting for solid organ transplants. Of that 65,000
25 people, 4.5 thousand people will die each year, that is, 13

1 patients each day.

2 In addition, these 65,000 patients represent
3 conservatively less than half of those patients who could
4 benefit from organ transplantation for end-stage organ
5 failure if there was an unlimited supply of donors.

6 I would therefore like to reiterate a point just
7 made by Dr. Logan, and that is that the advent of clinical
8 xenotransplantation will provide new, additional therapies
9 for selected patients who would not otherwise receive an
10 allotransplant, and reiterate therefore, the comparison of
11 outcomes should not be with allotransplantation, which is an
12 established conventional treatment, but with alternative
13 methods of treatment for that specific group of patients.

14 [Slide.]

15 Let us now look at the rationale for organ
16 selection. The most likely success will be in organs that
17 are physiologically, metabolically, and immunologically
18 compatible with the host.

19 In the spectrum of physiological and metabolic
20 compatibility, the heart and the kidney would appear the
21 least complex, the heart largely being a simple mechanical
22 pump, whereas, the liver of course is a much more complex
23 organ with the production of many complex proteins.

24 In the spectrum of increasing complexity of
25 immunological compatibility, again, the heart and kidney

1 would appear to be at the less complex part of the spectrum,
2 with at the present time the lung in preclinical studies
3 being very incompatible.

4 Preclinical studies would emphasize these thoughts
5 about xenograft compatibility, and would indicate that the
6 organs of choice for initial clinical xenotransplantation
7 trials would be the kidney or the heart.

8 [Slide.]

9 The preferred clinical indications for
10 xenotransplantation would therefore be cardiac or renal.
11 There are two potential cardiac applications. The first
12 would be as a bridge to cardiac allotransplantation in
13 patients dying waiting for an allotransplant.

14 The second cardiac application would be for the
15 treatment of end-stage cardiac failure in patients who are
16 ineligible for transplantation.

17 [Slide.]

18 Before discussing these two specific clinical
19 indications in more detail, I would like to make an initial
20 overall comparison for discussion between cardiac and renal
21 application of xenotransplantation.

22 The comparison would be on five bases, that is,
23 the availability of alternative treatment for the patient,
24 the effectiveness of that alternative treatment, the outcome
25 without xenotransplantation, the consequences of xenograft

1 failure, and the relative ethical bar for each application.

2 If we look at the heart as a bridge to transplant,
3 one of the two proposed cardiac applications, then, apart
4 from those patients who are VAD candidates, and clearly we
5 are going to discuss ventricular assist devices more and
6 more as the morning goes on, but in patients who are judged
7 not to be VAD candidates, the outcome without
8 xenotransplantation is death. There is no good alternative
9 treatment.

10 Therefore, the relative ethical bar one would
11 consider low. If one looks at those patients who are
12 allotransplant ineligible, the only alternative treatment is
13 best medical therapy. In a selected group of patients, this
14 results in an impaired quality of life with multiple
15 hospital admissions and an identifiable prognosis of only a
16 few months.

17 One would say that this therefore had an
18 intermediate ethical bar.

19 If one looks at renal transplantation, clearly
20 dialysis is available. It is effective, but as many
21 patients will tell you, this results in a limited quality of
22 life. Because there is a good alternative therapy, one
23 would think that the relative ethical bar was higher than
24 the other applications.

25 However, the one advantage that renal application

1 would have is that there is a return to dialysis available
2 as a consequence of xenograft failure. If the xenograft
3 fails after heart transplantation, then, it will result in
4 death of the patient.

5 [Slide.]

6 The use of a bridge to transplant indication would
7 involve a transgenic pig to human cardiac xenotransplant as
8 a bridge to cardiac allotransplantation in accepted human
9 cardiac transplant candidates at high risk of impending
10 death, that is within days, from irreversible cardiac
11 failure due to lack of an available suitable human donor.

12 Such patients will have end-stage ischemic
13 congestive, valvular, adult congenital or restrictive
14 cardiomyopathy.

15 [Slide.]

16 The rationale for this application is a bridge to
17 allotransplantation, is that there is no alternative
18 therapy, it is potentially life-saving for that individual
19 patient. It would therefore be an acceptable ethical
20 choice.

21 The application would be of brief duration, and as
22 one would not know when an available human donor would
23 appear, there would be progressively longer term exploration
24 of xenograft function, and that definitive therapy with
25 allotransplantation would remain the endpoint and would be

1 available for that specific patient.

2 [Slide.]

3 This trial of cardiac xenotransplantation as a
4 bridge to allotransplantation would be the initial entry to
5 the clinic, and not by any manner of means, of course, as
6 the final application.

7 It would answer some basic questions - will the
8 pig heart sustain the circulation of an adult human
9 recipient for a number of days or weeks? What are the
10 immunologic and physiological challenges to allow patient
11 survival, what would be the optimal immunosuppressive
12 therapies in such patients?

13 [Slide.]

14 As I look at patient inclusion and exclusion
15 criteria in the next few slides for the two cardiac
16 implications, I would emphasize that these are not all
17 encompassing lists of criteria for the sake of time, but
18 simply highlights to give you a flavor of the patient
19 populations that we are talking about here.

20 As regards to the bridge indication, patient
21 inclusion criteria would be accepted candidates for
22 allotransplantation, men or women in this age range,
23 although I think that Dr. Michler makes a very good point
24 that perhaps we should consider from birth to age 70.

25 These are patients who are judged clinically

1 unsuitable for VAD, for deteriorating from a hemodynamic
2 point of view, who need increasing inotropic or balloon pump
3 support, who have life-threatening arrhythmias or who are
4 developing multiple organ failure that will result in
5 apparently death.

6 Exclusion criteria would be the standard accepted
7 published contraindications to heart transplantation and
8 those lists are easily available to any of us.

9 [Slide.]

10 I am now going to move on to the second cardiac
11 application of xenotransplantation, and that would be in
12 non-allotransplant eligible patients.

13 Now, clearly, as you apply this technology as a
14 bridge, you are not going to increase the number of donors,
15 so societally, you are not making a difference. You are
16 making a difference to that individual patient who is dying,
17 however, as we look to the second cardiac application, that
18 is, in patients who are ineligible for allotransplants,
19 then, one is increasing the number of donors, and this will
20 have a much great societal impact.

21 I would like to look initially at the
22 ineligibility criteria, the inclusion and the potential
23 exclusion criteria for such a trial.

24 [Slide.]

25 These criteria are simply to give you a flavor of

1 what kind of patients we are talking about, the kind of
2 patients that people like Dr. Conte and Dr. Michler and I
3 see every week of our lives, and they are patients who are
4 turned down for allotransplant for a number of reasons.

5 They may be older patients. Many of these
6 patients here are 65 or 70 or even 75 are extremely active
7 and vital. Another reason patients are turned down is
8 comorbidities that would compromise the outcome of
9 allotransplantation, and one could list 20 of these, and I
10 have picked some of them - diabetes with end organ disease,
11 the presence of controlled but non-cured malignancy, the
12 presence of systemic diseases or sustained renal impairment
13 that would compromise the long-term outcome of an
14 allotransplant, patients who have a very high PRA, who one
15 knows are going to wait indefinitely, again, might be
16 patients who would be turned down for allotransplantation
17 because of age, because they may wait five years, and these
18 may be ideal patients for the initial clinical application
19 of xeno.

20 [Slide.]

21 Some of the inclusion criteria for the second
22 cardiac application, that is the non-allotransplant eligible
23 indication, would be men or women greater than 15 years old,
24 they would be in chronic New York Heart Association Class
25 III or Class IV heart failure.

1 They would be ineligible, as we said, for cardiac
2 allotransplantation. They would have failed standard
3 medical therapy and there would be a number of hemodynamic
4 parameters, parameters such as peak consumption. I have
5 given one arbitrary number there, and one could argue
6 whether it should be 12 or 24.

7 In terms of chronic heart failure, this can be
8 defined. It could be multiple hospital admissions within
9 the previous four weeks. There are clear definitions that
10 many of us have worked on over years for the application of
11 VADs and similar circumstances.

12 [Slide.]

13 Exclusion criteria. Obviously, if patients are
14 eligible for an allotransplant, by definition, they are
15 going to be excluded from this trial. Factors that would
16 result in a certain poor outcome would be exclusion
17 criteria, such as irreversible pulmonary hypertension,
18 severe end organ dysfunction, severe cerebral vascular or
19 peripheral vascular disease, or active systemic infection.

20 [Slide.]

21 I will finish up by giving one potential clinical
22 strategy for the early application of xenotransplantation.
23 Firstly, as a bridge to allotransplantation and, of course,
24 there would be no control group because there is no
25 alternative.

1 Then, move on to xenotransplantation in non-
2 allotransplant candidates, the controls for this trial would
3 be best medical treatment because that is the only
4 alternative treatment available to this group of patients.

5 One could then move on to prospective trials of
6 other organs, such as the kidney, and finally, hopefully,
7 not decades away, but within our professional lifetimes,
8 definitive therapy for end-stage organ failure.

9 Thank you.

10 I pass the podium on to Dr. Marlin Levy from
11 Baylor, who will talk about potential renal applications.

12 DR. LEVY: Good morning, ladies and gentlemen.

13 [Slide.]

14 What I would like to do in the next few minutes is
15 perhaps explore the possibilities of a renal xenotransplant
16 and throw out some of the questions that ought to be
17 addressed when talking about contemplating such a trial or
18 applying this application to patients.

19 [Slide.]

20 Certainly there is some key questions that ought
21 to be addressed prior to initiating trials, and I would
22 suggest that one of the most important ones is the
23 preclinical graft survival data, some of which we have seen
24 both today and yesterday.

25 Dr. Cooper alluded to the fact that perhaps

1 patients who are sensitized to human antigens, patients with
2 so-called high PRA, might also be sensitized to pig
3 antigens, and that is another barrier that would have to be
4 overcome before we would consider a renal xenograft.

5 Finally, and I think quite importantly, the
6 quality of life issues of a patient with a xenograft as it
7 compares to a patient on dialysis would have to be addressed
8 and explored.

9 [Slide.]

10 As my colleagues earlier this morning have already
11 alluded to, I think that the benchmark of comparison for a
12 renal xenotransplant trial or renal xenotransplant model
13 really can't be an allotransplant. It has to be, in my
14 opinion, the alternative to an allotransplant, which is
15 waiting on dialysis for a kidney.

16 I would postulate that since the standard of care
17 is allotransplant, and in applying any experimental therapy,
18 you would probably want to apply the experimental therapy to
19 a patient population who is unable or ineligible to receive
20 the standard of care.

21 So, as I define it, the context really has to do
22 with the waiting list for kidney transplantation, a waiting
23 list which has some defined mortality, as I think all of you
24 know, and a waiting list which has very definite morbidity
25 and for many patients is quite in agony.

1 [Slide.]

2 Regrettably, this data from UNOS, which is current
3 to February of '99, is all too familiar to us, but i think
4 it is important to bring it out as we talk about these
5 issues and as we try to frame the debate.

6 This is the number of patients on a waiting list,
7 and the number continues to escalate, and all of us who work
8 in the transplant field and who take care of patients
9 understand that of the 65,000 patients who are on a waiting
10 list, 43,000 of them are kidney transplant patients, so from
11 a clinical need standpoint, there are certainly a large
12 population of patients to which this could be addressed.

13 [Slide.]

14 Unfortunately, the waiting list has a defined
15 mortality. In 1998, 2,300 people were removed from the
16 waiting list because they died, and again, you can see the
17 escalation in the number of patients who are dying on the
18 waiting list.

19 The overall mortality from dialysis is 20 to 25
20 percent in this country. The overall mortality of patients
21 who are on a kidney transplant waiting list is approximately
22 8 percent a year as these numbers show.

23 So, I would suggest to you that, in fact, a kidney
24 transplant can be a life-saving organ for many patients. If
25 you place a patient on the waiting list today, the chances

1 that they are not going to live to transplant are
2 approximately 8 percent per year.

3 [Slide.]

4 Here is more of the obstacle and more of the
5 problems. The waiting times for patients across the country
6 are astronomical. The average waiting times for patients
7 who are waiting for their first kidney--now, these are
8 median waiting times, keep in mind that half the patients
9 will wait longer than that--is in excess of 800 days, but
10 there is certainly a category of patients, for example,
11 those who have had a previous transplant, who wait far
12 longer than that with now median waiting times of
13 approximately 1600 days for patients who have had at least
14 one previous transplant.

15 [Slide.]

16 If you want to address waiting times by patients
17 with high PRAs, broken down into these three categories,
18 there is likewise a group of patients who are waiting in
19 excess of 1300 days who have an intermediate level of
20 presensitized antibodies and patients who essentially will
21 never ever get a kidney transplant, patients who are waiting
22 in excess of six years before they can be transplanted.

23 [Slide.]

24 Again, the waiting list has defined morbidities
25 and defined agonies for patients. There is certainly

1 exacerbation or new cardiovascular disease which takes place
2 during the time that the patient is on a waiting list.

3 Patients very commonly develop vascular access problems or
4 risk or infections continues, both bacterial and viral.

5 There are some subtle, but still very significant
6 difficulties with the waiting list in terms of lost
7 productivity and disability to the patient, the
8 psychological burden of dialysis, and the economic burden,
9 both to the patient being unable to support himself or
10 herself, and to the families, and, of course, the large
11 economic burden to society at large.

12 [Slide.]

13 What I would suggest to you is that there is a
14 group of patients who despite being medically suited for an
15 allotransplant, are unlikely to ever receive one, patients
16 who have high PRAs, patients who have had previous
17 transplants, patients who are offered kidneys on a regular
18 basis because they have common antigens, but who repeatedly
19 come up with a positive cross-match and so cannot get
20 transplanted would form an ideal population of patients in
21 whom one would consider a renal xenotransplant.

22 In addition, we transplant surgeons will often
23 give only one chance at a kidney to patients with certain
24 diseases. We know that recurrence of certain diseases in a
25 transplanted allograft means that the disease is going to

1 come back again and again, and so if, for example, in
2 patients with focal sclerosing glomerulonephritis, which is
3 a common indication for kidney transplantation, if these
4 patients have recurrent disease, they are not going to be
5 offered another organ.

6 Likewise, patients with Goodpasture syndrome,
7 which is an antiglomerular basement membrane antibody, will
8 not be offered a second kidney or a subsequent kidney if
9 their kidney transplant fails from their original disease.

10 That is again another patient population in whom
11 kidney xenotrial would be quite appropriate.

12 [Slide.]

13 One can place I think restrictions or stipulations
14 to a xenotrial for any number of different angles. One, for
15 instance, can say, well, we ought to reserve xenotrials for
16 patients who have been on a waiting list a certain length of
17 time, patients who perhaps have been on the waiting list two
18 years, three years, five years.

19 You can pick a number, but it is certainly
20 plausible to say that given that some of these patients will
21 never, ever get transplanted, those would be good candidates
22 for a xenotrial.

23 Likewise, certain patients with a degree of PRA
24 would be good candidates for xenotrial, be it 50 percent or
25 70 percent. I think the number can be debated, but the

1 point is that that population who is unlikely to ever be
2 transplanted, and who is sentenced to living out their days
3 on dialysis, would be appropriate.

4 [Slide.]

5 Finally, one can also place restrictions of
6 recipient age, and it could be an interesting debate
7 actually. Is an elderly person more willing to take the
8 risk of a xenotransplant because they know they are going to
9 spend the rest of their days on hemodialysis and never be
10 offered a kidney, or do you offer a xenotransplant kidney
11 trial to a young person who perhaps has had a previous
12 transplant, who has a high level of antibodies, and who is
13 25, 30 years old, who is facing the rest of their days on
14 dialysis?

15 But those are I think questions that can be
16 considered and can help frame the debate.

17 [Slide.]

18 I would suggest to you that potential renal
19 xenotransplant candidates could be patients who are already
20 on the transplant waiting list, that is to say, who are
21 medically eligible, who have acceptable cardiovascular
22 status, who don't have malignancy, who have psychosocial
23 support, patients who are unlikely to receive an allograft,
24 and to me I think ethically, that would be a very
25 appropriate way to approach this question.

1 It could also include patients who have developed
2 dialysis intolerance either because of loss of vascular
3 access or because of debility and disease over the years.

4 I think a key question which we have talked about
5 very briefly this morning is a question of informed consent.
6 Certainly, dialysis has morbidities and mortalities, but I
7 think Dr. Vanderpool and the other ethicists here would
8 appreciate that dialysis does offer us a very nice safety
9 net in which to have a very deliberate, measured discussion
10 with patients and potential patients and their families, and
11 give patients the time to weigh the risks and benefits of
12 entering into a xenotrial.

13 So, from a renal xenotransplant standpoint, that
14 is I think a definite ethical plus.

15 I will let Dr. Logan finish his presentation.

16 DR. LOGAN: Let me just in the last couple of
17 slides, come back to some thoughts about preclinical
18 requirements and just try and talk a little bit about that.

19 [Slide.]

20 Clearly, our model system that we utilize is
21 actually the baboon, and we have used exclusively the
22 baboon, and in here we need to look at functional graft
23 survival in terms of in the heart, can it support the
24 circulation, in the kidney, how well does it perform
25 physiologically over time, as well as the immunological

1 questions.

2 [Slide.]

3 So, really, we are asking two issues in terms of
4 physiology and immunology. In terms of what targets should
5 be for graft survival, I think at the moment that is hard to
6 say. There are a couple of challenges in these baboon
7 models that I think individuals who work with them
8 understand well.

9 As we perform procedures and protocols, and
10 morbidity and mortality we see with baboons would not be
11 anywhere close to the morbidity or mortality we see with
12 humans under clinical settings. So, clearly, there are some
13 substantial differences in trying to draw graft survival to
14 very long periods of time in the baboon, may also be
15 somewhat misleading in that this is a model system and there
16 are going to be differences between the baboon and the
17 human.

18 So, we picked an arbitrary time point of
19 approximately three months and asked ourselves what would be
20 reasonable graft survivals, and we thought a number
21 depending on the clinical indication of perhaps somewhere
22 around 60 percent for graft survival at the end of three
23 months, and that could clearly go up or down depending on
24 the clinical indications, perhaps as low as 40 percent for
25 bridge indications, as Dr. Michler was suggesting earlier I

1 believe, and perhaps higher for renal applications.

2 But clearly the debate on these numbers I think is
3 a good debate to start, to start thinking about what could
4 be reasonable targets.

5 [Slide.]

6 Issues that we try and define in the preclinical
7 protocols are organ and immunosuppressive therapies,
8 remembering that there will be some differences between the
9 immunosuppressive therapies that we utilize in the baboon
10 versus perhaps the dosing that we utilize in humans.

11 Immunological and physiological graft survival is
12 critical. Rejection episodes, both the detection of
13 rejection episodes, which may be perhaps more vascular in
14 nature in the case of xenograft and an allograft, and also
15 methodologies to treatment.

16 I think it is also important to recognize that in
17 terms of reversible steroid-resistant allograft rejection,
18 the use of OKT3, OKT3 doesn't recognize baboon cells, so
19 again a limitation there in the reagents that we can
20 utilize.

21 And then if one does perform a bridge indication,
22 it is very important to show that we have no significant
23 impact on the subsequent allografts, and there have been
24 very few studies to really address that issue.

25 With that, I would like to stop and thank you very

1 much.

2 DR. AUCHINCLOSS: Thank you very much.

3 Can I ask two questions? The problem that you are
4 having in survival appears be antibody mediated and anti-
5 Gal. Do you have any experience with any of the transgenic
6 animals that might have diminished expression of gal? That
7 is one question.

8 The second question is I think one that will come
9 up to many people here, why do the results that you report
10 look different from the results that I think we will be
11 seeing from Imutran?

12 DR. LOGAN: I think those are two good points. We
13 have derived animals with lower levels of gal. Those
14 animals have not yet been tested preclinically in baboons,
15 but we are moving ahead.

16 DR. AUCHINCLOSS: I am sorry, I am not hearing
17 you. You have the animals and--

18 DR. LOGAN: And they haven't been tested yet. We
19 should hopefully get there shortly, but they have not yet
20 been tested.

21 In terms of major differences, I think between
22 ourselves and Imutran in terms of results, there is
23 substantial difference in terms of the immunosuppressive
24 regimes. I think the dose levels of cyclophosphamide used
25 initially is much lower in our studies than in Imutran's

1 studies. I think--correct me if I am wrong--I think they
2 are still using relatively high levels.

3 DR. AUCHINCLOSS: So, the primary difference is a
4 difference in drug therapy.

5 DR. LOGAN: I believe so, but it could be a
6 difference in--

7 DR. AUCHINCLOSS: Do you have a reason to think
8 there is a substantial difference between the transgenic
9 animals that the two of you have in terms of expression of
10 transgenes or location of expression?

11 DR. COZZI: My name is Emanuele Cozzi. I work for
12 Imutran.

13 Yes, if you can immediately clarify, I speak
14 immediately after you, the story regarding the
15 immunosuppression. At Imutran, all the protocol I will show
16 to you today except one is based on the immunosuppressive
17 strategy which entails only four doses of cyclophosphamide,
18 so I would like to make this clear, we are not using any
19 more cyclophosphamide at Imutran for more than four doses,
20 and I will show this to you in a few minutes. Thank you.

21 DR. LOGAN: But the four doses are quite high.

22 DR. AUCHINCLOSS: I will put you on the podium in
23 just two seconds.

24 Are there any other particular questions?

25 DR. SACHS: Dr. McGregor, you mentioned that the

1 bridge will not increase the number of donors available, and
2 that certainly is true, but it will increase the number of
3 prospective recipients on the waiting list, so in essence,
4 since you are in a situation where people are dying every
5 day without getting a transplant, you are actually assuring
6 that one other person won't get a transplant.

7 I mean that is the problem with the bridge
8 ethically, I would say.

9 DR. MCGREGOR: Of course, that is absolutely
10 correct. You are just shifting the cards around. But, you
11 know, in terms of the ethics of the application of a new
12 technology, if you have a patient who has the potential for
13 long-term survival, and you can save the life of that
14 patient, just as we have done with ventricular assists over
15 the last 15 years, then, it seems appropriate to offer that
16 critically ill patient this option if there is a reasonable
17 chance that it can help him or her, but absolutely, we are
18 not going to increase the number of patients surviving, and
19 that is always going to be the limitation of the strategy.

20 DR. CONTE: One comment related to that question.
21 The number of people who could potentially be bridges with a
22 xenograft as opposed to a mechanical or as an alternative to
23 mechanical device, is very, very small. They would
24 primarily be the pediatric populations where there are not
25 currently good devices available.

1 There are very few in the whole spectrum of
2 mechanical devices, whether it is a total artificial heart,
3 a left ventricular assist device, right ventricular assist
4 device or bilateral, there are very few additional patients,
5 so I do not think we are going to significantly increase the
6 numbers of patients on the waiting list.

7 DR. AUCHINCLOSS: Dr. Coffin.

8 DR. COFFIN: I had essentially the same question.

9 DR. MCGREGOR: To respond to that, if one looks at
10 the number of heart recipients in the last five years, who
11 have received a VAD, in reality, as far as the clinical
12 practice in the United States today, reported to UNOS
13 between 1994 and 1998, only 10 to 15 percent of heart
14 recipients are receiving VADs today.

15 So, I think as far as theoretically possible and
16 what is happening in the real world, and those are the
17 numbers currently.

18 MS. MEYERS: Why didn't your plan have contingency
19 plans in it in case you find out that these patients do
20 indeed have virus and the PERV virus or whatever?

21 DR. MCGREGOR: Clearly, there are very many
22 additional important issues that we have to discuss. The
23 point I think that I would make is I don't think we are
24 ready from our knowledge to go ahead right now.

25 There are issues of physiology, there are issues

1 of infectious diseases that have to be satisfied. Due to
2 constraints in time, I was trying to focus for the purposes
3 of discussion as to potential patient groups who would be
4 suitable for xenotransplantation.

5 DR. WOODLE: I would like to direct this question
6 to Marlin Levy, and would also open it afterwards to anyone
7 else who might disagree with this point.

8 The issues of forcing endogenous retrovirus are
9 resolved. I believe that there is two populations of
10 patients with end-stage renal disease who are immediate
11 candidates for xenotrial. Both of these populations would
12 have to be patients that are highly sensitized with a high
13 PRA and would have no living donors.

14 One of these populations of patients who have end-
15 stage vascular access or dialysis access who are within days
16 to weeks are going to die because of failure of access.

17 The other population would be patients who are
18 demanding to be removed from dialysis because their quality
19 of life is so poor.

20 Is there anyone that would disagree with that
21 statement?

22 DR. LEVY: I would agree emphatically with what
23 you are saying. You know, they are fairly small numbers and
24 again it is difficult to make a complete list of who is
25 available, but I guess my message to the committee is that

1 there are certainly patients who despite what we consider to
2 be the excellent technology of dialysis, there are certainly
3 patients who both suffer and who die well before they can
4 ever get a kidney transplant, and you bring up two more
5 examples.

6 DR. AUCHINCLOSS: Steve, would you agree that as
7 sort of a rough ballpark estimate, that if you went to any
8 busy transplant center, you would sort of find one or two
9 patients that would fall in this kind of category?

10 DR. WOODLE: Probably in our program, we have
11 maybe three or four patients a year.

12 DR. VANDERPOOL: The question I had, I have heard
13 this expressed several times that one candidate would be the
14 person who is miserable on dialysis. My only concern is
15 that they may be jumping from the hot plate into the fire,
16 and so unless we have a good read on quality of life for the
17 xenotransplant, then, what the patient wants to get out of
18 will not really be a rescue, it will be a new state of worse
19 misery.

20 Could you comment on that?

21 DR. WOODLE: Is that fire you are talking about
22 the fire of xenotransplant or eternal fire?

23 DR. VANDERPOOL: I am sorry.

24 Just one quotation from the Nuffield report on
25 xenotransplants, the UK Nuffield report, it argues that we

1 should have a "robust concern" for quality of life issues
2 for xenotransplant recipients, and I think that phrase is a
3 good one to at least have in the back of our minds.

4 DR. WOODLE: I was talking about patients who had
5 come forth voluntarily who normally go about, who normally
6 would come forth and say, "I want to be removed from
7 dialysis, I want to die."

8 Your point is an ethical issue, which is a serious
9 one, which is the question of coercion, an unspoken coercion
10 that the patient feels because now they have an option other
11 than dying, and I think we need to be very careful in the
12 entry criteria into trials to safeguard against that.

13 DR. LEVY: I just want to remind you that being
14 miserable on dialysis for some patients, it doesn't just
15 mean having a bad day. I mean these are patients who are
16 physiologically devastated by this, who are hypotensive
17 during dialysis, who feel absolutely terrible before,
18 absolutely terrible after, people who otherwise might be
19 very stoic individuals, very driven to work, who are
20 completely devastated with loss of livelihood, sometimes
21 loss of family support.

22 I think us transplanters here know quite well what
23 I am talking about. Fortunately, that is not the majority
24 of patients on dialysis, but there is many of those like
25 that.

1 DR. AUCHINCLOSS: We are going to come back to
2 this discussion following the next presentation. What I
3 would like to do now is to move on to the Imutran
4 presentation by Dr. Cozzi, and then we will have our break
5 and then we will come back for, first, the FDA Perspective
6 and then the group discussion.

7 **Current Status of Solid Organ Pig-to-Primate**

8 **Xenotransplantation**

9 DR. COZZI: Good morning.

10 [Slide.]

11 Today, I will present to you the current status of
12 our solid organ pig-to-primate xenotransplantation program
13 at Imutran.

14 [Slide.]

15 Some of the aspects of my presentation have
16 already been introduced by Dr. Cooper and Dr. Logan,
17 therefore, I will skip over some slides.

18 [Slide.]

19 This one is just to remind you that xenograft
20 rejection, the mechanism we have to remember that we have in
21 addition to the cellular and chronic, possible chronic
22 rejection phenomenon which occurs in allotransplantation, we
23 have to deal with two additional immunological obstacles,
24 namely, hyperacute rejection and acute vascular rejection.

25 [Slide.]

1 The approach undertaken at Imutran, as we have
2 heard, is an approach which is aimed at interfering with the
3 role of the activation of the complement cascade and
4 therefore the activation and damage of the porcine
5 endothelial cells and the onset of hyperacute rejection.

6 [Slide.]

7 We have produced transgenic pigs for the human
8 complement regulator h-DAF. We have produced this h-DAF
9 minigene, which has been microinjected into a porcine
10 embryo, and we have obtained h-DAF transgenic pigs.

11 [Slide.]

12 This slide is for us extremely important. These
13 are absolutely h-DAF pigs which grow and reproduce normally.

14 [Slide.]

15 The next step was obviously once we have obtained
16 the transgenic pigs to show the presence of h-DAF on the
17 endothelial cell surface where we all know the hyperacute
18 rejection phenomenon is known to initiate.

19 These slides clearly show that an
20 immunohistochemistry using an anti-h-DAF monoclonal
21 antibody, we have a large expression of human DAF on the
22 surface of these endothelial cells of this artery, but also
23 on the arterial smooth muscle.

24 [Slide.]

25 Therefore, a genetic manipulation which was

1 successful in leading to the production of transgenic pigs
2 which express large amounts of h-DAF exactly where we know
3 hyperacute rejection starts.

4 [Slide.]

5 Therefore, with the availability of such animals,
6 we initiated five years ago our preclinical pig-to-primate
7 xenotransplantation program, which entails today the
8 utilization of several skills which starts with a team of
9 surgeons, immunologists, veterinarians, pathologists, and so
10 forth.

11 [Slide.]

12 The essential three goals which we are aiming to
13 address without preclinical studies is obviously the
14 elucidation of the immunological mechanisms which underlie
15 the xenograft rejection, the development of a clinically
16 acceptable immunosuppressive regimen, and I insist
17 clinically acceptable, and I will show to you why I insist
18 on that point, and finally, another goal is clearly the
19 generation of the physiological data which will be required
20 and necessary for us to support our clinical studies.

21 [Slide.]

22 The models we have developed at Imutran are
23 essentially four models. One is a renal model where we have
24 the transplantation of h-DAF transgenic pig kidneys into
25 cynomolgus monkey, cynomolgus monkeys previously

1 nephrectomized bilaterally.

2 Then, we have developed three models which are
3 cardiac models. Two are non-life supporting, which is the
4 heterotopic model heart into cynomolgus monkeys or into
5 baboon, and finally, the life supporting pig-to-baboon
6 model. I will show you to you essentially three groups of
7 studied to give you a little bit of perception of where we
8 are and what we are trying to achieve.

9 [Slide.]

10 As I said before, two new obstacles, two new
11 immunological hurdle to overcome for the long-term survival
12 of the xenograft, the first one being hyperacute rejection,
13 what have we achieved with this genetic manipulation
14 undertaken in our pig.

15 [Slide.]

16 It summarizes a little bit our experience at
17 Imutran, and I have reported here almost all our transplants
18 undertaken to date. We have done more than 350 transplants
19 into non-human primates using either transgenic or non-
20 transgenic control organs.

21 If I look at the face of our transgenic pig
22 organs, you will see that all together we have transplanted
23 in either of our groups or cyno, 313 xenografts, again,
24 either the heart or a kidney, and will see that out of the
25 313 xenografts transplanted, only 4 underwent hyperacute

1 rejection, whereas, 309 xenografts were not hyperacutely
2 rejected.

3 So that gives us a percentage of hyperacute
4 rejection episodes which is lesser than 2 percent of our
5 total experience at Imutran.

6 Conversely, if I look at the non-transgenic
7 subgroup, we have here so far 37 known transgenic control
8 organs into non-human primates. In this case, we had 22
9 organs which underwent hyperacute rejection, and
10 surprisingly enough, 15 organs did not undergo hyperacute
11 rejection, but the most important phenomenon is here,
12 basically, less than 2 percent of hyperacute rejection
13 episodes with our transgenic pig organs.

14 [Slide.]

15 As I said, hyperacute rejection, we consider that
16 with the transgenic pig lines we are working with today,
17 which is essentially the h-DAF line, although I wish to
18 stress here that we are coming up with new lines of pigs.
19 With our first-line h-DAF transgenic pigs, hyperacute
20 rejection, we don't see it anymore, while we have now to
21 attack the next hurdle to the long-term survival of our
22 xenograft, which is acute vascular rejection.

23 [Slide.]

24 This explains to you a little bit the rationale
25 that we have undertaken in trying to address the acute

1 vascular rejection, and, in general, how we are trying to
2 obtain long-term survival of porcine xenograft into non-
3 human primate.

4 We believe that the three key immunological
5 players which we have to keep under control for the long-
6 term survival of our xenograft are the complement cascade,
7 the T cell compartment, and the B cell compartment.

8 We have data which have explored also other
9 aspects of the immune response, but we really do feel that
10 these are the three main immunological players to control.

11 The complement activation, as I said before, we
12 have now good transgenic pigs which are able to overcome
13 hyperacute rejection, and they are still able to control a
14 possible role of the complement later on once hyperacute
15 rejection has been overcome.

16 As far as the T cells and the B cells are
17 concerned, I think that this part of the slide wants to
18 convey to you essentially two points. The first one is that
19 some of the compounds which are used to target the T cell
20 immune response, in fact, do not just play on the T cell
21 compartment, but also if they are chosen appropriately, this
22 will be compound, which will also down-regulate the B cell
23 immune response. So, that was the concept number one.

24 The concept number two, which is as important or
25 maybe more important, is that if we are able to choose

1 appropriate compounds, it is possible to build
2 immunosuppressive strategies with additional or even
3 synergistic effects, and therefore with a better control of
4 the immune response.

5 The compounds with which today we are more
6 familiar with are essentially the cyclosporin A, the RAD,
7 which is a new macrolide, cyclophosphamide, ERL, which is
8 formulation of mycophenolate mofetil, MMF, and I would say
9 these are the key compounds with which today we have a
10 reasonable experience at Imutran.

11 [Slide.]

12 That is another important issue here. I allow
13 myself to comment on what Dr. Logan just said before,
14 because it has been a great effort for us at Imutran to work
15 hard to come up with an immunosuppressive strategy which is
16 realistic.

17 By "realistic," we mean an immunosuppressive
18 strategy which will not kill the recipient, and the second
19 point, extremely important for us, is to come up with the
20 recipe, possibly an immunosuppressive strategy which is not
21 too different from what each of us in our department use in
22 our patients.

23 Therefore, the cyclophosphamide issue, I just
24 touched on that. Cyclophosphamide was a cornerstone of our
25 immunosuppression more than five years ago. It still is,

1 but as an induction treatment and as an induction treatment
2 I meant and I mean only four doses.

3 Then, we do have three compounds, a triple
4 immunosuppressive fraction which is obviously tailored
5 specifically for the immunological compartment here and a
6 sort of immune response we have to place in
7 xenotransplantation.

8 This, let's say maintenance immunosuppression is
9 essentially based on cyclosporin, steroids, and a so-called
10 third agent, and I will mention this in the second--I mean
11 not cyclophosphamide, but I mean, for instance, RAD, for
12 instance, ERL, for instance, mycophenolate mofetil, and
13 other compounds like this.

14 As an anti-rejection treatment used so far,
15 essentially steroids occasionally, we have also used
16 occasionally cyclophosphamide.

17 [Slide.]

18 I will show briefly to you three slides on the
19 experience at Imutran just to give you a little bit of
20 perception of where we are.

21 These are heterotopic pig hearts which were
22 transplanted into baboons where the third agent is MMF,
23 therefore, cyclophosphamide four doses, third agent, I mean
24 MMF plus cyclosporin and steroids, so three compounds as we
25 do in the clinic.

1 Now, the results are as follows. I will show you
2 coincidentally the four hyperacute rejection we have had so
3 far at Imutran. In this series, I think the key message is
4 hyperacute rejection. Unfortunately, we have seen it,
5 median survival 15 days, and as previously said by Dr.
6 Cooper, our longest survivor in this series went on for 99
7 days.

8 Conversely, the median survival in our control
9 group was 5 days, longest surviving animals 10 days, and
10 also here, hyperacute rejection as it would be expected.

11 [Slide.]

12 This is our series of orthotopic heart
13 xenotransplantation, and again in this case, the so-called
14 third agent is MMF. For us, it is extremely important to
15 stress that this is orthotopic model.

16 The results, as they were previously mentioned by
17 Dr. Cooper, longest surviving animals 39 days with a median
18 survival of 11 days.

19 [Slide.]

20 I would move now to give you a perception of our
21 experience in the pig-to-primate renal model. We have
22 essentially focused our attention in Cambridge in the pig-
23 to-primate renal model, and I would say that more than 80
24 percent of the data generated in Cambridge are data in the
25 renal site, so I would say more than roughly 280, 290

1 transplants have been generated in the kidney site, and that
2 is where we have learned a lot of things, and that is where
3 we have done most of our exploratory work, and maybe we will
4 continue to do that.

5 Now, if I look at the results in this series, as I
6 said, animals which were treated either with
7 cyclophosphamide as a four-dose inductions treatment, and as
8 a third agent, mycophenolate, RAD, ERL, or our first series,
9 cyclophosphamide as a third agent, I would say that the key
10 message of these slides, as we said, hyperacute rejection is
11 not seen.

12 A median survival, which is comprised between 32
13 days and 43, 45 days, depending on the sort of third agent
14 we have used so far in Cambridge, the longest surviving
15 animal which went on for 78 days.

16 Another thing which I would like to convey and
17 bring to your attention, as I said before, our major
18 obstacle has been, and is, acute vascular rejection. I
19 would say that most long surviving animals in these series
20 are lost due to acute vascular rejection, and not due to
21 over-immunosuppression.

22 While we are learning our approach in trying to
23 improve the survival and the condition of these
24 immunosuppressed animals, we have also made some interesting
25 drug combinations which have allowed us to now identify a

1 new pattern of rejection.

2 So, while we used to lose our organs due to
3 hyperacute rejection or acute vascular rejection, acute
4 vascular rejection is still our main enemy, if you want, but
5 we are starting to see in our grafts, mainly in our kidney,
6 a new pattern of immunological damage, and we believe that
7 we are altering the immunological pattern of rejection with
8 the new compound that we are exploring.

9 [Slide.]

10 If I can in this slide, just show to you what I
11 mean by that, is that this is a xenograft where besides some
12 area of acute vascular rejection, we can see some areas
13 where the damage to the xenograft is cell-mediated damage,
14 and we have decided--this is a kidney, this is the renal
15 tubule--and we have decided to call this cellular xenograft
16 rejection phenomenon, which I said is a phenomenon which we
17 see today in the presence of area of acute vascular
18 rejection, as well, in the xenograft.

19 Are we witnesses a new sort of immunological
20 rejection where maybe we have more experience, are we seeing
21 something which is similar to what we see today in our
22 clinical arena, I don't know yet, but certainly we are
23 facing something new, and maybe it is something new we have
24 maybe already seen it in our allo setting.

25 [Slide.]

1 This slide, I just want to summarize the
2 situation. Up to 78 day survival of life supporting kidney,
3 90 day survival of heterotopic heart, and 39 days survival
4 for a life supporting heart transplant.

5 [Slide.]

6 What are we doing today at Imutran, where are we
7 focusing our attention? Obviously, we are still trying to
8 further characterize and control AVR, to control it better,
9 and we are also generating physiological data to support
10 clinical studies.

11 [Slide.]

12 AVR, how are we trying to address the specific
13 problem? We are evaluating the significance of elicited
14 anti-pig antibodies, as other group are doing at the moment.
15 We are, of course, pushing further our capacity to
16 investigate the cellular infiltrate using triple
17 immunofluorescent technology, the cellular infiltrate which
18 we see now not just in acute vascular rejection, but also in
19 this area of cellular xenograft rejection.

20 We are undertaking a big word, which is aimed at
21 characterizing antiprimate specific monoclonal antibody, and
22 this is an item where we are really using a lot of effort
23 and a lot of energy, just because we work in this model.

24 Finally, we are testing new immunosuppressive
25 strategies as I said before.

1 [Slide.]

2 Physiology. We are at the moment also trying to
3 address some physiological issue in our kidney model. I
4 will show to you some data which show excretory and
5 osmoregulatory functions of our kidneys.

6 We will touch on some aspect of physiology related
7 to erythropoiesis. We are trying to generate data on the
8 ADH, and I will show to you some observation with respect to
9 calcium and phosphate homeostasis in our xenografted
10 monkeys.

11 [Slide.]

12 Now, as I said to you, we have been able to
13 maintain cynomolgus monkeys for up to 78 days, and I think
14 that this slide wants to convey to you an important message.
15 I mean you and I know very well that in the follow-up of our
16 patients we usually use the creatinine as a key marker of
17 expression of the work in xenograft, and if we look at the
18 creatinine in the first months in a group of eight animals,
19 what we see is that immediately after transplant, there is a
20 peak in the creatinine level, which usually normalizes
21 within the first week, and then we have animals which go on
22 for several weeks, possibly for several months, I said up to
23 78 days is our longest survivor, with normal creatinine.

24 So, the take-home message is that these animals
25 are kept alive with a creatinine which is normal.

1 [Slide.]

2 The same thing occurs for the sodium. Normal.
3 Again, for as long as the rejection process does not take
4 place.

5 [Slide.]

6 I said I would have mentioned some data with
7 respect to the erythropoietin, and these slides want to
8 study the levels of hemoglobin over the life span up to 60
9 days in a group of animals.

10 I think that this slide has another important
11 message brought to your attention, but if we look at the
12 green line, these are animals which are xenografted and then
13 not exposed to recombinant erythropoietin.

14 For those of you who are not familiar,
15 erythropoietin is a hormone which is secreted by the kidney,
16 and it is fundamental for the production of red blood cells
17 for the presence of hemoglobin in the blood.

18 What we can see here is that in this group of
19 animals, which were part of a CYP study, we see that
20 immediately after the transplant, we have a drop in the
21 hemoglobin, reach level as low as 4 or 5 grams of hemoglobin
22 per deciliter, at which point we will have to sacrifice.

23 So, the message that this slide wants to convey to
24 you is that the porcine kidney doesn't seem to be able to
25 sustain the production of red blood cells, the production of

1 hemoglobin.

2 Conversely, if we treat these animals with human
3 recombinant erythropoietin, as you can see, the initial
4 trend, which is a drop after the first few days, is easily
5 reverted and we have animals which survive for more than 60
6 days, which are hemoglobin around 12 gram, which is
7 substantially similar with the pre-op hemoglobin.

8 So, we may have come across, we may--why am I
9 saying we may--because we are deeply investigating what is
10 going on there, and we are not sure that the phenomenon that
11 we are witnessing here, we are not sure if this is related
12 to a physiological incompatibility between a pig and a
13 primate, or if this is related to an immunological
14 phenomenon for which the porcine erythropoietin is cleared
15 and removed.

16 In either case, if there is a problem with respect
17 to the erythropoietin, the presence of recombinant human
18 erythropoietin, which we are using every day in our clinics,
19 is able certainly to revert and overcome this problem.

20 [Slide.]

21 Here, I would like to bring to your attention a
22 measurement of kidney function in terms of calcium and
23 phosphate. This is another aspect of the physiological
24 compatibility between non-human primate and primate that we
25 are trying to investigate very aggressively.

1 I showed to you before the creatinine, sodium,
2 they substantially remain normal, within the normal value
3 for that species for the major part of the lives of these
4 animals until the xenograft is not rejected.

5 As far as calcium is concerned--and we will see
6 phosphate in the next one--what we see here is that after
7 the second week, there is a rise in the calcium in some of
8 the animals. For instance, in this group, this animal, the
9 calcium remained substantially normal, but in some of these
10 animals, it can go up and remains like this, around 5 to 7
11 mEq/L with substantially plateauing out without continuing
12 to increase with the animal, which remained substantially
13 healthy and normal, and doesn't seem to suffer from this
14 hypercalcemia for up to 78 days.

15 [Slide.]

16 Phosphate. We have another phenomenon in this
17 case. It is substantially the reverse, the contrary. What
18 we see is that after a few days--at the beginning, we have a
19 slight increase in the phosphatemia, and then a progressive
20 decrease, which reads very low levels around day 28 and
21 remains low for as long as the animal remains alive.

22 Interestingly enough, as I said before, up to 78
23 days we do not have any evidence that these animals are not
24 able to tolerate with either mild hypercalcemia or this
25 hyperphosphatemia. On the other hand, if the problem has to

1 be there, we know that our colleagues, nephrologists have
2 the necessary drugs and medications to allow our patient to
3 normalize these parameters in case this has to be a real
4 problem tomorrow if we had to start clinical
5 xenotransplantation, and we had a problem like this.

6 [Slide.]

7 This is the penultimate slide. It allows me to
8 stress again a concept which has been touched on this
9 morning by several colleagues who have spoken before me, and
10 that is the limitation of the preclinical model that we are
11 forced to use today in our laboratories.

12 I mean although it is certainly a model which has
13 given to us the opportunity to learn a lot, and will allow
14 us to continue to generate a lot of data, we believe that
15 there are several problems which are related to the use of
16 preclinical studies, and they were mentioned earlier today.

17 The first point is that some diagnostic
18 intervention of even treatment modalities are difficult to
19 fully evaluating on human primate. The collateral ethics of
20 some therapeutic strategies are species-specific.

21 Today, as I said, our aim is not to do something
22 magic, but to do something very practical which will allow
23 us to arrive to the clinical arena. So, what we are doing
24 today in some respects, for some immunosuppressive regimen,
25 is already in place in the clinical study.

1 We are using, for instance, RAD, which is already
2 in this country in a Phase III clinical trial, and some of
3 the side effects we see with animals treated with RAD are
4 never or very rarely observed by our colleagues who are
5 using RAD in the clinical arena.

6 Some of the side effects may be the reason for
7 which we lose some of our primates. Some potentially
8 beneficial therapeutic strategies can now be tested on
9 appropriate animal models. I am referring, for instance,
10 for a reagent like Compath I, which is giving great results
11 in clinical allotransplantation, the epitome recognized by
12 the monoclonal antibody does not exist in non-human primate,
13 and therefore, for instance, that reagent is not an option
14 for us to be explored in the preclinical arena.

15 Finally, there are limitations which are due to
16 the absence of well-validated, primate-specific reagents. I
17 just touched on that a few seconds ago.

18 [Slide.]

19 The last slide. Basically, our conclusion is that
20 despite the limitation with the primate model, we have been
21 able to show to you a prolonged life-supporting xenograft
22 function using h-DAF transgenic pig organ and I insist a
23 clinically applicable immunosuppression. Graft function has
24 been demonstrated in kidney and heart, and I would like, of
25 course, to take the opportunity to thank the large team at

1 Imutran, some of the colleagues are here today, would like
2 to thank them for the great effort they have put into this
3 program to make it successful, and I thank you very much for
4 your attention.

5 DR. AUCHINCLOSS: Thank you.

6 On your next to the last slide, the term
7 "collateral effects," can we keep the military terminology
8 out and just call it complications? I think that is what we
9 refer to them as.

10 A question from me. In the initial report of your
11 cyclophosphamide series 1995, it was specifically said that
12 none of the animals that died had evidence of rejection,
13 that they all died of complications of the
14 immunosuppression, so that has now shifted, isn't that
15 correct, with the newer immunosuppressive protocol, you now
16 see acute vascular rejection, but survival of the animal
17 itself, is that correct? Is that fair?

18 DR. COZZI: That is exactly the situation.
19 Basically, the data you are referring to was our very early
20 experience. Today, these protocols do not exist anymore,
21 and the side effects, which were the reason for which we
22 were losing the animals at that time, are not the reason for
23 which today we lose our prime, that is correct.

24 DR. AUCHINCLOSS: John Coffin.

25 DR. COFFIN: I was wondering whether you had any

1 evidence as to whether the variability that you see here,
2 host-specific or donor-specific, for example, in those two
3 animals that had the hyperacute rejection, if you go right
4 back at those recipients with another organ, do you again
5 see hyperacute rejection, is that a donor or a host effect?

6 DR. COZZI: The answer is very--it would take a
7 lot of time. To summarize a little bit the situation it hat
8 basically, we have gone back to try to understand and
9 explore the reason for which we lost the xenograft, and
10 today we have not come across the real reason for which we
11 feel we could have predicted death.

12 To put it another way, we don't know if it is a
13 donor or recipient related effect. I can tell you that two
14 of the hyperacute rejections occurred using two litter
15 mates.

16 DR. COFFIN: In the case of more later rejection,
17 is there any evidence for an effect of genetics of the
18 donor?

19 DR. COZZI: Genetics of the donor, don't know.

20 DR. AUCHINCLOSS: David Cooper, did you have a
21 question?

22 DR. COOPER: Emanuele, that was really a wonderful
23 presentation, and you have done some fantastic work, and I
24 think we all congratulate you and your group immensely.

25 I want just to pick you up, though, on the point