

U.S. DEPARTMENT OF HEALTH AND HUMAN SERVICES

PUBLIC HEALTH SERVICE

FOOD AND DRUG ADMINISTRATION

3072 '99 JUN 15 A9:45

+ + +

TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES

ADVISORY COMMITTEE

+ + +

**This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.**

MEETING

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

+ + +

Thursday, June 3, 1999

+ + +

The meeting was held in the Ballroom, Holiday Inn, 2 Montgomery Village Avenue, Gaithersburg, Maryland, at 8:30 a.m., Paul W. Brown, M.D., Chairman, presiding.

PRESENT:

PAUL W. BROWN, M.D., Chairman

WILLIAM FREAS, Ph.D., Executive Secretary

ERMIAS, D. BELAY, M.D., Member

DAVID C. BOLTON, Ph.D., Member

DEAN O. CLIVER, Ph.D., Member

LINDA D. DETWILER, M.V.M., Member

BRUCE M. EWENSTEIN, M.D., Ph.D., Member

BARBARA W. HARRELL, M.P.A., Member

S A G CORP.

## PRESENT (Continued):

DAVID G. HOEL, Ph.D., Member

PETER G. LURIE, M.D., Member

J. JEFFREY McCULLOUGH, M.D., Member

STANLEY B. PRUSINER, M.D., Member

RAYMOND P. ROOS, M.D., Member

ELIZABETH S. WILLIAMS, D.V.M., Ph.D., Member

LAWRENCE B. SCHONBERGER, M.D., Temporary

Voting Member

ROBERT G. ROHWER, Ph.D., Consultant

LISA FERGUSON, D.V.M., Speaker

JEFFREY ALMOND, Ph.D., Speaker

RICHARD RACE, D.V.M. (by teleconference),

Speaker

DIANE SUTTON D.V.M., Speaker

CHARLES DURFOR, Ph.D., Speaker

DAVID ASHER, M.D., Speaker

JOHN HONSTEAD, D.V.M., Speaker

KIKI B. HELLMAN, Ph.D., Speaker

## ALSO PRESENT:

DR. JAMES HOURRIGAN

C O N T E N T S

	<u>PAGE</u>
Presentation of Charles Durfor, Ph.D. . . . .	7
Presentation of David Asher, M.D. . . . .	29
Presentation of Professor J. Almond, Ph.D. . . .	48
Presentation of Richard Race, C.V.M. . . . .	86
Presentation by Diane Sutton, D.V.M. . . . .	95
Presentation of John Honstead, D.V.M. . . . .	124
Presentation by Lisa Ferguson, D.V.M. . . . .	137
Committee Charge and Presentation of Questions, Kiki B. Hellman, Ph.D. . . . .	160
Committee Discussion and Votes . . . . .	166

P R O C E E D I N G S

(8:34 a.m.)

DR. FREAS: We'll go ahead and get started.

Dr. Brown, before we officially begin, I would like to just go around and introduce to the members of the audience the new table arrangement. Those of you who were here yesterday can notice there are many changes between yesterday and today.

We have one temporary voting member. That's Dr. Lawrence Schonberger. He's on the right-hand side of the table standing up. Assistant Director for Public Health, Division of Viral and Rickettsial Diseases, Centers for Disease Control.

Coming around the table is Dr. Raymond Roos, Chairman, Department of Neurology, University of Chicago.

Next is Dr. Ermias Belay, Medical Epidemiologist, Centers for Disease Control and Prevention.

Next is Dr. Peter Grant Lurie, Public Citizens Health Resource Group, Washington, D.C.

Sitting down right now is Dr. Stan Prusiner, Professor of Neurology, University of California School of Medicine.

1                   Coming around the corner of the table in  
2 front of the podium is Dr. David Hoel, Professor and  
3 Chairman, Department of Biometry and Epidemiology,  
4 Medical University of South Carolina.

5                   Next is Dr. David Bolton, head, Laboratory  
6 of Molecular Structure and Function, New York  
7 Institute for Basic Research.

8                   Next is Dr. Jeffrey McCullough, Professor,  
9 Department of Laboratory Medicine and Pathology,  
10 University of Minnesota Hospital.

11                   Next is the Chairman of this Committee,  
12 Dr. Paul Brown, Medical Director, Laboratory of  
13 Central Nervous System Studies, National Institute of  
14 Neurological Disorders and Stroke.

15                   Next is Dr. Bruce Ewenstein, Clinical  
16 Director, Hematology Division, Brigham and Women's  
17 Hospital.

18                   Around the corner of the table, Dr. Linda  
19 Detwiler, senior staff veterinarian, U.S. Department  
20 of Agriculture.

21                   Next is Dr. Elizabeth Williams, Professor,  
22 Department of Veterinary Sciences, University of  
23 Wyoming.

24                   Next is our consumer representative,  
25 Barbara Harrell, Montgomery, Alabama.

1                   Next is Dr. Dean Cliver, Professor, School  
2 of Veterinary Medicine, University of California,  
3 Davis.

4                   Next is the consultant for today, Dr.  
5 Robert Rohwer, Director, Molecular and Neuro-virology  
6 Unit, VA Medical Center, Baltimore.

7                   Dr. Piccardo will not be joining us today,  
8 and we should be joined very shortly by Dr. Donald  
9 Burke, Director, Center for Immunization Research at  
10 Johns Hopkins University.

11                   Good morning and welcome to everybody.

12                   Dr. Brown, I turn the meeting over to you.

13                   CHAIRMAN BROWN: Thank you, Dr. Freas.

14                   I've worn my happy shirt this morning to  
15 readjust the tone after yesterday's very difficult  
16 meeting, not because of personalities, I think, but  
17 because the topic was an extremely difficult one to  
18 deal with, and I'd like to reiterate my appreciation  
19 to the Committee for whacking away at it as best they  
20 could and arriving at some sort of recommendation that  
21 the FDA can now consider.

22                   Today, on the other hand, I'm looking  
23 forward to a relatively easy deliberation, and before  
24 we start the main topic, which will be the safe  
25 sourcing of products derived from sheep and goat that

1 are used in the manufacture of FDA regulated products,  
2 we will very briefly look again at the topic of  
3 precautions in the processing of human dura mater,  
4 which the Committee considered in detail last year.

5 And for that, we have a presentation by  
6 Dr. Charles Durfor, Center for Devices and  
7 Radiological Health in the FDA.

8 Dr. Durfor.

9 DR. DURFOR: Good morning. Today I wish  
10 to give you a brief update on the status of revising  
11 the guidance for the preparation of a pre-market  
12 notification application for processed human dura  
13 mater. You can stay on the first slide, please.

14 This document has not been publicly  
15 released yet, and it is completing the final stages at  
16 this time of FDA review and sign-off. Hence, my  
17 comments today provide the agency's current thinking  
18 on this issue with the intent of updating this  
19 Advisory Committee and answering related questions.

20 Next overhead, please.

21 Before I discuss the key elements of the  
22 proposed revision of the guidance, I'd like to begin  
23 by defining the product under consideration. Then I  
24 will offer some brief regulatory history as to how we  
25 got where we are today.

1 First, the product under discussion is  
2 processed human dura mater. Dura mater substitutes  
3 are different products and are regulated as Class 2  
4 ~~me~~ medical devices.

5 Next slide.

6 A brief regulatory history starts with the  
7 understanding that processed human dura mater for  
8 implantation was commercially distributed before the  
9 medical device amendments to the FD&C Act were enacted  
10 in 1976. Thus, this product is regulated as a pre-  
11 amendments medical device.

12 The sensitivity to Creutzfeldt Jakob  
13 disease transmission, related to processed human dura  
14 mater implantation, was heightened after the report of  
15 the first case of CJD in the United States by the CDC,  
16 CJD related to dura mater implantation reported by the  
17 CDC in February of 1987.

18 In April of 1987, the FDA issued a "Dear  
19 Doctor" letter to alert physicians about the potential  
20 risk of transmitted Creutzfeldt Jakob disease through  
21 potentially contaminated batches of human dura mater.  
22 This alert specifically mentioned the product Lyadura  
23 packaged in 1982. It also requested that all CJD  
24 cases be reported to the FDA.

25 In June of 1987, FDA banned the



1 importation of Lyadura into the United States.

2 On February 2nd, 1990, the Neurological  
3 Devices Advisory Committee to the Center for Devices  
4 and Radiological Health recommended that processed  
5 human dura mater be regulated as a Class 2 medical  
6 device, that is, a device whose safety and  
7 effectiveness is maintained through compliance with  
8 special controls.

9 FDA did not finalize this classification  
10 recommendation, and hence today processed human dura  
11 mater remains an unclassified medical device. As  
12 such, commercial distribution requires FDA review and  
13 clearance of a pre-market notification application.

14 To provide guidance to FDA reviewers and  
15 product manufacturers, FDA published in June of 1990,  
16 a guide for the 510(k) review of processed human dura  
17 mater.

18 In 1996, a Japanese survey identified 43  
19 cases of Creutzfeldt Jakob disease associated with the  
20 use of processed human dura mater. Most of these  
21 cases were associated with the use of Lyadura.

22 Next slide.

23 In March of 1997, the World Health  
24 Organization recommended that processed human dura  
25 mater grafts no longer be used, especially in

1 neurosurgery, unless no alternative was available.

2 The following day, an announcement from  
3 the Japanese Health and Welfare Ministry banned the  
4 use of dura mater in brain surgery. Because FDA had  
5 established safeguards and guidelines in 1990 to  
6 minimize the possibility of such infections, the  
7 agency at that time did not restrict the distribution  
8 of dura mater cleared for the United States markets.

9 While the U.S. distribution of processed  
10 human dura mater was not restricted, FDA did, however,  
11 feel that further evaluation of these issues and  
12 alternative practices was appropriate. Thus, in  
13 October of 1997 this Committee considered the risks  
14 and benefits of human dura mater implantation through  
15 the testimony of manufacturers, neurosurgeons, and  
16 other scientific personnel.

17 At the conclusion of this meeting, the  
18 Committee provided FDA with recommendations for  
19 improving the safety of processed human dura mater.  
20 Based on these recommendations and internal FDA  
21 discussions, FDA issued to the processed human dura  
22 mater providers regulatory correspondence in March of  
23 1998. These letters offered recommendations for  
24 improving the safety of processed human dura mater.

25 Thank you.

1           The following month, FDA presented once  
2 again to this Committee proposed revisions in the  
3 recommendations for improving the safety of processed  
4 ~~human dura mater~~. These FDA proposals were based on  
5 previous Committee recommendations, responses from the  
6 providers of processed human dura mater, and FDA  
7 discussions.

8           At the end of that April 1998 Committee  
9 meeting, additional guidance on these proposed  
10 recommendations was offered by this Committee.

11           Finally, in December of 1998, FDA issued  
12 a tracking order for processed human dura mater. This  
13 regulation insures the tracking of each device from  
14 the manufacturing facility to the patient.

15           In March of this year, Tutogen Medical  
16 Corporation initiated a recall of Tutoplast processed  
17 dura mater with expiration dates before April of 1999.  
18 This recall was based on a concern that patients may  
19 potentially contract CJD from an implanted piece of  
20 dura mater contaminated with CJD prions.

21           Another important in the regulation of  
22 human dura mater was the February 1997 publication of  
23 the proposed approach to the regulation of cellular  
24 and tissue based products. This document, in  
25 particular, states that FDA may in the future

1 redesignate human dura mater to the regulation under  
2 the human tissue regulation under the legal authority  
3 of Section 361 of the Public Health Service Act.

4 - Currently, FDA still believes that human  
5 dura mater may be regulated under the human tissue  
6 regulation in Section 361 of the PHS Act. However,  
7 the transfer of regulatory authority for processed  
8 human dura mater may not occur until the rule for  
9 human tissue regulation is finalized. Until that time  
10 processed human dura mater will remain a medical  
11 device, and consistent with other medical products,  
12 FDA believes that all guidance documents should be  
13 updated as our scientific understanding of specific  
14 issues evolves. This is the basis upon which I come  
15 before you today.

16 Next slide.

17 Once again, the information I'm presenting  
18 reflects FDA's current thinking about issues that  
19 would be appropriate for a guidance document. The  
20 document has not been publicly released. However,  
21 when the guidance has completed FDA review and sign-  
22 off, a notice of availability will be published in the  
23 Federal Register, and the document will be posted on  
24 the FDA Web site.

25 With this caveat in mind, the next three

1 slides provide a general outline of what a proposed  
2 guidance could be. The final slide then provides  
3 additional information on specific topics.

4 It should be noted that this revision of  
5 the guidance document largely draws upon existing FDA  
6 guidance documents, as well as FDA experience in the  
7 regulation of human dura mater, communications with  
8 industry, recommendations of this Advisory Committee,  
9 and the published scientific literature.

10 Next slide.

11 The general outline of the document  
12 includes information or guidance on qualification for  
13 donors and device components, device manufacturing  
14 methods and manufacturing controls, product  
15 sterilization issues.

16 Next slide.

17 There is also guidance provided on product  
18 characterization, device packaging and labeling.

19 Next slide.

20 The new major guidance presented in this  
21 document, folks, is on the issues on this slide, and  
22 I'd like to go through them briefly with you. There  
23 is information and guidance provided to manufacturers  
24 with regards to donor suitability, with regard to  
25 serology testing for infectious disease, evaluating

1 risk factors for and clinical evidence of neurological  
2 and infectious diseases through both review of medical  
3 records and interviews with next of kin, and a  
4 physical assessment of the cadaver.

5 The guidance also recommends a full  
6 autopsy on each donor's brain. This would include  
7 gross examination of the entire brain, including  
8 multiple cross-sections. Histological examinations of  
9 multiple tissue samples from different parts of the  
10 brain is also recommended.

11 Regarding archiving of brain and dura  
12 mater tissue, FDA recommends that frozen and fixed  
13 samples of both donor brain and dura mater tissue  
14 should be archived. The donor brain samples would  
15 include at least five grams of the frontotemporal  
16 region.

17 FDA recommends that these samples be  
18 retained for ten years. This recommendation is based  
19 upon the current state of scientific knowledge  
20 regarding the development of Creutzfeldt Jakob disease  
21 screening tests and our expectation that as science  
22 evolves, screening tests may become available within  
23 that time.

24 Regarding PrP testing, we recognize that  
25 this is currently a research and investigational use

1 tool. Because there is no approved or validated test  
2 that is marketed for screening of Creutzfeldt Jakob  
3 disease in the brain, the FDA is not recommending its  
4 use at this time.

5 However, when either a validated test does  
6 become available or evaluations of available data  
7 demonstrate the utility of PrP-RES testing, FDA will  
8 recommend the use of this test.

9 Regarding viral inactivation and  
10 disinfection, once again, FDA believes that careful  
11 control of donor selection and tissue collection  
12 procedures constitute critical safety practices for  
13 processed human dura mater.

14 In addition, histological examination of  
15 the brain may detect most infected tissues, but it may  
16 not identify all infected grafts. Therefore, FDA  
17 recommends treatment of each product with a generally  
18 accepted disinfection technique, and this will provide  
19 an additional level of device safety, assurance for  
20 device safety.

21 This particular Committee has recommended  
22 treating processed human dura mater with one normal  
23 sodium hydroxide. FDA recommends that sponsors supply  
24 information about methods for disinfection with sodium  
25 hydroxide or another procedure that has been validated

1 to significantly reduce CJD infectivity.

2 Such data would also demonstrate that  
3 subsequent rinsing steps are sufficient to reduce the  
4 concentration of residual disinfection to a  
5 noncytotoxic level.

6 Regarding dura mater processing, FDA  
7 recommends that processed human dura mater grafts from  
8 different donors not be commingled during tissue  
9 collection or product manufacture.

10 FDA also recommends that manufacturers  
11 take all appropriate efforts to eliminate any  
12 opportunity for cross-contamination during tissue  
13 collection and processing. For example, FDA  
14 recommends that manufacturers use only disposable  
15 processing material and surgical instruments during  
16 the recovery and processing of dura mater allografts.

17 Regarding record keeping and tissue  
18 tracking, this section -- issues of device tracking  
19 have already been discussed, but this section also  
20 provides guidance on the sort of documentation that  
21 should be kept by manufacturers on each donor, and it  
22 also requests in the product labeling that information  
23 be provided to a graft recipient in writing, that he  
24 or she has received a processed human dura mater graft  
25 implant.



1 In conclusion, I wish to thank the panel  
2 for their previous scientific input on this issue and  
3 also the time at this meeting to present FDA's current  
4 ~~thinking~~ on a revision of the guidance for the  
5 preparation of a pre-market notification application  
6 for processed human dura mater.

7 We also recognize that rapid scientific  
8 and medical advances in this field may require this to  
9 be a continually evolving guidance document.

10 Thank you very much.

11 CHAIRMAN BROWN: Thank you, Dr. Durfor.

12 Does the Committee have any questions for  
13 Dr. Durfor?

14 Bob.

15 DR. ROHWER: Dr. Durfor, it might be  
16 informative and enlightening to know why there was a  
17 withdrawal of the Tutoplast product since I thought  
18 there was no commingling in U.S. produced dura, and we  
19 were using sodium hydroxide.

20 DR. DURFOR: This sponsor initiated  
21 recall, was recalled because patients could  
22 potentially contract CJD from an implanted piece of  
23 dura mater, and the presence of the CJD could be due  
24 to inadequate donor screening or handling by the  
25 German manufacturer, Pfirmer-Vigo.

1 CHAIRMAN BROWN: Does that mean that in  
2 your interpretation of this, that is, the company  
3 voluntarily withdrew?

4 DR. DURFOR: Correct.

5 CHAIRMAN BROWN: On the basis not because  
6 of specific knowledge that that particular graft which  
7 had caused CJD had, in fact, been batch processed, but  
8 because they were concerned that other batches --  
9 other dura processed at the same time, even though  
10 they didn't come in contact with this dura, might  
11 somehow have escaped adequate screening?

12 DR. DURFOR: Yes.

13 CHAIRMAN BROWN: Was there any -- Peter.  
14 I'm sorry. There was a question over here. Linda.

15 DR. DETWILER: I just had a question. In  
16 animals with TACs, mostly sheep and goats and deer,  
17 it's been established -- deer and elk -- it's been  
18 established that PrP-RES is there prior to clinical  
19 signs and histological lesions, and I can understand  
20 about this validation and whatnot that's commercially  
21 available. However, what would be the incentive in  
22 the human public health arena to have anybody  
23 validated if it's not mandated?

24 I mean if you don't mandate it, what other  
25 use, other than screening for things like this, would

1 you have to have anybody want? The demand would not  
2 be that great, right?

3 DR. DURFOR: That's why when I discussed  
4 this issue I suggested either a validated test or  
5 evaluation of current scientific data, and so it's a  
6 matter of keeping up with where the science is and how  
7 well the screen tests can work in the area of human  
8 dura.

9 DR. DETWILER: Well, I would ask then the  
10 Committee because, I mean, the human, is it not there  
11 yet for human for detection in the brain?

12 CHAIRMAN BROWN: We have -- we can answer  
13 that. We have two questions. Who wants to go first,  
14 Peter or Kiki?"

15 DR. LURIE: I was sort of back to the  
16 recall. So why don't I wait?

17 CHAIRMAN BROWN: Okay. Okay. Kiki.

18 I don't think the mic is on, Kiki. Is  
19 there anybody operating the microphones?

20 DR. HELLMAN: Hello? That's better.

21 CHAIRMAN BROWN: Yes.

22 DR. HELLMAN: Kiki Hellman, FDA.

23 I just wanted to follow up on Linda's  
24 comment and also a comment that Chuck made.

25 The PrP-RES testing, and we went into

1 quite a discussion of this with the Committee at our  
2 last meeting, we do require a validated test by the  
3 FDA, and it is for this reason that we have discussed  
4 within the FDA the holding of a workshop on  
5 diagnostics. We're hoping that we will convene this  
6 either later this year or early next year to look at  
7 the status of the different tests that are being  
8 developed, with the goal, I might say, to encourage  
9 the developers of tests to get their tests validated  
10 and to submit to the FDA for approval of that test.

11 So we are taking a proactive role in this  
12 regard.

13 DR. LURIE: Paul you hinted at this, but  
14 unfortunately the presentation did not make clear that  
15 the reason for the recall is because there was a case  
16 of CJD related to dura mater, and I think that the  
17 committee needs to know that, and in fact, the recall  
18 was made of a material described. It was a 39 year  
19 old woman who had been implanted in 1992 following a  
20 duraplasty during a neurosurgical procedure.

21 She developed CJD in June of 1998, and she  
22 died in September of 1998.

23 The company had been subject to a couple  
24 of inspections back in '92 and '93 which revealed  
25 problems in donor screening and processing, and in

1 1994 an import alert, but no recall was announced.

2 It's true evidently that there was not  
3 commingling in the sense of the Lyadura implant, and  
4 it also seems to be true that there has been an  
5 improvement in the processing of the dura mater in  
6 that one normal sodium hydroxide at least in the  
7 present version of the company's product is now used.

8 But for me, this emphasizes again that  
9 there is unnecessary risk there; that there are  
10 alternatives that have been suggested and alternatives  
11 that have been implemented in other countries, and I  
12 think that this case -- because that is what caused  
13 the recall -- is, again, concerning -- and I think  
14 that to me all of this, improvements in the guidance,  
15 are really just window dressing as far as I'm  
16 concerned. This product needs to be off the market.

17 CHAIRMAN BROWN: We can discuss that a  
18 little bit. I would take a different direction than  
19 you suggest. This was, of course, with you present,  
20 discussed at the meeting in which the dura was  
21 discussed, and one of the options was to recommend  
22 banning dura altogether. That was rejected, I think,  
23 fairly soundly by the Committee, and the alternative  
24 procedural option was taken, and I think that had this  
25 donor been subject to the regulations which were

1 recommended by the Committee, this never would have  
2 happened.

3 It's not just a question of sodium  
4 hydroxide disinfection. It's a question of non-  
5 commingling, which presumably did not occur. It is a  
6 question of adequate historical screening. It is a  
7 question of a complete neuropathology exam, and it is,  
8 finally, a question of, in addition to all of that,  
9 sodium hydroxide decontamination.

10 Had each of these steps been followed,  
11 this dura surely never would have been implanted,  
12 never would even have been -- well, it would have been  
13 collected, but it never would have been distributed.

14 Larry?

15 DR. SCHONBERGER: Yes. I'd like to concur  
16 with what you just said and point out that the actual  
17 selection of this particular dura for the case that  
18 Peter described was in the 1990 period, and then the  
19 questions that Peter raised about FDA questioning the  
20 adequacy of their screening was actually done in  
21 subsequent years and was, as I understood it,  
22 corrected by FDA regs and actions as of 1994.

23 So as Paul has said, the current regs,  
24 even since 1994, probably would have stopped this  
25 case, and the regs that we're talking about now are

1 even more conservative.

2 In investigating this case, I can give you  
3 an impression that there tended to be perhaps an over  
4 reliance, in my opinion, on the efficacy of the sodium  
5 hydroxide step, and an under appreciation, in my  
6 judgment, of the importance of screening. I think  
7 that that situation has now changed, and this case, I  
8 think, demonstrates the importance of screening.

9 CHAIRMAN BROWN: Yes, and in summary, this  
10 is history.

11 DR. SCHONBERGER: Exactly.

12 CHAIRMAN BROWN: And because of the long  
13 incubation period, you only find out about it now  
14 after the more recent regulations were put into place,  
15 which would certainly -- I think there is absolutely  
16 no question that this case never would have occurred  
17 under current regulations.

18 So I don't think we need to further revise  
19 what we recommended last year. I think those  
20 safeguards are very stringent and will be very  
21 effective. I don't expect ever again to see a case of  
22 dura mater CJD in this country as a result of having  
23 been done since last year. I just can't imagine it.

24 DR. SCHONBERGER: Just for the record, let  
25 me say because I know the company would object in

1 saying that this is not a proven relationship, and CDC  
2 would concur that it is not a proven relationship,  
3 although in our judgment the association certainly  
4 makes it, in our judgment, a probable etiologic  
5 relationship.

6 CHAIRMAN BROWN: The company has no case,  
7 period.

8 Ray.

9 DR. ROOS: There's a little bit of a  
10 stretch, but I just wonder whether these kinds of  
11 regulations should also be implemented for something  
12 like corneal transplants. Are we dealing with a  
13 different entity there or is it similar?

14 And if it's similar, would we be  
15 consistent about moving over towards comparable  
16 regulations?

17 DR. DURFOR: I think that was the intent  
18 of the proposed rule that I mentioned or the proposed  
19 approach to regulation of human tissue. The focus of  
20 Section 361 of the PHS Act is to provide safe,  
21 implantable material through maintaining appropriate  
22 storage and disinfection techniques. So yes.

23 CHAIRMAN BROWN: Kiki?

24 DR. HELLMAN: Yes, and I would just  
25 reiterate -- Kiki Hellman, FDA -- that the more we



1 learn about adventitious, potentially infectious  
2 agents from animal and human derived material, the  
3 more stringent we are becoming and the more attentive  
4 we are to these types of problems.

5 And I think that the dura mater issue is  
6 actually a case in point and provides a prototype for  
7 the types of things that we're going to be concerned  
8 about.

9 CHAIRMAN BROWN: Yes, it may be that the  
10 FDA will want to convene us at some time in the next  
11 year or two to consider precisely that question. That  
12 will raise a huge whoop and holler from the eye banks,  
13 and the situation is a little different and would have  
14 to be considered a little differently.

15 As opposed to more than 80 dura mater CJD  
16 cases, there are two certain and one probable corneal  
17 case, and I would guess that the number of corneas  
18 transplanted exceed by several-fold the number of  
19 duras. So it's certainly on the basis of that alone  
20 not as risky a matter and deserves separate  
21 consideration.

22 Are there any other questions before we go  
23 on to the sheep subject?

24 Larry.

25 DR. SCHONBERGER: I wanted to just put

1 this on the table. To our knowledge, there has not  
2 been a confirmed case of -- let's put it this way --  
3 a confirmed relationship, etiologic relationship,  
4 between the product that has been used or made, fully  
5 processed in the United States that does not use the  
6 sodium hydroxide step, that has been very strongly  
7 concerned about screening and has been successful in  
8 tight screening, and the new regs, our guidelines are  
9 saying that they should use sodium hydroxide or  
10 something equivalent to it.

11 Because this is an area of some  
12 controversy about whether the sodium hydroxide step is  
13 absolutely necessary if one does use the severe  
14 screening test, including an autopsy on the donor, I  
15 was wondering whether there's any reports that anybody  
16 in the audience or others know about of any  
17 complications with the sodium hydroxide.

18 I've been contacted by a W. Guest, M.D.,  
19 Executive Director of Transplantation Research  
20 Foundation, who said, "Dr. Schonberger, I want you to  
21 know that we have had at least the strong suspicion  
22 that residual sodium hydroxide," quote, "in Tutoplast  
23 has led to fulminant postoperative inflammatory  
24 reactions that resulted in cortical scarring, meningo  
25 cortical adhesions, and epileptic seizures in at least

1 two patients."

2 I told him that I would at least if I had  
3 the opportunity bring that up to the Committee, so at  
4 least put that on the table, and I don't think he has  
5 convincing evidence that these complications are, in  
6 fact, related to the sodium hydroxide step, but if  
7 there is evidence that others know about, that there's  
8 complications with the sodium hydroxide, I think that  
9 should be raised.

10 CHAIRMAN BROWN: Well, it's on the table.  
11 I don't think we have the expertise either in the  
12 Committee or in the room to know anything about that  
13 kind of reaction.

14 I haven't heard personally about any, and  
15 I imagine a solicitation of neurosurgeons in this  
16 country would answer that question very quickly.

17 Stan, did you have -- I'm anticipating  
18 your finger.

19 DR. PRUSINER: I, just for completeness,  
20 since we're having this discussion, will be very  
21 brief. I think that we get a false sense from the  
22 idea that an autopsy on these patients who are the  
23 donors necessarily exclude CJD. We can have people  
24 who have very few histologic changes. We've had  
25 several cases like that.

1           You can do PrP scrapie or PrP-RES,  
2 whatever you want to call it, determinations. In a  
3 big brain, you can find many areas where you see no  
4 protease resistant PrP.

5           So the idea of having a workshop and  
6 discussing this and trying to come up with some, I  
7 think, better procedures to try to screen for dura  
8 from donors who to all one's best information do not  
9 have prions in their brains contaminating the dura I  
10 think is a good idea because I really don't know a  
11 simple way to say this piece of dura does not contain  
12 prions.

13           And I'm not sure even that the sodium  
14 hydroxide method as it's used currently is absolutely  
15 the best that can be done.

16           CHAIRMAN BROWN: Yes, I don't think  
17 anybody on the Committee would disagree that no single  
18 step is adequate to insure total safety. I think most  
19 of the Committee would agree that the ensemble of  
20 steps, particularly if in the future it includes  
21 testing for PrP-RES, will virtually eliminate risk  
22 entirely.

23           But that is a subject for another  
24 conference.

25           Yes.

1 DR. ROHWER: I would like to be absolutely  
2 clear though about this withdrawal because as I  
3 understand the presentation here, the recommendations  
4 were made in '97, 10/97, and this case occurred in  
5 '98. When was the dura actually implanted?

6 CHAIRMAN BROWN: '92.

7 DR. ROHWER: Oh, '92. I see. Okay. That  
8 I had missed.

9 CHAIRMAN BROWN: Oh, and I forgot. For  
10 the purpose of the Congressional Record, it should be  
11 stated that Dr. Roos' absence yesterday was because of  
12 a phone call, not for any other reason.

13 (Laughter.)

14 DR. ROOS: Thank you, Paul.

15 CHAIRMAN BROWN: Thank you.

16 Safe sourcing of sheep derived and goat  
17 derived materials contained in or used to manufacturer  
18 FDA regulated products will be initiated, with a  
19 background and introduction presentation by Dr. David  
20 Asher, Center for Biologics Evaluation and Research of  
21 the FDA.

22 Dr. Asher.

23 DR. ASHER: Thank you, Dr. Brown.

24 Can you hear me? Can we have the slides,  
25 please?

1                   Good morning. I'd like to introduce our  
2 next topic, which is safe sourcing of materials from  
3 sheep and goats in countries not free of ruminant  
4 TSEs.

5                   Can we start the slides, please? Great.  
6 Thank you.

7                   So I'll briefly address the issue, list  
8 some sheep derived and goat derived materials found in  
9 or used to make FDA regulated implantable and  
10 injectable products, and give the first reading,  
11 charge in question.

12                   Then I'll begin a review of the risk to  
13 humans from TSE agents of animal origin, aspects of  
14 which will be covered in detail by our invited  
15 speakers.

16                   BSE I will leave to Professor Almond, who  
17 has so kindly agreed to be here today.

18                   Scrapie I'll address myself. I'll mention  
19 just in passing other animal TSEs. Then I'll note  
20 several uncertainties about the risk to humans, list  
21 some of the regulations, policies, and practices of  
22 the U.S. government intended to reduce the risk, and  
23 close by listing several discussion topics, that is,  
24 possible actions that might be considered in efforts  
25 to reduce still further the risk of human exposures to

1 TSE agents of goats and sheep.

2           There are two demonstrated sources of  
3 human infections with TSE agents, first from human  
4 material, as was discussed yesterday and in today's  
5 first topic, and from animal material, and I list here  
6 a third possible source just to be complete, though it  
7 remains hypothetical.

8           Of greatest interest today is the BSE  
9 agent because it is the presumptive cause of new  
10 variant CJD and must be considered a demonstrated risk  
11 to human health. The scrapie agent poses a  
12 theoretical risk to human health.

13           Today we ask you to consider the  
14 implications of two theoretical possibilities: the  
15 first, that sheep and goats in BSE countries  
16 theoretically might be infected with the BSE agent,  
17 and Professor Almond, who headed a subcommittee of the  
18 United Kingdom's Spongiform Encephalopathy Advisory  
19 Committee, has agreed to review that topic for us  
20 today.

21           Then scrapie, which theoretically might be  
22 a human pathogen, though there's no hard evidence for  
23 that, and of course, some number of sheep and goats in  
24 many countries, including the United States, are  
25 infected with the scrapie agent.

1                   Now, let me say now that no U.S.  
2 government regulatory authority would ever knowingly  
3 permit humans or animals to be exposed to a product  
4 containing the scrapie agent, but considering the  
5 nature of the scrapie agent and the disease, we are  
6 not so naive as to think that such exposures have not  
7 already occurred.

8                   We in the FDA and our colleagues in the  
9 USDA are well aware that there are other animal TSEs  
10 in the USA, specifically chronic wasting disease of  
11 deer and elk and transmissible mink encephalopathy and  
12 other animal TSEs have been postulated.

13                   However, few FDA regulated projects, none  
14 injectable and implantable that I know of, are  
15 directly affected by the two known diseases. The  
16 extent of human exposure to those diseases remains  
17 uncertain, and the agents are not known pathogens for  
18 human beings.

19                   We in the FDA's TSE working group agree  
20 that public health implications of those animal TSEs  
21 are an appropriate topic for discussion, but that will  
22 be on another day.

23                   Sheep derived and goat derived materials  
24 are found in a variety of regulated, implantable, and  
25 injectable materials. Sutures and vascular grafts are



1 prepared from sheep materials. There are several  
2 injected enzymes of goat and sheep origin, a variety  
3 of therapeutic antibodies prepared in normal and  
4 transgenic animals and allergens are derived from  
5 sheep and goats, and some examples are listed here on  
6 the slide.

7 With the possible exception of sutures,  
8 these products are not widely used.

9 Sheep and goat derived materials are also  
10 used to prepare injectable biologics, as  
11 immunoaffinity purification reagents, bacteriological  
12 culture media, and some other materials. This is not  
13 an exhaustive list.

14 Although the products may not be widely  
15 used, the people treated with them and the FDA staff  
16 are concerned that all source materials be as safe as  
17 possible, especially injected in implanted products,  
18 routes where smaller amounts of TSE agents are needed  
19 to infect than by oral routes.

20 In our center, in response to concerns  
21 about theoretical risks from scrapie, some sponsors  
22 have concluded that it would be prudent to obtain  
23 sheep derived and goat derived material from countries  
24 free of both BSE and scrapie.

25 The FDA has never articulated specific

1 criteria sufficient to assure the agency that such  
2 materials are free of the agents when obtained from  
3 animals in countries with TSEs of ruminants, like the  
4 USA, and it would be desirable to have a consistent  
5 FDA policy on the issue.

6 The TSE Advisory Committee is, therefore,  
7 asked to consider whether current policies of the FDA,  
8 an agency which relies on import restrictions and  
9 other policies of the USDA, are adequate to protect  
10 humans and animals from potential exposure to the BSE  
11 agent in FDA regulated products containing or produced  
12 with materials derived from sheep and goats  
13 originating in BSE countries, or if additional  
14 precautions are needed.

15 The Committee is also requested to  
16 consider appropriate precautions including sourcing,  
17 selection of animals, veterinary scrutiny, monitoring  
18 of animals, feeding practices and other measures that  
19 might be adequate to assure the agency that materials  
20 obtained from sheep and goats from the USA or from  
21 other countries where scrapie occurs are free of the  
22 scrapie agent and can be used safely in FDA regulated  
23 products intended for injection or implantation.

24 After considering risks and benefits, we  
25 ask you to advise the FDA whether there are safeguards

1 that might be sufficient to insure that sheep and  
2 goats from BSE countries would nonetheless provide  
3 acceptable sources of materials for manufacture of  
4 regulated products intended for injection or  
5 implantation both as components of the products and as  
6 manufacturing process reagents.

7 And you'll hear in a few minutes that  
8 there are current precautions and policies of the FDA  
9 that are in place, and any relaxation of those  
10 policies would constitute a reduction in safeguards.

11 After considering possible risks and  
12 benefits, we finally ask you to suggest safeguards  
13 adequate to assure that sheep and goats originating  
14 from or residing in countries where scrapie occurs are  
15 scrapie free and acceptable sources of materials for  
16 manufacture of FDA regulated products intended for  
17 injection or implantation.

18 Most of what follows in my talk and those  
19 of the invited speakers address estimating the risk of  
20 the TSEs of sheep and goats for human health, that is,  
21 to assess potential exposures and the effects of those  
22 exposures.

23 As I mentioned, Professor Almond will  
24 address BSE, and I will begin our consideration of the  
25 theoretical risk of scrapie by reviewing attempts to

1 detect that risk.

2 Dr. Richard Race has agreed to speak with  
3 us here by telephone to review his classic studies  
4 with Carl Eklund and Bill Hadlow on the distribution  
5 of scrapie agent in tissues of sheep and goats, and  
6 share his thoughts on today's topic.

7 Diane Sutton -- has Diane come yet? Okay,  
8 good -- will speak with us about the prevalence of  
9 scrapie in U.S. animals and in other countries and  
10 then begin a discussion of efforts to mitigate risk by  
11 summarizing USDA regulations and programs.

12 John Honstead from our Center for  
13 Veterinary Medicine will discuss the FDA ruminant  
14 protein feed ban, our major effort to stop food borne  
15 spread of ruminant TSEs.

16 And then Lisa Ferguson will outline  
17 additional measures to consider.

18 Finally Kiki Hellman will summarize the  
19 day's events and deliver the final charge in question.

20 We in the FDA are aware of no convincing  
21 evidence that scrapie, unlike BSE where the evidence  
22 though incomplete is highly persuasive, has infected  
23 humans. Individual case reports of Creutzfeldt Jakob  
24 disease have been sufficiently dramatic to convince us  
25 that human pituitary hormones, corneas, contaminated

1 electrodes, dura mater were the sources of infection,  
2 but except for a few anecdotes, there have been no  
3 comparable case reports linking scrapie to Creutzfeldt  
4 Jakob disease, although people have kept, killed, and  
5 eaten sheep during the more than 200 years that  
6 scrapie has been known.

7 Through the 1960s, scrapie research  
8 facilities both in the United Kingdom and here  
9 observed very few precautions in handling infected  
10 materials, and there were no reported transmissions to  
11 staff. Scrapie appears very unlikely to be a major  
12 source of CJD.

13 Creutzfeldt Jakob disease has occurred in  
14 at least four lifelong vegetarians, and the incidence  
15 of CJD in scrapie free Australia, which is shown here  
16 for the year 1993, is no less -- actually in 1993  
17 probably because their surveillance program for CJD  
18 began in that year, the incidence was substantially  
19 higher in Australia than it was in the five European  
20 Union countries listed here.

21 And note that many of the patients with  
22 CJD in Australia had never left the continent of  
23 Australia.

24 Epidemiological surveys and case control  
25 studies are sometimes invoked as supporting the

1 hypothesis that scrapie or some other TSE of animals  
2 may be a source of known infection. I reviewed six  
3 major case series and eight case control studies of  
4 CJD beginning with Dr. Roos' series in 1973 through  
5 this year, and if I missed some, I apologize.

6 For each series or case control study, I  
7 tried to summarize the conclusions about occupational  
8 exposures, other exposures to animals, dietary  
9 exposures, and surgery or trauma. A whole variety of  
10 intriguing associations were reported, and obviously  
11 we don't want to review them all this morning, except  
12 to remark that most of them were found in one study  
13 and then never seen in any of the other studies.

14 I'm going to rush through the next nine  
15 slides to show you a few of those associations and  
16 simply to demonstrate that none was observed  
17 consistently.

18 For example, in Dr. Brown's French survey,  
19 in the first approach, urban residence was an  
20 intriguing association noted, although it disappeared  
21 in a further study, and no association with exposure  
22 to sheep, goats or their products was noted, not only  
23 in that case series, but in any case series.

24 Case control series yielded the most  
25 associations. The earliest suggested some possible

1 link to pig brains, although oysters were even more  
2 impressive, a very puzzling association.

3 In a study in which I participated, Zored  
4 Davanipour found more than 20 significant associations  
5 between Creutzfeldt Jakob disease and a variety of  
6 exposures. There was a slight excess in the  
7 consumption of roast lamb, but that was no more than  
8 for a variety of other foods, and you'll notice that  
9 pork products were even more highly associated with  
10 patients with Creutzfeldt Jakob disease.

11 A similar case control study in the United  
12 Kingdom found no increase in exposures to meat, brain,  
13 or sheep in Creutzfeldt Jakob disease cases compared  
14 with controls, although a variety of other  
15 statistically significant associations were noted.

16 The authors of that study modestly  
17 concluded, and that presumably applies to the other  
18 case control series, that it is unlikely that the few  
19 positive findings are related in any way to the  
20 etiology of Creutzfeldt Jakob disease.

21 When over 100 factors are examined, some  
22 statistically significant results are to be expected  
23 by chance.

24 One study, the second one on this slide,  
25 pooled and reanalyzed the three previous studies that

1 I mentioned, found a slight increase in exposure to  
2 cows and sheep, but no association with eating raw  
3 meat or animal brains.

4 Last year a large, collaborative case  
5 control study in the European Union found no  
6 significant association with eating raw meat or brain  
7 or any food or occupation.

8 Finally, a carefully matched Australian  
9 case control study just published found associations  
10 with work and residence on farms or truck gardens and  
11 work in butcher shops, but there is no scrapie known  
12 in Australia.

13 So, in summary, these studies have really  
14 not revealed any consistent association. This  
15 presumably, the findings noted presumably resulted  
16 from biases, respondent bias, recall bias, because  
17 it's really not possible to match carefully the  
18 controls with the cases. The cases, of course, have  
19 died, and they're always surrogate respondents.

20 The studies also suffered from a low  
21 statistical power and from the multiple comparison  
22 effect that we noted for the U.K. study. When you ask  
23 so many questions from so few people, there's a high  
24 probability of getting significant differences by  
25 chance.



1           So general conclusions from the case  
2 series and case control studies are that there was no  
3 previously unknown risk factor for CJD common to any  
4 of the several studies, and that exposures to sheep  
5 and goats and their products were not identified as a  
6 risk factor.

7           A small number of experimental studies  
8 conducted by Joe Gibbs at the NIH may be relevant  
9 here. Four chimpanzees inoculated with two strains of  
10 scrapie many years ago are still alive more than 30  
11 years after inoculation. Can we conclude from that  
12 that there is an anthropoid species barrier to  
13 infection with a scrapie agent? It would be  
14 comforting to think so, but there remained  
15 uncertainties concerning the theoretical risks to  
16 humans from exposure to scrapie.

17           Perhaps most troubling is that BSE is  
18 suspected to have originated from some strain of the  
19 sheep scrapie agent. Multiple strains of scrapie  
20 agent exist, and some of them might be transmissible  
21 to humans or they might become so after passage  
22 through animals.

23           The negative experimental studies with  
24 scrapie in chimpanzees were very small, and used only  
25 two strains of scrapie agent, while several species of

1 monkeys inoculated with scrapie agents by  
2 intracerebral and peripheral and oral routes developed  
3 a TSE 17 months to 20 years later.

4 So there cannot be an absolute primate  
5 species barrier to infection with all strains of the  
6 scrapie agent.

7 The weakness of the epidemiological  
8 studies I've mentioned, and human exposures to sheep-  
9 derived, goat-derived, injectable and implantable  
10 products have been much less frequent than exposures  
11 to food so that an association there would be even  
12 harder to detect by a case control study.

13 And it's hard to be sure of how an  
14 infection is not acquired when you don't know how it  
15 is acquired, at least in most cases.

16 There are regulations, policies, and  
17 practices of the U.S. government that should reduce  
18 opportunities for human exposure to TSEs in sheep and  
19 goats. In 1997, the Animal, Plant Health Inspection  
20 Service of the USDA issued an emergency amended  
21 regulation that restricted importation of ruminants,  
22 and that's ruminants, not just cows; that's all  
23 ruminants and meat products from ruminants, not only  
24 from BSE countries, but also from countries of unknown  
25 BSE status, and they also removed previous exceptions

1 that had allowed imports of some meat and meat  
2 products from BSE countries.

3 In November of 1992, the FDA sent a letter  
4 to manufacturers of dietary supplements recommending  
5 that they reformulate their products using neural or  
6 glandular tissues assured to be BSE or scrapie free.

7 Then in December of 1993 and in 1994, the  
8 FDA sent letters to manufacturers of drugs,  
9 biologicals, devices, animal products, and FDA  
10 regulated animal products, and manufacturers and  
11 importers of dietary supplements and cosmetics  
12 recommending that bovine-derived materials from BSE  
13 countries not be used. Scrapie was not specifically  
14 addressed.

15 FDA's most important action has been in  
16 the form of regulation, the ruminant feed ban of 1997  
17 that John Honstead will discuss, and note that a  
18 successful ruminant feed ban would reduce food borne  
19 spread of scrapie as well as of accidentally  
20 introduced BSE.

21 Other U.S. government policies and  
22 practices are also intended to protect humans from  
23 exposure to animal TSE. The USDA has a voluntary  
24 scrapie flock certification program that we'll hear  
25 about. The Food Safety and Inspection Service has

1 inspections. Agricultural Research Service has  
2 diagnostic and research programs, and there are other  
3 activities.

4 Within the FDA, in product reviews,  
5 efforts are made to assure a source is free of all  
6 extraneous agents, including all TSE agents,  
7 regardless of whether the agents are known to be human  
8 pathogens or not, and at least in the Center for  
9 Biologics, there is a statutory requirement for that.

10 And let me close by suggesting possible  
11 actions that might be considered to reduce the  
12 theoretical risk to humans from scrapie in sheep and  
13 goats, and these, of course, are simply for discussion  
14 purposes. You may well think of others.

15 First, scrapie free regions might be  
16 determined in countries that otherwise have scrapie.  
17 New flocks might be derived from known scrapie free  
18 progenitors. For production of implantable,  
19 injectable materials, closed flocks might be  
20 maintained. Satisfactory feeding histories for sheep  
21 and goats might be presented; that is, certifying that  
22 they were never fed mammalian protein.

23 Sheep might be bred selectively either for  
24 susceptibility to reveal scrapie in a flock or for  
25 resistance to reduce the likelihood of infection.

1 Intensity of surveillance should almost certainly be  
2 introduced. Sentinel animals might be kept in flocks.  
3 There might be routine PrP testing in the brains of  
4 old animals, animals found dead, and all disabled  
5 animals. And of course, in general we think that the  
6 surveillance for TSEs in animals in the United States,  
7 including those in contact with sheep and goats,  
8 should be introduced.

9 But we feel that surely even in countries  
10 with ruminant TSEs, like this goat with scrapie, it  
11 should be possible to assure clean sources of sheep  
12 and goats to prevent transmission of human disease  
13 like this.

14 I thank you. I haven't used the 50  
15 minutes allotted, and if there are any questions that  
16 I can answer, please feel free to ask.

17 CHAIRMAN BROWN: Thank you, Dr. Asher.

18 Questions for Dr. Asher?

19 All right. Then -- yes.

20 DR. PRUSINER: There's a page in here, and  
21 I'm worried that we come away with the wrong  
22 conclusion. I thought it was a very nice  
23 presentation. There was one point. Let me see if I  
24 can find it now. Here is it.

25 DR. ASHER: Which page, Stan?

1 DR. PRUSINER: It's on these slides that  
2 say uncertainties concerning theoretical -- twenty-  
3 eight. Thank you.

4 DR. ASHER: Yes.

5 DR. PRUSINER: I can't see that with my  
6 glasses.

7 DR. ASHER: Uncertainties concerning.

8 DR. PRUSINER: Right. So it says sources  
9 of infection and sporadic CJD are unknown. I mean I  
10 would argue all of these epidemiologic studies, I  
11 think, clearly argue that sporadic CJD are -- what  
12 people are now calling classical CJD -- don't come  
13 from infection. Would you agree with that?

14 I don't understand the Point 6.

15 DR. ASHER: Don't come from infection?  
16 No, I think that the whole issue is still open. They  
17 certainly are associated with an infectious agent, and  
18 when subsequent subjects are exposed to them, they  
19 become infected. I don't believe that the issue is  
20 settled at all.

21 I mentioned the possibility, which is  
22 certainly possible, but certainly not demonstrated,  
23 that the infection is of endogenous origin, but more  
24 than that I wouldn't be prepared to say.

25 I believe that rigorously the cause of

1 sporadic CJD has not been -- the source of sporadic  
2 CJD has not been determined.

3 DR. PRUSINER: Okay. I just want to make  
4 ~~it~~ very clear from my point of view that this is not  
5 a scientifically defensible point of view at this  
6 point. That's my --

7 DR. ASHER: I don't think any point of  
8 view at the moment is scientifically defensible. I  
9 think it's simply not known.

10 (Laughter.)

11 CHAIRMAN BROWN: Can we resolve the issue  
12 by noting that the source of infection can be the  
13 brain itself?

14 DR. PRUSINER: It's not going to be  
15 resolved. I just want to make the point --

16 CHAIRMAN BROWN: No, but I mean is that --  
17 is that -- if we accept the fact that source of  
18 infection does not necessarily imply an external  
19 source --

20 DR. PRUSINER: That's fine.

21 CHAIRMAN BROWN: -- then, you know, then  
22 I think we're talking the same language.

23 DR. PRUSINER: That's fine.

24 DR. ASHER: I don't believe that an  
25 external source -- that the state of knowledge today

1 permits an external source to be excluded.

2 CHAIRMAN BROWN: Okay.

3 DR. ASHER: I don't think this is the  
4 place to have this kind of discussion, but it is  
5 important that these differences, I suppose, that  
6 these differences be aired.

7 DR. ROHWER: Paul.

8 CHAIRMAN BROWN: Bob.

9 DR. ROHWER: I don't want Dr. Asher to  
10 have to stand alone on this either, and I agree with  
11 him fully.

12 CHAIRMAN BROWN: Maybe we should have  
13 what, a seminar, two, three hours?

14 (Laughter.)

15 CHAIRMAN BROWN: We have time, don't we?

16 DR. ROHWER: No, we could have a vote.

17 (Laughter.)

18 CHAIRMAN BROWN: That'll take too much  
19 time.

20 Thanks, Dave.

21 We'll now proceed to the next speaker, who  
22 is Professor Almond from Pasteur-Marieux Connaught in  
23 France, whose title is "The Potential Risk of  
24 Introducing BSE Agent into Sheep and Goats in Europe."

25 DR. ALMOND: Ladies and gentlemen, it



1 looks like my computer has crashed. All of my slides  
2 are on my computer. So I'm going to have to ask you  
3 to bear with me for a few moments until I reboot it.  
4 I'm sorry about that.

5 I'm sorry. Everything was set up so I  
6 could just come up here and touch the buttons, and  
7 it's obviously crashed.

8 CHAIRMAN BROWN: Jeff, this is not the  
9 first time, nor will it be the last time that computer  
10 programs have disappointed the speaker. Do you think  
11 we're talking, you know, a minute or two or a more  
12 extended rebooting?

13 DR. ALMOND: I hope we're talking about  
14 two minutes.

15 CHAIRMAN BROWN: Okay. We'll just wait  
16 then.

17 (Whereupon, the foregoing matter went off  
18 the record at 9:37 a.m. and went back on  
19 the record at 9:43 a.m.)

20 CHAIRMAN BROWN: The speaker is ready.  
21 Can we reconvene after this unscheduled break?

22 The podium is yours, Dr. Almond.

23 DR. ALMOND: Okay, Mr. Chairman. Thank  
24 you very much for your patience and understanding.  
25 I'm sorry that my computer let me down at the last

1 minute, but that's it with this new technology. It  
2 always does.

3 I would like to thank you for the  
4 invitation to speak to you, and I want to talk to you  
5 really about my least favorite subject. The reason I  
6 say it's my least favorite is because it has been an  
7 extremely sensitive subject, particularly in the  
8 United Kingdom where we, serving on this Spongiform  
9 Encephalopathies Advisory Committee of our government,  
10 felt obliged to raise the question simply as a  
11 question: Is there a danger, a possible danger from  
12 BSE having reentered the sheep population?

13 You can imagine that the farming  
14 communities were very sensitive to that question being  
15 raised even though, and I will stress at this point,  
16 there is absolutely no evidence there is any risk at  
17 all from BSE in sheep either in the U.K. or in any  
18 other country, and I want to make that position  
19 absolutely clear before I continue.

20 However, I feel it was important, and the  
21 committee felt it was important, to address this issue  
22 and actually to simply pose the questions and try and  
23 decide what further information was required to try  
24 and reassure ourselves that there was, indeed, no such  
25 risk.

1           So the SEAC Committee established a  
2 subcommittee of which I was chairman around about a  
3 year ago to look at this question, and we had meetings  
4 and several drafts of the report, and the report was  
5 eventually published, I think, in March or April of  
6 this year.

7           So let me take you through some of the  
8 issues that we talked about and some of the key points  
9 that I think may be relevant to your deliberations  
10 here today.

11           First of all, just to make the point about  
12 BSE in sheep or is it scrapie in sheep? Our previous  
13 speaker, Dr. Asher, has pointed out, and he did so in  
14 much more detail than my first sentence does here,  
15 that really there is no correlation between the  
16 geographical presence of CJD and the consumption of  
17 scrapie-infected ovine products. In other words,  
18 there's as much CJD in Australia as there is in  
19 Europe, where we have scrapie-infected sheep, and we  
20 eat a lot of sheep meat, and no difference again  
21 really statistically between Europe and Australia and  
22 the United States, where again you have scrapie, but  
23 you eat a lot less sheep meat certainly than we do in  
24 the U.K. and in England.

25           So there's a conclusion from that that

1 scrapie does not pose a significant human health risk  
2 that we can detect.

3 But the issue really of BSE is that it may  
4 be different, and I'll come to the points later on.  
5 There is evidence from Moira Bruce and colleagues and  
6 from John Collinge and colleagues working at St.  
7 Mary's, Bruce, at Edinburgh and Collinge at St. Mary's  
8 in London, that the characteristics of the BSE agent,  
9 what I've called here the BSE strain phenotype -- and  
10 I don't want to go into the scientific basis of this  
11 because I'm sure many of you are very familiar with  
12 it, but the BSE phenotype as defined by Moira Bruce is  
13 the incubation time of BSE in a panel of mice, of  
14 different breeds of mice, and on the pathology that  
15 develops in those mice. BSE gives a distinct pattern,  
16 a distinct incubation time, and a distinct pattern of  
17 lesions, which is characteristic.

18 And, indeed, all of the cattle that have  
19 so far been tested give more or less exactly the same  
20 phenotype, and indeed, she's shown that the TSEs that  
21 we've observed in our country in antelope species,  
22 like kudu and oryx, also in cats, have that same BSE  
23 phenotype.

24 And the important thing is that in  
25 experimental transmissions to a sheep and, indeed, to

1 a pig and, indeed, to a goat, that BSE phenotype as  
2 measured in mice was stable. So it looked like, in  
3 other words, the BSE characteristics were retained  
4 when BSE infected a sheep.

5 John Collinge provided similar data on  
6 some of those species in relation to glyco-type in  
7 terms of its migration on gels.

8 So there is an issue then because of those  
9 studies that BSE may behave differently, if it was in  
10 sheep, than natural scrapie does.

11 Just again as background to give you what  
12 was our thinking on this SEAC Committee in relation to  
13 BSE and variant CJD, just to quickly review the  
14 evidence, the evidence as we saw it was, first of all,  
15 the space-time correlation.

16 New variant CJD emerged in the U.K. in  
17 1984-85. Principally, apart from one case in France,  
18 it is a disease of the United Kingdom. The figures to  
19 date are 40 cases, with any real discernable increase  
20 in their rate of presentation, but nevertheless they  
21 are in the U.K.

22 They are also in this time era, that is,  
23 the time era in which we have had BSE in our country,  
24 and they are in the sort of time that you might have  
25 expected to see a new disease appearing in humans if

1 BSE were to transmit. In other words, the first cases  
2 of new variant were seen about eight or nine years  
3 after the first cases of BSE were seen.

4 So the first thing linking them together  
5 then is what I call the space-time link.

6 The second is the work of Domenic Dormont  
7 and colleagues that one of the characteristics of new  
8 variant CJD, that of the unusual pathology of the  
9 florid plaques, the extensive plaque deposition in the  
10 cerebrum, the cerebellum, and the spinal cord is  
11 reproducible in another primate species, and that was  
12 in his case macaques where Domenic Dormont showed that  
13 that unusual pathology was reproduced quite  
14 spectacularly in that primate species.

15 So BSE can cause that unusual type of  
16 pathology in a primate species.

17 The third piece of evidence was John  
18 Collinge's which said that the glycotypes, the  
19 migration pattern of the PrP-RES was, again,  
20 indistinguishable in variant CJD and cases of BSE from  
21 cattle, cases of BSE from cats, and indeed, the  
22 macaque that I've just referred to in the previous  
23 study, and that that glycoform profile, that glycotype  
24 was different in the variant CJD cases, identical  
25 among them all, of course, but different from other

1 sporadic CJD that we'd experienced up until then.

2 And then, of course, there was Moira  
3 Bruce's data, which I referred to already, but it was  
4 published in Nature about a year and a half ago, which  
5 is that the strain characteristics as defined in mice  
6 on pathology and incubation time of BSE and variant  
7 CJD are indistinguishable.

8 That sort of evidence says that the people  
9 who have got new variant CJD in Britain are highly  
10 likely to have got it through some contact with the  
11 BSE agent. At the present time, there is no data that  
12 I'm aware of that distinguishes the BSE agent from the  
13 new variant CJD agent. But we did not and have never  
14 concluded that, therefore, these people have got new  
15 variant CJD from eating contaminated beef or, indeed,  
16 that they've got it directly from cattle.

17 The obvious conclusion of saying that the  
18 BSE and the variant CJD agent are the same is, of  
19 course, to think of a causal relationship like this,  
20 that whatever caused in the first place the BSE  
21 outbreak did so, and that it's through human contact  
22 with that BSE outbreak that we've now seen the  
23 emergency of variant CJD.

24 But you could also, of course, have a  
25 relationship like this, that whatever was the original

1 cause, of course, may have infected cattle and caused  
2 the BSE outbreak there and may have independently,  
3 from some unknown route, infected the humans to cause  
4 the new variant CJD, and that there may not be a line  
5 connecting these two things.

6 Now, that remains a formal possibility on  
7 the basis of the evidence we have. You can also think  
8 of a third possibility, which is whatever it was that  
9 caused BSE did so, that BSE has then caused another  
10 spongiform encephalopathy, and we've of course seen it  
11 in cats and kudu and oryx, and that that has caused  
12 the variant CJD, and again, you can't formally rule  
13 out this possibility.

14 So although we think of it like this,  
15 these other two possibilities remain formally  
16 possible, and of course, there are other possibilities  
17 that you have something like this, Cause X causing  
18 BSE, but you may also have transmission to a third  
19 species, and that, too, as well as cattle can cause  
20 new variant CJD. It's imply impossible at this stage  
21 to know where these 40 victims have become infected  
22 from.

23 What we do know, however, is that we did  
24 have a huge BSE epidemic in the United Kingdom. The  
25 present figures, up until this month or actually up



1 until the end of May, just over 177,000 cases of  
2 clinical BSE, and they're still occurring at the rate  
3 of around about 250 to 300 a month in our older  
4 cattle.

5 That contrasts with the peak rate, which  
6 was over 4,000 cases a month, and the peak rate was  
7 actually February 1993.

8 This is BSE in cattle, but one of the  
9 things that I want to point out here is that the feed  
10 ban which, of course, removed the source of that BSE  
11 to a very large extent, was introduced fairly early on  
12 in this epidemic, and in fact, it was July 1988, just  
13 about 19 or 20 months after the first description, the  
14 first histopathological confirmation of BSE.

15 But the level of contamination of our meat  
16 and bone meal, of our animal feed at that time when it  
17 was used in this period was manifestly quite high  
18 because we had this huge epidemic. And of course, a  
19 lot of you are very aware that this represents only a  
20 small proportion of the number of infected animals.  
21 The estimates are that there were probably a million  
22 infected animals, and we ate about 800,000 of them  
23 before they had a chance to develop the BSE.

24 The point was that we introduced the ban  
25 in July 1988. It didn't stop the epidemic, but it

1 certainly has been a principal factor in the cause of  
2 its decline one incubation period further on from the  
3 introduction of the ban.

4 I mention this ban, and I mention the  
5 extent of the contamination of the meat and bone meal  
6 that is evident from this slide because of the fact  
7 that when we think about sheep, we have to bear in  
8 mind that sheep, too, were exposed to the meat and  
9 bone meal that were so contaminated.

10 So if I could just look at this slide for  
11 a moment and put the issues to you: Is BSE present in  
12 U.K. sheep? I'm talking now the BSE agent with BSE  
13 characteristics.

14 Well, in support of the possibility, Moira  
15 Bruce and Chris Bostock's work has shown that sheep  
16 are infectable orally by BSE, by cow brain. As little  
17 as .5 of a gram of infected cow brain has transmitted  
18 BSE to sheep, where then the analysis of the  
19 spongiform encephalopathy that develops in those  
20 sheep, using the mouse panel, strain typing, shows  
21 that it does retain the BSE characteristics.

22 Second, my point here, which is that sheep  
23 were fed contaminated meat and bone meal up to July  
24 1988 when that ban came in, and it's important to  
25 remember when the ban came in, it was a ban not only

1 to stop the use of that meat and bone meal in cattle  
2 feed. It was to stop that meat and bone meal being  
3 used in ruminant feed. So it did cover sheep and goat  
4 food at that time.

5 But I think you're all aware that the ban  
6 was not 100 percent effective. We estimate probably  
7 95 percent plus effective, but there was a little  
8 leakage after that date.

9 The other thing I should point out is that  
10 although sheep were exposed, the practice of using  
11 high concentrate feeds on sheep-to-sheep flocks and  
12 sheep farms is much, much less widespread than it was  
13 on dairy farms. Most sheep eat just grass, but there  
14 are a few high output farms which bring their lambs on  
15 very early, which do use concentrates from time to  
16 time, particularly during the winter months.

17 We estimate that there were several  
18 hundred thousand tons of meat and bone meal that went  
19 into sheep feed, but the proportion as compared to  
20 that that went into cattle feed was really quite  
21 small, probably of the order of five percent.

22 The other point to mention which supports  
23 the possibility -- and I want to put it no more than  
24 a possibility that the BSE was present in sheep -- is  
25 that scrapie does transmit readily from sheep to

1 sheep. We know it can become endemic.

2 So one point that has been raised is that  
3 if BSE got into sheep from the meat and bone meal,  
4 could it be sustained there by transmission mechanisms  
5 akin to those for scrapie in sheep.

6 There is another point, which is the  
7 evidence to date -- and the next speaker, I think,  
8 will deal with this in more detail -- but the evidence  
9 to date from Moira Bruce and colleagues, and some of  
10 this is unpublished, and I'm unable to development it  
11 fully, but the evidence to date is that BSE in sheep,  
12 unlike BSE in cattle, is more lymphoreticular. It  
13 involves lymph nodes and spleen, more lymphoreticular  
14 than BSE in cattle, and this sort of lends support to  
15 the notion that it may therefore more readily transmit  
16 animal to animal than BSE seems to do in cattle, where  
17 there is no evidence, no firm evidence at all, for  
18 transmission of BSE from cow to cow.

19 Okay. So these concerns then were in the  
20 backs of our mind when we considered the question of  
21 is or was BSE present in U.K. sheep. Arguments  
22 against that possibility were as follows.

23 Certainly when we consider the U.K. sheep  
24 flock today, we have to bear in mind that feeding of  
25 meat and bone meal really did stop to certainly 95

1 percent, and in sheep feed probably higher than that,  
2 and almost all sheep that will have received meat and  
3 bone meal in the period up to July 1988 will by now  
4 have been slaughtered.

5 The demographics of the sheep flocks in  
6 our country are such that most animals don't live  
7 beyond about five or six years of age, and we're  
8 talking now more than a decade since this ban. So  
9 it's highly unlikely that there are any sheep left in  
10 our country that would have been exposed to the feeds  
11 that were contaminated before this date.

12 Secondly, the point I've already made,  
13 that the quantity of meat and bone meal in sheep feed  
14 was much less than that in cattle actually in several  
15 ways. One was the practice was much less widespread  
16 to feed concentrates, but secondly also, sheep are a  
17 little bit more discerning in their diets, and they  
18 don't like it. So if you put the meat and bone meal  
19 in there at more than two or three percent, the sheep  
20 don't eat it.

21 Cattle will tolerate meat and bone meal at  
22 a higher proportion than that. So the sheep, in fact,  
23 have good noses on them, and they knew when to say no.  
24 So the amount of meat and bone meal for that reason,  
25 too, was less in sheep feed.

1           The other point, and this is a little bit  
2 flimsy because we don't have good evidence on this,  
3 but there is some evidence to suggest that only  
4 certain PrP genotypes in sheep would be susceptible to  
5 BSE. I say this is limited data, and it's difficult  
6 to get firm data on this when some of the genotypes  
7 that one would want to look at in the U.K. have  
8 endemic scrapie.

9           And the final point in the points against  
10 the possibility is that there has really been no  
11 evidence at all for a large scale epidemic in sheep of  
12 a spongiform encephalopathy, although there are some  
13 flocks which have a high incidence of scrapie like  
14 disease or scrapie. Generally speaking, throughout  
15 the country as a whole, there is no evidence of  
16 anything going on that is anywhere near approaching  
17 the scale of that that we've seen in our cattle.

18           But bear in mind there is endemic scrapie.  
19 The surveillance of that epidemic scrapie is rather  
20 difficult and incomplete. So there is the  
21 possibility, the faint possibility, I think, that the  
22 presence of scrapie might mask BSE if it were present  
23 in sheep. I'll come back to that point in a moment.

24           CHAIRMAN BROWN: Let me interrupt you for  
25 just a second because a question occurs to me that may

1 occur to other people. In the animal or animals that  
2 were infected with BSE orally, were they in any way  
3 clinically distinguishable from scrapie infected  
4 sheep?

5 DR. ALMOND: That is on my next slide. So  
6 I'll deal with it in just a moment.

7 Before I get there, the question that was  
8 put in the context of this meeting was what about  
9 European sheep as well, and my first point is very  
10 strong: no evidence at all that there has been any  
11 BSE in European sheep, but some meat and bone meal was  
12 exported to Europe and some may have been used in high  
13 production sheep-milking flocks, although I hesitate  
14 to say this because I am aware that the vast, vast  
15 majority of meat and bone meal that was exported went  
16 into pig and poultry feed, but it's difficult to  
17 exclude.

18 Second, there is a recent report of an  
19 epidemic of a TSE in sheep and goats in Italy, but  
20 this is being investigated as apparently not the Type  
21 4 pattern. So the evidence at the moment says that  
22 it's not BSE.

23 But we should also bear in mind that  
24 scrapie is endemic in several European countries.  
25 Surveillance is limited. So, again, you have the

1 possibility that very small numbers of BSE affected  
2 sheep could be effectively masked by the presence of  
3 that endemic scrapie.

4 . - It's two slides ahead.

5 Just a few words about the levels of TSEs  
6 or scrapie in sheep in the U.K. We've decided on the  
7 committee that we need to know more about this. The  
8 data that we have are incomplete. There isn't a  
9 routine analysis of sheep that die on the farms.  
10 They're not routinely tested for spongiform change in  
11 the brain, and it's absolutely the case that in many  
12 sheep flocks, farmers accept a certain proportion of  
13 their ewes dying every year from illnesses which are  
14 poorly defined, and it's just part of the turnover of  
15 their sheep population. It may range from anything of  
16 sort of two or three up to ten percent of the ewes  
17 might die during a lambing season where there's  
18 particular stress.

19 . Most of those cases, the vast, vast  
20 majority of those cases are never investigated,  
21 certainly not at the level of post mortem. So it's  
22 difficult to know how much endemic scrapie might be  
23 out there.

24 We're trying to address this question now  
25 with a postal survey which guarantees anonymity,



1 asking farmers to be honest about observing in sheep  
2 diseases that could be TSE-like, scrapie or anything  
3 else, but that will not tell you that it's BSE.

4 We've also considered and, indeed, put in  
5 place the random sampling of brains of sheep from  
6 abattoirs, but there is a real issue here, and I know  
7 Linda Detwiler is here, and she may have some comments  
8 on this. There is a real difficulty about how to  
9 diagnose that.

10 So if you take a sheep brain from an  
11 abattoir or lymph nodes and tonsils, you can also look  
12 at the criteria for deciding whether those are  
13 definitely positive for TSE in the absence of any  
14 clinical signs in the sheep are not well defined.

15 What do you do if you get a positive  
16 Western and negative immunocytochemistry, for example,  
17 or a positive SAF and a negative Western? What does  
18 that mean? What if you get a positive tonsil and a  
19 negative brain or a negative brain and a negative  
20 tonsil, but you get a positive lymph node?

21 At the moment we don't have good criteria  
22 for allowing us to decide firmly whether a preclinical  
23 animal has definitely got a TSE. So random sampling  
24 of brains in sheep from abattoirs is a little bit  
25 tricky to interpret at the present time.

1           Now, bear in mind that it's difficult to  
2 get information on TSEs generally. It's even more  
3 difficult to get any information as to whether any of  
4 that, a very small proportion of it perhaps, could be  
5 BSE. There are ways of doing it. For example, you  
6 could focus on high incidence flocks and ask by  
7 glycotyping -- that's the John Collinge method -- do  
8 we see any Type 4 patterns, and that is an approach  
9 that has been suggested, but it's not yet validated.  
10 We don't know how many different glycotypes there are  
11 in the sheep population. We don't know what  
12 significance it would be if we saw something that was  
13 indistinguishable from the Type 4 glycotype that has  
14 been associated with BSE in cattle and new variant  
15 CJD.

16           If we saw that in a sheep at this point in  
17 time, we couldn't be certain that, therefore, it meant  
18 that sheep had BSE. It could be a different scrapie  
19 strain that happened to look similar.

20           The strain typing of Moira Bruce, one  
21 could make a similar criticism about it, but I think  
22 at the moment this is the test which has defined the  
23 characteristics of the BSE phenotype. So if one  
24 looked at sheep and found by the strain phenotype a  
25 BSE-like phenotype, I think that would be probably

1 taken as a strong indication that that sheep had BSE.

2           However, it's important to bear in mind  
3 that this type of test is very time consuming, takes  
4 ~~two~~ or three years; very expensive, we estimate in the  
5 region of 20,000 pounds per sheep; and to date only  
6 nine such tests or nine sheep with scrapie have been  
7 tested by that method. All of them were scrapie.  
8 They were not BSE, but it's obviously a very small  
9 number.

10           Coming to the point about whether you  
11 could tell the difference between BSE and scrapie  
12 clinically, the observations on those infected animals  
13 to date, both ones which were orally infected and ones  
14 which were intracerebrally inoculated, there is no good  
15 data to say that you could distinguish clinically BSE  
16 in a sheep from regular scrapie in a sheep.

17           But I have to say that the observation of  
18 the animals through the illness period was perhaps not  
19 as robust as one would want to make it if one was  
20 really posing that question, nor is the number of  
21 animals that have been infected been good enough yet  
22 to see any particular patterns emerging.

23           But at the moment, I think we would  
24 conclude that it's probably indistinguishable from  
25 scrapie clinically, certainly at the level of asking

1 a veterinarian or a farmer to distinguish between the  
2 two.

3 The same is true histopathologically.  
4 There is nothing remarkable as far as I'm aware about  
5 BSE in terms of its histopathology in a sheep brain as  
6 compared with scrapie, and again, you have a range of  
7 levels of spongiform change and amyloid plaque  
8 depending on breed and so on.

9 So this just reinforces the point that BSE  
10 would be difficult to detect in sheep and could  
11 possibly be masked by epidemic scrapie; histologically  
12 and clinically, probably indistinguishable; and the  
13 fact that scrapie is endemic in some of our sheep  
14 flocks.

15 The next point, of course, to bear in  
16 mind, which again, the previous speaker alluded to, is  
17 the question, well, if you found BSE in sheep, what  
18 does it mean anyway. If you had excellent  
19 surveillance and you found a case out there in the  
20 sheep population, what would it mean?

21 And bear in mind that we don't know the  
22 origin of BSE for certain, but the most likely  
23 explanation for the origin of BSE was that, in fact,  
24 it came from sheep. So if you go out and look for it  
25 in sheep and you suspect that's what cause the BSE in

1 the cattle, you might simply conclude, well, okay,  
2 there it is. It's been there for hundreds of years.  
3 It's never posed a problem when it was in the sheep,  
4 but it caused then the BSE epidemic in cattle and, of  
5 course, since then the scare and the worry and, of  
6 course, the big outbreak in cattle.

7 But the point I'm making is finding it in  
8 sheep could indicate one of two things. One is that  
9 you found the origin of the BSE epidemic in cattle.  
10 The second is that you found BSE which may never have  
11 been in sheep, but it's gone back there via the meat  
12 and bone meal that was fed up until July 1988.

13 I wouldn't like to distinguish between  
14 those two interpretations if I found a single case of  
15 BSE in sheep. So it's very difficult.

16 The next point is that if BSE has gone  
17 back into sheep or established itself in sheep, does  
18 it retain or will it retain all of the characteristics  
19 of the BSE phenotype, including this apparent  
20 potential to transmit to other species, including  
21 humans, and it could be that once BSE goes from sheep  
22 to sheep to sheep, it actually becomes scrapie again  
23 and, therefore, poses no risk to humans whatsoever.

24 So there are a lot of imponderables, a lot  
25 of unknowns in this, and even if BSE was there, you

1 wouldn't necessarily conclude that it posed a  
2 substantial risk to the human population.

3 This little chart which is in, I think,  
4 the pre-read papers that were circulated just makes  
5 the point that finding a low level of BSE among  
6 scrapie would be very, very difficult. This table  
7 relates to the number of samples that would need to be  
8 strain typed using either the Moira Bruce test or a  
9 validated glycotyping. That would need to strain  
10 typed to be 95 percent certain of detecting at least  
11 one BSE case within a population of 5,000 suspected  
12 scrapie cases, and that's when the proportion of those  
13 cases that were BSE would be ten percent. You'd need  
14 to look at 29 animals, and I go back to the point that  
15 so far only nine have been looked at by the strain  
16 typing method.

17 If it's five percent of those, you'd need  
18 to look at 59. If it's one percent, you'd need to  
19 look at 290; 0.5 percent, 554; and 0.1 percent, you're  
20 into the thousands. Actually doing this number of  
21 sheep analyses by the classical strain typing test of  
22 Moira Bruce which, as I said, involves inoculating  
23 into several panels of mice and then analysis of the  
24 histopathology, is actually unrealistic. It's  
25 extremely expensive, demands large numbers of animals,

1 and the time scale would be awful.

2           Indeed, we'd have a hard time collecting  
3 anything like this number of samples of brains from  
4 ~~around~~ the country from suspected scrapie cases.  
5 There certainly aren't that many out there, and even  
6 the ones that you see it, it's sometimes difficult to  
7 get them in an uncontaminated state that you'd be  
8 happy with to carry out such strain analysis.

9           DR. LURIE: Can I just ask a question for  
10 comparative purposes?

11           DR. ALMOND: Yes.

12           DR. LURIE: At its peak, what was the  
13 prevalence of BSE in British cattle?

14           DR. ALMOND: I can't give you a figure for  
15 the population as a whole. The size of our cattle  
16 population was around 12 million at the beginning of  
17 the BSE epidemic. It's around ten million now. So it  
18 declined during the period.

19           .           In the high incidence affected herds,  
20 there were some herds that got up to eight to ten  
21 percent, but the cohorts within those herds which were  
22 exposed, it was even higher, and there's occasionally  
23 a very high level percentage cases in particular  
24 cohorts within a herd, both cohorts I'm talking about.

25                       But as for the population as a whole in

1 the cattle, it was probably -- probably of the order  
2 of .5 to one percent because the average within herd  
3 incidence was around 2.5 percent. That's those herds  
4 that were affected. About 60 percent of our dairy  
5 herds were so affected, and about 15 percent of our  
6 beef suckler herds, and I think that represents about  
7 something, 35 percent of our total number of herds  
8 were affected. Average within herd incidence, 2.5.  
9 So if you divide that by three or somewhere there for  
10 the average incidence of BSE during the peak in the  
11 U.K. cattle. Okay?

12 This is not really relevant to the  
13 Committee, but it just illustrates the sort of  
14 difficulty that we were faced with when considering  
15 this. If you find BSE in sheep, what would you do?  
16 The answer would be it's very difficult to know what  
17 to do. An offals ban, such as we introduced in  
18 cattle, would probably not be sufficient because of  
19 the lymphoreticular nature of the spread of BSE in  
20 sheep, the much greater involvement of the spleen and  
21 the lymph nodes and the tonsils and so on.

22 Targeted culls would be difficult. We  
23 know that it would be difficult to distinguish BSE  
24 from scrapie. You wouldn't want to conclude that  
25 certain flocks had scrapie and that was all. So culls



1 would be also very difficult.

2 And clearly, policy, if you found BSE in  
3 sheep, would be extremely difficult to recommend on.  
4 It depends how widespread it is, whether we really do  
5 perceive a risk to humans. It will also depends if it  
6 ever happens on what the current state of the variant  
7 CJD situation is.

8 If that begins to turn down, if the  
9 variant cases dry up over the next few years, I think  
10 we can breathe a big sigh of relief, and our concern  
11 about whether BSE has been in sheep or is in sheep  
12 will, of course, diminish substantially from that  
13 point onwards.

14 The SEAC Subcommittee did, of course, pose  
15 a number of questions and recommended research be done  
16 on these questions. We don't know how readily BSE  
17 transmits to sheep as compared with cattle. There are  
18 further experiments ongoing that look at the effective  
19 dose, the amount of cow brain you need for an LD50 in  
20 cattle. We don't have a good figure for that at the  
21 present time, and we certainly don't have it for sheep  
22 at all.

23 If sheep are, in fact, 1,000 or 100 or  
24 tenfold less infectable orally than cattle, again,  
25 that would imply that the level of meat and bone meal

1 that they receive prior to July '88 posed a very small  
2 risk of developing BSE as compared with that of the  
3 cattle population, but at the moment we don't have a  
4 comparable figure for how readily BSE transmits to  
5 sheep versus cattle.

6 This has been the big question. Has it  
7 transmitted and would it be maintained there. Of  
8 course, my whole talk has been about that, and the  
9 answer is we don't know, but we think the likelihood  
10 is quite small, but it's extremely difficult to make  
11 a certain no to that question.

12 Is the BSE strain phenotype stable in  
13 sheep? I've raised this a few moments ago. The  
14 answer is, again, we don't know. Only passage to  
15 passage within the sheep population will allow us to  
16 address that, but that, again, may depend on the breed  
17 of sheep, the genotype of the sheep, and so on.

18 And how can any risk to humans from BSE in  
19 sheep versus BSE in cattle be assessed? Again, quite  
20 difficult. There are transgenic models now which  
21 might enable you to titrate into a human PrP  
22 transgene, cow brain infected with BSE versus sheep  
23 brain infected with BSE. If those transmit to the  
24 transgenic mice with different efficiency, that may  
25 allow you to draw some conclusions about what might

1 any possible risks be if BSE was found in sheep, and  
2 indeed, the same question can be posed about whether  
3 BSE in sheep poses a risk to other species through  
4 natural transmission.

5 Okay. So our recommendations to our  
6 government in relation to the sheep issue, which were  
7 published in the report, as I mentioned, which was  
8 published in March-April of this year, was that we do  
9 need to improve ascertainment and diagnosis of TSEs in  
10 sheep. We do need to improve the methods and the  
11 speed of differential diagnosis which might  
12 distinguish BSE from scrapie in sheep.

13 We do need to identify which tissues in  
14 sheep that are experimentally infected with BSE carry  
15 infectivity, therefore, defined properly any risk  
16 tissues that may exist.

17 And we also eventually want to support the  
18 development of disease control programs of scrapie as  
19 well as any other TSE, with the ultimate aim of  
20 eradication of scrapie in the U.K. If scrapie was,  
21 indeed, the cause of the BSE epidemic, then it does  
22 become public enemy number one as we get rid of BSE  
23 because we sure as hell don't want another adventure  
24 in our country comparable to the BSE that we've had  
25 over the last ten to 15 years.

1 Another recommendation was that we need to  
2 perform a detailed clinical analysis of BSE, clinical  
3 signs and pathology in sheep in relation to our  
4 Chairman's question. There is a question of whether  
5 carrier states of TSEs exist in sheep, and we  
6 recommended that some work be initiated to investigate  
7 that possibility and then to assess what implications  
8 that might have for scrapie control.

9 And then as I mentioned -- well, the last  
10 point I dealt with on the previous slide.

11 Okay. I think I'll stop at that point,  
12 and I'm happy to answer our questions, and I'd just  
13 like to reiterate, as I did at the start, this whole  
14 talk has been about a "what if" question. There is no  
15 evidence at all that there is BSE or has been BSE in  
16 U.K. sheep or sheep from any other country, and the  
17 farming community very sensitive to the implication  
18 that it might be there.

19 Indeed, the fact that I raised the  
20 question at one point had me branded in the  
21 newspapers, indeed, in the Times in the U.K. as a  
22 scientific terrorist because I was suggesting that  
23 this might have happened and, therefore, the farming  
24 community would have to bear the consequence of  
25 another food scare.

1 I don't want to suggest that that has  
2 happened. I don't want to in any way give the  
3 impression that there is evidence that BSE is there,  
4 but I think it's fair that we raise the question and  
5 think carefully about what it would take to reassure  
6 ourselves that BSE was not there and also in the  
7 meantime to consider what best public health measures  
8 we should put in place applying the precautionary  
9 principle. What would be reasonable to put in place  
10 just to safeguard against any possible risk that might  
11 have existed?

12 Thank you very much.

13 (Applause.)

14 CHAIRMAN BROWN: Thanks very much, Jeff.  
15 That was a very thorough and lucid presentation.

16 I have a comment, I guess, and maybe you  
17 can correct me. The more I think about scrapie, the  
18 more puzzled I am. Mainly why scrapie doesn't infect  
19 humans is just a very peculiar matter.

20 The question I have is that it seems to me  
21 that of all of the recommendations and experiments  
22 that you outlined, one is of overarching importance,  
23 and that is what happens to the glycotypes and the  
24 biological panel behavior on passage.

25 DR. ALMOND: Absolutely.

1                   CHAIRMAN BROWN:     Because on initial  
2 reintroduction into sheep, BSE already takes a scrapie  
3 phenotype, clinically and neuropathologically  
4 indistinguishable from scrapie so far as one knows,  
5 with certain limited data.

6                   Yes?

7                   DR. ALMOND:   Well, let me make several  
8 points.

9                   First, on your first point, why doesn't  
10 scrapie transmit to humans? I don't know the answer.

11                  CHAIRMAN BROWN:  No, of course not.

12                  DR. ALMOND:   But I would just point out  
13 that BSE from what we know about it is more  
14 promiscuous in terms of its potential to transmit to  
15 other species than any known scrapie strains hitherto.

16                  Bear in mind we've had a spongiform  
17 encephalopathy in cats, an FSE epidemic in the U.K. on  
18 top of the BSE. There was no evidence in the past  
19 that cats got TSE from scrapie. They do appear to  
20 have got a TSE during that BSE era, and the Moira  
21 Bruce analysis says that that is a strain which is  
22 indistinguishable from BSE.

23                  The same is true of the kudu and the oryx,  
24 the ocelot, and the other species that we've seen in  
25 our zoos, the big cats, the tigers, the cheetahs.

1 So --

2 CHAIRMAN BROWN: Let me interrupt, Jeff.  
3 I didn't actually ask the question. That was the  
4 background.

5 DR. ALMOND: Yes.

6 CHAIRMAN BROWN: The question is since on  
7 first passage to sheep BSE is essentially  
8 indistinguishable clinically and neuropathologically  
9 from scrapie, therefore the only markers that you've  
10 dealt with that you've got at transmission that is, in  
11 fact, BSE is the glycotype and the mouse panel --

12 DR. ALMOND: Correct, correct.

13 CHAIRMAN BROWN: -- if they disappear --

14 DR. ALMOND: Correct.

15 CHAIRMAN BROWN: -- on passage, you might  
16 as well fold up your tent because you'll never find  
17 out whether BSE is present in sheep at the present  
18 point or not. So there's really nothing to do because  
19 there's nothing to detect.

20 DR. ALMOND: Absolutely, and those  
21 experiments are, of course, in progress. They are  
22 difficult in that you know the example in mice. If  
23 you pass BSE in VM mice, you get a change in the  
24 phenotype in the Moira Bruce type analysis of BSE,  
25 whereas if you passage BSE in these other species that

1 I just referred to, you apparently do not.

2 There is, therefore, the possibility that  
3 passing BSE through different genotypes of sheep may  
4 affect those profiles differently. So it's difficult  
5 to prove that the BSE phenotype is never stable on  
6 sheep-to-sheep passage. So it's very difficult.

7 But I take your point absolutely. The  
8 only markers that we've got of BSE in sheep is BSE, as  
9 opposed to scrapie, is the Moira Bruce type test, but  
10 then that's the only marker that we've got -- and the  
11 glycoform as well, of course -- that has been the only  
12 marker we've had in relation to these other species,  
13 including humans and new variant CJD.

14 It's been on the basis of the Moira Bruce  
15 type strain analysis and the glycoforms that we've  
16 concluded the strains are indistinguishable.

17 CHAIRMAN BROWN: Yes, we have -- who was  
18 first? Let me ask Linda because I rarely look over at  
19 this side of the table. Linda.

20 DR. DETWILER: I just wanted to add a  
21 comment about scrapie as far as phenotypes. I don't  
22 even know if you can define scrapie as a typical  
23 phenotype because there's such a variation in clinical  
24 presentation, and I think we've had to broaden our  
25 definition to capture more, and we've seen even almost



1 a movement some, even within the Suffolks breed, from  
2 an intense itching to hardly that sign, and see,  
3 owners wouldn't report it if they didn't itch, to more  
4 of the motor, the incoordination and the motor signs.

5 And histologically we've even observed in  
6 different breeds different patterns where if you used  
7 a certain criteria, that we found that it was  
8 difficult to diagnose in other breeds. With the  
9 inclusion of PrP-RES detection, we've been able to  
10 capture those now. So that's just my addition on  
11 scrapie.

12 But I had one comment on Europe. I guess  
13 that I would add for Europe per say is that I totally  
14 agree with you that there's been no evidence of a case  
15 of BSE in sheep, but I think in all fairness and not  
16 to have this total false sense of security, the  
17 continent is behind in the U.K. in detection and  
18 looking and into discern it's scrapie or BSE and even  
19 some of the practices and the enforcement of controls  
20 with the feed bans to they're not where the U.K. is.

21 CHAIRMAN BROWN: Ray?

22 DR. ROOS: I wondered whether you could  
23 comment on surveillance of scrapie in U.K. because one  
24 might at least at first glance wonder whether there  
25 might be an increased incidence of scrapie if, in

1 fact, it was related to contamination of feed or are  
2 animals slaughtered before you might see the clinical  
3 disease, which might make that not as informative as  
4 one might hope.

5 DR. ALMOND: I think people within the  
6 Ministry of Agriculture accept that the data we have  
7 on scrapie is poor. It's incomplete. The reasons we  
8 say that is that scrapie sort of bumps along. It's a  
9 few hundred cases per year. Then something happens  
10 like there is a collection effort where we pay or the  
11 ministry paid for brains to be used in a rendering  
12 experiment, and suddenly the number of declared cases  
13 of scrapie shoots up. It doubles sort of overnight  
14 because the farmers can get something for their dead  
15 sheep.

16 Then scrapie becomes notifiable because we  
17 decide it's important to know which of the flocks that  
18 have this disease, and the incidence plummets, goes  
19 down to half of what it had been before or even less.

20 So it's difficult to know what's going on.  
21 Hence, the need for increased surveillance, and as I  
22 said, we're doing it through abattoir survey, with all  
23 of the difficulty that that poses. Linda may comment  
24 again on this. It's not easy to make a firm  
25 diagnosis.

1 Well, it is easy to make a firm diagnosis  
2 where everything's positive, but when you get mixtures  
3 of positives and negatives, what does it mean?

4 And the postal survey, we believe, is a  
5 good way forward because it asks farmers to be honest.  
6 We know that not all of them will be. It makes it  
7 anonymous so that they're not going to get their  
8 knuckles rapped if they hide things, and it puts it to  
9 them that this is actually for the good of their  
10 industry to help us with scrapie eradication and  
11 surveillance so that, you know, they really should  
12 play ball.

13 And we can do estimates on the proportion  
14 of farmers that really do play ball and report  
15 honestly by sort of follow-up questionnaires and so  
16 on. So the postal survey, I don't know if it's yet  
17 got started, but there has been a pilot survey and the  
18 main survey will go ahead, and I think that will  
19 probably be the best way of giving us some data, but  
20 it won't be hugely reliable.

21 Over the BSE era, it's difficult to know  
22 whether there's anything been going on. There are a  
23 few flocks which have been really quite high  
24 incidence, up to ten percent of the animals dying of  
25 scrapie each year, and some of those had meat and bone

1 meal. Some of them became high incidence flocks  
2 during the BSE era, but, again, it's difficult to  
3 conclude that they are BSE as opposed to scrapie.

4 One or two of the sheep from such flocks  
5 were included in the nine that were looked at, and  
6 they were not BSE by the Moira Bruce type strain  
7 typing analysis.

8 CHAIRMAN BROWN: Larry, you had a  
9 question.

10 I may interrupt momentarily because we  
11 have Richard Race not quite on the phone, but when he  
12 comes on the phone, we'll stop things.

13 Larry.

14 DR. SCHONBERGER: Jeff, a great talk, and  
15 I just wondered if you could clarify the issue of is  
16 not the leading hypothesis for the origin of BSE in  
17 cattle the presence of scrapie in sheep, and if so,  
18 why would you be charged with scientific terror to do  
19 what you've done in looking for BSE agent in sheep?

20 And second, your talk started with saying,  
21 I thought, that 0.5 infectious gram of brain of cattle  
22 when orally given to sheep led to the sheep coming  
23 down, but then you said you weren't sure about the  
24 sensitivity of sheep --

25 DR. ALMOND: Versus cattle.

1 DR. SCHONBERGER: -- to -- versus cattle.  
2 Does that means that cattle are even much more  
3 sensitive than 0.5 grams?

4 DR. ALMOND: Yes. I perhaps should have  
5 put some more scientific background in slides.

6 The attack rate study, which was carried  
7 out by the Ministry of Agriculture attempted several  
8 years ago to estimate an LD50 for cattle via the oral  
9 route, and cattle were given 300 grams, 100 grams, ten  
10 grams, and one gram, ten cattle in each group.

11 All of those cattle seven years later  
12 became infected. So the experiment failed to define  
13 the LD50, but it's clearly less than a gram for  
14 cattle.

15 A new experiment is in progress which goes  
16 down, I think, to .1 of a milligram, but it will be  
17 several years before we have an outcome to that  
18 experiment. So it will be several years before we  
19 know what the LD50 is for cattle. That's cow brain  
20 infecting cattle via the oral route.

21 You would want to do the same experiment  
22 in sheep to make the comparison, and all we have in  
23 sheep at the moment is that the Bruce and colleagues  
24 experiments, which were published in Veterinary  
25 Record, 1st of June 1996, was that one out of three

1 animals that were fed 0.5 grams of infected cow brain  
2 came down with disease.

3 Since that time other animals have been  
4 infected orally, but they've received five grams. So  
5 they've received more. So they don't help in relation  
6 to defining what the oral LD50 is for sheep that are  
7 fed cow brain. So that's where we are.

8 CHAIRMAN BROWN: Thank you very much.

9 We now have the next speaker on the  
10 speaker phone, and that is Dr. Richard Race, who I  
11 imagine is talking to us from the Rocky Mountain Lab,  
12 is he not?

13 DR. RACE: Right.

14 CHAIRMAN BROWN: And he's going to tell us  
15 a little bit about tissue infectivity in scrapie  
16 infected sheep and goats.

17 Dr. Race, welcome.

18 DR. RACE: Can you hear me?

19 DR. FREAS: We can hear you, I believe.

20 DR. RACE: Okay. I guess I can tell you  
21 just a little bit about some of the earlier work that  
22 we did and then some more recent work that we've done  
23 looking for infectivity in sheep tissues, sometimes  
24 from a diagnostic point of view and other times trying  
25 to understand a little bit more about the pathogenesis

1 of scrapie in sheep, and then you know, if you have  
2 questions may be you can target where you want to go  
3 with the discussion from that.

4 I believe you have available at least one  
5 of the papers. Do you have both papers?

6 DR. FREAS: We have received both papers.  
7 One paper they received this morning, and the other is  
8 in their blue folders.

9 DR. RACE: Okay. So the papers basically  
10 summarize what we've done in terms of looking for  
11 infectivity in various tissues, and the first paper,  
12 that was basically Bill Hadlow's paper from 1982,  
13 showed that infectivity, high levels of infectivity  
14 are present in the central nervous system, relatively  
15 high levels, but much lower than PNS tissue present in  
16 lymphoid tissues and infectivity, either very, very  
17 low or nondetectable in the other tissues that were  
18 examined. The other tissues, the negative tissues  
19 that were looked at are indicated in the note at the  
20 bottom of Table 2.

21 In the second paper, we looked at  
22 essentially the same kinds -- we looked only really at  
23 central nervous system lymphoid, and we added placenta  
24 because we were interested in knowing more about  
25 placenta, whether or not that might be a major source

1 of pasture contamination.

2           There was some indication that it could  
3 be, but really no follow-up in recent years to really  
4 look at that. So with newer techniques, with our  
5 ability to look at PrP-RES and disease associated  
6 protein and infectivity, we thought we'd look at that  
7 again, and so that paper actually adds placenta, but  
8 it in all other respects is similar to the first paper  
9 in terms of what we found, and that is that central  
10 nervous system tissue is highly infected lymphoid  
11 tissue, and we looked only at spleen and a couple of  
12 selected lymph nodes, and also infected in about 80  
13 percent of the animals, and placenta was infected in  
14 about 60 percent of the animals if the animals were  
15 scrapie positive, and we did not screen animals that  
16 had not been non-clinical.

17           So that the placenta aspect of that paper  
18 is a little bit biased in that we looked only at  
19 animals we already knew were scrapie positive when we  
20 were looking at those placentas.

21           In terms of transmission, I don't think  
22 our attitude has changed any from 1982. We still  
23 think that it's primarily via the oral route. We  
24 really don't know, and I don't think anybody else  
25 knows what part feces and urine, milk, colostrum,



1 semen, tissues that might be ordinarily expected to be  
2 excreted to the environment plays other than that  
3 we've found them to be negative, but I don't know of  
4 anyone who has gone to heroic measures to try to  
5 concentrate agent that might be present in those  
6 tissues, and we actually now have techniques available  
7 where, you know, hopefully somebody might decide to do  
8 that using purification techniques where we can  
9 concentrate disease associated protein and thereby  
10 then associate infectivity and look at that.

11 I think there are some people that are  
12 thinking about doing those kinds of things, but for  
13 lymphoid tissue and central nervous system tissues of  
14 sheep are infected by -- very highly infected if one  
15 considers that we're crossing a species barrier and  
16 going from sheep to the bioassay animal, which what we  
17 have used is mice, and we've used these rml mice, our  
18 titration mouse, and it's a very sensitive strain.  
19 It's worked better for me than a number of inbred  
20 strains, and I've utilized those.

21 I think that the data is pretty accurate  
22 at least to this point.

23 Would there be questions or kinds of other  
24 information would you like to hear about?

25 CHAIRMAN BROWN: If anybody on the panel

1 would like to ask Dr. Race a question now, please do  
2 so. Otherwise we'll have Dr. Race continue.

3 I don't see any hands, Dr. Race.

4 DR. RACE: Okay.

5 CHAIRMAN BROWN: Oh, wait. There's one  
6 hand in the back row. Actually he's a "back bencher."  
7 Dr. Asher.

8 Mic, mic.

9 DR. ASHER: Can you hear me here?

10 DR. RACE: Yes.

11 DR. ASHER: This is David Asher.

12 I'm just wondering how sensitive the rml  
13 mice are relative to sheep. Is there any estimate of  
14 that?

15 DR. RACE: We've never done an estimate of  
16 that. In the later study, the 1998 study where we  
17 were actually looking at placenta, where the amounts  
18 of agent looked to be fairly low, if we had a very,  
19 very low PrP-RES signal by immunoblot, we actually did  
20 find some infectivity. The two seemed to correlate  
21 pretty well and, you know, suggested to me that the  
22 mice were actually in this situation doing a fairly  
23 good job of detecting agent that might be there.

24 Usually if we get a PrP-RES signal, we  
25 usually get -- definitely get a titer in the mice, and

1 that seemed to correlate even in placenta in these  
2 studies where the amount of infectivity seemed to be  
3 fairly low.

4 CHAIRMAN BROWN: Dr. -- do you have  
5 another question?

6 Dr. Almond, did you have a question or a  
7 comment for Dr. Race?

8 DR. ALMOND: It was just a comment on that  
9 last point. The experiments carried out by MAFF  
10 looked at the relative susceptibility of cattle and R3  
11 mice to BSE by IC inoculation, and the difference in  
12 sensitivity is about 1,000-fold. Cattle are 1,000  
13 times more sensitive. So the species barrier there,  
14 if you like to put it in those terms, is 1,000.

15 Orally it's not clear whether that 1,000  
16 difference will be maintained when one compares oral  
17 transmission of cow brain to cows versus cow brain to  
18 mice.

19 DR. RACE: Yes, I think generally, you  
20 know, we also would agree with that. I think, you  
21 know, most of our PrP-RES infectivity correlations  
22 where we've used tissue culture cells or mouse hamster  
23 systems, we think that the infectivity assay is about  
24 1,000 times, 1,000-fold better as well.

25 I was a little bit surprised by the study

1 with the placenta, you know, that it turned out to be  
2 as sensitive as it did.

3 If you take the amount of infectivity in  
4 the placenta, if you look at it, the incubation  
5 periods are generally longer, and in two of the  
6 animals we found nothing. In two other animals we  
7 only killed one out of eight or nine assay mice. The  
8 others were a little bit stronger, and so we're really  
9 on the borderline, I think, on about four of those  
10 animals as far as placenta goes.

11 You know, as far as infecting animals, I  
12 think that whatever the source of the infectivity is,  
13 whether it's placenta or some tissue, to me it seems  
14 like it might be a very low grade exposure over a  
15 prolonged period of time that actually accounts for  
16 infectivity. So to really rule out some of the  
17 tissues that have not been positive in the past is  
18 going to require a little bit more in terms of trying  
19 to concentrate agent that might potentially be there.

20 The tissues that are positive are ones  
21 that, you know, it's a little bit difficult to  
22 envision getting fairly large amounts of infectivity  
23 into the environment unless it's prolonged, very low  
24 grade kinds of exposures or contamination.

25 CHAIRMAN BROWN: Stan.

1 DR. PRUSINER: Rick.

2 CHAIRMAN BROWN: This is Stan Prusiner.

3 DR. PRUSINER: How are you?

4 DR. RACE: Good. How are you doing?

5 DR. PRUSINER: Fine. Just a comment and  
6 then maybe you would respond to it. It seems to me  
7 that you really can't do much in the way of  
8 quantitative estimates of infectivity from sheep into  
9 mice when the highest the brain samples only begin to  
10 bring the mice down at 500 days of age because then  
11 after that there's really not a lot of time to do an  
12 endpoint titration, do all of the serial dilutions  
13 before the mice really begin to die off for other  
14 reasons.

15 DR. RACE: Yes, that's true. I mean, if  
16 you have the really most concentrated samples, you're  
17 still dealing with a long incubation period. So it is  
18 difficult to make those valuations.

19 Yes, as far as quantitative differences,  
20 it's pretty subjective. I mean, I think we all know  
21 that.

22 CHAIRMAN BROWN: There are no more  
23 questions, Dr. Race. Did you have other aspects of  
24 the topic that you wanted to transmit?

25 DR. RACE: Only if there is some other

1 information that you think I could be of any help.

2 CHAIRMAN BROWN: Evidently the Committee  
3 is satisfied, and we thank you very much. It's nice  
4 to hear your voice again.

5 DR. RACE: Okay. Thank you.

6 CHAIRMAN BROWN: Bye-bye.

7 DR. RACE: Bye.

8 CHAIRMAN BROWN: I think we'll now, since  
9 we had an abbreviated break earlier, we'll take a  
10 five-minute leg stretcher, and so in about just five  
11 minutes we'll start again.

12 (Whereupon, the foregoing matter went off  
13 the record at 10:45 a.m. and went back on  
14 the record at 10:52 a.m.)

15 CHAIRMAN BROWN: We will dismiss a  
16 question period. I think we've had opportunity to ask  
17 questions of virtually everybody, and we will proceed  
18 with -- oh, I'm sorry. Dr. Sutton is not here?

19 DR. FREAS: She just got here.

20 CHAIRMAN BROWN: Okay. We will now have  
21 three successive presentations focused on this  
22 country, and the first will be from Dr. Diane Sutton  
23 in the USDA. The second will be in my draft and  
24 unidentified FDA speaker, and that turns out to be our  
25 old friend, Dr. Honstead, and the third will be from

1 Lisa Ferguson.

2 The first presentation is entitled  
3 "Potential for Human and Animal Exposures to Animal  
4 TSE Agents in the USA." Dr. Sutton.

5 DR. SUTTON: My intent is to explain the  
6 past history of scrapie in the U.S., what steps have  
7 been taken from a regulatory point of view to control  
8 it, where we're at now with the program, and what's  
9 currently going on with the certification program.

10 It's not going to cooperate. Maybe it's  
11 on the remote here.

12 I just want to acknowledge that the  
13 epidemiological information came from Dr. Nora  
14 Wineland out at the Centers for Epidemiology and  
15 Animal Health.

16 As you're all aware, we've been knowing  
17 about scrapie for over 300 years, and it originally  
18 occurred from Europe to Canada to the U.S. with the  
19 first case being reported in 1947.

20 The United States Department of  
21 Agriculture initiated an eradication program in 1952,  
22 at which time a state of emergency was declared. A  
23 total flock depopulation with indemnity was the manner  
24 of control.

25 In 1957, source flocks -- these are the

1 flocks from which scrapie infected sheep were born --  
2 were also included in the program, and animals that  
3 traced out of exposed flocks were also slaughtered.

4 . - Oops. Went the wrong way. This is my  
5 first time using this. So I'm having an entertaining  
6 time.

7 In 1965, the option for bloodline  
8 slaughter was introduced along with a two-year  
9 quarantine for non-bloodline animals. The theory at  
10 the time being was that it might be a genetic disease,  
11 and the majority of animals that were found to be  
12 positive were the offspring of previously infected  
13 animals.

14 In 1975, that option was eliminated as  
15 science became better known that it was an infectious,  
16 widely transmitted disease.

17 In 1978, the indemnity was increased in  
18 hopes that this would facilitate the program and also  
19 a surveillance requirement was added.

20 In 1983, bloodline option was once again  
21 reinstated at the request of the sheep industry.

22 In 1952 everyone came to the realization  
23 that the eradication program as it had existed since  
24 1952 just wasn't getting the job done, and negotiated  
25 rulemaking process was started between the sheep



1 industry, renderers, USDA, state animal health  
2 officials, and other interested parties.

3 And what came out of that was the  
4 voluntary scrapie flock certification program, which  
5 I'll describe in detail in a few minutes.

6 The one thing to remember is at the time  
7 that the voluntary program came into effect, that  
8 didn't make the regulatory control program go away.  
9 We still have an active program. There are interstate  
10 restrictions on the movement of sheep that are  
11 infected with scrapie or that come from scrapie  
12 infected or scrapie source flocks, source flocks being  
13 defined as any flock from which two scrapie infected  
14 animals were born under the age of 54 months.

15 The other thing to be aware of is that we  
16 are currently revising the rules that apply to scrapie  
17 to make a more stringent and powerful control program.  
18 The proposed rule is currently undergoing the  
19 clearance process.

20 For those of you who are not inside  
21 government, that means I can't discuss the details of  
22 what's in there.

23 Currently, in order to get off the federal  
24 infected or source flock list, the flock has to  
25 undergo what's called a herd plan or flock plan, and

1 this basically requires the removal of high risk  
2 animals, cleaning and disinfection, being on  
3 surveillance program in most cases, and identifying  
4 all animals in the flock.

5           Since the beginning of scrapie in the  
6 U.S., we've had 943 infected flocks identified, 1,503  
7 confirmed scrapie cases, and of course, these are only  
8 the reported cases that were confirmed at NVSL. We've  
9 had seven cases in goats. Of the cases in goats, many  
10 of them trace back to exposure to sheep, and the  
11 remainder could not be determined whether any exposure  
12 to sheep had occurred.

13           In the U.S., the average age of an  
14 infected animal at death is 47 months, the majority of  
15 the animals, of course, female due to the high number  
16 of female animals in any flock. Male animals  
17 typically die at a slightly younger age, 42.9 months;  
18 females at a little higher age, 47.8 months.

19           We have not been able to detect any  
20 seasonality with the disease. The disease is  
21 distributed throughout the U.S., and a wide range of  
22 breeds are affected, including all of these listed:  
23 Suffolk, Hampshire, Cheviot, Southdown, Shropshire,  
24 Rambouillet, North County Cheviot, Dorset, Finnsheep,  
25 Corriedale, Merion, Montadale, Columbia, Cotswold,

1 Border Leicester, and Textel. So there are very few  
2 breeds that have not been affected.

3 The vast majority have occurred in the  
4 Suffolk breed and the black faced breeds, but as you  
5 can see, the white faced breeds are well represented.

6 In a NAHMS study survey conducted in 1996,  
7 this was an owner questionnaire and involved voluntary  
8 reporting. Owners reported anywhere from a 0.3  
9 percent of infected flocks up to 2.6 percent. When  
10 this was averaged out over the whole United States, it  
11 came out to 1.2 percent.

12 Basically the people were asked: have you  
13 seen a case of scrapie in your flock in the last five  
14 years?

15 These are the scrapie confirmed cases for  
16 fiscal year '98. We had 63. These are the cases to  
17 date, for fiscal year '99. As you can see, we're  
18 having comparable numbers this year as last.

19 These are our scrapie source flocks. We  
20 currently have six. These are the scrapie infected  
21 flocks. These are the flocks that have not completed  
22 a flock plan in order to get off of the infected list.  
23 We currently have 65. As you can see, they're well  
24 distributed.

25 There are a number of strategies that can