

1 be used to reduce the risk of sourcing materials from
2 scrapie infected sheep. One which Lisa Ferguson will
3 discuss later is sourcing from scrapie from scrapie
4 free countries. The other is to source from scrapie
5 free flocks in infected countries. The other is to
6 test individual animals.

7 The problem that we have with this at this
8 time is that currently the only validated test is on
9 a dead animal, and many tissues are not acquired from
10 dead animals.

11 There are two live animal tests under
12 development by ARS. One is the third eyelid test, and
13 the other is the capillary electrophoresis test.
14 Neither test has been fully validated nor approved by
15 USDA, and we anticipate it will be a while before that
16 happens.

17 However, ARS has indicated to me, and I
18 have seen literature from a commercial company,
19 indicating that they intend to make the third eyelid
20 test commercially available in the very near future,
21 possibly even this month.

22 The other thing to consider is the
23 genotype of the animals involved. Of course, by
24 selecting for genetically resistant animals, you do
25 not know whether or not based on current science

1 whether they contain the PrP agent.

2 For those of you who are not familiar with
3 the third eyelid test, I threw this slide in just so
4 you could see what it involves. It's a topical
5 anesthetic on the eye, pulling back the third lid and
6 snipping out a small portion of lymphoid tissue.

7 Okay. One option, as I mentioned is the
8 source from free flocks within affected countries.
9 USDA runs a voluntary scrapie flock certification
10 program, which is based on the monitoring and self-
11 reporting of flock owners. Possible additional
12 criteria that could be added on top of requiring
13 participation in this program would be to require the
14 examination of tissues of all animals dying over 18
15 months of age. This is only currently required in the
16 voluntary program for those flocks for which there is
17 a suspicion that there might be scrapie, i.e., a trace
18 or exposed flock.

19 The other option might be to require
20 sentinel animals that are genetically susceptible to
21 scrapie to be kept in the flock and to be examined
22 upon death.

23 The other potential that's been suggested
24 by some ARS researchers is to require that the entire
25 flock be QQ in order to more rapidly detect the

1 presence of infection in the flock.

2 Once a live animal test is available,
3 that, of course, would be a strong option, but we at
4 this time do you feel that the live animal test that
5 will soon be commercially available has been
6 adequately validated, at least for USDA program
7 purposes.

8 The other possible consideration is the
9 past history of the flock with regard to scrapie, both
10 of the animals themselves and of the premises on which
11 they're being reared.

12 The other consideration is whether or not
13 the flock should be required to remain totally closed
14 as opposed to allowing the movement of animals as is
15 currently permitted in the program.

16 And the other option is to consider the
17 feeding practices for those animals, which is not a
18 requirement currently of the program.

19 Now, I'm going to discuss some of the
20 specific details of the voluntary program as it
21 currently exists. These are the numbers of
22 participating flocks. As you can see, we've got a
23 large number throughout various areas of the U.S. We
24 currently have 27 scrapie certified free flocks.

25 There are two potential categories in

1 which a person can enroll in the voluntary program.
2 One is the complete monitored category, and that's the
3 only category that allows a flock to progress to
4 certified free status.

5 The other category, the selective monitor
6 category, is primarily intended for commercial
7 producers who produce slaughter lambs. The intent is
8 to provide them with a way of monitoring for scrapie
9 based on examination of cull ewes prior to slaughter
10 and with any animals that look clinically suspicious
11 being sent in for diagnostic testing.

12 The general provisions of the voluntary
13 program are that the owner agrees to report any
14 scrapie suspects. They agree that should a suspect be
15 found, to allow that animal to be euthanized and those
16 tissues collected and submitted for diagnosis.

17 They also agree to identify all animals
18 over a year of age with a relatively permanent form of
19 ID, such as a tattoo and electronic implant or a tamper
20 resistant ear tag.

21 They also must identify any animal being
22 sold for other than slaughter purposes no matter what
23 its age.

24 They are required to keep records, and
25 those records are inspected on a yearly basis, which

1 includes all identification that's found on the
2 animal, the sex, age, and breed of the animal, the
3 date of birth or the date of acquisition and which
4 flock it originated from, sire and dam identification
5 information, progeny identification and sex, and the
6 disposition of that animal, and should it have died,
7 the presumed cause of death.

8 They are also required to provide us with
9 authorization to contact any breed association with
10 which they might have registered animals should it
11 become necessary to trace out any animals from the
12 flock.

13 They also are required to notify us within
14 30 days of any acquisitions that are not in compliance
15 with the program or that would lower their status.

16 Each of these flocks is inspected by a
17 federal veterinarian or a state veterinarian every 11
18 to 13 months. The main thing that is done at those
19 inspections is to review the identification on all of
20 the animals, and then to check that identification
21 against the inventory records and determine the
22 disposition and acquisition of all animals.

23 Also, the animals are observed for any
24 potential signs of scrapie, and any animals that are
25 suspicious are identified and rechecked as needed, and

1 if it becomes clear that they are highly suspicious,
2 then those animals are euthanized, and the tissues are
3 submitted for diagnosis.

4 There are two potential statuses within
5 the complete monitored program. One is enrolled,
6 which simply means that the producer is participating,
7 and the other is certify which means that they have
8 been continuously in compliance with all of the
9 standards for a period of five years.

10 Female animal acquisitions and commingling
11 requirements. In other words, how can a person get a
12 female animal from another flock? Basically a
13 certified flock can only acquire a female animal from
14 another certified flock. Enrolled flocks may acquire
15 female animals from any other enrolled flock which has
16 a similar or older status date than they do, in other
17 words, have the same risk level or less.

18 The male animal acquisition requirements
19 are less stringent for two reasons. One is because we
20 believe the male animal to be at very low risk of
21 transmitting scrapie, and the other is that sheep
22 owners need to bring in new genetics into their flocks
23 or they can't have a productive and viable flock.

24 In order to acquire a male animal, the
25 animal must be officially identified, must be shown on

1 the flock inventory, and he must not have been exposed
2 to scrapie, cannot be a scrapie positive animal. He
3 can't be a scrapie affected animal, can't be a scrapie
4 suspect, can't be exhibiting any clinical signs, have
5 been designated high risk or have originated from a
6 source or infected trace or exposed flock if his
7 actual status can't be determined. Also, he cannot
8 currently reside in an infected or source flock.

9 For certified flocks, there's also been a
10 small tightening in the new version, which is the
11 yellow book that you've all received in which it
12 states that a male animal must be acquired from an
13 enrolled flock, and that animal must have resided in
14 that flock for at least a year or have been borne
15 there. Previously that was not a requirement.

16 Likewise, for female animals in certified
17 flocks, they can only commingle with female animals
18 from other certified flocks, and they may not reside
19 in the kidding or lambing facilities of anything other
20 than another certified flock or their own flock.

21 We did have a problem with that, and
22 that's why we've made that change.

23 Commingling of male animals. It is
24 permissible under the current program for rams from
25 certified flocks to be permitted to be leased to

1 nonparticipating flocks for the purpose of breeding,
2 but they may not be exposed to female animals at or
3 near lambing or for 60 days after lambing. They may
4 not be housed in lambing facilities, and they may not
5 reside in any other flock except the certified flock,
6 except for the purpose of breeding.

7 And if they are used in a flock that is
8 known to be infected, source traced, or exposed, then
9 they cannot return to the certified flock without them
10 losing their certified status.

11 Should there be an occurrence of scrapie
12 in a certified or enrolled flock, that flock is
13 removed from the program.

14 Other actions that can potentially affect
15 the status or status date of an enrolled flock is the
16 use of semen and embryos. For semen, basically the
17 standard is the same for the acquisition of male
18 animals. They may use semen from any flock unless
19 that animal is himself scrapie positive or unless that
20 animal is a high risk animal or is of unknown,
21 undeterminable status based on his previously having
22 been in an infected or source flock.

23 For embryos, the standards are basically
24 equivalent for those for female animals in that the
25 embryo must have the same status as the flock. In the

1 case of certified flocks, the embryo would have to
2 come from a certified donor.

3 For imported animals, basically the animal
4 would either have to come from a scrapie free country
5 or the animal would have to have been participating in
6 a program equivalent or more stringent than the
7 American program as evaluated by USDA and be an
8 equivalent level in the program in order to be
9 imported into a participating flock.

10 That's all I have. Any questions?

11 CHAIRMAN BROWN: Thank you.

12 We will entertain questions for Dr.
13 Sutton, as for the next two speakers, without waiting
14 until the end.

15 Stan.

16 DR. PRUSINER: I'm curious about your
17 ideas about the genotyping of these sheep and if you
18 use, for instance, an RR 171 sheep, and you think then
19 that the scrapie agent, freon, whatever, virus goes
20 underground.

21 CHAIRMAN BROWN: "Whatever" is the
22 preferred term here. Okay?

23 DR. PRUSINER: Right. We got that one
24 yesterday, right?

25 (Laughter.)

1 DR. PRUSINER: That goes underground and
2 you can't find it then. That's what you said, I
3 think.

4 DR. SUTTON: What I said was the research
5 is insufficient at this time for us to know whether
6 the scrapie agent is present in those sheep or not.

7 DR. PRUSINER: Okay. I don't know how to
8 proceed here. Let me just take two -- I'll make this
9 very, very brief.

10 I mean, I think that you really ought to
11 consider an alternate view of all of this. These
12 animals that these basic residues like arginines
13 create dominant negatives in these animals. The same
14 thing is true in humans, and I think that's a very
15 plausible explanation.

16 It's not proven, but it looks very good
17 now, and it's not acknowledged at all in this book.
18 so it's for the record.

19 I'll stop.

20 DR. SUTTON: One of the primary reasons
21 that USDA has not yet accepted genotyping as a means
22 of scrapie control for official purposes is because
23 there is one case report in the literature of an RR
24 being clinically affected with scrapie, and there are
25 four case reports of QRs being clinically affected

1 with scrapie, and our experience -- I probably
2 shouldn't mention this -- with the New Jersey pilot
3 slaughter project suggests, although that information
4 is unpublished and not complete yet, that the genotype
5 may not prevent PrP scrapie RES from being found on
6 immunohistochemistry.

7 All of these make us suspicious that there
8 may be an infectious agent in these animals.

9 DR. DETWILER: Can I further comment on
10 that since that's what I think Jeff Almond was
11 referring to about this little pilot study? But all
12 of the questions that it brings up is that we have
13 found the different genotypes with PrP-RES, but again,
14 if they were positive across the board in these, then
15 it would make you feel better.

16 And there were animals that were
17 clinically asymptomatic, that were positive in the
18 brain, in the lymphoreticular tissues, but then you
19 had ones mostly in these other genotypes where you
20 might have the tonsil or lymph node or the brain.
21 Then you had an IHC positive, but not the Western
22 blot, but we can even wonder about those that its
23 collection techniques -- that you don't test the same
24 part of that tissue with the different methods, you
25 know. So it's not the exact same thing you're

1 testing.

2 But they just leave us with more questions
3 than they do really answers.

4 CHAIRMAN BROWN: Stan?

5 DR. PRUSINER: Yes, I think you want to
6 make -- did you want to talk first? Go ahead.

7 I just wanted to say that I don't think
8 that the protease resistance of PrP is an absolute
9 indicator of infectivity. It's a surrogate marker,
10 and when it's there, it's useful. When it's not
11 there, it becomes problematic.

12 So you can't use this as an absolute. I
13 mean, we have multiple transgenic models now, and we
14 have some human diseases like GSS 102 where proteinase
15 K resistance is really not a good marker. So you have
16 to be careful of this.

17 That's why we developed this new assay
18 where we're looking at a buried epitope and then a
19 form of PrP that has a high beta sheep content.

20 So I think you have to be very, very
21 careful of how you interpret this.

22 DR. DETWILER: That's why we haven't
23 published those, but do you want to test some tissues
24 for us?

25 (Laughter.)

1 DR. ALMOND: I'm not quite sure where
2 we're going in this other than to say it is difficult
3 to interpret. The studies of Nora Hunter suggest that
4 different or certain genotypes of sheep are much less
5 susceptible to certain strains of scrapie, but if you
6 change the strain of scrapie, the pattern becomes
7 rather different.

8 One of the most interesting observations
9 that she's made in recent years is that certain
10 genotype -- and I can't remember if it's 136 or 171 --
11 but in the U.K. environment, those sheep inevitably,
12 almost inevitably develop scrapie, and yet she's found
13 that same genotype in New Zealand, and they're
14 completely scrapie free, of course.

15 And then there are other observations as
16 well that relate to this. Moira Bruce, for example,
17 with Richard Kimberlin did some transitions of CJD to
18 mice. The mice didn't develop any illness in their
19 lifetime, but when they were examined in old age, they
20 had some spongiform change in their brain, suggesting
21 that they were, in fact, incubating a spongiform
22 encephalopathy, and the implication of that could be
23 that this was a disease which was taking more than the
24 life span of the animal to actually develop itself as
25 a clinical disease, but potentially there could be a

1 source of infection to other animals with adaptive
2 passage that, therefore, actually represent the
3 reservoir.

4 And I did raise the question in my
5 presentation about whether there is silent infection.
6 So there could be a reservoir of prions which you
7 simply don't see because in that particular genotype
8 of animal the incubation time is longer than the life
9 span of that animal.

10 CHAIRMAN BROWN: Kiki?

11 DR. HELLMAN: I just have a question.
12 Kiki Hellman, FDA.

13 I know very little about sheep, but I
14 would imagine that somewhere there must be a lineage
15 history of the different breeds of sheep that we have
16 today, where they derive from, where they're found.
17 That might help us.

18 And now, of course, since you are
19 introducing different breeds, you get hybrids and so
20 on, but that might help us in perhaps the evolution of
21 scrapie or the agent. It's just a thought.

22 DR. SUTTON: Actually a part of the
23 presentation that Nora Wineland lent to me did
24 actually address that and showed where the various
25 breeds came from, and I don't think one could make an

1 argument that it was directly due to these animals
2 being mixed and that follow along breed lines.

3 I think it's pretty well accepted that it
4 was due to lateral transmission.

5 CHAIRMAN BROWN: Dean?

6 DR. CLIVER: Yes, as another non-sheep
7 person, it would be helpful in some of these summaries
8 that you presented had you used prevalence data rather
9 than incidence data. Like we saw an enormous number
10 of Suffolk that had succumbed, but we have no idea of
11 what rate that represents against the population of
12 Suffolk in the United States.

13 DR. SUTTON: Right.

14 DR. CLIVER: So that annual prevalence,
15 state prevalence, things like that, we who don't look
16 at these figures very often get a better sense of
17 what's going on if they're presented as prevalence
18 rather than --

19 DR. SUTTON: The breed data that I showed
20 was for the entire 1,503 sheep for which we had data
21 from the history of, for instance, the start of
22 scrapie in the U.S.

23 Suffolk sheep are the largest pure bred
24 sheep that we have as a breed, that we have in the
25 U.S. currently. What number they represent out of

1 that entire group, what the relative percent is, I'm
2 not absolutely sure.

3 DR. CLIVER: Well, I wasn't expecting you
4 to be able to deliver that from the hip, so to speak,
5 but just as you're compiling data for this kind of a
6 group, it's really helpful to give it on a prevalence
7 basis.

8 DR. ROHWER: You very nicely have
9 described the scrapie control program, but didn't say
10 anything about how effective it was, and I see a big
11 disincentive in this program to reporting scrapie if
12 it should appear in one of these flocks that people
13 have expended a great deal of money and effort to
14 establish, and then what if they do get -- in
15 introducing new stock, they do introduce scrapie into
16 a flock like this? Can they really afford to report
17 that? I mean with a decade or more of hard work to
18 establish what they've got already.

19 . And do you have any experience yet -- I
20 mean, is the program established enough to know how
21 successful it has been? Have people had problems like
22 this, or how is it working?

23 Also, what percentage of the sheep
24 industry has enrolled in this program?

25 DR. SUTTON: Okay. The program stated in

1 1992. We currently have 419 enrolled producers, which
2 is a dramatic increase over last year. We've had a 75
3 percent increase.

4 Of those, 27 have reached certified
5 status, which means they've been enrolled for at least
6 five years, and that would make them compliant with
7 the program for that duration of time.

8 Of the flocks that have reached certified
9 status, we haven't had one go down with scrapie yet,
10 which doesn't mean it won't potentially happen.

11 We have had flocks that were at the lower
12 levels of the program that had infection discovered
13 and were moved back, either taken totally out of the
14 program or went out of the program and then reapplied.

15 CHAIRMAN BROWN: What proportion of sheep
16 -- I may have missed it, for which I excuse myself --
17 what proportion of sheep in this country are enrolled
18 in the program?

19 DR. SUTTON: Okay. We have 7.2 million
20 sheep in this country and approximately 68,800 flocks.
21 Of those, approximately 17,000 are what are called
22 seed stock producers. Nearly all of the enrolled
23 producers are seed stock producers. So we have
24 approximately just under two percent of our seed stock
25 producers enrolled at this time.

1 CHAIRMAN BROWN: Seed stock being
2 breeders?

3 DR. SUTTON: Correct.

4 CHAIRMAN BROWN: Two percent of the
5 breeding population or the flocks used primarily or
6 exclusively as breeders are enrolled in the program.

7 DR. SUTTON: Correct.

8 CHAIRMAN BROWN: Okay. Ermias.

9 DR. BELAY: I just wanted to clarify one
10 issue. The ban on importation of live animals from
11 countries where BSE has been identified that the USDA
12 put in place in 1989, does it include sheep and goat
13 in addition to cattle?

14 DR. SUTTON: Yes.

15 CHAIRMAN BROWN: Ray?

16 DR. ROOS: I guess there was some brief
17 discussion about susceptibility of different breeds,
18 and I guess what I heard is that one polymorphism was
19 discussed as far as in the PrP and how effective it
20 was as far as determining susceptibility.

21 Now, am I correct in thinking that there
22 must be a lot of other genetic determinants outside
23 that PrP, polymorphism, in other words? Some breeds
24 that have identical sequence of PrP nevertheless have
25 very variable susceptibility or isn't that known?

1 DR. SUTTON: You're out of my area of
2 expertise, but there are at least three codon areas on
3 the PrP that are related to susceptibility, 136, 154,
4 and 171.

5 DR. DETWILER: I can add a little bit more
6 on that. It does depend what breed. There are some
7 breeds that are called the 136 breeds, and the other
8 breeds they call the 171 breeds. The Suffolks are
9 171, where that's the main dependency.

10 And it does seem like most breeds follow
11 that 171 with the arginine homozygotes of being only
12 one reported case of clinical scrapie, and then you
13 have breeds like in Britain that would not follow the
14 U.S. pattern. They're the 136 breeds that valine
15 homozygotes are what they call their positive line and
16 alanine homozygotes, but in the U.S. almost all of our
17 sheep are alanine at 136. So that does not in this
18 country appear to play a role.

19 DR. ROOS: But is there anything outside
20 the PrP gene polymorphisms that look important?

21 DR. DETWILER: Not to my knowledge, but I
22 don't know.

23 DR. ALMOND: Actually there is in mice.
24 Stan will help me with which is which, but you know,
25 the S7P7, as they used to be called by the Edinburgh

1 Group; I think it's VMs and 357 blacks that are both
2 P, and they have different incubation times, and if
3 you do crosses between those, you get intermediate
4 incubation times.

5 I am aware that there are experiments in
6 progress which are trying to map the determinants of
7 the differences in incubation time in mice strains
8 where the PrP gene sequence is identical.

9 DR. PRUSINER: I think these differences
10 are very small though, Jeff. I don't think they're
11 large.

12 DR. ALMOND: Well, the issue -- well, I
13 know they're small. They're still measurable actually
14 in 100 days type level or 80 days. The point is
15 they're entirely reproducible, and when you make the
16 hybrids, you get something in between.

17 When you do R3 mice or VM mice, you have
18 a tight cluster around the endpoint, and when you do
19 C57.blacks you have a distinguishable, but again a
20 tight cluster. They are reproducible. They are not
21 related to PrP gene sequence because that is identical
22 in the animals.

23 CHAIRMAN BROWN: Would it be a fair
24 summary of this entire discussion to say that the
25 genotyping of sheep unfortunately turns out not to be

1 a straightforward, simple matter, and that it is in
2 flux, and that eventually it may get shaken out, and
3 one will find one or more really crucial points on
4 this or another gene which will clarify matters?

5 But at the moment, sheep genotyping and
6 its relationship to susceptibility is in evolution.

7 DR. ALMOND: I think one would also add
8 that unlike the situation in cattle, and of course,
9 we've looked at a lot of cattle of different breeds in
10 the U.K., the number of polymorphisms in cattle is
11 very small. You have the five versus six off the
12 peptide repeat, and that's about it.

13 Whereas, of course, in the sheep there are
14 all sorts of polymorphisms scattered across the
15 different breeds, and it's much more difficult then to
16 interpret scrapie susceptibility.

17 It may be saying something about the co-
18 evolution of TSE in sheep, and therefore, there's been
19 selection pressures on certain prion genotypes in
20 sheep which hasn't existed in cattle. I don't know,
21 but it is more complicated in sheep.

22 CHAIRMAN BROWN: Bob, yours is the last
23 comment.

24 DR. ROHWER: Oh, if I only get one
25 comment, then I'll get off genetics. I want to go

1 back to the question that Dr. Belay asked and make
2 sure that we're absolutely sure on this.

3 It seemed to me that you left open a
4 loophole at the end of your presentation there for
5 importation of animals from BSE affected countries if
6 they met certain standards or something like that, and
7 I'd like it made perfectly clear whether that can
8 happen and whether that provision, if there is a
9 provision, extends to the wider provision that was
10 implemented and that was laid down in 1997 for BSE to
11 all of Europe, et cetera.

12 DR. SUTTON: For imported animals, that
13 restriction applies. These would be imported animals
14 from countries that are not known to be affected with
15 BSE and are not believed to be at risk of BSE, that
16 are not currently excluded, or at least it can better
17 answer that.

18 DR. ROHWER: I'm not sure what distinction
19 you're making.

20 DR. FERGUSON: Okay. Let me clarify a
21 bit. Our initial import restrictions that we started
22 in 1989, we applied to countries that had diagnosed
23 and identified BSE in native animals, and those
24 restrictions were for all ruminants. It was not just
25 cattle. It was for all ruminants, including sheep,

1 goats, cervidae, llamas, whatever. Ruminants from BSE
2 affected countries were not allowed in.

3 Those same restrictions, the end of '97,
4 January of '98, publication of the interim rule, when
5 we extended those restrictions across all of Europe,
6 again, those same restrictions applied. It was all
7 ruminants that could not come in.

8 Now, the comment that Dr. Sutton made in
9 her program about allowing imported animals into the
10 voluntary program, that would come from countries that
11 were not restricted due to BSE, and a big chunk here
12 would be let's take Canada as an example. So, you
13 know, those types of animals could come from that type
14 of a country, and they could go into a flock enrolled
15 in the program only if they came from a flock in that
16 other country enrolled in a similar or equivalent type
17 program.

18 Now, there could be imports going into
19 nonenrolled flocks. That would not be coming from
20 flocks in that other country in a similar type
21 program.

22 Does that help or have I confused things
23 even further?

24 DR. ROHWER: I think that helps, but maybe
25 a better example would be Mexico.

1 DR. FERGUSON: Mexico is a touchy subject,
2 and I was going to get into a little bit of this in my
3 talk. So now hopefully I can leave it out.

4 CHAIRMAN BROWN: I'd prefer you left it in
5 and stop now.

6 DR. FERGUSON: Okay, okay.

7 CHAIRMAN BROWN: As long as it is going to
8 be included.

9 DR. FERGUSON: It will.

10 CHAIRMAN BROWN: So thank you very much,
11 Diane.

12 Our next speaker is Dr. John Honstead, who
13 reminded me that I was remiss in not introducing this
14 Committee to a very distinguished gentleman. I use
15 that word in its literal sense, who for at least three
16 decades was Mr. Scrapie for the USDA, and that's Dr.
17 Jim Hourrigan.

18 Would you stand up, Jim, and let people
19 see who scrapie was all about?

20 (Applause.)

21 CHAIRMAN BROWN: Jim has heard these same
22 questions about 50 times, and the same answers keep
23 coming back. It's very difficult to get precise,
24 happy, satisfactory answers to virtually every
25 question we ask on the topic.

1 John.

2 DR. HONSTEAD: Thank you very much, Paul,
3 and thanks, Jim. I don't know if you ever thought
4 your work would be used by FDA, but it is, and it's
5 still today very useful, and Jim comes to a lot of
6 animal health meetings, and it's great to have him
7 around.

8 I'm John Honstead. I'm from FDA. I'm a
9 veterinarian with the Division of Animal Feeds, and
10 that's the reason we're involved in BSE.

11 I'm going to give you today the briefest
12 summary of the FDA regulation that's ever been given
13 in history. Because it's going to be so brief, I
14 think it's really important that you have our Web
15 site: www.fda.gov/cvm. It's very simple.

16 On that Web site are a lot of support
17 documents for the regulation that we've prepared for
18 industry and for anybody to help explain this and make
19 it understandable and get it fully implemented.

20 Okay. Epidemic curve for the British
21 epidemic and slighted a little bit to the -- I just
22 want to point the other way. I just want to point out
23 here that the rate of decline in Britain has slowed
24 down dramatically. They had originally predicted a
25 decline to insignificant levels in Britain by 2001.

1 This is the British government, and their last report
2 no longer says that. It says that the rate of decline
3 is very slow, and we don't know when it's going to
4 end.

5 So they may have an endemic situation
6 there for quite a while. We don't know.

7 Next.

8 The FDA BSE feed regulation went into --
9 was finalized in June of 1997. It is a mammalian to
10 ruminant ban. Mammalian proteins are prohibited from
11 being fed to ruminants in the United States.

12 It is a protein ban. It only applies to
13 proteins. It does not apply to fats. It does not
14 apply to mineral supplements where there is no protein
15 in them. It's only mammalian proteins. It does not
16 apply to fish products, fish meal or poultry, feather
17 meal and such from non-mammals.

18 And there are five exemptions to this
19 regulation. It does not apply to pure swine and pure
20 equine proteins where these come from a renderer who
21 only does pigs and horses. Now, there is no such
22 renderer for horses, but there are several renderers
23 that do nothing but pigs, and so this protein is
24 acceptable for ruminant feed.

25 Blood, milk, and gelatin and those types

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 of products are exempt, and plate waste, which is food
2 that's been prepared and cooked for human consumption
3 does not get used or it's not eaten that day, and it
4 is reprocessed for usually cattle feed. This material
5 has a very small amount of meat in it. All of the
6 beef in it comes from USDA inspected, healthy, non-CNS
7 animals, and it's reheated in some sort of process for
8 cattle feed, diluted with large amounts of corn to
9 balance the ration.

10 In general, the regulation requires -- I
11 have to say that in the past before this regulation,
12 everything was rendered together in one big process.
13 There was never any reason to suspect that anything in
14 any of the proteins in meat and bone meal was of
15 danger to any animal, and so in 1997, this sounds like
16 a simple change, but this in effect was a humongous
17 change for the feed and cattle industry to accomplish.
18 It's very difficult.

19 . Renderers and feed mills must separate the
20 prohibited materials from non-prohibited materials if
21 they handle both, and they must have a written plan to
22 describe and follow as to how they'll do this.

23 They must label everything that has
24 prohibited proteins in it with the statement "do not
25 feed to cattle or other ruminants." They must keep

1 records sufficient to track the incoming materials and
2 their outgoing materials. They must keep these
3 records for one year, and these records have to be
4 made available to FDA or state inspectors upon request
5 in an investigation.

6 For renderers, and these are the people
7 that take the fresh gunk and cook it into water, fats,
8 oils, and the bottom stuff in the pot is protein, and
9 it makes an excellent animal feed.

10 If they handle both, they can choose to
11 separate or not separate prohibited and nonprohibited
12 materials. If they separate it, again, they have to
13 have a plan. They must clean their equipment between
14 the two, and they must follow this plan.

15 Most renderers are not separating it.
16 It's not efficient for them, and they simply
17 specialize. They either handle prohibited or they
18 handle nonprohibited.

19 They must, again, label it, and this
20 labeling for renderers which generate very large
21 amounts of this protein is usually in the form of
22 statements on the bill of lading, the shipping
23 materials and those sorts of things, as well as
24 placards, possibly on the rail cars themselves, and it
25 would say "do not feed to cattle or other ruminants."

1 Their records would have to be able to
2 trace the incoming materials and the outgoing
3 materials, and they would have to keep these records
4 for one year.

5 Protein blenders, in between the renderers
6 in this country and the people on the farms feeding
7 cattle is a huge system of brokers, feed mills,
8 protein blenders, distributors. Some people only
9 handle this stuff over the phone. Others have trucks
10 and haul it, and it's very complex.

11 Those people involved in that also have
12 the option of separating or not separating it, and
13 many feed mills have simply gone to absolutely no
14 prohibited material in their operation if they're
15 making feeds for a variety of animals including
16 ruminants.

17 They have to label any feed products
18 containing prohibited material with a statement "do
19 not feed to cattle or other ruminants," and this label
20 has to be prominent. If it's a bag of feed and these
21 bags are printed, then it has to be on the bag itself.
22 Many bags just have a feed tag on it. It must be very
23 prominent on that sewn in feed tag.

24 Again, they have to keep records to track
25 it, both incoming and outgoing, and keep those records

1 for one year.

2 People that feed ruminants, and this falls
3 into two large categories, producers that mix feed on
4 their farm and producers that buy a complete feed and
5 don't do any mixing at all.

6 Again, they have to maintain all incoming
7 feed invoices so that they can have proof or
8 identification of whether they're getting prohibited
9 materials. They have to keep these labels, and
10 certainly they have to obey the label, and the paper
11 work has to be made available to FDA and the state
12 feed inspectors on request. They have to keep these
13 for one year.

14 Pet food, you won't find this statement on
15 pet food in the grocery store. Retail pet food going
16 to grocery stores is generally not fed to ruminants at
17 all. It goes to cats, and it's in grocery stores, but
18 as soon as pet food is damaged or unacceptable for use
19 in pets and it gets diverted away from the retail pet
20 food market, it then falls under the regulation and
21 must be labeled.

22 Now all of the other paper work, tracking,
23 and records is maintained for pet food. They do have
24 to keep records. They simply do not have to label the
25 retail package.

1 In conclusion, the long incubation period
2 that we've heard a lot about the last two days, it
3 could lead to undetected amplification of BSE in the
4 United States if we recycled ruminant mammalian
5 proteins back to ruminants. So its intent is to
6 prevent undetected amplification of BSE in U.S.
7 ruminants.

8 It identifies prohibited materials with
9 the label, and it bans the feeding of these prohibited
10 materials to ruminants.

11 There's a tremendous amount of cooperation
12 going on in the United States. Two-thirds of the
13 inspections have been done by state feed inspectors
14 and the data and the results from those have all been
15 sent to our Center for Veterinary Medicine, and this
16 has been a great cooperative effort, and as we've
17 always heard, we need more research in BSE so that we
18 could understand the implications of U.K. BSE and BSE
19 in sheep and all of these things to this country.

20 So sheep materials and cattle materials
21 cannot be recycled back to cattle and sheep in this
22 country through animal feeds.

23 Thank you very much.

24 (Applause.)

25 CHAIRMAN BROWN: Thank you, John.

1 We have time for one or two questions.
2 Stan?

3 DR. PRUSINER: How did you decide on the
4 one year record keeping?

5 DR. HONSTEAD: That is a requirement so
6 that we can find out as we show up at an operation as
7 to whether they're in compliance with a regulation.
8 It is not intended to help us or USDA trace a case of
9 BSE's feeding history.

10 There's a Paper Work Reduction Act now in
11 effect for the entire federal government, and it makes
12 it difficult to impose large amounts of paper work to
13 our customers. One year would suffice for us to see
14 if you're doing -- if the farm is doing its job right.
15 We would have to look at whatever paper work was
16 available in case we were trying to follow up on the
17 feeding history of a case of BSE.

18 DR. PRUSINER: Okay.

19 CHAIRMAN BROWN: Bob.

20 DR. ROHWER: John, we're all very pleased
21 with the implementation of the feed ban, but of
22 course, it's only as good as it's being followed, and
23 how are you going about ascertaining the level of
24 compliance and assuring yourself that it is actually
25 being implemented fully?

1 DR. HONSTEAD: Of course, it's not 100
2 percent implemented. We would love to see that, but
3 it's such a huge change and many, many, many of the
4 animal producers are very small, and what we're doing,
5 every inspection performed fills out a two page set of
6 data, questionnaire. That is sent to CVM. We put it
7 in a database, and from that we have an understanding,
8 but non-random, of course.

9 These BSE inspections are generally
10 performed in conjunction with some other reason to
11 visit the feed mill or farm, and so we have collected
12 this data, and the compliance rates are different for
13 renderers, feed mills, and producers, but they're very
14 encouraging. Almost all of the renderers are doing
15 their job right.

16 And when the rendering material, meat and
17 bone meal is done correct, then it, of course, gives
18 you a chance for maintaining the feed ban throughout
19 the industry, but we have not done randomized
20 sampling. Our inspections are not random. So we
21 don't have nationwide statistical information on
22 compliance.

23 CHAIRMAN BROWN: Yes, last comment, Jeff.
24 Oh, sorry. After Jeff, Dave, and then we'll move on.

25 DR. ALMOND: Okay. Just three very quick

1 comments. The first is I was a little surprised that
2 your plate waste recommendation meant that that
3 material could still be used, the point being there
4 will still be sheep material in that plate waste, and
5 it will include catering waste where you may have
6 essentially the whole of the spinal column of a sheep
7 going back into the rendering industry.

8 If sheep is the source of VSE, then that
9 would allow the possibility of the spark of BSE cases
10 here as it may have done in the U.K.

11 The other thing, of course, is the
12 amplification and just elaboration on that. The back
13 calculation method of Anderson and colleagues suggest
14 that at the time when Gerald Wells made the diagnosis
15 of the first two cases of BSE in the U.K. in December
16 1986, that by then we had 60,000 infected animals. So
17 this disease with that incubation time in cattle of
18 five years, you know, really does amplify before you
19 see it.

20 The final comment I wanted to make was on
21 your indication that the BSE epidemic in the U.K. is
22 not declining. I accept that the latest figures do
23 show a tailing, and it's not absolutely clear why that
24 is, but in the mathematical modeling of Anderson, a
25 tail is expected depending on the relative

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 contribution of the final animals being derived from
2 either maternal transmission or leak, leakiness in the
3 feed ban.

4 Now, the feed ban no longer leaks because
5 we banned meat and bone meal for any animal products.
6 They should never go near the farms as of April 1996.
7 So what's left, new cases should be only maternal, and
8 we were prepared for a tail if a majority of those
9 cases we're seeing now are indeed maternal.

10 The point about it though, as Anderson
11 points out, you would not get -- we are pretty
12 confident or very confident that you will not get
13 endemic BSE in cattle because the R zero value is
14 clearly below one. The R zero value is the number of
15 cases from any one case of BSE that you observe, and
16 the transmission has to be one in order for it not to
17 die out.

18 So even if there is some transmission cow
19 to calf and some transmission animal to animal, it
20 will disappear because the R zero value -- the
21 epidemic we've observed is not -- is not compatible
22 with an R zero value above something like .1. So it
23 really will go away. It may have a long tail, but it
24 will go away. That's our position at the moment.

25 DR. HONSTEAD: Thank you. I appreciate

1 that.

2 I said that the rate of decline was
3 decreasing, but I didn't say there was no decline.
4 There is a decline. It's just slow. Thank you.
5 Thank you for that.

6 CHAIRMAN BROWN: Do you want to respond to
7 the plate waste and then we'll go on?

8 DR. HONSTEAD: This reg is written for
9 country right now without BSE, and the plate waste is,
10 again, from animals with no CNS diseases, and it's a
11 very small amount, and even though heating won't
12 completely, of course, inactivate this, it is our
13 requirements for reheating for animal feed are
14 associated with pelleting machines, which do get it
15 hot, and it just -- this would present, you know, a
16 very, very small risk in a BSE-free country.

17 And I agree with what you say about what
18 they felt like in England when they found their first
19 cases, but the longer this ban's in effect and the
20 longer we are BSE negative, then it's pretty difficult
21 to think that this poses a significant risk.

22 CHAIRMAN BROWN: Dave.

23 DR. BOLTON: Yes. A question regarding --
24 a two-part question regarding compliance. Do you have
25 any idea what the cost differential is to the end user

1 of the two types of feed now?

2 And the second part is: what are the
3 penalties for the end user of being in violation of
4 the use?

5 DR. HONSTEAD: The cost differential, you
6 mean for something like pure pork protein versus mixed
7 prohibited materials?

8 DR. BOLTON: Right.

9 DR. HONSTEAD: I don't have those with me.
10 You can look in the Feedstuffs magazine, and they may
11 have something on line, but they track the prices of
12 this stuff, and it changes a little bit, but it has
13 not been a type of cost that would break an industry
14 or put anybody out of business.

15 It was much smaller than the renderers
16 predicted it would be.

17 DR. BOLTON: It's not sufficient then to
18 induce somebody to risk any penalties that they would
19 incur by using that feed as opposed to using the
20 correct feed?

21 DR. HONSTEAD: I don't think so because
22 there's been a lot of specialization. The hog plants
23 are putting out a very good protein. There are also
24 uses for all prohibited materials. It can be used for
25 hog feed, horse feed, and one of the biggest uses of

1 all these proteins is pet food, and so our reg did not
2 interfere with a great deal of use of these proteins.
3 It just caused them to be separated and identified,
4 and the larger renderers, which is what most rendered
5 product comes from, only a few very large renders;
6 they specialized, and so these markets are being
7 utilized to accomplish the goals of this economically.

8 And there was a change, but it has not
9 been dramatic, and it is working very well right now.

10 DR. DETWILER: John, can I just add that
11 -- it might help answer Dave's question, too -- no,
12 usually the differential is not enough to cause
13 somebody. That would be economic incentive.

14 The other thing, the availability of the
15 soybean protein here in this country, too, gives
16 another big area of a protein source.

17 CHAIRMAN BROWN: Thank you very much,
18 John.

19 We now have our last presentation before
20 lunch from Dr. Lisa Ferguson, Animal and Plant Health
21 Inspection Service of the USDA.

22 Dr. Ferguson.

23 DR. FERGUSON: And bear with me for a
24 moment here while I get the computer up and going
25 again. It worked for Diane. So we're hoping it still

1 works for me.

2 (Pause in proceedings.)

3 DR. DURFOR: Mr. Chairman, could I take
4 this opportunity to ask a question of Dr. Almond?

5 I would find this R value calculation much
6 more persuasive if the same analysis had been applied
7 to scrapie because I wonder if you'll get an R value
8 of greater than one for scrapie because of the way in
9 which the endonicity manifests itself at very low in
10 herd incidences and very long incubation times.

11 DR. ALMOND: I haven't done those
12 calculations, the work of Roy Anderson and his
13 colleagues. So I couldn't precisely tell you whether
14 the comparison with scrapie has been made, but what
15 you do have in BSE is a very, very strong evidence of
16 a falling incidence, and if there are no -- above one,
17 you would not have a falling incidence, and since the
18 beginning of 1993, we have fallen from 4.3 thousand
19 cases a month down to 300 cases a month.

20 So I think there is plenty of evidence
21 there for a negative R value or a less than one R
22 value in the cattle, and I appreciate that we probably
23 don't know what it is in the sheep.

24 DR. ROHWER: I mean, I understand that.
25 I'm very familiar with the epidemic curve, but I just

1 wonder if the same thing wouldn't be true for sheep.
2 For example, after the vaccine, the loping L vaccine
3 incident, there was apparently a bolus of scrapie
4 which then subsided again back into endemic levels
5 after that incident, and how do we know that this
6 isn't going to happen with BSE as well?

7 I understand the calculation. What I'm
8 saying is I think in nature we have an opportunity to
9 test the validity of that observation by looking at
10 scrapie itself and seeing how well that number plays
11 out.

12 DR. ALMOND: I accept, and I'm the wrong
13 person to be answering your question, other than to
14 say Roy has looked at this quite carefully and has
15 concluded that the R value for BSE in cattle really
16 cannot be anything like approaching one, but I do take
17 your point that when you have an epidemic spread
18 around by something else, the decline because of then
19 the removal of that something else may take you back
20 down to a low level which is enough to establish an
21 endemic disease, but I think we just have to wait and
22 see.

23 CHAIRMAN BROWN: Dr. Ferguson is now
24 ready.

25 DR. FERGUSON: Thank you for bearing with

1 me. Sometimes I think these machines are smarter than
2 I am. That doesn't make me feel very good.

3 Anyway, I'm sort of, I guess, the clean-up
4 hitter here this morning, almost this afternoon, and
5 I think my colleagues have covered a lot of good
6 points. I'm just going to cover a few new ones and
7 then recover or rehit some high points especially that
8 were in Dr. Sutton's presentation.

9 What is on the agenda was for me to talk
10 about measures for consideration in assuring scrapie
11 free sources of sheep and goat derived materials,
12 especially from countries where scrapie is present.
13 However, I thought I would take this opportunity to
14 also share some information on surveillance in those
15 countries where scrapie is absent. I thought that
16 might be useful, and then, as has been identified also
17 earlier, kind of how we at USDA look at other
18 countries, especially in regards to scrapie and the
19 import of sheep and sheep genetics.

20 So let's start off. Scrapie free sources,
21 boiled down very simply, you kind of have two options
22 with some other considerations. The two options are
23 a free country and/or a free region, a free zone. You
24 can define your geographic area, or you can have free
25 flocks.

1 So let's start off scrapie free countries.
2 Traditionally, we at USDA have recognized Australia
3 and New Zealand as free of scrapie, actually free of
4 other TSEs also, but for purposes of this
5 presentation, I'm going to focus on scrapie.

6 Other countries have requested
7 recognition. Specifically, South Africa has sent us
8 quite a bit of information. This is currently under
9 review. We haven't reached a final conclusion yet.
10 So I can just kind of give the high points of what
11 they have submitted.

12 There probably will be others in the
13 future. Mexico has already been brought up. That is
14 one that has requested it. We haven't gotten very far
15 with that, but I'll go into that in a bit more detail.

16 So let's start off with our colleagues
17 down under. What are they doing and how have we
18 assured ourselves that they are free of scrapie?

19 First of all, Australia. To start with,
20 they have a very strong veterinary infrastructure. We
21 have faith in the fact that they do have solid
22 veterinary services both from a federal and a state
23 standpoint, and that they have adequate resources at
24 a diagnostic level and also as a regulatory authority
25 to diagnose scrapie and to control it if it did show

1 up.

2 They have very stringent import controls
3 not only just for scrapie, but for all other diseases.
4 Since they're an island continent, they've been able
5 to maintain a very high animal health status by virtue
6 of their stringent controls.

7 They have identified scrapie, and 1952 was
8 the only occurrence. This was in Suffolks that had
9 been imported from the U.K. I believe they were
10 imported in 1950, and they diagnosed the disease in
11 1952. The animals were still under quarantine. They
12 were not on an offshore quarantine. They were in
13 Victoria, but they were still under APHIS' control.

14 They slaughtered all of the affected and
15 in contact animals and have not really had a problem
16 since.

17 Scrapie is a notifiable disease. All
18 nervous system disorders are investigated, brains
19 examined. They are doing surveillance. Since 1990
20 they have looked at greater than 2,400 brains. I
21 don't have an exact figure, but that at least will
22 give you an idea.

23 And how does that relate to their
24 population? Australia has a lot of sheep, 120 million
25 sheep, but one significant fact. A vast majority of

1 those are the Merino breed. That's a wool breed, not
2 really high prevalence for scrapie.

3 Okay. Let's move over there across the
4 Tasman Sea and talk about New Zealand. Again, New
5 Zealand also has a very strong veterinary
6 infrastructure. They also have stringent import
7 controls.

8 They, however, have had two incidents of
9 scrapie. Similar the first time as in Australia, 1952
10 and 1954. Again, these were Suffolks that were
11 imported from the U.K. They initially had identified
12 scrapie in 1952 and slaughtered those affected
13 animals. However, in 1954, there were some contact
14 herds, and some of those initial imports had moved
15 around. So they diagnosed the disease again in 1954.

16 And they slaughtered all of those affected
17 herds and contacts and did not have problems again
18 until in the 1970s. They decided they would again
19 some imports from the U.K., and these animals were
20 still in the offshore quarantine.

21 Both Australia and New Zealand
22 traditionally are using the offshore quarantines for
23 their live animal imports.

24 And these were different breeds. In 1976,
25 the first one was an East Friesian sheep, and in 1977

1 then it was a Finnish Landrace. These both were from
2 the U.K., but different breeds.

3 All of those imports then were slaughtered
4 and were never released off the quarantine.

5 Again, scrapie is notifiable in New
6 Zealand. All nervous system disorders are officially
7 investigated. They also are doing fairly active
8 surveillance, greater than 1,100 brains sine 1990, and
9 this figure here, greater than 325 since 1994, that
10 just breaks it down a bit more for you.

11 How many sheep do they have? They also
12 have quite a few, 50 million sheep, but they have
13 various breeds. It's not the high preponderance of
14 the Merinos as in Australia.

15 Countries under review. South Africa has
16 requested that we recognize their status in relation
17 to scrapie, and our review has been ongoing for a
18 while. They have diagnosed scrapie, in 1966, and they
19 did. a very stringent eradication program and
20 eradicated the disease in 1972.

21 Sine that time, they've had an active --
22 well, an ongoing both active and passive surveillance
23 program. They have looked at many, many sheep brains.
24 So initially our review is fairly favorable.

25 We do have some outstanding questions. So

1 that's not completed totally yet.

2 And this next bullet, "can expect others
3 in the future," let me kind of side track here into
4 some of the questions about our North American
5 partners.

6 Mexico, as I stated, has requested that we
7 recognize them free of scrapie. We really haven't
8 started that review. We're very unsure of the amount
9 of information that they have provided us.

10 We're also a little bit leery of the fact
11 that we send hundreds of thousands of culled ewes to
12 Mexico every year for slaughter, but we know some of
13 those animals are diverted into Mexico. So it's a bit
14 hard to at least at face value take the fact that
15 Mexico is claiming they're free of scrapie when we
16 know that we send them large numbers of animals, and
17 we have scrapie here in the U.S.

18 So that is a concern of ours, but that
19 will be under review in Mexico's status.

20 Canada's status essentially is similar to
21 ours, and at this point in time, they do have a
22 scrapie control program. I believe people probably
23 have seen some of the press reports. In Quebec they
24 really had a significant scrapie problem in Quebec
25 over the past year or so and have slaughtered quite a

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 few sheep.

2 They also are in the midst of developing
3 a voluntary certification program similar to ours. I
4 wasn't able to confirm if they've actually finished
5 that and have gotten it started yet, but their
6 industry tends to kind of run along the same lines as
7 ours, and I know that the two industries like to
8 coordinate things just because we do have more truly
9 North American market. There's a lot of movement back
10 and forth.

11 Let me try and clarify some of our import
12 regs I think at this point would be a good spot for
13 that. Traditionally in relation just to scrapie, we
14 have not accepted sheep and goats from countries other
15 than those countries that we defined free of scrapie,
16 i.e., Australia and New Zealand, or countries that we
17 considered had an equivalent surveillance system,
18 i.e., Canada, just because that's a large volume of
19 trade.

20 So traditionally that's where most of the
21 live sheep imports have come from, have been those
22 three countries.

23 Now, there was a brief window of time in
24 the mid-'80s where we decided, okay, let's broaden
25 this out. We had a lot of demand for additional

1 genetics, and we decided, okay, we would allow either
2 genetics, i.e., semen and embryos, or in certain cases
3 some live animals from countries that, again, either
4 were free, and these would be mostly European
5 countries, or countries that could demonstrate to us
6 that they had an adequate surveillance program, and
7 that they could justifiably say, okay, these are
8 certified free flocks.

9 And we did import quite a few embryos and
10 semen. We also imported some live animals from
11 continental Europe, not in significant numbers though.

12 So let's get back specifically to Mexico.
13 We have not brought in live animals from Mexico with
14 one exception every year. Annually we allow in about
15 5,000 kid goats and lambs essentially for certain
16 ethnic purposes, I guess, for lack of a better word,
17 anyway, folks that like the barbecued kid goat,
18 Cabrito. So we allow those animals in. However,
19 they're immediately slaughtered and are not going in
20 for breeding purposes.

21 Other animals from Mexico traditionally
22 have not been allowed in because we have not
23 recognized them free of scrapie.

24 So hopefully that has clarified a bit of
25 some of the confusion earlier. Now, let me get into

1 some points about scrapie free flocks. What could we
2 do for flocks in the U.S. to assure that sheep and
3 goat materials would be scrapie free?

4 . - Again, we do have the voluntary flock
5 certification program. We administer that program.
6 We believe in that program, and we think that if a
7 flock has achieved certified status, that that could
8 be a very low risk of scrapie. Certified status means
9 they have participated in the program for at least
10 five years and have had no known problems for that
11 period of time.

12 If we wanted to look at flocks in other
13 countries, you could apply those same standards and
14 say that a scrapie free flock would be one that has
15 achieved an equivalent status in another country.

16 However, you could also do additional
17 monitoring, and this is where I'm getting into a bit
18 of a repeat of what Dr. Sutton had presented.
19 Additional monitoring for certified free flocks could
20 include that you're required to examine tissues from
21 all dead animals over 18 months. If a ewe over 18
22 months dies, you have to look at the brain. You have
23 to examine that brain, look at tonsils, lymph nodes,
24 whichever other tissues you so chose.

25 Another option could also be to include

1 genetically susceptible animals as sentinels. Now, I
2 realize we've already had a detailed discussion about
3 genetics. There's a lot unknown about genetics, but
4 there is some that is known, and I think if you had
5 known susceptible animals and you put those in a
6 flock, they could serve very well as sentinels, and if
7 you had a problem that was not actually showing up in
8 the flock, if you had known genetically susceptible
9 animals, especially those with a known shorter
10 incubation period, if the agent was there, it would be
11 more likely to show up in those animals. So that
12 could give you an additional assurance factor.

13 Live animal tests. I believe Dr. Sutton
14 adequately covered those. Those might be a future
15 possibility for other options, and also donor animal
16 testing at slaughter. I'm not extremely familiar with
17 the tissues that are used and exactly what we are
18 talking about here, how they are obtained. However,
19 if they were obtained at slaughter and if you could
20 hold those tissues or hold that carcass while you did
21 some testing, this could be another additional option,
22 or you slaughter the animal and you pull out brain,
23 tonsils, lymph nodes, whichever, do the testing for
24 PrP on those tissues, and once you got negative
25 testing on those tissues, then you could release the

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 carcass, release any of the other materials that would
2 be used.

3 That, however, does lead to some
4 consternation. As discussed, again, earlier in the
5 abattoir surveys, what do you do if you have an animal
6 that is positive on some tests and negative on others?
7 I guess my best recommendation there -- and you all
8 can kick this around further as I'm sure you will --
9 would be to go for the better safe than sorry school,
10 and if there's any positive, then you would cancel out
11 that animal.

12 Other considerations. I'll just hit
13 these. We don't need to go into any further detail.

14 Closed versus open flocks. If you have a
15 closed flock, you've got a more defined population,
16 more control, and that would most likely be a better
17 source.

18 Genetics. I won't even go into that one
19 again.

20 History. You need to know have they been
21 exposed to scrapie, possibly exposed to scrapie, even
22 remotely exposed to scrapie.

23 And again, feeding practices. At this
24 point in time we do have a feed ban in effect, but
25 that's only been in effect for a year or so. What

1 about earlier, prior to that?

2 Now, I started off with our colleagues
3 down under and mentioned the fact that they had very
4 stringent import controls. They have imported sheep
5 and goats and/or genetics in the past decade or so.
6 However, they've done this under very strict
7 conditions, and this is sort of a summary of those
8 conditions.

9 I am putting this in because I thought
10 this might be useful as a demonstration. Initially I
11 thought it was a bit hard to wrap my head around this
12 problem, to say, okay, well, this is an import
13 solution to prevent scrapie from coming into a scrapie
14 free country.

15 However, if you look at it another way, it
16 could be similar to one point actually that Dr. Asher
17 had mentioned initially, that this could be a way to
18 establish from genetics a known free flock from known
19 free progenitors. This is essentially what Australia
20 and New Zealand were doing with these programs, and
21 they called them their scrapie freedom assurance
22 programs.

23 First of all, it started off with a
24 quarantine isolation. You had a defined population
25 that was going into a very strictly controlled

1 situation. Usually this was off shore, but if you're
2 looking at this in more generic terms, that could be
3 anywhere as long as you have defined isolation.

4 They introduce sentinel animals in there,
5 both sheep and goats.

6 New Zealand, especially, never released
7 the actual import animals. They only released the
8 germ plasm from those animals. So they had an embryo
9 transfer barrier essentially, but they collected both
10 embryos and semen. Australia did the same thing,
11 collected embryos and semen from these animals, froze
12 those, and saved them until the end of the release.

13 And each of these quarantines were --
14 initially they were at least five years. In
15 Australia's case they've extended that out to seven
16 years in one instance. New Zealand has now backed off
17 of the five years and is going with three years, but
18 it is an extended quarantine time.

19 Now, significantly they did bioassays, and
20 it varied in each import and also from each country,
21 exactly what they were using. New Zealand
22 traditionally used mesenteric lymph nodes, and they
23 would pull those nodes from the imported animals
24 themselves. They injected them intracerebrally and I
25 believe also intraperitoneally into some of the

1 sentinel sheep and goats.

2 They have also in Australia -- they also
3 collected placentas, and they did pooled uterine
4 washings. As they were collecting embryos, they would
5 use those flush fluids and, again, would inject those
6 into the sentinel sheep and goats. Then they would
7 examine the sentinel sheep and goats.

8 There were examinations also of all of the
9 imported animals, any that might have died during the
10 quarantine, and then they usually were never released
11 off of the quarantine, but those animals themselves
12 were also examined, each and every one of them, and
13 all of the sentinels were examined.

14 So that is a very stringent program, but
15 that is an example of one very tight program that
16 could be used.

17 Other considerations, final points. In
18 the information that has been sent out, it's been
19 referenced that there are no OIE guidelines for
20 scrapie as there are for BSE. However, I thought it
21 would make the point that this chapter on scrapie is
22 in development and has progressed fairly far in the
23 process. It was up for comment again this year, which
24 means it will at least be another year down the line.
25 The earliest it could be adopted would then be next

1 May. However, it might even be beyond that.

2 But it is in development. It's looking
3 better, and in this new chapter there will be
4 guidelines for defining free zones, for establishing
5 free flocks, and it also will include minimal
6 requirements for effective surveillance and
7 monitoring. So that will be a tool that we can use in
8 the future.

9 And I believe that that is all that I had
10 to cover this morning.

11 (Applause.)

12 CHAIRMAN BROWN: Thank you, Dr. Ferguson.

13 Is there a question or two before we break
14 for lunch? Bob?

15 DR. ROHWER: I really would, with the
16 Chairman's permission, like to badger you to find out
17 exactly how much exposure we have had from imported
18 animals. It sounds to me like we did import live
19 animals from Europe or maybe even the U.K. in the mid-
20 '80s for breeding purposes; is that correct?

21 DR. FERGUSON: We did not import live
22 sheep from the U.K. in the mid-'80s. We did import
23 cattle in the mid-'80s. All of those animals, I think
24 -- you guys are well aware of that, but we did not
25 import sheep.

1 Linda will help me out here as I screw up.
2 We have some animals from Belgium. We currently know
3 where those animals are and are dealing with that
4 situation.

5 We brought in other -- live animals, there
6 were not that many. Semen and embryos was more
7 significant.

8 DR. ROHWER: So we've also imported
9 animals from Europe since 1989 is what you're saying?

10 DR. DETWILER: Let me.

11 DR. FERGUSON: Yes.

12 DR. DETWILER: I can give you the whole
13 rundown since this is my nemesis for the last two
14 years here.

15 We imported 65 East Friesian and Textel
16 Charolais from Belgium/Netherlands. They came in
17 under the flock certification program. Then they
18 announced all of the information on the BSE in sheep
19 and goats. In that small window of opportunity after
20 they came in, they actually came into three different
21 flocks in the country. Two were in Vermont. One was
22 in New York.

23 As soon as we were made aware of this
24 really potential and the possibility of the feeding of
25 the meat and bone meal, the sheep were quarantined.

1 None of the imported animals were entered into the
2 human or the animal food chain.

3 Since that time we have attempted to buy
4 the animals. We have gotten the ones in New York. We
5 have not gotten the ones in Vermont. Even their
6 progeny and their subsequent progeny are all under
7 quarantine. They've been offered money. Basically
8 nothing can move off the farm, even to slaughter.

9 If they want to go and cull, go to
10 slaughter, we buy them. Tissues get collected, and
11 the carcasses get incinerated. The same thing happens
12 if something dies.

13 So we're in this pattern of trying to do
14 something with them.

15 We've offered something similar with the
16 germ plasm, that they would collect germ plasm. We'd,
17 you know, slaughter all of the imported animals or all
18 of the live animals, run all the tests on them, and if
19 everything was clean, then release the germ plasm. So
20 that's been offered as well.

21 DR. FERGUSON: But I think let me try and
22 clarify one more time. Probably where the confusion
23 is coming in is initially in '89 our restrictions
24 applied, restrictions on ruminants applied to
25 countries that had identified BSE in native animals.

1 Okay? The U.K., France, blah, blah, blah, that list.

2 So those other countries that had not
3 identified BSE in native animals at that time, let's
4 say, in 1992, we could have allowed live ruminants in
5 from those countries. Now then you add our scrapie
6 controls kind of on top of that, and until a certain
7 period of time, we were not accepting live sheep
8 except from those countries that I described in here.

9 Then there was a brief window, like '95-
10 '96, where we changed that policy, and we did allow
11 some of those sheep in, and that's where we allowed
12 the group in from Belgium. At that time Belgium had
13 not diagnosed BSE in native animals. So they were
14 clear on the BSE front, and then we looked at the
15 scrapie issue and said, "Okay. They've got a
16 surveillance certification program." They were clear
17 on that issue.

18 Does that help?

19 CHAIRMAN BROWN: It's nice to know that
20 Yankee farmers are so stubborn.

21 (Laughter.)

22 CHAIRMAN BROWN: It would be disappointing
23 if you had any other result.

24 Are there any other questions?

25 (No response.)

1 CHAIRMAN BROWN: In that case, we will
2 break for lunch. It is now 12:15. We'll reconvene in
3 one hour, 1:15.

4 (Whereupon, at 12:16 p.m., the meeting was
5 recessed for lunch, to reconvene at 1:15 p.m., the
6 same day.)
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

A F T E R N O O N S E S S I O N

(1:18 p.m.)

1
2
3 CHAIRMAN BROWN: Can the Committee please
4 resume their positions at the front table? Again,
5 will the Committee please be seated? We are going to
6 commence the afternoon session.

7 And the first order of business on the
8 afternoon session is to conduct an open public
9 hearing, as we did yesterday. Dr. Freas has informed
10 me that unlike yesterday, there are no advanced
11 scheduled presentations from the audience or the
12 public, but I, again, as yesterday, ask if there is
13 anyone in the audience not on the Committee that at
14 this point wishes to make any statement whatsoever.
15 This is the time to do it.

16 (No response.)

17 CHAIRMAN BROWN: Seeing none, we will
18 proceed directly to the Committee charge and
19 presentation of questions presented by Dr. Hellman,
20 Center for Diseases and Radiological Health in the
21 FDA.

22 And it would behoove the Committee to pay
23 attention closely to these questions which are
24 slightly different than what you have in front of you.

25 Dr. Hellman.

1 DR. HELLMAN: Thank you very much, Dr.
2 Brown.

3 And it's Center for Devices.

4 CHAIRMAN BROWN: Oh, what did I say?

5 DR. HELLMAN: Diseases. We're concerned
6 about diseases as well as devices, but it -- no
7 problem. It's what you had for lunch.

8 (Laughter.)

9 DR. HELLMAN: Thank you very much, Dr.
10 Brown and members of the Committee, ladies and
11 gentlemen.

12 I'd first like to thank all of the
13 speakers this morning for uniformly excellent
14 presentations. I did not know much about scrapie in
15 sheep when I walked into the room. I don't know an
16 awful lot now, but I know quite a bit. Thank you for
17 that.

18 This morning Dr. Asher described the risk
19 to humans from TSEs of animal origin. The concerns of
20 transmitting TSEs through regulated products and the
21 regulations -- the next overhead. Thank you -- and
22 the regulations, policies, and practices that are in
23 place to protect humans from exposure to animal TSEs.

24 Much of the concern of the regulatory
25 agencies, as we've all heard, has focused on

1 protection from the TSE agent of cows, that is, BSE
2 because of the putative etiological relationship
3 between BSE and new variant CJD in humans.

4 While the risk to humans from scrapie, a
5 TSE of sheep, and goats pastured with infected sheep
6 is theoretical, there are certain uncertainties
7 regarding this theoretical risk that raise concerns
8 regarding the use of sheep and goat derived material
9 in regulated products.

10 Dr. Asher indicated that such materials
11 are used in FDA regulated implantable and injectable
12 products either as components of the final product or
13 as manufacturing process reagents. The FDA recognizes
14 the importance of evolving regulatory approaches as we
15 gain new scientific and clinical information in order
16 to assure the safety of the products that we regulate,
17 and that's why we've brought this issue before you
18 today.

19 Since the FDA has not articulated specific
20 criteria for assurance that sheep and goat derived
21 materials are free of the scrapie and BSE agents when
22 derived from animals originating from or residing in
23 countries where one or both diseases still occur, we
24 convened the Committee in this open public forum to
25 aid us in its evaluation of the use of goat and sheep

1 derived materials in implantable and injectable
2 medical products relative to the risk of TSE
3 transmission.

4 This afternoon then I would like to
5 present the charges -- there are two -- and the
6 questions, of which there are two, developed by the
7 FDA planning group to the TSE Advisory Committee.

8 I want to stress that the Committee is a
9 very important resource and a vehicle for discussing
10 the latest scientific information on TSEs and the
11 potential risk of TSE transmission for the products
12 that we regulate.

13 The Committee's first charge is to
14 consider whether the current policies of the FDA's
15 which rely on import restrictions and other policies
16 of the USDA's are adequate to protect humans and
17 animals from potential exposure to the BSE agent in
18 FDA regulated products containing or produced with
19 materials derived from sheep and goats originating in
20 BSE countries or if additional precautions are needed.

21 The Committee's second charge is to
22 consider appropriate precautions, including sourcing
23 and selection of animals, veterinary scrutiny,
24 monitoring of animals, feeding practices, and other
25 measures that might be adequate to assure the FDA that

1 materials obtained from sheep and goats from the U.S.
2 or from other countries where scrapie occurs are free
3 of the scrapie agent and can be used safely in FDA
4 regulated products intended for injection or
5 implantation.

6 In considering their charges, there are
7 two questions that we would like the Committee to
8 address, and we ask that the members of the Committee
9 be polled on these questions.

10 Question 1: After considering the
11 possible risk and benefits the TSE Advisory Committee
12 is asked to advise the FDA whether any changes in
13 current practices are needed, and this is a change in
14 the language as it is in the overhead to make it a bit
15 clearer for the Committee, and I reiterate, whether
16 any changes in current practices are needed to insure
17 that sheep and goats originating from or residing in
18 countries where BSE occurs would provide acceptable
19 sources of materials for manufacture of FDA regulated
20 products intended for injection or implantation both
21 as components of the products and as manufacturing
22 process reagents.

23 Note that sourcing some materials from BSE
24 countries would constitute a relaxation in precautions
25 recommended by the FDA and might be prohibited by

1 regulations of the USDA.

2 Question 2: After considering possible
3 risks and benefits, the TSE Advisory Committee is
4 asked whether any safeguards are needed, and this is
5 a change in the language for clarity; again, whether
6 any safeguards are needed to ensure that sheep and
7 goats originating from or residing in countries where
8 scrapie occurs are scrapie free and acceptable sources
9 of materials for manufacture of FDA regulated products
10 intended for injection or implantation both as
11 components of the products and as manufacturing
12 process reagents.

13 If so, what safeguards would you
14 recommend? And this is for discussion only. The
15 Committee will not be polled. Well, we've asked the
16 Committee not to be polled on the different
17 recommendations that they might consider. This is
18 just for discussion purposes only.

19 To aid in your deliberations and
20 discussions this afternoon, the Committee might
21 consider certain points that were discussed in the
22 topics covered this morning by our invited speakers.
23 In addressing Question 1, you heard about the
24 potential risk of introducing the BSE agent into sheep
25 and goats in Europe from Professor Almond. Bear in

1 mind that sheep most likely were fed contaminated meat
2 and bone meal in the U.K.

3 This information might be considered in
4 determining whether and under what provisions or
5 safeguards sheep and goats from BSE countries would be
6 acceptable sources of materials for FDA implanted and
7 injectable products for human use.

8 In addressing Question 2, you heard a
9 great deal of information about scrapie, ranging from
10 experimental tissue infectivity studies in sheep and
11 goats and the potential for human and animal exposures
12 to scrapie and other TSE agents in the U.S. from Dr.
13 Sutton of the USDA, to FDA regulations for ruminant
14 feed, our feed ban, from Dr. Honstead, and measures
15 for consideration in assuring scrapie free sources of
16 sheep and goat derived materials from countries with
17 scrapie by Dr. Ferguson of the USDA.

18 Among others, measures that might be
19 considered might include appropriate precautions
20 regarding animal sourcing and selection, veterinary
21 scrutiny and monitoring of animals, and feeding
22 practices, and both Drs. Asher and Ferguson suggested
23 certain specific measures.

24 They were quite similar, and I would draw
25 your attention to the last slide that Dr. Ferguson

1 showed, and that contains the elements of the
2 Australia import scrapie freedom assurance program,
3 and whether some of those considerations could be used
4 to establish a scrapie free program in areas or
5 countries, regions that currently have scrapie, and
6 these are quarantine, sentinel animals, germ plasm
7 collection, bioassay, that is, the mesenteric lymph
8 nodes, placenta, and pooled uterine washings, and
9 examination of the brain of all infected animals and
10 sentinels.

11 In closing, I would like to mention that
12 in addition to addressing the questions posed, the TSE
13 Advisory Committee should feel free to offer any other
14 recommendations or suggestions on this issue, and to
15 encourage open discussion, we welcome public comments
16 on this issue, as well.

17 Thank you.

18 CHAIRMAN BROWN: Thank you very much, Dr.
19 Hellman.

20 To reemphasize what we are asked to do now
21 is to provide the FDA with a yes or a no vote with
22 respect to whether or not any changes in current
23 policy are needed for Question 1 and for Question 2.
24 These will be the only two votes the panel will be
25 asked to do.

1 With respect to the kinds of changes that
2 are recommended, if they are, that will be a matter
3 for discussion only. Unlike yesterday, there will not
4 ~~be~~ polls taken of each individual member about what
5 they suggest and the effort to arrive at some sort of
6 consensus about what they might be.

7 We're doing a yes and no vote on whether
8 any changes are needed. All discussion after that
9 will be just discussion.

10 And having been stonewalled yesterday from
11 trying to conclude any business without discussion, I
12 will now open these two -- well, Question 1 for
13 discussion, and also, feel free, members of the
14 Committee, to ask of any of the speakers today, if
15 they're all still here, additional information about
16 any points that were not answered.

17 Yes.

18 DR. ROHWER: Can I begin by just asking
19 for a clarification? These questions are both couched
20 in terms of changes of existing policy, and from what
21 I heard today in terms of FDA policy, the only policy
22 that bears on this is the feed ban. All the other
23 policies are USDA policies.

24 And is there something I'm missing here?

25 CHAIRMAN BROWN: I think probably not.

1 Will you say yea or nay?

2 That is correct. The FDA, aside from the
3 feed ban policy, feed ban regulation, has no current
4 ~~strictures~~.

5 DR. HELLMAN: Yes. We have no consistent
6 FDA policy in place with regard to sheep and goat
7 derived material. That's why we brought it to the
8 Committee. We're relying on the policies of the USDA
9 at this time.

10 DR. DETWILER: Just one further --

11 CHAIRMAN BROWN: Linda.

12 DR. DETWILER: -- clarification. So is
13 there anything in your BSE thing that -- so it's
14 totally exempt, sheep and goat; is that correct? I
15 just want to make sure.

16 DR. HELLMAN: The only one is the letter
17 to the manufacturers of dietary supplements that Dr.
18 Asher mentioned this morning. That did specify ovine.
19 All the other letters specified bovine only.

20 CHAIRMAN BROWN: That just reminds me to
21 say for the public record I would love the FDA to
22 convene this Committee at some date before my
23 relinquishing the Chair to consider the whole matter
24 of herbals and nutritional supplements.

25 DR. HELLMAN: That would be interesting.

1 CHAIRMAN BROWN: Yes.

2 DR. HELLMAN: I would welcome that. I
3 don't know about others.

4 CHAIRMAN BROWN: Okay. That was an aside.
5 Yes.

6 DR. CLIVER: Please stay up there.

7 DR. FREAS: Do you want to just sit down
8 here, Dr. Hellman?

9 DR. CLIVER: Or somewhere.

10 DR. HELLMAN: Fine.

11 DR. CLIVER: Whatever is comfortable, but
12 running back and forth is going to -- okay. My
13 question was going to try and achieve some perspective
14 on this.

15 To achieve a year's supply of, say, the
16 largest volume product that's on this list, how many
17 animals have to die, and what is the probable shelf
18 life of the materials?

19 DR. HELLMAN: I don't really think we can
20 answer that. I don't know how many animals are used
21 to manufacture sutures, for example, and I have no
22 clear idea of what the shelf life of sutures would be,
23 but I would imagine it would be fairly long.

24 DR. CLIVER: Okay. My point is are we
25 looking at a situation where we couldn't grow enough

1 animals in Australia and New Zealand or couldn't
2 produce the product somewhere and stockpile it for a
3 long period. Are we running out of something
4 urgently?

5 Is there anything that would drive us to
6 try and develop an absolutely scrapie free animal
7 population in the United States as sources of these,
8 given that we could probably get five years ahead of
9 ourselves and then do all of the quality assurance we
10 wanted to insure that nothing that had to do with TSE
11 was in those products before they were released for
12 use?

13 These are things we have to think about.

14 DR. ASHER: Yes, all of the products for
15 injectable and implantable use appear to be relatively
16 limited in their use, and I suppose a legitimate
17 solution that could be suggested would simply be to
18 accept that the United States will be contaminated
19 with scrapie forever, and that all animals must be
20 considered suspect at all times.

21 It seems to me that there are less extreme
22 solutions to the issue that might be considered.

23 CHAIRMAN BROWN: One of the products that
24 would not have a long shelf life, I guess, I think you
25 showed vascular grafts. That would be at least one

1 product that couldn't be stored --

2 DR. HELLMAN: Yes.

3 CHAIRMAN BROWN: -- for any length of
4 time, but I don't know of any others.

5 DR. CLIVER: They don't freeze them or
6 anything?

7 CHAIRMAN BROWN: I don't think grafting is
8 something -- not vascular grafts, but that's just one
9 of many, many products.

10 DR. DETWILER: A comment on that, versus
11 that drastic. I just want to point out that there are
12 a number of companies in the country that have gone to
13 great lengths to create these scrapie free flocks, and
14 without naming them, I mean, they've imported animals
15 from Australia and New Zealand and put them into the
16 program, monitor, monitor the deaths.

17 So if you went that drastic, okay, test
18 everything that dies; if you went that drastic, you
19 would preclude or exclude these companies that have
20 spent a lot of money to assure that they have scrapie
21 free flocks in the country, and I think that would be
22 unduly harsh for the ones that have taken that means.

23 The other point that I'd like to make, and
24 I know Dr. Ferguson showed a slide about Australia and
25 New Zealand and a lot of brains they've looked at.

1 However, you know, we've been criticized in the U.S.
2 for cattle. We looked at 7,000 out of, you know, an
3 adult population of 40 million. They're less than
4 about 2,000, okay?

5 Again, you're doing -- some of that is
6 random source, but flocks that are monitored under
7 such stringent things that everything that dies get a
8 necropsy is in my mind scientifically more. You've
9 got them more under scrutiny than from countries that
10 you're random sourcing, although Australia and New
11 Zealand, I don't want to say that they do.

12 There have been questions about even their
13 earlier seed stock that came in because some of that
14 did come from, you know, countries of Europe.

15 CHAIRMAN BROWN: What Linda is saying, in
16 a word, is if the Committee suggests draconian
17 measures, they might be so extreme as to completely
18 undermine the flock certification program in this
19 country, which is a point to think about.

20 Stan?

21 DR. PRUSINER: I would like to elaborate
22 on what Linda said. I think she's absolutely right.
23 I'm more comfortable with doing surveillance and doing
24 assays and really understanding what's going on with
25 a limited number of sheep in the United States than I

1 am with believing that Australia and New Zealand are
2 scrapie free.

3 I want to go on record and say I don't
4 believe it. I've never believed it, and I still don't
5 believe, and I don't believe that you can take 100
6 million sheep or 50 million sheep and tell me that
7 these animals are free of scrapie.

8 This comes back to my little spat with
9 David Asher about --

10 (Laughter.)

11 DR. PRUSINER: No, I'm serious. I'm
12 coming back to this because it's a very important
13 point.

14 You can believe, as David believes, that
15 all these diseases happen by exogenous infection or
16 you can believe as I believe that there are these
17 sporadic cases of CJD that we see in the United
18 States, represent the spontaneous conversion of PrP-c
19 into PRP-scrapie or a somatic mutation, and I would
20 argue that happens in sheep all the time.

21 And I would argue that for whatever
22 reasons, whatever the culture is in New Zealand among
23 sheep farmers than it is in Australia, we're not
24 seeing cases of scrapie as they appear.

25 And so I'm much more comfortable having

1 well monitored flocks of a limited size and
2 determining that these animals to the best of our
3 methods that are available at any given point in time
4 are free of scrapie than I am with believing that just
5 because the stuff comes from New Zealand or Australia
6 that it's better.

7 CHAIRMAN BROWN: Yes, to introduce a
8 slight modification from the chair, I think that
9 that's a decent point, to be more comfortable with a
10 heavily surveyed flock. I would think that if scrapie
11 were existing endemically strictly as spontaneous
12 conversion disease, that it would not be expected for
13 flocks which are scrapie free within a year or two
14 suddenly to come down with scrapie affected sheep
15 after the introduction of a scrapie infected sheep
16 into the flock.

17 That smells like horizontal transmission
18 to me.

19 DR. PRUSINER: No, I don't mean to say
20 that there isn't horizontal transmission. I believe
21 in horizontal transmission once a case starts, but I'm
22 just saying that there are spontaneous cases that
23 begin that way, and then the infectious mode takes
24 over, and for reasons we don't understand at all,
25 scrapie is a much more infectious disease, a much more

1 infectious prion disease than CJD is among humans.

2 CHAIRMAN BROWN: Okay.

3 DR. PRUSINER: I'm in agreement with you.

4 CHAIRMAN BROWN: Yes. How would we
5 explain the fact that there just isn't any recognized
6 reported clinical scrapie in Australia? A monstrous
7 conspiracy?

8 DR. PRUSINER: I think what happens is
9 that in countries where there has been scrapie, a
10 spare amount of it, you see this horizontal
11 transmission going on, and the spontaneous cases
12 represent stochastic processes where it's a relatively
13 infrequent event, and if it's happening anywhere like
14 the number with people, okay, at one per million in
15 older people, age 60, age 70, many of these sheep are
16 going to be slaughtered before that, if we ever see
17 it.

18 So these are relatively infrequent events,
19 but that doesn't mean that there aren't sheep that are
20 harboring prions for much of their life and we just
21 don't see the disease.

22 CHAIRMAN BROWN: Yes. Yes, go ahead,
23 Dean.

24 DR. CLIVER: Well, this is what I was
25 hoping wouldn't happen to the discussion because what

1 it essentially says is that even though maybe we're
2 only dealing with a few sheep here, there's no such
3 thing as a scrapie free flock no matter what you've
4 done up to the point where you derive this material,
5 and for expediency's sake, I wish we wouldn't get off
6 on that because what I asked was simply to define are
7 we talking about a few hundred sheep, a few hundred
8 thousand sheep or how many sheep a year do we have to
9 procure that are as scrapie free as we can possibly
10 guarantee them to be to be able to meet this demand,
11 and I kind of think that's where we ought to be going
12 with this discussion rather than the possibility that
13 somehow or other out there in the outback in Australia
14 there's a scrapie sheep that's being eaten by dingoes
15 or something like that and will never be detected.

16 CHAIRMAN BROWN: But with a view towards
17 identifying a source or sources for the safest
18 possible product. I mean that, I think is where
19 you're -- no, no, no. I know you're not going to
20 dictate the terms of safety, but the point of the
21 question, to find out what numbers of source animals
22 would be necessary to satisfy a supply, a need, that
23 question implies that, therefore, you would like to
24 kind of focus the sourcing in a way that would make
25 you most comfortable as to its safety rather than just

1 have a kind of open door policy; is that correct?

2 DR. CLIVER: Yes. All I'm thinking is the
3 degree of rigor that can be applied depends to some
4 extent, one, on how many sheep are we talking about
5 and, two, can we prepare the product well in advance
6 to cushion ourselves against sudden surges in demand
7 and also to allow plenty of time for quality assurance
8 testing before any lot is released.

9 CHAIRMAN BROWN: Yes.

10 DR. CLIVER: This is the way FDA operates.

11 CHAIRMAN BROWN: And FDA cannot now
12 furnish those numbers. So we're going to have to at
13 least take our votes without the numbers.

14 Bob.

15 DR. ROHWER: First, I'll begin with Dr.
16 Cliver's point, and that is that it depends a lot on
17 what you're talking about. There may be very high
18 exposure parenteral products or devices that are
19 developed that are used on a very small scale such
20 that the sourcing needs can be met by a closed flock,
21 and I believe that it is possible to create closed
22 flocks or herds of animals that are very, very safe.

23 But, on the other hand, when you have
24 something that's made in bulk, you may have to go to
25 the bulk slaughter in order to get enough of it to

1 satisfy the mass quantities that are required for your
2 particular market, in which case people may have to go
3 offshore to places like Australia and New Zealand to
4 get something that meets a higher standard on a higher
5 scale.

6 I agree with Stan. I'm not convinced
7 either that surveillance is good enough in Australia
8 and New Zealand to know that they've never had it or
9 it's not happening at some low rate there, but it's
10 clearly better than it is here.

11 Finally though, in terms of the
12 certification program which is what I really wanted to
13 address my remarks to, I think that's a fine effort on
14 the part of the USDA to try to prevent the spread of
15 scrapie in this country and perhaps I would hope that
16 ultimately their goal was to eliminate scrapie by this
17 program.

18 I know that the stated intention earlier
19 when it was first formulated was that there'd be
20 enough economic incentive for joining the program that
21 it would force people out perhaps who were operating
22 at a lower standard, and eventually it would evolve
23 that the entire sheep husbandry program would be
24 brought into this certification program and we'd get
25 rid of scrapie that way.

S A G CORP.

202/797-2525

Washington, D.C.

Fax: 202/797-2525

1 At two percent, I don't think we're even
2 close or maybe even moving in that direction, but the
3 other thing about the scrapie program as formulated by
4 the USDA is that it's directed towards food safety and
5 not parenteral uses of the products from these
6 animals, and personally I think that the FDA should
7 have their own standards and should develop their own
8 standards for sheep for products that are going to be
9 used parenterally in human beings and for human health
10 from these animals, and I think they have to meet an
11 even higher standards, and I would think that the
12 minimum standard would be a standard more in line with
13 the types of quarantine and isolation that the
14 Australians and the New Zealands are employing in
15 their programs to protect their countries from the
16 import of scrapie.

17 And those same types of programs could be
18 instituted here for developing closed herds with very
19 high level of security starting perhaps with imported
20 stock from New Zealand and Australia as a beginning.

21 CHAIRMAN BROWN: Peter.

22 DR. LURIE: I guess I'm still left with a
23 number of questions about the devices themselves,
24 which are really the issue here. How commonly are
25 they used? What are the alternatives to them?

1 Some companies evidently are able to
2 source these implantable and injectable products from
3 non-BSE countries, setting aside scrapie for a moment.
4 I feel like we're missing a lot of information about
5 the production of these things. Can you fill me in at
6 all?

7 DR. HELLMAN: Well, I can speak about the
8 sutures and the vascular grafts to some extent. I'll
9 start with the vascular grafts.

10 Both bovine and sheep collagen are used
11 for vascular grafts. We have one product. With
12 regard to the sutures, there are 14 manufacturers of
13 cat gut sutures, but I understand that there are other
14 materials that were used as suture material in
15 addition to the "cat gut" sutures.

16 I can't tell you how widespread the usage
17 is compared to the other forms.

18 With regard to the biologicals I believe
19 David can speculate about that. I don't believe
20 either of us have too much information about the
21 drugs.

22 DR. ASHER: Yes, these are all limited,
23 very limited use products, and the issue at least at
24 the moment would not be shortage of supply offshore.
25 What we had hoped to get was some idea about policies

1 that would make it possible to consider safe sourcing
2 from any country, of course, but particularly from the
3 USA.

4 - But could these all be sourced at the
5 moment from Australia and New Zealand? Quite
6 possibly.

7 CHAIRMAN BROWN: Bruce, you had your
8 question?

9 DR. EWENSTEIN: Yes. I just wanted to
10 bring the Committee back to the kinds of components
11 that were mentioned at the very beginning of the day,
12 and these include components that are active
13 ingredients in drugs or biologics directly versus
14 those that are used in the manufacturing process.

15 Now, I mean, I'm not sure how much of a
16 distinction we should make, but it seems that the
17 material that's used for affinity chromatography in
18 the manufacturing process would be of less risk if one
19 had to draw a line somewhere than those that are going
20 to be directly injected into patients.

21 CHAIRMAN BROWN: Dave.

22 DR. ASHER: Yes, and there's a precedent
23 for that view in this Committee, which looked at the
24 use of human blood derivatives as manufacturing
25 process reagents and felt less concerned about the use

1 for manufacturing than when the same ingredients were
2 used as excipients or active ingredients.

3 CHAIRMAN BROWN: Ermias.

4 DR. BELAY: I'm not absolutely clear about
5 the current practice. Where is this product sourced
6 from? Are they all coming from within the country or
7 are they imported from other countries?

8 And if they are imported, where are we
9 importing them from?

10 DR. HELLMAN: You're asking about the
11 suppliers of the materials that are used in the
12 manufactured products. I can't tell you who the
13 suppliers are. If I had to venture a guess, I would
14 say that it could probably be sourced from the U.S. or
15 it could possibly be that Australia and New Zealand,
16 if we went to a scrapie free source, could supply it
17 as well.

18 But I just cannot give you any details
19 about the suppliers.

20 CHAIRMAN BROWN: Yes, in defense of the
21 non-information coming from the FDA, if you don't have
22 any regulations, there's no point in knowing where
23 things are coming from. So it may be that we will not
24 be getting answers to many of the questions that are
25 occurring.

1 Raymond.

2 DR. ROOS: Just talking about sheep and
3 scrapie, it is true that we have no data that suggests
4 that scrapie can be transmitted into humans and to
5 prion disease.

6 Nevertheless, I think it would clearly be
7 valuable from a public health point of view to have
8 scrapie free reagents for, for example, gut as well as
9 vascular grafts, if we could achieve that.

10 We're told that Australia and New Zealand
11 have very low incidence of scrapie. On the other
12 hand, I do agree with Linda. I think it may be at
13 this point we should promote and encourage scrapie
14 free flocks here.

15 So I'm wondering whether one couldn't make
16 regulations encouraging at least initially in use of
17 certified flocks in the United States that are scrapie
18 free or scrapie free countries or other countries that
19 have a certification program like ours do with respect
20 as -- for their use for products, such as gut and
21 vascular grafts.

22 With respect to bovine derived products
23 for the gut and vascular grafts and so forth, it seems
24 to me we kind of addressed this at a previous --

25 CHAIRMAN BROWN: No, this isn't the

1 question.

2 DR. ROOS: Okay. I get a little confused.

3 CHAIRMAN BROWN: No, it's scrapie. It's
4 sheep and goat derived.

5 DR. ROOS: Okay. Sheep and goats.

6 CHAIRMAN BROWN: Sheep and goat derived
7 products either from countries that have BSE or
8 countries that don't.

9 DR. ROOS: Well, what I'm saying is that
10 it seems to me that we should promote the
11 certification program here and allow use of material
12 from these certified flocks, as well as other
13 countries that have comparable certifications or
14 scrapie free counties.

15 CHAIRMAN BROWN: Yes, and that's a good
16 point. We've not obliged to say, "Here are nine
17 possibilities. We pick number three." What you just
18 said, it's not an either/or. It's, yes, we might want
19 to recommend sources for these materials from animals
20 that are as likely or which are likely to be scrapie
21 free in one way or another, whether it's certified or
22 whatever. I mean that would be a kind of a position
23 that we would suggest that the FDA take, that they
24 take care to get scrapie free sources.

25 DR. CLIVER: In that connection, I think

1 that another item of policy is that the source of the
2 raw material be determined. We're being told now that
3 that's not currently done, and so if you're going to
4 stipulate, then you've got to have some kind of a
5 reporting stipulation built in as well so that when a
6 lot is set up for approval that you know where the raw
7 material came from.

8 Additionally, it seemed as if from what we
9 were just discussing, that maybe the most ephemeral
10 product was this vascular transplant thing, and then
11 I think I heard something about reconstituted
12 collagen, and that sounds rather like what they do to
13 make sausage casings these days, which suggests to me
14 that maybe the shelf life of these isn't that bad
15 either.

16 DR. DETWILER: One comment.

17 CHAIRMAN BROWN: Oh, Kiki, did you want to
18 respond to that?

19 DR. HELLMAN: Yes. I just wanted to say
20 that devices can be regulated under the 510(k) process
21 or the pre-market approval process. Oftentimes for
22 products that are through the 510(k) process, we do
23 not necessarily know the suppliers or the source
24 because it's not necessarily required. For those
25 under the PMA process, which would be vascular grafts,

1 we would know the supplier.

2 So it depends on the provisions of the
3 regulations as to how much information we can require
4 of the manufacturer. However, is a decision is made
5 that even for sutures it's desirable to know the
6 source supplier, then there may be ways that we could
7 work with that.

8 CHAIRMAN BROWN: Yes, Dave.

9 DR. ASHER: Yes, for biologicals the
10 source has to be known. When I was -- the point I was
11 making is that there was no formal policy concerning
12 the source.

13 So, for example, recently the sponsors of
14 a product found it convenient to satisfy concerns
15 about safety by sourcing from Australia or New Zealand
16 rather than bothering to try and figure out what to do
17 in the United States.

18 It was for that reason that we thought it
19 would be useful to consider criteria that would assure
20 a safe source in some way other than going to a
21 putative scrapie free country.

22 CHAIRMAN BROWN: Linda?

23 DR. DETWILER: One of the things I'd point
24 out is that currently the USDA prohibition on the
25 importation of most ruminant products would prevent a

1 lot of these from coming in, especially in like the
2 casing form type because that's specifically in the
3 regs

4 Where we get into problems, if it wasn't
5 labeled as such that somebody would recognize it as
6 ruminant material, and then there's also cases where
7 it would come under special permit, and most of that
8 is for some scientific or research purpose.

9 DR. HELLMAN: May I? We just did receive
10 some more detailed information about the drugs, and
11 the approved drugs have sheep wool from New Zealand.
12 There are two of them. Of the investigational drugs,
13 we're considering only injectables and implantables.
14 One sources is from Argentina. Two sources, from
15 Europe, nonspecified which country.

16 CHAIRMAN BROWN: You have a runner going
17 back and forth to home base hot off the press?

18 DR. HELLMAN: Carol Vincent just handed me
19 this.

20 CHAIRMAN BROWN: Okay. Peter.

21 DR. LURIE: Kiki, if I can just rephrase
22 what you said, what I think I heard you say is that
23 there are medical devices being implanted in people in
24 this country and you don't know what the source of it
25 is in some cases?

1 DR. HELLMAN: I did not say that.

2 DR. LURIE: Can you correct me then?

3 DR. HELLMAN: What I said was that there
4 are two regulatory modes, if you will. There are
5 products that are 510(k) products, which means that
6 they're rendered substantially equivalent to products
7 that were approved for marketing before the medical
8 device amendments came into practice.

9 Then there are products that are
10 considered that both safety and efficacy must be shown
11 and that you must have clinical data, and those are
12 pre-market approval.

13 And for those types of applications, one
14 certainly knows the supplier. For the others, since
15 they are substantially equivalent to something that
16 was marketed before the amendments came into effect,
17 we do not always know the supplier, and it's up to the
18 reviewer to find out from the supplier if it is a
19 particularly sensitive product or it is made with
20 particularly sensitive materials.

21 As, for example, when we had the BSE
22 problem, we inventoried all of the products in the
23 center with regard to their animal tissue of origin,
24 and we then recommended, many times reviewers calling
25 individual manufacturers, recommended that they

1 consider sourcing from suppliers that did not have
2 cases of BSE if, indeed, they were sourcing from a
3 supplier that was using cows from a BSE country.

4 So in the latter case, we definitely know
5 the supplier. In the former case, the 510(k), we
6 don't always know the supplier. If there is a
7 problem, there are ways that we can find out.

8 DR. LURIE: Okay. That's my point.

9 DR. HELLMAN: Does that clarify it?

10 DR. LURIE: Yes. That's not inconsistent
11 with what I said. There are some devices in the
12 510(k) process for which you might not learn, perhaps
13 because you choose not to, what the supplier was or
14 from what country they were sourcing.

15 DR. HELLMAN: Certainly if there is a
16 question with an adventitious agent that may be
17 potentially infectious for the recipient, we take
18 measures to assure ourselves of the supplier and the
19 source material.

20 CHAIRMAN BROWN: Let me try something
21 here, and that is this. For a little bit, could we
22 refocus on just any missing information that would
23 require -- that you would require before making a yes
24 or no vote on these two questions rather than a
25 discussion of what kinds of -- what kinds of different

1 sourcing, you know, the details?

2 If there is anything that you would like
3 to know in order to be able to say yes or no to the
4 two questions, let's ask those questions and then take
5 a vote, and then we can have lots of discussion about
6 anything at all.

7 Bob.

8 DR. ROHWER: I would like to make a point
9 before I make a vote because I think it would
10 influence the vote. So this is not a request for
11 information. It's just my opinion of vulnerabilities
12 that I see in the scrapie flock certification program,
13 which I would want to see rectified before those
14 animals were considered closed enough and safe enough
15 for the sourcing of parenteral devices or drugs.

16 And the main vulnerability I see in that
17 program is the opportunity to introduce scrapie via
18 new animals and new breeding stock, and I think that
19 should be, for drug and parenteral use, it should be
20 closed off. It should be genetics can be introduced
21 by embryos and semen only, but you don't introduce new
22 animals into a flock like that once you have it
23 established.

24 And the reason I say that is that there's
25 just too much history of scrapie showing up in strange

1 places like pastures where scrapie has been before but
2 scrapie free flocks have been put on those pastures.
3 It's very, very hard to get rid of this.

4 The idea of introducing susceptible
5 sentinels for monitoring scrapie also bothers me a lot
6 because it seems to me like that's just asking for it.
7 There's the opportunity to introduce an animal that's
8 got scrapie without knowing it.

9 The other things that need to be
10 addressed, of course, are feed. It should be
11 specified that only vegetable feeds are allowed for a
12 flock that's going to be producing medical material.

13 Another point is isolation. These animals
14 should be isolated from all other contacts with sheep
15 and probably bovidae and cervids.

16 And those are the three main
17 vulnerabilities I see in the program right now.

18 CHAIRMAN BROWN: And these are details
19 about -- I don't think --

20 DR. ROHWER: Well, they're details --

21 CHAIRMAN BROWN: -- they'll influence the
22 yes or no. What you're saying is it's a good idea.

23 DR. ROHWER: No, it influences my vote
24 because what I -- because I think these things are
25 needed, I have to say, no, I don't think -- well, I

1 can't remember if this is a negative.

2 I think that the FDA does need to set
3 their own standards.

4 CHAIRMAN BROWN: Okay.

5 DR. ROHWER: They shouldn't ride on what
6 they've got.

7 CHAIRMAN BROWN: Right, and that would be
8 a yes.

9 DR. ROHWER: A yes. Right, okay.

10 CHAIRMAN BROWN: I may try and deceive you
11 later depending on, you know, how --

12 (Laughter.)

13 CHAIRMAN BROWN: Larry.

14 DR. SCHONBERGER: I just want to clarify
15 again. The current safeguards, do they prevent the
16 sutures, the vascular grafts from coming from sheep or
17 goats in BSE countries because of the ban that
18 currently exists?

19 I thought I heard that you were saying,
20 Linda, that it may not even be recognized as a
21 ruminant product possibly or could get miss --

22 CHAIRMAN BROWN: Yes, Linda. What does
23 the USDA allow in within the context of these
24 questions?

25 DR. DETWILER: Actually --

1 CHAIRMAN BROWN: Or maybe I should say
2 what do they exclude.

3 DR. DETWILER: Yes. Actually I'm going to
4 kick that to my import-export colleague over there.

5 CHAIRMAN BROWN: Okay.

6 DR. DETWILER: Because she would have the
7 real specifics.

8 CHAIRMAN BROWN: Ultimately we're going to
9 come down to deciding because the FDA is our host what
10 we recommend to the FDA, and what the USDA does is, in
11 fact, irrelevant to what we're going to recommend. It
12 really is, but it would be very nice to know what the
13 USDA does not allow presently.

14 DR. FERGUSON: Okay. I think it might be
15 easier for me to say what we will allow in, and that's
16 as the reg is written, and as the reg is currently
17 written, we have exemptions. Essentially it is saying
18 no ruminant or ruminant products from all of Europe,
19 and the exemptions are what we have determined, and
20 they are widely accepted not to be a risk.

21 CHAIRMAN BROWN: And those were the ones
22 that John showed?

23 DR. FERGUSON: Those are --

24 CHAIRMAN BROWN: Gelatin, milk, blood.

25 No?

1 DR. FERGUSON: No.

2 CHAIRMAN BROWN: All right.

3 DR. FERGUSON: That's their -- some of
4 them are the same, but some of them are not.

5 CHAIRMAN BROWN: Okay. What are yours?

6 DR. FERGUSON: Milk and milk products,
7 hides and skins, semen, tallow, and then certain blood
8 products used in microbiological media, you know,
9 where you've got -- it's essentially a processing
10 agent, and it's not coming into direct contact.

11 CHAIRMAN BROWN: Are any of these products
12 under the purview of the FDA? That is, you've
13 described a number of products or a number of items,
14 materials, some of which may not be relevant to an FDA
15 guidance.

16 DR. FERGUSON: Well, I think where a lot
17 of the confusion comes in, and I think this is what
18 Linda was referring to, is how these products are
19 manifested when they come. You know, it's in a
20 container or pallet that's arriving at the port, and
21 our inspectors, many of them are very good, and they
22 know how to flag these things on a manifest or on a
23 declaration as a ruminant product, but some stuff they
24 very well might not know, and that would be some of
25 these more derived antibodies, that type of stuff that

1 might be a bit iffy.

2 Now, we think we're getting most of them,
3 but that's where the lines cross, and where it gets
4 confused.

5 CHAIRMAN BROWN: That is just one more
6 layer of confusion. I mean if I'm -- that's not
7 exactly what I asked, but now if you're talking about
8 incompetent inspectors who look at a pellet when it
9 comes in the port, and don't know what it is, good
10 Lord, huh?

11 DR. DETWILER: No, Paul, wait. I've got
12 to correct that because it's a pallet, and like say a
13 drug comes in, okay? A manufactured drug comes in at
14 the port, and it doesn't specify that it contains
15 sheep or goat material. It's labeled as whatever the
16 name of the drug is.

17 Well, no way, unless you had some kind of
18 ingredient, would they know that that's how it was
19 manufactured, and that's where we can have it where
20 they have are reg that approves that drug with this
21 sheep or goat material in there, and theoretically our
22 reg should keep it out, but there's no way to know
23 that's what was in it.

24 Does that clarify it more?

25 CHAIRMAN BROWN: Well, it clarifies it to

1 the extent that I'm a little more uncertain about
2 import screens, shall we say? I mean if a pallet is
3 coming in and it contains -- I mean, and let's say
4 it's -- I don't know -- it's labeled what, albumin,
5 without specifying that it's albumin from a cow that
6 died with a neurological disease in England. No, that
7 would concern me a great deal.

8 DR. HELLMAN: If I may.

9 CHAIRMAN BROWN: Kiki.

10 DR. HELLMAN: Of the items that Lisa
11 tallied off, the ones that would find themselves in
12 FDA regulated products would be tallow derivatives and
13 those for microbiological media, if they were for in
14 vivo use. And when we had our Advisory Committee and
15 we discussed tallow derivatives --

16 CHAIRMAN BROWN: Yes, Yes.

17 DR. HELLMAN: -- if you recall, we
18 considered that with the processing that the tallow
19 would have to go through, we needn't worry, quote,
20 unquote, about tallow derivatives.

21 CHAIRMAN BROWN: Yes, Yes.

22 DR. HELLMAN: So it's the microbiological
23 media if it finds itself into an in vivo biological.

24 CHAIRMAN BROWN: Right. So, I mean, this
25 is beginning to clarify things. Of the list that you

1 gave us, tallow, which the Committee has already
2 considered and the FDA has our recommendations on it,
3 and the other one was microbiologicals did you say or
4 biologicals? What?

5 DR. HELLMAN: Elements for microbiological
6 media.

7 CHAIRMAN BROWN: Microbiologicals.

8 DR. HELLMAN: And if -- if -- if the
9 microbiological media is used to manufacture
10 biologicals for in vivo use, then that would be a
11 consideration. So from that list, that's probably the
12 only one of concern.

13 CHAIRMAN BROWN: Right, and so the
14 microbiologicals could come in on a pallet rather than
15 a pellet and not be specified as to source, that is to
16 say, coming from a ruminant?

17 DR. DETWILER: Because Carol Vincent just
18 told me that one of the things is doxirubicin.

19 CHAIRMAN BROWN: Yes.

20 DR. DETWILER: And that would be one of
21 the examples that that's how it would come in, labeled
22 "doxirubicin."

23 CHAIRMAN BROWN: Okay. Without
24 specification as to source?

25 DR. DETWILER: Well, I don't know with FDA

1 -- with labeling, but that's possible, yes, for us.

2 CHAIRMAN BROWN: Is that right?
3 Doxorubicin is a wool derivative?

4 DR. ASHER: Yes. It contains a component
5 that's noted to be extracted from wool, and we were
6 less concerned about wool than we were with things
7 like tissues.

8 CHAIRMAN BROWN: Okay. Other comments?
9 Ray. Oh, excuse me. Why don't you go ahead?

10 DR. FERGUSON: Yes. Let me just add a
11 couple of additional clarifications. One that Larry
12 asked me here kind of on the side and just to clarify,
13 casings and collagen, that type of stuff is restricted
14 under our current regs You know, it is prohibited.

15 Then also the point where you were using
16 the example if something came in and it's labeled as
17 albumin. That would be flagged for our inspectors,
18 and somebody would be asking, you know, "Well, what is
19 this? Is it bovine serum albumin?" They would be
20 querying for further questions, you know, and a
21 determination would be made.

22 So those types of things probably would be
23 caught. It's the finished product, doxorubicin,
24 coming in, you know, in a container that would be an
25 issue.

1 CHAIRMAN BROWN: Again, further questions
2 that will allow us to make yes or no votes on the
3 issue of whether we want current practices, we
4 recommend current practices either be left intact, and
5 the current practices essentially are carte blanche.
6 That is, there are no regulations, or whether we would
7 recommend that some kind of oversight regulations be
8 instituted?

9 Bob.

10 DR. ROHWER: A clarification. So if
11 something like doxorubicin is imported and it goes
12 into an FDA regulated product, the manufacturer
13 nevertheless has to identify the source of the
14 doxorubicin to the FDA, do they not? So you will
15 know.

16 DR. ASHER: Yes. It's from New Zealand.

17 CHAIRMAN BROWN: Ray?

18 DR. ROOS: Yes. I hate to beat this to
19 death, but so just getting back to gut sutures and
20 vascular grafts, could those be received from BSE
21 countries, sheep derived, and escape our scrutiny at
22 the moment?

23 DR. FERGUSON: No, they really couldn't.
24 Those are controlled under ours. We have informed our
25 inspectors of specific things like that. So, no,