

1 agency's consumers, if you will.

2 Two of the four have been under for 18 months. I
3 mean we are curious about progress. When you listed the
4 issue of initiatives that can be reviewed, records and
5 reports and recalls were items 11 and 12 out of a 15-item
6 list. We are down quite a ways, and yet the whole algorithm
7 really talked about this morning really requires going in
8 and rapidly reviewing records and being able to--you know,
9 the ability to generate a fast GMP review requires a good
10 database, if you will, and Dr. Tabor was very clear about
11 trying to bring people up to the speed where that is
12 attainable, but it really highlights that tracking and
13 records, which would seem to be rather basic management
14 functions in a large-scale manufacturing process, need to be
15 highlighted and need to be focused on.

16 It is easy to give reports on how that is going to
17 public groups, even if it is to the BPAC in closed session.
18 That hasn't been done. You heard Corey Dubin, our
19 president, talk at some length, as a sitting member of this
20 committee, about the need to FOIA, file Freedom of
21 Information Act requests in order to see these consent
22 decrees on the industry, you know, of which he is a consumer
23 of the product, and, you know, having seen them, further
24 questions get generated.

25 What about the reports that are required? Have

1 the consultants been hired? Are the reports coming in
2 satisfactorily? What, if not? What is the sanction for a
3 fractionator that is not in compliance with a consent
4 decree, is the judge monitoring, is the judge educated to
5 monitor, or it only an FDA process?

6 At what level in the FDA is a decision made about
7 compliance with a consent decree that is ongoing? When you
8 have Red Cross for six years, and it is one-half of the
9 whole blood collection, how is it going?

10 I read newspaper articles about their new computer
11 system, but what is the official report on the progress of
12 the consent decree? I guess one thing you could say is are
13 these permanent or are they to be expected? Would we be
14 more likely to expect the other two of the four
15 fractionators to go under consent decrees or for these two
16 to come out?

17 I am not trying to be glib, I am just trying to
18 say what is the hoped for status and what is the trend line
19 in terms of attaining it, and I think a little bit more
20 public disclosure would really be helpful in helping us see
21 clearly the answers.

22 Thank you.

23 DR. HOLLINGER: Thank you, David.

24 Does someone from the FDA want to respond? Is
25 there a public disclosure of what is happening with these

1 consent decrees and reports produced that is available?

2 MR. MASIELLO: In most of the consent decrees,
3 there are--let me rephrase that--certainly in the blood
4 consent decrees, there were time frames for reports, and
5 there generally ended up being lots of communication on
6 issues, but if the reports that are required are not
7 satisfactorily completed, then, that would elevate the issue
8 perhaps back to the court.

9 The question came up what is the recourse if
10 people don't follow the consent decree. I am not a lawyer,
11 but I can tell you that contempt would be one of the things
12 that you would back to the court with, probably civil
13 contempt initially, and it went beyond that, you might
14 actually go to a criminal contempt action.

15 So, these reports--I guess there is an element
16 there that you raise that is a good point, and that is, how
17 can we communicate to you that these issues are being
18 resolved, and it is something for us to take back and give
19 some thought to how we can do that, because I think it is a
20 good idea.

21 As we speak now, when an element in a consent
22 decree is met by the firm, that information is not shared
23 with the public directly. It may be available under FOI,
24 but there are no affirmative statements that I am aware of
25 that the FDA takes to explain that, and that is something we

1 can look into.

2 DR. HOLLINGER: Thank you.

3 Yes, Dr. Koerper.

4 DR. KOERPER: I have another question for you.

5 Maybe you could explain to some of us who don't quite
6 understand this. Consent decrees, are they time-limited or
7 are they open-ended? Do they end after a certain lapse of
8 time or do they end after certain specified requirements are
9 met?

10 MR. MASIELLO: Yes, almost any of those can be the
11 case. There are several kinds of injunctions. There are
12 prohibitory injunctions where a firm might actually be
13 closed down until all the corrections are made, and they are
14 not allowed to open and ship products again until they are
15 absolutely 100 percent.

16 Then, there are mandatory injunctions, which is
17 pretty much the biologics area where you really can't afford
18 to do that, because you are doing more harm by removing all
19 the product from the market than closing them down.

20 So, you have established goals that they have to
21 meet and you have time frames for that. Most of the current
22 consent decrees have a sunset provision, I think of four or
23 five years. That is not to say that in four or five years
24 they are necessarily over. They are not over, Yogi, until
25 they are over.

1 The Red Cross consent decree had a sunset
2 provision of five years. As someone mentioned, it is in
3 year six, and we are not at that point yet.

4 DR. HOLLINGER: Any other comments in regard to
5 this particular item? Ms. Knowles.

6 MS. KNOWLES: I am wondering if it might be
7 possible for this gentleman to maybe give us more
8 information at a future meeting relating to the other issues
9 surrounding the other injunctions for other blood
10 facilities, just in terms of numbers, how many are still in
11 that process, et cetera.

12 DR. HOLLINGER: Okay.

13 I am going to close the open public hearing and
14 just to see at this point if there is anything in regards to
15 the committee discussion and comments. Does anybody have an
16 issues that have not been dealt with?

17 Okay. I think we are going to move on to the next
18 item, which is supply and demand of plasma derivatives, a
19 most important issue. Dr. Weinstein is going to give us an
20 introduction and background to this issue.

21 **III. Supply and Demand of Plasma Derivatives**

22 **Introduction and Background**

23 **Mark Weinstein, Ph.D.**

24 [Slide.]

25 DR. WEINSTEIN: Better ways are needed to estimate

1 the demand for plasma derivative products. The need for
2 this assessment has come about because of the shortage of
3 certain plasma derivatives, particularly IGIV, and our
4 difficulty in determining whether our efforts to alleviate
5 shortages are working.

6 An accurate estimate of demand can also help FDA
7 to prioritize activities to reduce shortages or to devote
8 energies to other projects. The major objective of this
9 session is to examine ways that demand can be estimated from
10 the perspectives of manufacturers, marketing research expert
11 distributors, and the patient community.

12 By way of background, severe shortages of plasma
13 derivatives, particularly IGIV, began in 1997. Sporadic
14 reports of IGIV shortages were received early in 1997, and
15 FDA addressed requests for information from patients and
16 physicians about product availability primarily by calling
17 manufacturers to find out how much material they had in
18 inventory and informing the requester about potential
19 sources of product.

20 By November of 1997, however, it became clear that
21 the availability of IGIV to patients was severely limited.
22 In that month, FDA received hundreds of telephone calls
23 about difficulties obtaining sufficient supplies of IGIV.
24 The phone calls were from many different sources including
25 individual patients and patient groups, physicians,

1 distributors, and major treatment centers.

2 FDA inquiries to manufacturers, large
3 distributors, and group purchasing organizations revealed
4 that nationwide there was little product in inventory or
5 available on the market.

6 Major reasons for the shortage included decreased
7 production related to achieving compliance with good
8 manufacturing practices throughout the plasma fractionation
9 industry, withdrawals because of CJD, insufficient
10 manufacturing capacity, and an increase in demand for
11 products both for FDA-approved and for off-label uses.

12 It is agency policy to attempt to prevent or
13 alleviate shortages of medically necessary products as best
14 we can given our legal authorities. We are committed to
15 assisting and marketing and making sure there is an adequate
16 supply available of product meeting high quality standards.

17 Each FDA center has a drug shortage officer who is
18 responsible for investigating shortage reports to determine
19 the extent and urgency of the reported shortage. The
20 centers evaluate potential drug and biologics shortage
21 problems, assess the potential public health impact, and
22 propose steps to resolve such shortage issue.

23 FDA's primary means of identifying whether or not
24 the shortage actually exists is to monitor and number and
25 persistence of inquiries from consumers, manufacturers, and

1 distributors.

2 Another potential aid in estimating whether demand
3 is being met is to determine whether the distribution of
4 product is changing or remaining the same. Data about
5 product distribution in the United States is supplied to the
6 FDA on a monthly basis by manufacturers as part of the
7 reporting requirement regulations found in the CFR.

8 Although these data do not give us real-time
9 information or an accurate assessment of product
10 availability in the marketplace, the data do provide
11 information about whether the amount of product is tending
12 to increase, decrease, or remain the same.

13 The next few slides will give you an idea of the
14 nature of this data and its limitations in giving us a sense
15 of whether sufficient supplies are available to meet demand.

16 [Slide.]

17 The data presented here show IGIV distribution
18 data on a company-by-company and month-by-month basis in
19 1998. Each box represents the distribution of a single
20 manufacturer. Although actual distribution figures for
21 individual companies have been modified to preserve
22 confidentiality, the overall trends in aggregate monthly
23 totals are correct as represented.

24 The monthly distribution pattern varied widely
25 depending on the manufacturer.

1 Some manufacturers of IGIV maintain a fairly
2 steady state of distribution throughout the year, and you
3 can see that in this white box, while others had reduced or
4 had no distribution for a portion of the year, for example,
5 this manufacturer stopped producing or releasing product at
6 this point in time.

7 Other manufacturers tended to have a relatively
8 low level of production during a portion of the year and
9 then increased distribution considerably.

10 Therefore, the inability to meet demand for a
11 particular manufacturer's product may not be reflected in
12 the aggregate data for a total distribution of a given
13 product class.

14 Reports of a shortage may reflect the inability of
15 a customer to get a particular product brand. The shortage
16 of a specific product brand may have effects beyond being
17 merely a inconvenience, but have medical consequences, such
18 as allergic reactions to alternative products.

19 Answering the question of whether demand is being
20 met must take into account the degree to which product
21 brands can be interchanged, as well as whether the product
22 class overall is in short supply.

23 [Slide.]

24 Aggregate distribution data for factor VIII is
25 presented in this slide. In contrast to IGIV distribution

1 which varied greatly month by month, the distribution of
2 factor VIII varied relatively little except for some spikes
3 as you see here.

4 The distribution pathway for these two products
5 are also very different. IGIV is distributed mainly to
6 hospitals, while factor VIII is distributed through home
7 care companies and hemophilia treatment centers, thus, ways
8 of assessing supply and demand and acquiring information
9 about product distribution outside of the manufacturers'
10 control are highly product-specific, and any sentinel system
11 to monitor these products must be done on a case-by-case
12 basis.

13 I have made estimates of demand for IGIV and
14 factor VIII by extrapolating data from years when there was
15 an adequate supply of product. Here you see an example of
16 this estimate of projected demand.

17 For IGIV, I took the distribution data from 1996
18 and compounded that by an estimated annual growth rate of 10
19 percent. For factor VIII, I have taken the distribution
20 data from 1997 and multiplied that by 6 percent, the average
21 annual growth in distribution from the previous four years.

22 From these estimates, the shortfall in IGIV
23 distribution was 25 to 30 percent for 1998, while
24 distribution should have met demand for factor VIII. Yet,
25 in fact, representatives of the factor VIII patient

1 community reported to the PHS Advisory Committee on Blood
2 Safety and Availability in August 1998 that factor VIII was
3 in short supply even though there was virtually no change in
4 the overall aggregate distribution.

5 [Slide.]

6 At present, the demand for IGIV appears to be
7 lessening somewhat based on the reduced number of requests
8 that FDA has received for information about product
9 availability even though the distribution data shows
10 relatively little overall change, that is, they are still
11 well below this projected level of demand.

12 This may be the result of a feedback effect of
13 supply on demand whereby the high cost and past
14 unavailability of IG reduced off-label use and inhibited the
15 development of protocols for new uses.

16 Thus, in one sense and by one measure, demand has
17 been reduced, but this clearly does not mean that the desire
18 for IGIV has been met or that the health needs of the
19 American public are being adequately addressed.

20 Exploring how demand should be measured is one of
21 the reasons for placing this topic on the BPAC agenda.
22 Also, the PHS Advisory Committee on Blood Safety and
23 Availability directed its staff to develop options to be
24 presented to the Blood Safety director for the creation of a
25 sentinel system to monitor production, demand, and

1 utilization of good products, and to create projections for
2 future demand. Our efforts at this meeting will help to
3 examine the feasibility of creating such a sentinel system.

4 Dennis Jackman and Ron Demarines, representatives
5 from the International Plasma Products Industry Association,
6 and Georgetown Economic Services, respectively, will speak
7 about legal restraints that manufacturers have in sharing
8 information about supply and demand publicly, as well as
9 ways that they can assist us in addressing the problem of
10 estimating demand.

11 Patrick Robert from the Marketing Research Bureau
12 will talk about methods he uses to estimate short and long
13 term demand, particularly of IGIV.

14 Patrick Schmidt of FFF Enterprises, an
15 organization that manages the distribution of plasma
16 derivatives, and Allan Dunehew from Premier Purchasing
17 Partners, a group purchasing organization, will present
18 their perspectives on the demand for plasma derivatives and
19 potential indicators of demand.

20 Finally, Patrick Collins and Tom Moran,
21 representatives from the patient user community, will talk
22 about product shortages particularly the differences between
23 needs and wants, and the ways the user community may help us
24 estimate demand.

25 Are there any questions?

1 [No response.]

2 **Perspective on Supply and Demand of Plasma Products**

3 **Dennis Jackman**

4 MR. JACKMAN: Good afternoon, everyone. My name
5 is Dennis Jackman. I talked earlier.

6 [Slide.]

7 I am from IPPIA, International Plasma Products
8 Industry Association, and our members include Alpha
9 Therapeutics, Bayer, Baxter, and Centeon, and we are happy
10 to be here to talk about understanding a little bit about
11 supply and demand to provide some perspective on that. That
12 is what we were asked to do.

13 [Slide.]

14 First of all, we understand that stakeholders have
15 a strong desire to really understand supply and demand for a
16 variety of reasons, and we understand the need for
17 information, the desire for information. In fact, the
18 Association provides what we think is useful monthly data on
19 U.S. distribution, and I will talk about what that means in
20 terms of demand.

21 We also, as manufacturers, are very interested in
22 trying to meet supply. That is the goal of manufacturers,
23 to meet supply and meet patient needs.

24 [Slide.]

25 I think some of you have seen this data before.

1 This is data that is provided monthly. It is in a tabular
2 form, as well, but I am providing the summary charts here.
3 It shows U.S. distribution versus inventory over time. This
4 is for IVIG.

5 What you are seeing, these are monthly data, we
6 are well below one, which indicates that our average
7 inventory over time is well below one, which means we have
8 well less than a month's supply. The last month, in fact,
9 for instance, was about three-tenths of a month's supply on
10 average.

11 It is not an absolute predictor of demand, but to
12 use an FDA type of word, it is sort of a surrogate endpoint.
13 It sort of shows that over time, that we keep being at a
14 relatively low level of inventory over distribution over
15 time, which indicates there is tight supply situation. It
16 is not a perfect estimation of demand, but it's an
17 estimation.

18 We want people to recognize that we are providing
19 information that could be useful, and we distribute that
20 widely. That is one thing.

21 [Slide.]

22 I talked about the monthly trend data. We also
23 have, in terms of supply, some people have asked whether we
24 can project supply as an association, and the answer is we
25 really can't. We got a legal opinion from outside counsel,

1 one of the top antitrust firms in Washington, that made it
2 very clear that it is illegal for an association in any way
3 to facilitate the exchange of information on projecting
4 supply or for us to project supply.

5 Saying that, again, we are trying to collaborate
6 in any way we can and cooperate by providing our monthly
7 data, but we can talk about what impacts future supply, and
8 we do think that future supply is heavily impacted, of
9 course, by our investment in plant capacity and new
10 processes, and that is how we are trying to meet the supply
11 demand, but also regulatory actions.

12 Regulatory actions have a major impact, actions
13 like, for instance, today, the post-donation information
14 decision will have an impact on supply. There are other
15 regulatory actions, as well, product and process of plant
16 approvals, how rapid will those be, when will they occur.
17 That will have an impact on supply.

18 Compliance actions, those will have impacts on
19 supply. Those are very hard to predict with any accuracy,
20 but that gives you some idea of the factors that affect
21 supply in the long term.

22 [Slide.]

23 Turning to demand, first of all, as I mentioned,
24 we produce this distribution data. I want to make it clear
25 that it is consumption data in a sense. It is distribution.

1 It is not demand. Demand and consumption are two different
2 things.

3 There may be pent-up demand or unmet demand in the
4 marketplace, and there are many indications that that may
5 exist. So, what we have is distribution data.

6 The trend data does give you some ideas, as I
7 described earlier, on predicting demand, because you can
8 sort of see if you are on balance meeting that consumption.
9 If your distribution or your inventory is not building up,
10 you are probably just meeting demand or undermeeting demand.

11 There are a number of demand measurement
12 challenges I would like to talk about, as well, and I think
13 other people are going to address those. First of all,
14 demand of our products is affected by a multitude of
15 variables, it is prescribing, it is usage, it is
16 reimbursement, as well.

17 If you have a demand for a product and the
18 reimbursement isn't there, that demand may not be met. So,
19 a demand curve is always based on a given amount of demand
20 at a given price, given certain amounts of reimbursement,
21 and if reimbursement doesn't exist at a certain level, then,
22 it can affect the demand.

23 Those are hard to measure, and they are
24 challenges. Costs are another thing that could impact
25 demand, as well, cost of the products, and of course price

1 of the products.

2 So, I think we have some reliability issues in
3 trying to project demand. At a macro level, having some
4 general ideas of where our demand might be going could be
5 useful, but I think everybody has to be aware of what the
6 limitations are in terms of reliability and its usefulness
7 as a predictor or certainly we think there are several
8 limitations on that type of thing and predicting regulatory
9 action or in any way trying to target regulatory action
10 toward where they feel there is an imbalance of supply and
11 demand.

12 We think there are so many limitations with the
13 data that that may not be the best way to go.

14 [Slide.]

15 The summary is our goal really is to meet patient
16 provider needs and demand. We feel that estimating future
17 demand does not ensure that the demand will be met, and that
18 is stating the obvious. It may provide some useful
19 information, but we want to do things that really would try
20 to help us to meet demand.

21 Individual companies and members of our
22 association are all robust competitors. They are going to
23 seek to meet demand. Every textbook model, we have all had
24 our Economics 101, every textbook model shows that given a
25 certain amount of revenue possibilities, certain costs, the

1 competitors will seek to meet demand, and they will succeed
2 in meeting that demand officially.

3 There is no more efficient market than the U.S.
4 market. Any type of proposal with the try and tune, and
5 match up supply and demand at a federal level and then try
6 to pick federal actions on that basis, I think probably are
7 not going to be that successful over time.

8 I think that most fruitful would be policies that
9 would optimize our ability to meet that demand, and that
10 means reimbursement, again, hopefully, we will have
11 reimbursement policies that are in place that will allow
12 patients to have their demand met, if the physician
13 prescribes a product, that they can obtain it, because we
14 have adequate reimbursement.

15 Secondly, an improved cGMP environment. That
16 means that we are working with the agency. We just had a
17 GMP workshop in May to try to improve our common
18 understanding of GMP requirements and take those back to our
19 plants, and continue to enhance our training and our
20 investment, and trying to meet GMP, and that will help us to
21 meet supply and demand.

22 Also, product and process approvals. If there is
23 anything that we could do, and again, I think this is a
24 group and team effort, all the stakeholders have to be
25 involved, but to improve the product and process approval

1 requirements, clinical trial requirements, review
2 requirements, and achieve that balance, the optimal balance
3 between cost-benefit, that will help us to meet demand, as
4 well.

5 Thank you very much.

6 DR. HOLLINGER: Thank you.

7 DR. EPSTEIN: I did have one question for Mr.
8 Jackman. As you well know, the FDA does not have authority
9 to control exports.

10 We treat export of products the same way we treat
11 interstate commerce under our laws, and yet it is a fact
12 that only about half of the plasma collected for
13 fractionation is utilized to satisfy the U.S. demand for
14 plasma derivatives. The other half is presumably exported
15 and used in other countries, and also some portion of the
16 finished products of manufacturing by U.S. fractionators
17 ends up in export.

18 I would just like to ask you, and recognizing that
19 the majority of large U.S. licensed fractionators are in
20 fact not U.S. owned, I would like to ask you how does your
21 industry look at the issue of whether there is some moral
22 obligation, not a legal obligation, but a moral obligation
23 to satisfy the U.S. need from the donations that are
24 obtained in the U.S.? In other words, recognizing that
25 there is a twofold excess of collection relative to the U.S.

1 need for endproducts, why are we ever in a situation of
2 inability to supply the U.S. need?

3 I would just ask how do you look at that issue?

4 MR. JACKMAN: I would be happy to answer that
5 question. First of all, we are, in terms of IVIG because we
6 are talking about IVIG here very heavily, there was
7 testimony given, I think it was last year, that showed that
8 about 80 percent of the IVIG stays in the U.S. market, and
9 about 20 percent of it is exported.

10 We also are importing about 20 percent, as well,
11 so there seems to be pretty much a balance there. I think
12 anybody would indicate that the most efficient way for world
13 to work is to have mobility of goods and mobility of
14 therapies, because where the needs are greatest at one point
15 may change over another point.

16 We may at some point need a European manufactured
17 product to satisfy some of our need. As a matter of fact,
18 there are products that are available in Europe, in fact,
19 some of them, we have their applications pending at the
20 agency.

21 I think a perfect example of helping to meet
22 supply would be Endobulin. There is a product that is
23 produced by one of our companies, Baxter, that was mentioned
24 at an HHS hearing about nine months ago. It is being sold
25 in Germany and a number of countries, and the company would

1 like to bring that in the United States. So, there is as an
2 example where if we could act promptly on that kind of an
3 application and get that product here, we could bring it
4 right into the country, and that would really benefit our
5 supply situation. It shows that mobility of goods both ways
6 can be very beneficial.

7 In terms of other products like recombinant
8 factor, those type of things, there is every indication, I
9 have seen some data out there that show that the U.S.
10 population is getting--if you did it on a strictly
11 proportional basis--the U.S. population gets more than a
12 proportional basis of the products, way more, and we could
13 take about some of those data, but I have seen some data to
14 indicate that.

15 So, I don't believe that the U.S. is being
16 disadvantaged, in fact, I think that on the recombinant
17 factor side, for instance, you want to make it so that it is
18 beneficial for us to both manufacture here and distribute
19 here, and meet the demand here, and we are trying to do
20 that, and there is indications that we are doing that.

21 At the same time, if there is demand that has to
22 be met overseas, you are going to try to meet that, as well,
23 because after all, some of those patients have no other
24 choices for therapies. So, that is a moral obligation, too.
25 We consider it a moral obligation to supply patients

1 throughout the world, and I think that is a moral
2 obligation.

3 DR EPSTEIN: I appreciate those remarks. I think
4 there is perhaps opportunity for some misunderstanding in
5 that the products that you are calling imported are still
6 fractionated from U.S. donations. These are, for example,
7 the recovered plasmas.

8 MR. JACKMAN: I am talking about finished
9 products.

10 DR. EPSTEIN: I know, but the ones that are
11 imported into the U.S. by U.S. licensed manufacturers abroad
12 are still fractionating U.S. plasma.

13 MR. JACKMAN: But Jay, actually, the situation is
14 we don't have right now at this point, the United States
15 does not have a shortage of plasma in terms of producing
16 what we could do, our plants could run at capacity with the
17 plasma we have, where if there were a shortage of plasma,
18 that might be an issue, but it is not an issue. We are
19 running at full capacity.

20 Actually, if we could let our plants run fully and
21 get them up to full production with a predictable
22 environment in terms of compliance and all those, if we
23 could optimize that situation, we would help to alleviate
24 the supply situation in a great way, and if we could get
25 more rapid product approvals on some of the process--in

1 fact, for instance, there was a process approval
2 application, there are several others in there that could
3 increase yields of plasma by up to 40 percent.

4 So, rather than worry about if the plasma is
5 moving to other places, when the U.S. demand has already
6 been met, or U.S. manufacturing needs have already been met,
7 let's think about how we can get greater yield out of a
8 liter of plasma. That would be I think the most fruitful
9 discussion.

10 DR. MITCHELL: I still don't understand what you
11 are saying. On the one hand, we are saying that there is a
12 shortage of IVIG in the U.S., but you are saying that there
13 is full capacity of the manufacturing plants, and therefore
14 there is no problem. Is that what you are saying?

15 MR. JACKMAN: No. What I was saying was in terms
16 of plasma, the question was about exporting plasma, whether
17 or not plasma is being exported, we don't have a problem in
18 the manufacturing side in getting the plasma we need to
19 produce the IVIG we need.

20 What we have is that there is some temporary
21 production interferences or interruptions as a result of
22 some of the activities in terms of trying to achieve full
23 compliance with GMPs. So, that is the situation there, but
24 we have to make sure we are talking about, you know, it's
25 apples and oranges.

1 DR. MITCHELL: So, you are saying that you are not
2 exporting IVIG or producing IVIG from U.S. donations that
3 are being sent to elsewhere in the world?

4 MR. JACKMAN: No, I am not saying that. I am
5 saying that we are producing IVIG that is being exported,
6 but at the same time we are bringing back in product that is
7 a net sum, zero sum gain, but the issue isn't plasma, the
8 issue is enough product coming out of the plants.

9 DR. MITCHELL: You agree that there is an IVIG
10 shortage in the U.S.

11 MR. JACKMAN: There is an indication that we--yes,
12 there is an indication that we are on--there is not much
13 inventory in the pipeline versus supply, and that there is
14 probably demand that goes beyond that. I think that IDF
15 will talk about that in more detail, that there probably is
16 additional demand that is out there.

17 But we are manufacturing basically all that we can
18 right now given current limitations. We are exporting some
19 and importing some. If you were somehow to say let's not
20 export that small amount that we are exporting or that
21 amount that we are exporting, then, what would happen to
22 those imports?

23 DR. MITCHELL: So, you are saying that the amount
24 that we are importing from blood sources in Europe or from
25 blood donors in Europe is equivalent--

1 MR. JACKMAN: Again, the point of the matter is
2 that the amount of finished product being made available in
3 the United States, when you compare our exports to our
4 imports, is basically a net sum gain, whatever the source of
5 the plasma is. To me, the issue isn't the source of the
6 plasma because we don't have a shortage of plasma right now.

7 The point is that we are importing as much
8 finished product roughly as we are exporting. So, in terms
9 of the actual finished product that is available to
10 consumers that are in there, that is a net sum zero.

11 DR. HOLLINGER: But if you wouldn't export that
12 amount, you would then have enough for inventory.

13 MR. JACKMAN: You know, there is a market
14 situation here. If we try to limit that in some way, what
15 would happen then to that manufacturer who is now currently
16 selling and exporting to the United States, if all of a
17 sudden, we then say, all of us, we are not going to send any
18 of that overseas to sell to some of those customers and
19 markets overseas--and some of those are Canada, by the way,
20 I think there is some agreements with Canada and
21 requirements that some contractors have, and there is an
22 obligation because they don't fractionate there--but what
23 would happen then?

24 I mean would that mean that all of a sudden--I
25 can't predict this because we haven't had that conversation,

1 but you have to think about some possible unforeseen
2 consequences. In that situation, that manufacturer may say
3 well, that supply is now remaining in the United States, now
4 there is a gap over here, I will just sell it over here.

5 I mean the world works that way. Where there is
6 demand, manufacturers will try to meet that demand, and to
7 try to sit here, either as a committee or an agency, and try
8 to carefully plan where a product goes and where it doesn't
9 go, it hasn't seemed to work very well in many centralized
10 planned economies.

11 I wouldn't recommend we got that direction. I
12 think what you wind up doing over time is you wind up
13 discouraging potentially investment in the United States.
14 If the demand is overseas at some point, you just basically
15 are saying why don't you just invest in other places, if you
16 can't have the free mobility of your goods.

17 You are encouraging, you are basically
18 discouraging investment in the United States. So, there are
19 a lot of consequences to those kind of behaviors. In fact,
20 Secretary Shalala, in a letter in response to the Advisory
21 Committee on Blood Safety and Availability, in response to a
22 question about whether there should be any kind of
23 limitations on exports said as much as I understand the
24 desire to supply the U.S. population, she did not feel that
25 the idea of in any way limiting exports was a wise idea

1 because it has so many unforeseen consequences.

2 It has been tried the past, it hasn't worked, and
3 you probably will wind up doing more harm than any good.
4 So, what I would like to turn back to is let's look at the
5 regulatory policies that we can implement that would help us
6 to meet the demand as manufacturers.

7 Let competitors try to meet the demand by
8 improving the regulatory environment by accelerating
9 approvals, by looking at surrogate endpoints, by looking at
10 clinical trial requirements. There are processes out there
11 that could greatly increase the amount of supply if they
12 were acted on as quickly as possible, we would see no
13 problem with supply, and supply and demand would be met, and
14 we wouldn't be worrying about exports.

15 DR. HOLLINGER: Dr. Boyle.

16 DR. BOYLE: Is the average price per gram for IVIG
17 in Europe higher or lower than the United States?

18 MR. JACKMAN: I don't have data on the pricing in
19 every single country, but I have asked people what is the
20 marketplace out there a little bit. It varies country by
21 country. In some cases, the European price might be higher,
22 in some cases the country price might be lower.

23 The U.S. is not always the highest, and sometimes
24 not the lowest. The interesting thing is we have had some
25 complaints from European patient organizations that are

1 starting to say, gee, we don't even want you to export the
2 product you are sending, we don't want to see European
3 product going to the United States for a higher price. That
4 has actually been said.

5 So, we have people over there, other advisory
6 committees and other advisory groups trying to say, look,
7 why don't we try to hold back here. That is all we need in
8 the world is to have a number of committees sitting here
9 trying to say let's hold everything here, let us control the
10 market, we are smarter than the marketplace. I don't think
11 that is going to work.

12 DR. MITCHELL: So, is there a shortage in Europe
13 also, and is there a sense that it is the same magnitude?

14 MR. JACKMAN: I don't have a sense of the supply
15 and demand balance in Europe. I have heard, I think at a
16 recent symposium, the representative of one of the patient
17 groups there that uses IVIG indicated that he felt there was
18 a tight supply in Europe, as well, but I can't give you an
19 absolute accurate assessment of that situation.

20 DR. HOLLINGER: Dr. Fitzpatrick.

21 DR. FITZPATRICK: At the last meeting we discussed
22 clinical trial design and how that could be used to speed up
23 and help alleviate the shortage. Has there been any
24 progress? It has been three months. That was supposed to
25 be a more rapid way of looking at this?

1 MR. JACKMAN: We would like to see progress in
2 that. I am sure people may want to comment on that, but we
3 think that there is room for progress clearly. I know that
4 they are working on trying to come up with some clinical
5 trial requirements, new clinical trial requirements for new
6 IGIV product, for instance.

7 At the same time, we have had applications pending
8 out there. I don't want to comment on the particular merit
9 of the application. That is open to discussion, but when it
10 is taking a certain amount of time to do that, you just have
11 to look at it and say are there any ways we could accelerate
12 that.

13 Are there ways, for instance, we could harmonize
14 applications between Europe and the United States that might
15 help us to accelerate that when you are trying to bring a
16 product in from Europe, that we could have that kind of
17 harmonization.

18 Are there ways to develop surrogate endpoints? I
19 know that is being looked at by the agency for IGIV. When
20 you have a new process for a product, does that require a
21 large clinical trial, treating it as if it is a brand-new
22 product, when, in fact, it is still IGIV, does that require
23 a full-blown, large-scale clinical trial, or can it be done
24 with surrogate endpoints and with a smaller n? All of those
25 kind of things.

1 By the way, all the manufacturers in the
2 association play user fees, so under PDUFA, the User Fee
3 Act, there are supposed to be timelines as to when things
4 get acted upon. When an application takes place, there is a
5 clock that starts ticking, so trying to adhere to that PDUFA
6 timeline would be helpful, as well, on a number of
7 applications.

8 DR. GOLDING: Could I just comment in relation to
9 the previous question and some of the comment that Mr.
10 Jackman made earlier? My name is Basil Golding. I am from
11 the FDA.

12 The question of foreign suppliers sending in
13 applications to the United States and asking for approval
14 for use of their IGIV, this is being looked at by the agency
15 in a manner at to try and expedite those reviews as quickly
16 as possible, and there have been multiple meetings and a lot
17 of time and effort devoted to that, and I think you are
18 probably aware of it, plus the trial design issue has been a
19 major discussion point between the agency and the IDF and
20 manufacturers, and this is an ongoing process.

21 I think many steps have been taken in the right
22 direction which will speed up the trials and the approvals,
23 so I think as far as from our perspective, I think it is
24 fair to say that everything is being done or much is being
25 done to try and approve more IGIV as quickly as possible and

1 to make sure that the FDA's part in this equation is to
2 optimize the process.

3 MR. JACKMAN: That is great to hear. As I
4 mentioned, I know that some actions are being taken. I
5 think in response to the question, a review of everything
6 possible that could be done, and including a review of
7 FDAMA, the FDA Modernization Act, and its application to our
8 products would be useful, accelerated approvals. Those are
9 envisioned for biologics. In a supply shortage situation,
10 it is clearly envisioned under FDAMA as one of the areas we
11 should be focusing on for accelerated approvals.

12 It is not just the AIDS drugs and protease
13 inhibitors. It is where we have a population that could use
14 the product. FDAMA clearly applies, and i would be happy to
15 share more of that information.

16 So, if we could work and maybe have a symposium
17 where we could explore what the possibilities are, and make
18 sure we are doing everything possible to optimize the
19 approval and review environment, we would welcome that.

20 I know that has been talked about a little bit and
21 it is something that we would envision being happy to do,
22 and I think that could have some positive results. It won't
23 be immediate, but in some cases, some of these product
24 applications would be an immediate impact.

25 DR. HOLLINGER: Dr. Ohene-Frempong.

1 DR. OHENE-FREMPONG: Are you suggesting that if
2 the approval regulations were streamlined somehow, that it
3 would be easier than to import finished product from Europe
4 to meet the demand in the U.S.?

5 MR. JACKMAN: That is one side of it. I am not
6 just talking about the European imports, but I know for a
7 fact that there are some applications or at least an
8 application, and I mentioned one product Endobulin, that is
9 being sold in a number of European countries. The
10 application is pending, and if that were approved--and there
11 may be reasons why it hasn't been approved yet, I know they
12 are working on it--but the fact of the matter is there is a
13 timeline.

14 This was talked about nine months ago, so the
15 sooner that gets approved, every month that goes by, that
16 Endobulin is not in the marketplace, and that Endobulin will
17 have a significant kick to the IVIG supply, and the
18 manufacturer is anxious to import it and anxious to sell it
19 to meet demand.

20 So, we are talking about projecting demand and
21 trying to estimate, well, we know that the demand is there,
22 it is a matter of let's get the product in. So, that would
23 help there.

24 Secondly, it is not just that. There are process
25 applications and things like that are being talked about,

1 that if those process applications were approved, and every
2 month it takes--let's put it this way--every month longer it
3 takes to approve that, we don't get the benefit of that new
4 process, and one process would increase the yield from a
5 liter of plasma by well over 30 percent.

6 So, think about what the implications are of that
7 process if it gets reviewed rapidly and approved on the IVIG
8 supply situation. I think that is where the most fruitful
9 focus would be, and we are willing to engage in this in
10 every way possible, make constructive suggestions and work
11 with government, the decisionmakers.

12 DR. HOLLINGER: Mr. Demarines.

13 **Ron Demarines**

14 MR. DEMARINES: Thank you very much. My name is
15 Ron Demarines. I am with Georgetown Economic Services.

16 Let me give you a little background on Georgetown
17 Economic Services, GES. It is a research firm that designs
18 and implements market research, both domestic and foreign.

19 We provide market analysis for a variety of
20 clients including trade associations, industry coalitions,
21 lobbying groups, and corporate clients in a variety of
22 industrial, consumer, and agribusiness products.

23 GES has years of experience in conducting surveys
24 to evaluate the overall health of an industry by tracking
25 economic indicators, such as shipments, consumer and

1 industrial demand, profit levels, employment capacity, cost
2 of goods sold, a number of a variety of other indicators.

3 We also provide analytical suppose for industry
4 groups in their dealings with government regulators. In
5 this capacity, GES provides analysis of the economic impact
6 of government regulations including environmental, trade,
7 and worker safety regulations.

8 I was asked her to come here to describe the
9 process that we envision to measure demand for several blood
10 derivative products, especially IGIV and factor VIII. I
11 have to tell you that I am not an expert in this industry.
12 We are certainly expert in measuring demand.

13 As I understand the current market situation, the
14 rate of demand growth for IGIV and factor VIII has led to
15 periodic shortages in the two products. In some way, the
16 blood derivative market is very much like a traditional
17 commodity market that we dealt with at GES.

18 There are several layers of distribution between
19 the producer and the end user. There is a spot market
20 operating alongside a long-term contract market, and the
21 uses of the products, new uses are constantly being
22 developed, creating a new demand for the product.

23 But unlike most commodity markets, applications of
24 some of the products in this industry, in the blood
25 derivative industry, may be expanding at a far greater rate

1 than could be envisioned just a few years ago.

2 Predicting current and future demand for these
3 products is a challenging task. The obstacles include
4 unpredictable, long-term applications of the product,
5 especially in off-label applications, and the lack of a
6 comprehensive and centralized body of data on current usage.

7 In addition, the demand for these products is
8 highly inelastic, and that is, there is very little
9 correlation between the quantity of products purchased and
10 the price of the product, and there are also very few
11 substitutes for these products.

12 Therefore, traditional indicators of demand don't
13 come into play here to the degree that they would in some
14 traditional commodity-driven market. Some of these
15 indicators would be days of supply in the pipeline, order
16 backlog, and spot versus contract price. So, these would
17 not be able to be used effectively as an indicator of demand
18 in this industry.

19 In arriving at the level of current demand for
20 these two products and projecting future demand, an
21 understanding of the market dynamics is needed. In this
22 respect, the most valuable source of demand data would come
23 from the distribution channels.

24 To calculate demand, hard data must be gathered
25 from the distributors, other middle men, and the health care

1 providers.

2 [Slide.]

3 Most of you probably cannot see this, but this is
4 the various levels in the distribution channel for these
5 products. As I said, I am not an expert. You will notice
6 that I have mislabeled IVIG. I put IVIG. We didn't catch
7 it, but it's IGIV. Sorry about that.

8 The group purchasing organization, because as much
9 as 80 percent of IGIV sales are made to group purchasing
10 organizations, GPOs, this group of companies will play a key
11 role in demand calculation.

12 I envision a survey designed to gather the level
13 of purchases and sales over at least the past eight
14 quarters. Data on sales intended for FDA-approved usage,
15 and off-label usage should also be captured from the GPOs to
16 the extent that they can provide that information on this
17 subject.

18 Questions regarding pricing to the next level of
19 distribution should also be gathered in this process.

20 The next group is the wholesalers. Because the
21 wholesalers are positioned between the GPOs and the next
22 level of distribution, their insight should provide a
23 valuable information on the demand for these products.

24 Since wholesalers distribute as much as 80 percent
25 of all prescription drugs, as I understand from the research

1 that I read recently, this group of companies should provide
2 further information on the trends in sales for FDA-approved
3 usage and off-label usage.

4 This group should also be a source of information
5 for future demand for the blood derivative products because
6 they tend to be further down the supply chain.

7 Data provided by dealers, the next level, will
8 provide still further awareness of the current and future
9 demands in the marketplace. Because this groups serves the
10 spot market, the level of sales gathered from the dealers
11 should provide an additional level of data for calculating
12 current and future demand.

13 Finally, in the distribution chain, the home
14 health care segment. They report a 20 to 25 percent of IGIV
15 and more than 70 percent of the clotting factors. This
16 group is perhaps closest to the end user and should be a
17 valuable source of information on the demand and current and
18 future of the blood derivatives.

19 In addition to the research surveys and interviews
20 in the distribution channel, we would further suggest doing
21 a series of interviews with medical researchers from, for
22 example, the National Institutes of Health and other
23 appropriate agencies, as well, selected biotech firms, also
24 researchers and scientists employed by IPPIA could provide
25 additional information and background material on new uses

1 for the target products.

2 Other sources of information include the Immune
3 Deficiency Foundation and the International Patient
4 organization for Primary Immunodeficiencies or the National
5 Hemophilia Foundation.

6 The objective of these one-on-one interviews is to
7 probe into the most recent research on off-label usage for
8 these products and to assemble some degree of consensus as
9 to the future demand for the application.

10 [Slide.]

11 Based partially on this information that I have
12 just described, we will suggest putting together a demand
13 scenario for a one-year, three-year, and five-year period.

14 What I have up here is basically a table that
15 describes how we are going to take the data, how we would
16 envision taking the data from the information we have
17 gathered, and putting it into a demand formula.

18 The demand calculation will be further shaped
19 through projections of usage for both FDA-approved
20 applications and off-label applications. For example, an
21 analysis of the immune deficiencies requiring FDA-approved
22 IGIV or factor VIII treatment should be undertaken to
23 determine the percent of the population with these
24 deficiencies.

25 This analysis will likely be based on survey

1 results, one-on-one interviews, and a review of the
2 published medical research. One-year, three-year, and five-
3 year projections of demand should be constructed by
4 examining U.S. population growth, the projections for this
5 population growth relative to the level of individuals with
6 immune deficiencies, the average annual per-patient amount
7 of IGIV or factor VIII given as treatment for each of these
8 types of deficiencies should also be factored into the
9 calculation.

10 Of course, other factors enter into this
11 calculation, such as duration of the treatment and the age
12 of the patients, et cetera. The same procedure should be
13 utilized for off-label applications and the same types of
14 projections would be based on these types of calculations.

15 I was told to keep the comments brief, so I did,
16 and if you have questions, I will be glad to try and answer
17 them.

18 DR. HOLLINGER: Thank you.

19 The next discussion is going to talk about
20 background considerations for the estimation of IGIV demand.
21 Mr. Patrick Robert, president of the Marketing Research
22 Bureau, Inc.

23 **Background Considerations for the Estimation**
24 **of IGIV Demand**
25 **Patrick Robert**

1 MR. ROBERT: Good afternoon. My name is Patrick
2 Robert, Marketing Research Bureau. Our firm has been
3 involved in collecting data for the blood industry a few
4 decades.

5 [Slide.]

6 On the first overhead is a presentation of the
7 sales of IVIG since 1981, shown in 2.5 grams units, so as
8 you can see, it has been fairly steady growth. It's about 8
9 to 10 percent growth per year except for 1997, where it
10 started decreasing following the events which we have been
11 talking about today. I believe that you have heard about
12 1998 and 1999 where the growth has been negative again.

13 So, this is a reflection of the very great success
14 of polyvalent IVIG or IGIV, as you prefer in this country as
15 well as in other countries, as well.

16 In 1995, our company undertook a forecast study to
17 attempt to determine what would be the demand for IVIG in
18 2000. I apologize if there is some overlap with the
19 previous speaker, but many people are interested in knowing
20 the same thing obviously.

21 This study was completed. Obviously, it was done
22 four years ago, so it is somewhat out of date, but back then
23 in late 1995, we predicted the market to be about 18 metric
24 tons by 2000. If I am not mistaken, in 1998, approximately
25 15.5 metric tons were used in this country, so the growth,

1 the demand is still growing.

2 [Slide.]

3 Now, our methodology is strictly going from the
4 bottom up, and we first went to the medical literature to
5 see what medical indications were used, for which medical
6 indication IVIG was prescribed, and this is just the
7 beginning of one updated list of such indications, which
8 were used for an update of the study, which we will begin
9 soon for completion by the end of this year.

10 The way we do is to ask the end users, that is,
11 the prescribers, the physicians, and also ask them questions
12 about alternative therapies, possible changes in dosage,
13 what is the impact of cost and reimbursement, and, of
14 course, the impact of access to the product, and we combined
15 this with all kinds of demographic and economic and social
16 data.

17 So, we hope to be able to update and come up with
18 more reliable figure for a forecast of the demand for IGIV
19 in this country by 2004, whatever, 2005, three-year horizon.
20 So, this is what we do in this country, and we do similar
21 work in other countries, and that is pretty much all I have
22 to say today.

23 Thank you very much.

24 DR. HOLLINGER: I am not sure I understand how you
25 got your figures. I mean of 14 metric tons in 1997, and so

1 on, where did that come from?

2 MR. ROBERT: This is rather complex methodology,
3 which I don't know if I have time to explain here, but we go
4 to the number of patients which received the product by
5 medical indication. We apply a dosage or we know the dosage
6 which translates into grams.

7 DR. HOLLINGER: Where do you get the number of
8 patients, for example?

9 MR. ROBERT: The number of patients is actually
10 provided by a supplier of data, which I understand uses some
11 government data to start with, number of patients
12 hospitalized with certain medical conditions. I think there
13 is national health surveys, and these type of data.

14 DR. HOLLINGER: Dr. Boyle.

15 DR. BOYLE: I would like to follow up. The health
16 interview survey doesn't include some of the things that are
17 up here. Did you use that or did you go to physicians and
18 other providers to identify the various conditions you are
19 talking about?

20 MR. ROBERT: I mentioned the national health
21 survey. This is probably not the right term, but there are
22 all kinds of databases supplied by the government, but, in
23 fact, I did not use these data directly, but these data as
24 processed by another supplier, which I updated and
25 reformulated the figures, and completed the figures for my

1 purpose.

2 DR. BOYLE: There is good news and bad news here.
3 The good news is that your figures are widely quoted, and
4 they have been quoted in a number of the projections about
5 demand and supply and demand. For that reason, I think we
6 are all very interested in a fairly detailed understanding
7 of how you got to where you got.

8 Did you interview a national sample of providers
9 to estimate what they were doing? Tell us what you did.

10 MR. ROBERT: As I said, I don't know if we have
11 time to go into detail, but yes. I interviewed a number of
12 opinion leaders and a number being about 25 back then, which
13 is not many, but that is the number, and we also undertook a
14 mail survey among several hundred physicians who prescribe
15 IVIG, so as to balance the responses from both groups.

16 DR. BOYLE: Do you mean you received several
17 hundred questionnaires back from those who were treating?

18 MR. ROBERT: I sent out several hundred. I did
19 not have a very good response, I must confess.

20 DR. HOLLINGER: Dr. Fitzpatrick.

21 DR. FITZPATRICK: I would like to go back to a
22 previous question. Could you give us an idea of what the
23 supply issues are in Europe, and is there a shortage in
24 Europe similar to what we see here in the U.S., and is the
25 monthly supply, is there less than a month's worth of supply

1 available in Europe, or is the supply in Europe different?

2 MR. ROBERT: I am sorry, I don't have monthly
3 supply data on Europe.

4 DR. HOLLINGER: Dr. Verter.

5 DR. VERTER: If I can follow up on where I think
6 Dr. Boyle was heading. Is there anyone in the room who
7 knows, one, how much is manufactured in the United States in
8 1998, what the total manufacturing was? If you know that,
9 is there anybody who knows how much was sold to
10 intermediaries? The last question, which I am sure no one
11 knows is how much was actually used by the individuals?

12 I think we have heard in previous reports of this
13 committee that it is very difficult to get good data because
14 even the doctors who service these patients don't often know
15 how frequently they use it, how much they use it, so it is
16 self-regulated by the patients from I think what Dr. Boyle
17 and others have told us in previous meetings.

18 I don't have any concept other than what I hear
19 constantly that there is a shortage. I don't know how to
20 quantitate that shortage, and I have never heard any data
21 that gives me an insight on how to quantitate it.

22 MR. JACKMAN: I will try and answer that question.
23 In 1998, it was approximately 15,000 kilograms of IVIG
24 manufactured and distributed in the United States. Most of
25 that goes through intermediaries in some way, shape, or

1 form. In the broad sense of the word, intermediaries, home
2 health care companies, a number of people like that, that
3 would distribute it. It does not go directly to consumer.

4 DR. MACIK: I am listening to a lot of talk about
5 demand, but do we really have any idea of what the demand
6 is? It seems like we are using a lot of formulas about how
7 much you sent to distributors and what was happening, but I
8 mean can't we go to an individual hospital or--I mean
9 somebody wrote a prescription for that patient to get IVIG.
10 How many prescriptions were written in a year?

11 There should be some way that we can tabulate what
12 is happening to know what is going on here, because it
13 doesn't seem to add up between where product goes, and yet
14 the end user saying they can't get product.

15 I realize that marketing is a very complex process
16 for a poor clinician to understand, but somewhere, somebody
17 prescribed a drug, and the patient is using the drug, and
18 somebody is bringing to his home, we can't get an idea of
19 who much is actually being used.

20 DR. KOERPER: Usage does not equal demand. I
21 think that is part of the issue here. We may write
22 prescriptions for 25 grams of IVIG, but there may only be 20
23 grams available, and that is what the patient gets that
24 month, or the patient may skip a month or the patient may
25 have to go for five weeks instead of four weeks.

1 So, simply tabulating the prescriptions or what
2 was actually administered doesn't capture what it is that
3 physicians would like to have given under optimal
4 circumstances. That is the intangible that we are having
5 trouble getting ahold of.

6 DR. MACIK: I understand that. In fact, that
7 actually was my point. I mean do we really know what the
8 demand is because we don't even know how much we are giving
9 out. Nobody can even tell us how many prescriptions we have
10 written, whether or not they were met. Even if we assumed
11 that they were all met, we don't even know how much that is.

12 Then, it comes to the second point, which is what
13 you are saying, is do we know how much of that was actually
14 given. At some point, you know, in hemophilia, we have our
15 patients keep a log of how many units of factor did they
16 infuse. You add up all the logs, and you get some idea of
17 what was used, and then you can go back and say how often
18 did you not give yourself an injection when you thought you
19 might have.

20 There seems to be something kind of lacking
21 between trying to get some information about what is out
22 there and what true demand is.

23 DR. KOERPER: I think the endpoints aren't quite
24 as finite with the use of IVIG as they are with hemophilia.
25 In hemophilia, patients bleeding now, he knows if he needs a

1 dose now, he knows if the bleeding stopped if he gave
2 himself enough or it didn't stop and he has to give a second
3 dose, he knows right away, whereas, with IVIG, the
4 intangible is did the patient more or less infections over
5 the course of a month, and it's a little hard to have an
6 immediate cause and effect, the way you can in hemophilia.

7 DR. HOLLINGER: So, we know basically, if we take
8 the numbers that were listed here, we know how much is
9 manufactured, but what you are saying is that actually, the
10 prescriptions might have been written for 25,000, and only
11 15,000 is available to use. That is the issue that we don't
12 know.

13 Does anyone here know how much is used off-label,
14 the percentage that is used off-label, do we have any
15 approximations?

16 DR. GOLDING: I don't think there are accurate
17 figures for this, but many leaders in the field from large
18 medical centers that were asked this question said in the
19 region of at least 50 percent of the use was off-label. I
20 think the IDF also believes that this is the ballpark area
21 of off-label use.

22 DR. HOLLINGER: Thank you.

23 DR. KAGAN: I just want to make one brief comment.
24 At our university hospital, our Pharmacy and Therapeutics
25 Committee has come to those of us who use it off-label, and

1 said you are out, you wouldn't even possibly go on the list
2 at this point for consideration. So, orders from our
3 hospital are really being limited to those where there are
4 true indications, and the off-label people aren't even
5 getting the chance to write the prescriptions or write the
6 orders for the patients to have it in the first place
7 because it's such a low priority given this apparent
8 shortage.

9 DR. HOLLINGER: Thank you.

10 The next discussion is on The Impact of
11 Distribution on Supply and Availability: A Premier Model.
12 The first discussant will be Patrick Schmidt, CEO of FFF
13 Enterprises, Inc.

14 **The Impact of Distribution on Supply**
15 **and Availability: A Premier Model**

16 **Patrick Schmidt**

17 MR. SCHMIDT: Thank you very much. It is a
18 pleasure to be here.

19 [Slide.]

20 Listening to the last few minutes of conversation,
21 I was struck by the observation that we have had over the
22 last several years, that this is an industry that is
23 starving for data. It is very difficult to come by, and I
24 don't know if we can answer some of those questions, but we
25 are certainly going to give it a try.

1 [Slide.]

2 A little bit about FFF. You have probably never
3 heard of us, but our corporate mission statement is called
4 "Helping Health Care Care." There are a lot of abuses that
5 take place at the blood products distribution market. I may
6 have time to touch on those for a minute, but that is our
7 corporate vision.

8 [Slide.]

9 Our corporate mission statement, I will just focus
10 on really the key words. Everything that our company gets
11 involved in has to do with availability, affordability, and
12 safety of crucial products, and we try and create innovative
13 distribution systems, and those innovations have come from
14 our customers.

15 We consider our customers the manufacturers of
16 these products, as well as the end users, and every
17 innovation that we have been credited with has been a
18 customer-driven innovation.

19 [Slide.]

20 FFF was started in 1988, a little more than 10
21 years ago. I had no idea that I would be here today. I was
22 a former football player. I didn't even know what blood
23 products were. A company named National Medical Care, when
24 I was trying to sell them some latex gloves, said, "We won't
25 buy your gloves." I said, "What would you buy if we had

1 it?" They said, "We would buy some albumin." I said, "What
2 is albumin?"

3 That is how we got into the business, and I have
4 learned, and I was again struck by the comments here. I
5 have learned, and our company has learned and maintained
6 that same mission, to ask the customer. They have a lot of
7 answers, and I think that is one thing that we are very good
8 at.

9 After 11 years, we have nationwide distribution of
10 especially pharmaceuticals, 80,000 square feet, 88 people,
11 and I am very proud of the picture there, because we built
12 that two years ago, but I also put this up for one reason.
13 FFF houses the Federal Repository for Intramuscular Immune
14 Globulin. We keep a reserve there that is not accessed
15 unless we collaborate with the CDC, and there has been a
16 shortage of IMIG over the last several years, and we have
17 come up with some innovative situations to make sure that
18 that product is available. So, that is the National Federal
19 Repository for Intramuscular Immune Globulin.

20 [Slide.]

21 About our role in the marketplace, this year we
22 will distribute close to 5 million grams of IVIG and 5
23 million equivalent units of albumin. We also administer
24 some of the emergency allocation programs, the IDF Safety
25 Net program, and the products that we distribute through the

1 IDF in collaboration with the IDF Safety Net program, the
2 American Red Cross product, Baxter, Centeon, and we also do
3 the emergency screening for Alpha Therapeutics emergency
4 allocation program, so we have a lot of data, we have a lot
5 of close contact with the physician and the patients.

6 [Slide.]

7 Those 5 million grams and 5 million equivalent
8 units of albumin are distributed among the top 10 group
9 purchasing organizations in the country. This is a list of
10 the top 11. I think there is 9 bars that we have contracts
11 with.

12 This is the market share that I think has been
13 unprecedented in terms of a single company rising to, and it
14 is not because we do anything better than anybody else, but
15 we have listened to the needs of our customers, and we think
16 we have responded accordingly.

17 [Slide.]

18 Our presentation is called A Premier Model. I
19 wanted to emphasize the impact and the influence that a
20 group purchasing organization has. Nearly every health care
21 entity in the U.S. has some group purchasing affiliation,
22 whether it be a hospital, a home care company, or a
23 physician office, and when you combine those together, it is
24 referred to as the latest acronym, the Integrated Health
25 Network, but group purchasing organizations have a

1 tremendous amount of influence, and it is a tremendous
2 amount of data that we can gather from that influence.

3 [Slide.]

4 When we bring on a new customer, a new contract
5 customer, a new group purchasing organization, it presents
6 to us a significant opportunity that we think has not been
7 taken advantage of in the past. It is a data mining
8 opportunity for us. We survey the demand for therapeutic
9 plasma fractions.

10 We don't send out a survey and hope it gets mailed
11 back. We exhaustively survey. We go out and ask the
12 customer again what their needs are, what the demand is. We
13 believe we have some of the most accurate usage data in the
14 country.

15 We use that data to refine our contracting
16 strategy, because for us to be successful, we have to meet
17 the needs of our customers, and our customers are the
18 hospitals, physician offices, home care companies, that are
19 the members of the group purchasing organizations.

20 In a time of a shortage, a very labor-intensive
21 effort that we put forth is what we call "interactive
22 allocation system." Instead of putting a lot of product
23 into a single location where one hospital may have 7,000
24 grams on hand, another hospital may have zero, we
25 interactively allocate product to make sure that we are

1 meeting the moment of use demand.

2 Again, it is a very labor-intensive process, and i
3 believe we are the only people in the country that do that.
4 From that, from our sophisticated database systems, we
5 monitor usage by GPO, by entity. You said this is what your
6 demand was, you are over that demand, help us understand
7 why.

8 We have got a new oncologist, we lost an
9 oncologist, he has transferred to another facility, so we
10 track usage by GPO, by entity.

11 Then, in the future--this may be considered a paid
12 political announcement--but we have from talking to our
13 customers, there is a need to track blood products to the
14 end user. FFF has designed over the last two years a
15 proprietary software product that can track blood products
16 to the end user. It integrates easily with every hospital,
17 home care, physician, or blood bank system.

18 It is very inexpensive, and it will provide for
19 the first time we believe moment of use data. At the last
20 Advisory Committee on Blood Safety that I was at, someone
21 asked could we track product by patient, and to track
22 patient movement when you have a shortage and you have an
23 allocation, can you track that patient movement.

24 One of the characteristics of this last shortage--
25 I feel like I am talking fast, because somebody said I only

1 had 15 minutes, and we are from California anyways, we talk
2 fast out there--but someone said could you track, could you
3 track the patient movement, and our Lot Track system will
4 allow us to do that, because one of the characteristics of
5 the last shortage that I believe has been dreadful is
6 patients have had to migrate from provider to provider based
7 on who had the product, and we would like to see an end come
8 to that.

9 But the hallmark of the notification system is we
10 can provide instant notification in the event of the first
11 hint of product compromise. We believe anybody can
12 distribute products. This distribution business is not a
13 very exclusive club, believe me. I got in it. It is not a
14 very exclusive club. [Laughter.]

15 Anybody can distribute product. It takes a
16 company that really wants to help health care care get them
17 back at the first moment of compromise, and that is really
18 what our mission is. We are very good at tracking lot
19 products. We endeavor to be the best in the world at it.

20 [Slide.]

21 I was reading the other day and I came across this
22 quote. "To acquire knowledge, one must study, but to
23 acquire wisdom, one must observe." Our observations over
24 the past decade in this business have led to some of these,
25 what we hope to be changes in the business.

1 One of my best buddies, who I think is one of the
2 top guys in the fractionation business, this was a quote
3 that he used, and when we present our program to group
4 purchasing organizations, I always lead with this. The
5 plasma fractionation market is characterized by steady,
6 increasing demand met by inconsistent manufacturing. The
7 risk of something happening is 100 percent.

8 I took his name off to protect the innocent.

9 [Slide.]

10 In thinking about the presentation, and when we
11 talk to pharmacists around the country, over the last year,
12 we have probably spoken to 1,000 pharmacists in settings
13 just like this. This is probably the most important slide
14 of our whole presentation because there is so little
15 knowledge out there in the prescriber community as to the
16 relationships between these products.

17 When I see this, I think of a three-legged stool,
18 and in that three-legged stool, two of the legs are getting
19 shorter. It creates a very unstable place to sit on. You
20 have decreasing demand for albumin, you have decreasing
21 demand for plasma-dried factor products, and you have got a
22 burgeoning demand, unmet demand for IGIV. We have a very
23 unstable situation here.

24 I believe we are at a crossroads here in this
25 industry. Yogi Bear once said when you come to a Y in the

1 road, take it. I don't think that is what we are talking
2 about here.

3 [Slide.]

4 I am not an expert in fractionation. I don't even
5 want to say we are an expert in distribution. We are trying
6 to learn it. But very simply put, the plasma manufacturing
7 costs--and I didn't consult with anybody on these, I just
8 picked what I thought would be the big ones--research and
9 development, regulatory compliance, overhead, and yes, they
10 do make a profit. People have to make a profit.

11 The reason that I think there is softness right
12 now in the IVIG shortage is because the end user, the end
13 user, the health care entity cannot make any money, and that
14 is why there is a little bit of softness in the IVIG market
15 right now.

16 It almost always comes down to economics, but if
17 you think to the previous slide, I don't think these costs
18 are coming down, first of all, and if they are, if somebody
19 would correct it, I appreciate it. If these costs are
20 coming down, manufacturers that do very well spread those
21 costs over several different plasma fractions, hyperimmune
22 globulins, the antihemophilic clotting factors, IGIV, and
23 albumin is the one that I am really concerned about.

24 If the albumin prices go down or eliminated, or
25 the plasma-dried factor products, I asked pharmacists what

1 do you think will happen to the rest of the products, and
2 someone says, "I think they are going to go up," I always
3 agree with them, I do, too.

4 [Slide.]

5 This is not an indictment of manufacturing
6 business practices, I want to make that very clear. I have
7 had the privilege the last month or so--and I think Dr.
8 Goldsmith is in the room--to follow him in a few
9 presentations, and I think when he presents his company's
10 perspective on the fractionation business, I think he uses
11 the number 210 days from the time of collection of plasma to
12 maybe the finished goods lot release.

13 So, that is a long time. It's somebody else's
14 math, but I think it is seven months. So, that's an awful
15 long time. So, if we donated plasma today for
16 fractionation, that product would be part of the new
17 millennium.

18 But my point here is prior to that, when
19 manufacturers finally get product released, they fulfill
20 their contractual obligations to group purchasing
21 organizations. If there is any left, they sell products to
22 biological distributors at a higher price.

23 They deplete their inventory because it is a very
24 capital-intensive business, and then they have very little
25 or no reserve capability. Chris Lamb--I hope he is not in

1 here--the vice president of a plasma operation for the
2 National Red Cross, in the April 12, 1998, pink sheet, said
3 we have a three- or four-day supply on hand. It does not
4 promote good patient safety and patient availability, and it
5 is because it is a very capital-intensive business.

6 [Slide.]

7 I am going to go through this quickly and talk a
8 little bit faster if I possibly could.

9 The traditional contracting model had been for a
10 group purchasing organization to contract with one or two
11 manufacturers, and invariably new manufacturer would have a
12 better price. I am using albumin as a model here. You
13 could plug in any product.

14 So, the health care entity, it may be a hospital,
15 whoever has the best price, most of us would buy that
16 because of health economics. So, the manufacturer who has
17 the higher bid price may end up with some unrealized, not in
18 the case of IVIG lately, but in the past has been true, may
19 end up with some unrealized contract inventory, so the
20 contractee would not buy their product, and then the
21 manufacturer would sell it to a secondary distributor at a
22 higher price, because they have to move the inventory.

23 What would happen is we would have maybe a
24 manufacturing delay, a regulatory action, and whoever had
25 been buying from their primary contractee in the past would

1 turn to the secondary awardee.

2 Then, what would happen is that product wouldn't
3 be there because it has been sold to biological
4 distributors. Then, the biological distributors turn around
5 and sell it back to the hospital at a higher price.

6 It is probably the same product, but it turns
7 around, coming back to them at a premium of 100 or 200, in
8 some cases 400 percent.

9 We thought there is a better way.

10 [Slide.]

11 This is a bad word, and this is the things that I
12 think have extracted a huge toll on our business. This
13 bundling. This is not bundling that the manufacturers
14 bundle. What this is, is a biological distributor will by
15 product, IGIV and human serum albumin.

16 They will look at it as \$114 for the investment in
17 both products. Then, they will take that product to two
18 different entities. They will sell IGIV, use \$112. In the
19 past, you could have plugged just about any number in there.

20 Then, they will sell the albumin for \$45. On that
21 \$114 investment, they made \$157, \$43 profit, 27 percent.

22 Somebody mentioned wholesalers, and somebody mentioned--I
23 don't think anybody mentioned FFF, I think I did. Those are
24 not the margins we work on. I wish they were, but they are
25 not.

1 [Slide.]

2 Another example that is taking place now is
3 something called swapping, that you don't hear a lot about.
4 A hospital may have, because biological distributors will
5 call hospitals, do you have any excess IVIG. Maybe they
6 ordered some products for a patient, the patient got
7 transferred, didn't show up, we have got 150 grams of IVIG.
8 We paid \$45 for it. The hospital's investment in it is
9 \$6750. The broker, out of the goodness of their heart, will
10 say, well, we have some albumin, you may need some of that.
11 We paid \$54 for it. We will just swap it straight out, and
12 we will take an apparent \$1,300 loss.

13 They turn around, sell the 150 grams at \$112 a
14 gram to some unsuspecting other hospital or health care
15 entity that absolutely has to have the product, and the
16 original investment of \$8100, that is a profit of \$8700.
17 This is stuff that I don't believe is good for health care.

18 [Slide.]

19 Because of that, we have tried to develop
20 innovative situations and solutions to counteract some of
21 those things. We are trying to provide, our company is
22 trying to provide the most stable platform for the
23 availability and affordability of plasma products.

24 Our primary, secondary program creates a primary
25 level of pricing, as well as a secondary level of pricing,

1 at near as possible guarantee of availability, albumin
2 pricing index, to make sure that all manufacturers that are
3 participating in the contract have pulled through, we
4 average our price, and we create an albumin price index,
5 again providing stability to the pricing of the products.

6 Our interactive allocation system we talked about
7 again and Lot Track. We believe the technology is here. We
8 have successfully beta-tested the product at children's
9 hospitals around the country, because we like to go where
10 children are first, and the product works extremely well.

11 We have been using it internally now for a year,
12 and it has cut our response time from the moment of
13 withdrawal or recall to notification into seconds.

14 [Slide.]

15 The current market for IVIG. This is based on the
16 observations we spoke about earlier. Nearly every
17 institution in the country has implemented IVIG utilization
18 guidelines. Those guidelines are usually a function of
19 availability and affordability.

20 Right now I believe that the U.S. IVIG market, the
21 demand has been suppressed while supply remains fairly
22 constant. The demand is down. Our inventory build-up at
23 FFF, it makes me chuckle because when I first spoke with Dr.
24 Weinstein on the phone, he said, "How is the inventory
25 level?" I said, "Hang on, I will go out back and look." I

1 said, "It looks pretty good right now."

2 We also monitor the spot market. There has been a
3 dramatic decrease in spot market pricing. I think we can
4 touch on that now.

5 [Slide.]

6 Here is how we view the marketplace. There is a
7 primary contract rate. These are averages, we didn't put up
8 exact numbers, but the primary contract rate in the U.S. has
9 risen to about \$39 per gram. Somebody was asking about
10 Europe. There is a preponderance of companies trying to get
11 product into the U.S. It is for one reason - higher average
12 selling price. It is very simple. I always believed--I
13 would like to believe that they were coming in here to
14 address the human situation. I think it is to get the
15 highest ASP humanly possible.

16 The secondary contract, as part of our primary
17 secondary program, it is hovering around \$50. The emergency
18 allocation programs that have provided great relief and I
19 think a great sense of security for a lot of patients and
20 practitioners in the U.S., and have a tremendous amount of
21 value, range between \$50 and \$65.

22 The spot market is \$70 approximately right now,
23 but you hear outliers, the highest that I had heard over the
24 last 12 months is \$300 per gram. That is--I don't want to
25 say what I think it is.

1 [Slide.]

2 Responding to Mark's question, how is the
3 inventory build-up at FFF, we trend these on a daily basis.
4 We believe the group purchasing organizations hire us to
5 manage us the blood products market. It is a dynamic, it's
6 a fast-moving market, and it changes. It changes on a
7 moment's notice.

8 So, we have been trending here, and on a linear
9 trend, the blue line is sales, the green line is our
10 inventory, and we see a widening in-between our sales and
11 our inventory, we are having an inventory build-up. This is
12 temporary. The availability today in the U.S. of IVIG is
13 better than it has been in the past six months. We believe
14 it is temporary, and it is because of those utilization
15 guidelines.

16 Those utilization guidelines are in place, and I
17 will address those a little bit more later.

18 [Slide.]

19 We talked about our data mining opportunity. We
20 have surveyed 3,079 of the 6,056 hospitals in the U.S.
21 Again, it is not a mail-out survey. It is talking to the
22 practitioners, it is talking to the pharmacists who are the
23 gatekeepers, and I think we have a pharmacist who is going
24 to come on later, but those are the gatekeepers of the
25 product.

1 From our extrapolation on the ones we did not
2 survey yet, we believe the demand in the U.S. for albumin is
3 11,482,000 equivalent units. The albumin market is not
4 operating at that level right now. It is falling far short
5 of that. It is because of the utilization guidelines that
6 have been in place. There is an economic reason for that.

7 [Slide.]

8 I think the IGIV numbers, which probably this
9 crowd is more interested in, was 18 1/2 million grams. I
10 was thinking back as I was listening to the first
11 conversation before I got here, I was thinking back. In the
12 last two weeks I have sat with executives from the plasma
13 fractionation market, one said the demand is 21 million, the
14 one said it was 25 million. So, we don't actually know.

15 I am not saying we know either, but we are asking
16 our customers.

17 [Slide.]

18 I think the good news is that there is a change in
19 business practices that are taking place because of some of
20 the toll that has been extracted on the health care
21 community by the biological distributors. There is a change
22 in business practices, and it is for the positive.

23 Alpha has a direct contract only, not selling to
24 biological distributors only. Baxter is doing exactly the
25 same thing. Bayer has implemented a sales price cap, so

1 those excesses, those are very positive moves. For the
2 first time, we are seeing those take place, and we support
3 that, and Novartis has a semi-closed distribution system. I
4 look at that as very positive.

5 [Slide.]

6 If we could be so bold to make some
7 recommendation. We believe that through GPOs and our
8 Premier Model, there is an opportunity to communicate supply
9 information to the practitioners.

10 There are two types of shortages, I believe.
11 There is a shortage when you absolutely cannot get the
12 product and it is not available. That is definitely a
13 shortage. Then, there is a shortage that I think we are in
14 right now where patients are not aware of availability and
15 are being denied care.

16 To us as a distributor, that one is a little bit
17 more simple. If you don't have the product, that is
18 certainly understandable, but if it is there and it is not a
19 responsible distribution channel, those are the things we
20 have to change, and the utilization guidelines must be
21 dynamic to protect all patients. Typically, they are not.

22 We layer over a utilization guideline, and I refer
23 to it as "shutting the faucet off." We tell all
24 practitioners it is just not available. Someone was talking
25 about off-label demand right before I came up, and for those

1 folks, the faucet has been turned off.

2 There is no mechanism in the U.S. heretofore where
3 you can turn the faucet back on, and prescribing patterns
4 often continue to follow the last set of guidelines, and I
5 believe again there is an opportunity here to mandate the
6 tracking of blood products to the end user.

7 You will increase the safety of blood products,
8 and it will also remove the mystery from supply and demand,
9 because when you have an industry where the middlemen or the
10 distributors make more than the major stakeholders, the
11 manufacturers in some cases, then, that is characterizing
12 the biological distribution market, it is characterizing the
13 home care, you have a problem, and we are seeking to change
14 that.

15 [Slide.]

16 Our marketing strategy is listening to the needs
17 of the customer, and I appreciate your time listening to us.

18 Thank you very much.

19 DR. HOLLINGER: Thank you.

20 The next speaker is Allen Duneheew, Director of
21 Managed Care Contracting and Fractionated Blood Products,
22 Premier Purchasing Partners.

23 **Allen R. Duneheew, R.Ph., M.P.A.**

24 [Slide.]

25 MR. DUNEHEW: I work with Premier Purchasing

1 Partners. We have several offices across the country
2 including one office in Washington here. Our primary job is
3 to advocate for our members. I will show you some slides to
4 give you an idea of the impact of Premier throughout the
5 health care industry in the country.

6 We are wholly-owned by our members. We have about
7 220 shareholders. So, our total focus is really to meet
8 their needs and to advocate for them, and to work for them.

9 [Slide.]

10 This will give you an indication of our
11 penetration into the country, and I have another graphical
12 of high demonstration that gives you a better idea, too, but
13 we are really well represented throughout the continuum of
14 care, which is an important issue certainly as we talk about
15 these products, blood products.

16 We have worked with FFF to put together what we
17 think is a fairly unique program and is working well for our
18 members today in a very tight market, although that changes
19 daily, as you know.

20 Again, roughly about one-third of the health care
21 in the country belongs to Premier.

22 [Slide.]

23 This is just to show you the different types of
24 organizations. We have both small rural hospitals, as well
25 as medical centers that belong to us, individual and

1 physician group practices, hospitals, home health, home
2 infusion, as well as HMOs.

3 [Slide.]

4 This represents in the GPO world, group purchasing
5 organization world, our penetration into the market. Again,
6 it is about roughly one-third. On any given day, some of
7 these numbers change based upon who robbed the last member
8 from them and joined their group.

9 [Slide.]

10 To give you just a little bit of a background on
11 the history of this program and why we put it together. We
12 started on this probably about a year and a half ago, and as
13 you know, at that time, albumin was still a little bit of a
14 problem, but IVIG was beginning to be a significant problem,
15 and certainly it has gotten worse since then, and we had a
16 serious issue with the way the program worked before under
17 the contracts.

18 We had only three manufacturers under contract
19 through manufacturers or suppliers, if you will, and we felt
20 that there was a need really to significantly increase that,
21 and the availability of product, so we extended that out to
22 essentially all of the manufacturers or suppliers within the
23 country.

24 One of the problems we had before was that the
25 distribution channel and the selection distributors was

1 actually designated by the manufacturer, and so the problem
2 that created for us, particularly in a tight market was
3 because of a chargeback system, and if you really want some
4 information on distribution, I can give you that at another
5 time or off line, but there were some incentives in the
6 distribution system, in the standard distribution system in
7 this country, and they still exist today, although not in
8 our program, because of a practice called chargeback
9 mechanism, whereby an item would be purchased at a list
10 price, if you will, and when it was sold under contract it
11 would be sold at a lower price, and that distributor or
12 wholesaler would go back and charge back to the manufacturer
13 the difference between the contract price and the selling
14 price.

15 If that distributor chose not to sell it under
16 contract, but to sell it to anybody else, they could do that
17 freely. They were allowed to do that. So, we did not have
18 control over the product to make sure that what we had under
19 contract actually went to our members.

20 Really, Novartis worked with us in the very
21 beginning of putting this together, and actually pushed us
22 to start the program a little bit before we were ready,
23 because they realized the same issue because the majority of
24 their product was distributed through the typical pharmacy
25 wholesaler under the chargeback mechanism.

1 Today, we have one distributor, and we had RFQs
2 from I believe it was eight or nine specialty products
3 distributors across the country, and based upon what Patrick
4 and his organization could offer to us, we made the award to
5 them for an exclusive agreement.

6 Today, we have complete audit capability on the
7 total product that is supposed to go to Premier members. I
8 know monthly how much is supposed to come in to the program,
9 and I know every sale that occurs to our members, and I
10 total that up and check that every month to make sure it
11 only goes to our members because that is what we are doing,
12 we are advocating for them. It is very important.

13 Even with that, we still have not been able to get
14 enough, certainly enough IVIG to meet their needs.

15 Another thing that we did was the last bullet
16 point on that last slide, which I think is important, and we
17 treated all members as equals. I can tell you when we set
18 this program up July 1st, I had hundreds of calls a day from
19 our facilities complaining because it was a significant
20 change in the way they do business.

21 Again, I am not trying to slam manufacturers, I
22 wouldn't do that because they are important business
23 partners for us, but their alignment of goals and decisions
24 are different based upon how they do things and which
25 facility, which hospital, or home infusion company might

1 give more product because they are looking at it as how it
2 relates to the total product line that that facility buys
3 from them.

4 I can remember one comment from a teaching
5 facility, and they said, you know, "We should IVIG because
6 our patients are more important," and my response back to
7 them was, "Well, you know, if I had a child and I lived in a
8 rural area, and they were treated at a 45-bed medical
9 center, and they needed IVIG, I would have a hard time
10 believing your stance on that."

11 So, what we tried to do is really put this
12 uniformity in the system and accountability on all partners.

13 [Slide.]

14 You have wanted some numbers, so we have got some
15 numbers for you, and these are facts, and we track this
16 monthly.

17 DR. HOLLINGER: Mr. Dunehew, I am sorry. I have
18 seen equivalent units. You have used it several times.
19 Just tell me what that is.

20 MR. DUNEHEW: Equivalent units on albumin is a
21 12.5 gram equivalent unit. That is the way we measure it.

22 DR. HOLLINGER: 12.5 grams?

23 MR. DUNEHEW: 12.5 gram equivalent unit, right.
24 We don't use equivalent units on IVIG. We use grams because
25 it is easier to follow.

1 We did a survey back in January of 98, so this
2 data really is supported by two surveys of our members and
3 again of about 1700 or 1800 facilities. In January, we came
4 up with about 3.2 million grams, and that was a very small
5 return on that. We were very dissatisfied particularly when
6 you look at the problems in the market at that time, but
7 actually when we put the program together in June of '98 and
8 FFF assisted us and actually went out and talked to all of
9 our facilities in setting up our allocation program, that
10 was confirmed that really these numbers were accurate.

11 So, albumin and IVIG were both at a demand of
12 about 3.2 million units and grams respectively, and not much
13 has been mentioned about albumin today, because I realize
14 that is not a shortage issue, but I have to tell you I am a
15 little bit concerned about our ability to continue to get
16 IVIG because albumin has become a soft market and that is a
17 factor that I think really needs to be considered, as well.

18 So, in spite of that 3.2 million amount that we
19 needed, with albumin we are okay, we were able to get
20 everything we needed and actually we had a little bit of
21 excess, but when you look over here at IVIG, we could only
22 get 1.8 million grams, and that was a significant decrease
23 from what we had had in the previous year, in '96 and early
24 '97, for that matter.

25 So, we had really a shortfall of about 1.2 million

1 grams. Shortly after we went into this in July, as you
2 know, one of the manufacturers that we were depending on
3 stopped shipping product, but our other manufacturers were
4 actually very good to us and stepped up to the plate and
5 really supported the program, and we were actually able to
6 make up for that difference and get a little bit more here,
7 so we were able to get about 2 million grams over this past
8 year.

9 Now, we are very happy about this. Through a lot
10 of finagling and discussions and different angles, we were
11 able to increase the amount of IVIG. The next slide will
12 tell you some of the reasons why we were able to do that,
13 but we still have an unmet need of about 0.3 million grams,
14 but if you look here, we have only got about 2.1 million of
15 albumin under agreement now, it is actually a little bit
16 higher than that.

17 That is at our request because the albumin need
18 has decreased so significantly, and as a practicing
19 pharmacist, I get pressure sometimes to try to promote the
20 use of albumin, but I can tell you, if you can use an 80-
21 cent liter bag of saline instead of albumin, that is a good
22 move if the patient outcome is the same.

23 I would stress that when you try to look at those
24 decisions, as well as it relates to off-label use of IVIG,
25 that doesn't necessarily mean that is bad. Really, what

1 should be considered is what effect does that have on the
2 patient in terms of outcome, is there an improved outcome
3 from the use of that product, and if it is, it is
4 appropriate even though it might be off-label.

5 But in fact, there are a number of protocols out
6 there, and we have them in our own institutions where they
7 say you cannot use it for off-label use if our supply stock
8 falls below a certain safety level, like 200 grams on the
9 shelf, and those are out there and in place today.

10 [Slide.]

11 Reasons for the two-year increase. I don't want
12 to make you think that the shortage of IVIG is over because
13 I don't think it is. I think that there is still certainly
14 a need for more, and anything that can be done to increase
15 that supply is very important.

16 It is also very important because as you well
17 know, the supply status of a given company from day to day
18 or week to week, as we experienced a couple of weeks ago or
19 a week ago, changes overnight, and it can dramatically
20 change the market. So, I think the effort should really be
21 to increase the supply.

22 Again, some amount of production increases from
23 our contract with manufacturers, negotiations with the
24 business partners, substantial price increases. We have had
25 substantial price increases. One company that I won't name

1 has increased prices from the price we had in '98, before
2 the program, about 100 percent going into the first year,
3 and we just experienced about another 30 percent increase,
4 so price increases are out there, and they are happening,
5 and I could talk with you more about that later.

6 And then the success of the dedicated distribution
7 program, and I think the manufacturers today understand that
8 this has worked well for them, as well.

9 [Slide.]

10 Measurement of availability. Just some
11 suggestions from my perspective that I think would be of
12 some assistance. That is, groups, such as Premier could be
13 used as a reference on a monthly or quarterly basis. What
14 are we receiving into the program, and what is being
15 ordered, is there any excess supply, you know, even to the
16 point where if there was a need for a survey, perhaps there
17 could be some assistance put together for that.

18 I think this is a good measure. IVIG, if you are
19 looking at it, just monitor the spot market price. If it is
20 \$130 a gram, you know, there is a significant shortage
21 because they are able to sell it at that price. Spot
22 marketers, sometimes I refer to them as privateers or
23 pirateers I guess.

24 The other part of it, too, is if it gets down to
25 \$50 or \$60 a gram, supply is pretty good. You can get it

1 for most needs. Availability of product under the Emergency
2 Supply programs--and this changes, too, based upon
3 availability--and then there maybe is an opportunity for an
4 FDA web site through something you already have created,
5 that is specific to this issue, and we could even help
6 publicize that with our members and get that information out
7 that if there are supply problems on a patient level basis
8 or whatever, then, we could help get that information.

9 [Slide.]

10 Some general suggestions. Communicate supply
11 status to physicians or changes in supply status to
12 physicians and health care practitioners. I think this is
13 important, if, in fact, the IVIG market does soften up a
14 little bit, because those facilities that have protocols in
15 place, probably need to decrease the enforcement of those
16 protocols, and we try to keep our members up to date on
17 where we are.

18 Recommend flexibility in the application
19 protocols. Continue to work to increase the overall supply,
20 as I mentioned before. I do think that that is important.
21 Again, I am concerned about albumin, because it is going to
22 have an impact. I am not sure what it is, but it is going
23 to have an impact on IVIG availability one way or the other,
24 and again, the off-label use is not necessarily bad use, it
25 is what impact does it have on the patient outcome for that

1 indication.

2 Thank you.

3 DR. HOLLINGER: Thank you.

4 We have got two more discussants and someone who
5 has asked to speak in the open public hearing plus anything
6 that anyone wants to say. What I would like to do is maybe
7 just take a 15-minute break.

8 It is 4:33, so let's say around quarter until 5:00
9 we will break.

10 [Recess.]

11 DR. HOLLINGER: We will go on here.

12 I think this is also a critical discussion, is
13 demand being met. This is important because now we are
14 going to have a perspective from the user community, and the
15 first speaker will be Mr. Patrick Collins from the National
16 Hemophilia Foundation.

17 **Is Demand Being Met?**

18 **Patrick Collins**

19 MR. COLLINS: Thank you, Dr. Hollinger, and good
20 afternoon, everybody, or should I say good evening by now.

21 My name is Patrick Collins and I am Director of
22 Government Relations at the National Hemophilia Foundation.
23 Presenting with me will be Thomas Moran, President of the
24 Immune Deficiency Foundation.

25 We would like to discuss the current demand for

1 product and whether or not that demand is being met. I will
2 speak about the current demand for clotting factors, immune
3 globulins, and alpha-1 proteinase inhibitors. Tom will then
4 discuss the greater concerns and demands for plasma
5 derivatives as a whole and conclude with some suggestions on
6 what the plasma manufacturers, public health service, and
7 consumer groups can do to remedy the supply and demand
8 issue.

9 I think clearly today we have seen a difference
10 between those who are experts in the field of methodology
11 and those who are experts in the field of disease, and I
12 hope that after our presentation today, that difference will
13 be cemented.

14 I would like to start with the most glaring
15 example of a product that is in great demand, yet is not
16 anywhere close to having that demand met. Individuals with
17 alpha-1 antitrypsin deficiency depend on the alpha-1
18 proteinase inhibitor to prevent lung damage from infections
19 that cause the loss of lung function, disability, and
20 ultimately death.

21 The demand for this product is great, yet there is
22 only one available licensed product within the United
23 States, that being Prolastin by Bayer. Unfortunately,
24 Prolastin is being rationed at 50 percent of historic
25 purchasing levels for distributors and care providers.

1 There are approximately 5,000 individuals who
2 realize that they are antitrypsin deficient in the United
3 States, and they cannot get product. These 5,000
4 individuals are basically held at the whim of the Bayer
5 Corporation.

6 A unilateral reduction of product by 50 percent
7 has made this community the most glaring example of
8 individuals who are in desperate need of product, yet, they
9 cannot get it. Obviously, Bayer has not met the demand for
10 this product in the antitrypsin deficient community.

11 Regretfully, the Alpha I Foundation states that
12 this situation will in all likelihood continue until two
13 other products, which are in Phase III trials currently, are
14 licensed are leased onto the market.

15 What is a community to do when this demand for
16 product is not met? Comes before this advisory committee,
17 as well as others, time and again. Let's hope that one day
18 the demand for alpha-1 proteainase inhibitor is met, because
19 right now it is not, and unfortunately, people's lives are
20 at stake.

21 I would now like to take a couple of minutes to
22 review whether demands for coagulation factors VIII and IX,
23 inhibitor products and von Willebrand factor have been met.

24 With regard to factor VIII, NHF has appeared
25 before both this committee and the Blood Safety and

1 Availability Committee to discuss the shortage of factor
2 VIII, specifically, recombinant factor VIII.

3 The situation was rather dire at one point until
4 October 1998 when Baxter Health Care received FDA licensure
5 of their recombinant plant in Thousand Oaks, California.
6 Baxter's product release has helped to significantly ease
7 the shortage. Supply data that the IPPIA makes available
8 indicate that the distribution of factor VIII, specifically
9 recombinant, has begun to increase as a result of this
10 influx of product.

11 For the last available month in the IPPIA supply
12 data, at least the data that I receive, March 1999, over
13 103,000 international units of recombinant factor VIII were
14 in inventory. This is the largest inventory in almost one
15 year.

16 In our informal polling of the hemophilia
17 treatment centers, there is some difficulty in having supply
18 met based on which manufacturer the center may have a
19 contract with, but product now does seem to be available.
20 Some work and creativity may be required to obtain this
21 product, but it can be obtained.

22 I would just like to comment briefly on an
23 overhead that Mark Weinstein had on the overhead about an
24 hour or so ago with regard to the distribution of factor
25 VIII. Mark claims that the distribution has been pretty

1 constant, and I wouldn't necessarily disagree with him,
2 however, I think it is incontrovertible to argue that both
3 Bayer and Baxter at one point were on allocation.

4 So, while factor VIII distribution may have been
5 more or less constant, recombinant factor VIII, of which
6 approximately 70 percent of the population was on, that
7 distribution was not constant.

8 As a result of that, people had to switch in some
9 cases, and quite a few cases, to high purity plasma-derived
10 product, and the IPPIA data does reflect that for the summer
11 months of 1998 specifically. Some had to switch to medium
12 purity product, basically, any product they could get from
13 all the way down to Joe's backyard sludge factor plant, but
14 while the distribution may be constant, the quality of that
15 product was nowhere near constant.

16 The hemophilia treatment center polling that we
17 did does indicate an underlying concern. Although
18 recombinant factor VIII is obtainable, it is not readily
19 available. As a result of the HTC's report--and this is
20 informal data, basically me getting on a phone calling every
21 HTC--but they state that while getting product in some cases
22 was difficult, but they were more or less able to get it,
23 that some treatments, such as elective surgeries on numerous
24 occasions, as well as immune tolerance and prophylaxis
25 treatment was deferred.

1 These procedures are treatments are part of the
2 demand that the bleeding disorders community has for
3 product. Surgeries, such as joint replacement, while
4 classified as elective, are a major need for many
5 individuals who have to deal with chronic pain as a result
6 of hemophilic joint destruction.

7 Moreover, immune tolerance treatment is a
8 universally recognized method to eliminate inhibitors, and
9 prophylaxis is recognized as a good method to prevent
10 bleeding disorders. By having this treatment deferred, a
11 person, in most cases a child, does risk life-threatening
12 bleeding episodes.

13 Having access to immune tolerance treatment,
14 prophylaxis treatment, and elective surgery is one desire,
15 in fact, it is a demand, of the bleeding disorders
16 community. Unfortunately, there is not enough available
17 product to meet this demand.

18 Factor IX deficient patients are in a far worse
19 situation, unfortunately. While the recombinant factor IX
20 benefits is readily available, there is a percentage of the
21 community that either cannot afford the product or have had
22 a negative reaction when using the product. Hence, these
23 individuals are relying on plasma-derived factor IX
24 products.

25 Unfortunately, the plasma-derived products are in

1 incredibly short supply, factor IX specifically. The IPPIA
2 supply data states that for March 1999, only 3478
3 international units were in inventory. In our informal
4 polling of the HTC's, more than half of those responded cited
5 difficulty in acquiring plasma-derived factor IX product
6 even for routine use. I think that is an obvious case of
7 demand not being met.

8 The same holds true for inhibitor bypassing
9 products. The HTC's overwhelmingly reported difficulty in
10 acquiring such products.

11 Lastly, for von Willebrand factor products, there
12 is just presently not enough data to judge. Many factor
13 products are use off label in treating bleeding episodes in
14 von Willebrand patients, and just recently the FDA for this
15 indication approved human AP. Yet, the availability of this
16 product, as well as other factor VIII concentrates that have
17 been successfully used to treat von Willebrand disease have
18 been dangerously limited.

19 Will the licensing of human AP ease the situation?
20 We can only hope so.

21 The bleeding disorder community views as necessary
22 having enough product, so that a normal life can be lived
23 without the fear of a bleeding episode, having enough
24 product available for elective surgeries, such as joint
25 replacement, and having enough product available for

1 preventive treatment, such as the elimination of inhibitors
2 and prophylaxis treatment.

3 These are the needs of the bleeding disorder
4 community, and they are currently not being met.

5 Lastly, with regard to immune globulin, I think it
6 is fair to say that we are aware that demand for this
7 product is not being met. The Shays Committee had a hearing
8 last summer, which I am sure we are all well aware of, as
9 well as 60 Minutes, which profiled the situation in detail
10 last summer.

11 In 1996, 17,000 kilograms of IGIV were consumed in
12 the U.S. marketplace. In 1998, 15,200 kilograms were
13 released to the U.S. market, which is a reduction of over 10
14 percent from the 1996 levels.

15 Projecting IPPIA data on IGIV released through
16 April 1999, it appears that 1999 IGIV levels will be very
17 close to those in 1998. In prior BPAC meetings, the Immune
18 Deficiency Foundation has reported on the significant
19 negative health consequences of the IVIG shortage to its
20 members during 1998.

21 I would like to now turn it over to Tom Moran, who
22 will speak in more detail on IGIV specifically, as well as
23 discussing action steps required for industry and regulators
24 in light of this dynamic situation.

25 Thank you.

1 **Thomas L. Moran**

2 MR. MORAN: I have got about a five-minute
3 presentation, Mr. Chairman, so I will get through quickly.

4 [Slide.]

5 I just wanted to comment on an earlier point that
6 was raised related to someone asked a question about the
7 FDA's--since the last BPAC meeting--the FDA's efforts with
8 respect to licensing new products and the issues of
9 surrogate markers, and so forth.

10 Dr. Golding responded on behalf of FDA, and I just
11 wanted to add my confirmation that IDF is working with three
12 companies now with the cooperation and the assistance of
13 FDA, and I think there is progress being made with respect
14 to moving some product approvals through the system, and I
15 think that perhaps by the next BPAC meeting, there may well
16 be some very tangible evidence of that.

17 As Patrick Collins has just pointed out, there are
18 serious personal consequences when patients are unable to
19 obtain or afford their therapies. The testimony at previous
20 BPAC meetings of the Alpha I Foundation and the Immune
21 Deficiency Foundation, and others, have reported serious
22 adverse health consequences within our communities resulting
23 from shortages of plasma derivatives.

24 It might be useful to revisit one piece of data
25 from the IDF Physician Surveys conducted in April and August

1 of 1998. This data captures how physicians and the
2 pharmacists who order for them adjust to market shortages
3 and how this might affect personal health.

4 [Slide.]

5 Many of the strategies listed on this chart result
6 in a reduced use of IGIV. One could call it reduced demand.
7 For example, when physicians postpone scheduled infusions,
8 switch to different IGIV brands, increase the interval
9 between infusions, reduce the dosage, or eliminate therapy
10 altogether.

11 Basically, what happens is you have a diminished
12 demand for a short period of time, but this raises questions
13 about the quality of health care that the patients are
14 receiving.

15 [Slide.]

16 In response to the IVIG shortage and in response
17 to the health care related problems our patients were
18 having, the IDF, in cooperation with the IGIV brandowners,
19 listed here, and FFF Enterprises, established the Safety Net
20 program to provide IGIV on an emergency basis to physicians,
21 stipulating a need for product based on medical necessity.

22 [Slide.]

23 To date, 368 physicians have enrolled in this
24 program, 132 immunologists, 236 physicians from other
25 specialties.

1 [Slide.]

2 Between January 1, when the program began, and May
3 31st of 1999, 76,000 grams of IGIV have been shipped at the
4 request of IDF through the Safety Net program.

5 [Slide.]

6 1,224 patients in 43 states have benefitted from
7 Safety Net.

8 [Slide.]

9 Sixty-eight percent of those patients, or 826,
10 have diagnoses of primary immunodeficiency disease. I would
11 just like to point out very quickly that IDF does not limit
12 the distribution of IVIG to primary immunodeficient
13 patients, but rather distributes it based on the
14 representation from physicians of medical necessity, and
15 this is an issue that was discussed earlier.

16 A comment that I have made in previous meetings is
17 that on-label and off-label is not necessarily the same
18 discussion as medically necessary or non-medically
19 necessary.

20 [Slide.]

21 We have trends although we are dealing with a much
22 smaller quantity of product than Patrick discussed earlier,
23 and as you can see, these are three of the products that we
24 distribute through Safety Net, and what these graphs
25 represent are really a velocity or product moving into the

1 IDF Safety Net program and off, so the data points are how
2 quickly that product is exhausted.

3 As you can see, the trend from March through May
4 in all three products was slightly up. I will say, however,
5 that we, too, have noticed in the last several weeks a
6 subtle softening, if you want to call it that, and perhaps
7 if we had June data, it may show a similar kind of down
8 trend to what Patrick has shown.

9 However, I think another explanation for the
10 apparent discrepancy between the FFF data and the Safety Net
11 trend lies in the fact that we are seeking out spot
12 shortages as distinct from the spot market.

13 We are seeking out places that cannot get IGIV
14 that might be outside of the general purchasing
15 organizations, and these kinds of spot shortages come to our
16 attention, so that may help explain the trend if, in fact,
17 there is a softening in the general market.

18 I would, however, caution FDA and the committee to
19 avoid over-interpretation of short-term trends in a market
20 where we know we are still 10 percent below historical
21 supply levels.

22 This leads me to the main point that plasma
23 consumers would like to make. Well, just one other comment
24 before I make the main point.

25 [Slide.]

1 The IGIV supply in the United States, April 30th
2 of 1999, as estimated by IPPIA at 10 days in '98, 11 days,
3 so I am not quite as sanguine as some of the other
4 presenters today about the shortage may be over.

5 One can imagine any number of scenarios including
6 a strike by UPS, for example, that could well--10 days is
7 not a big safety margin.

8 [Slide.]

9 The point, however, that we want to make is that
10 as product shortages persist, the market adjusts to the
11 current supply reality, a kind of equilibrium occurs, and
12 what happens in the marketplace are things like the
13 rationing protocols that Allen Duneheew mentioned, where
14 hospitals are in effect rationing IGIV.

15 Alternative therapies are employed where possible,
16 maybe not equivalent therapies, but alternative therapies.
17 Some therapy, as we saw in the first slide, can be
18 eliminated. Patients migrate to different sites. If they
19 can't get it from their home care company, they may well get
20 it from the hospital.

21 [Slide.]

22 As a result of these adjustments to supply, the
23 public health consequences of these adjustments must be
24 understood in order to develop appropriate public policy
25 responses. The question of the current theoretical upper

1 limits of demand is an interesting question and probably
2 important to corporate planning for investment purposes, but
3 the more relevant question for consumer groups, and we
4 believe for agencies mandated with public health
5 responsibilities, like FDA and CDC, is what are the health
6 consequences to patients of different levels of product
7 supply.

8 What I am suggesting is we may be stuck at a
9 situation where demand equals supply at 15 million grams,
10 for example, of IGIV, where people are being undertreated or
11 not being treated, and there may, in fact, if pharmacists
12 and physicians and others get used to this availability,
13 this level of supply, we can have a persistent situation
14 where less than optimum health care is being delivered.

15 [Slide.]

16 Expressed differently, in markets like IGIV, A1PI,
17 and certain clotting factors, where there is 100 percent
18 consumption of existing product, are we failing to meet the
19 therapeutic needs of patients with accompanying adverse
20 health consequences?

21 I would like to assert that in my opinion this is
22 probably the case today. What the consumer organizations
23 are recommending, and following on to I think what was
24 implicit in a lot of the presentations today, is that I
25 think we need to find out what the facts are.

1 [Slide.]

2 I guess the difference in our perspective is we
3 would take it from a health point of view rather than a
4 supply and demand point of view, but I think a collaborative
5 effort that involved consumer groups, medical societies,
6 pharmacists, distributors, general purchasing organizations,
7 manufacturers, U.S. Public Health entities like FDA and CDC,
8 I think we need to get together and find out are we stuck in
9 second gear and are people getting sick as a result of that,
10 or are the consequences of the shortage from a public health
11 perspective not quite as significant as perhaps we believe.

12 [Slide.]

13 The kind of data that we look at is how are these
14 products used by diagnosis. If you take IGIV as an example
15 how much of the product is being used on-label, off-label,
16 how much is being used in medically necessary areas, maybe
17 how much of it is reaching areas that are generally agreed
18 not to be medically necessary.

19 How are products, for example, like clotting
20 factors being used with respect to prophylactic treatments
21 versus acute care, is there product enough to provide
22 prophylaxis. Stage of disease that these products are being
23 used at in the A1PI community, the Alpha I Antitrypsin
24 community, is it simply people that are in late stages of
25 disease, or is there enough product to get patients in early

1 stages of disease on product.

2 Finally, are patients having to migrate from one
3 treatment setting to another in order to get their drug.

4 The distinction we are making is between levels of
5 care based on emergency supply versus optimal care based on
6 accepted medical standards. We think that the discussion
7 and research, data collection, data analysis needs to take
8 into account the health consequences at each level of
9 supply, at each level of these products.

10 I don't think this has to be an extraordinarily
11 complex undertaking although I know it is not easy. I think
12 FDA notice could well get a lot of cooperation from all
13 elements of the industry including the consumer group to
14 accomplish this, and I think that is where we need to go.

15 Thanks.

16 DR. HOLLINGER: Thank you.

17 Any questions for these last two speakers?

18 [No response.]

19 DR. HOLLINGER: If not, one person asked to speak
20 in the open public hearings, and that is Nancy Buelow, who
21 represents Alpha I National Association.

22 **Open Public Hearing**

23 MS. BUELOW: Good afternoon. My name is Nancy
24 Buelow and I am here today to represent the Alpha I National
25 Association.

1 We have only one manufacturer, and that
2 manufacturer cannot meet demand for the people who have
3 alpha-1 antitrypsin deficiency. We are currently under a 60
4 percent allocation based on patient population that was in
5 1997. We are diagnosing a new alpha every day, and they
6 can't be treated because of lack of product.

7 We have patients of long standing that suddenly
8 have no product at all. Unfortunately, this usually starts
9 in the VA, and a lot of these are Viet Nam veterans.

10 I am one of those people that you have referred to
11 today, the other people, and we live in constant fear,
12 wondering when we will get our next product, where it is
13 going to come from, and if we are going to have it.

14 Without product, we are very vulnerable to lung
15 infections. When we get a lung infection, we can lose quite
16 a bit of lung function at a very fast rate. I am just
17 recovering from a lung infection. I have 36 percent lung
18 function, which I can do pretty well with, and I would like
19 to keep it.

20 We are hearing that full production will not ever
21 meet our demand. We need more product and a safe product
22 for this life-sustaining drug. I would like to thank you
23 for the supply and demand continued discussions. I would
24 also like to thank Mr. Collins and Mr. Moran for their
25 perspective.

1 Our community needs to know what can be done to
2 help us, and, please, ask the industry to address when we
3 can expect to again get our full prescription dosage at our
4 weekly intervals.

5 Thanks for the opportunity to address these
6 concerns and holding this committee.

7 DR. HOLLINGER: Thank you.

8 Anyone else from the public wish to make a
9 statement?

10 [No response.]

11 **Committee Discussion**

12 DR. HOLLINGER: If not, we will close that portion
13 and then just see if there is any issues that should be
14 dealt with here by the committee. A lot of this was
15 information about where I think we stand at the present time
16 with many of the products.

17 Is there anyone that has any issues that they
18 would like to discuss? Yes, Dr. Ohene-Frempong.

19 DR. OHENE-FREMPONG: I have a question about the
20 alpha-1 proteinase inhibitor production. Is there anybody
21 here representing--is it Bayer? Is there any reason that
22 anybody can give for why there are only 50, 60 percent of
23 demand? Is this something very difficult to produce?

24 MS. HUXSOLL: My name is Jean Huxsoll, and I am
25 from Bayer. It is not a decrease in production. It has

1 been an increase in demand. We recognize that if the
2 increase in demand continued, there would be a shortage as
3 there was with IGIV, so the 60 percent limitation was set so
4 that we would not end up with some consumers not having any
5 product at all.

6 DR. HOLLINGER: I am sorry. So, product was
7 mostly a decision made to produce Prolastin as well as well
8 as IGIV, is that what you are saying?

9 MS. HUXSOLL: No. The Prolastin production has
10 not changed. The demand has increased. So, in order not to
11 have a shortage the same as we did about a year ago with the
12 IGIV, the 60 percent limitation was put on, so that there
13 would be product available.

14 DR. HOLLINGER: Why do you think the demand has
15 changed?

16 MS. HUXSOLL: I am sorry, I can't answer that. I
17 don't know.

18 DR. KOERPER: I think there is more people being
19 diagnosed with this.

20 DR. HOLLINGER: Anyone else? Yes, please.

21 DR. MITCHELL: How long do you expect that the
22 demand will stay at high levels, and are you planning on
23 being able to meet those demands?

24 MS. HUXSOLL: I can't answer the question about
25 the demand, but I can tell you that one of the things that

1 we are trying to do right now, the product has a very low
2 yield and comes from an intermediate fractionation product.
3 We are presently processing all of that material that we
4 have in house, but we are looking at establishing contracts
5 with the other manufacturers, so we can buy that
6 intermediate from them and increase the production.

7 DR. MITCHELL: Do you have a time frame on that?

8 MS. HUXSOLL: I know that a present contract is
9 being negotiated as we speak, and we expect to get material
10 in the next month or two.

11 DR. HOLLINGER: Are there other manufacturers of
12 this product?

13 DR. EPSTEIN: There are IND holders.

14 DR. HOLLINGER: Yes, please.

15 MS. MARA: My name is Judy Mara and I am President
16 of the Alpha I Association. I just wanted to clarify a
17 little bit. Even though we are on 60 percent allocation,
18 because of distribution inequities, we have many people not
19 getting product at all, so that that is a real big problem
20 for us.

21 Also, anyone newly diagnosed for the last year has
22 not been able to get product.

23 DR. EPSTEIN: I just wanted to ask Judy Mara,
24 could you just state for purpose of clarity whether Bayer is
25 at full production in all its facilities or not? The

1 previous speaker I am asking. I am sorry, Jean Huxsoll. I
2 am sorry, I asked the wrong person, my fault.

3 MS. HUXSOLL: Yes, it is my understanding that we
4 are at full production.

5 DR. HOLLINGER: That is the only manufacturer of
6 that product available, is that correct?

7 DR. EPSTEIN: Again, there are some IND products,
8 but there is only one licensed product.

9 DR. HOLLINGER: One licensed product at the
10 present time, some in IND. Okay.

11 Dr. Boyle.

12 DR. BOYLE: I would just like to point out that we
13 have been in the IVIG shortage for some time, and I am
14 encouraged today because we have learned some new things
15 that we didn't know a year ago, but we are faced at this
16 committee with a lot of decisions and a lot of decisions we
17 have to make with fairly limited information.

18 Now, one of the things we learned today was the 10
19 percent estimate about demand turns out to be based on
20 qualitative research. I don't blame the people who do the
21 qualitative research. I have done the focus groups and seen
22 the people in the back room say three of the people like my
23 product, that is a 30 percent market share, but it is very
24 important to recognize that that data really doesn't tell us
25 what we need to know.

1 It is also important to note that because the FDA
2 at least currently does not produce and distribute gamma
3 globulin, the likelihood that continued calls to them will
4 represent the unmet demand, it just isn't going to happen.
5 If they call and you can't help them, they are not going to
6 call you back.

7 What is very important for us to understand in the
8 future is to get true measures of demand. I think it is
9 important that some of the things that were suggested sort
10 of towards the end, that there needs to be some improved
11 information collection and that because of the different
12 parties involved, government, industry, and consumer groups,
13 there has to be some effort made to try to bring them
14 together to get that better information.

15 DR. HOLLINGER: I was impressed with listening to
16 some of the presentations today, at least that the
17 distribution seems to be getting in hand a little bit, so
18 you don't have these areas where there is no product and
19 some product at least, as well as a control on the cost,
20 which seems to be was a critical problem at one point of who
21 could get it, and then if that person could get it, how much
22 it costs.

23 That, at least in the marketplace, seems to be
24 working toward smoothing that out a little bit, and I think
25 that was very encouraging over the past few months.

1 Anybody else with a comment?

2 [No response.]


3 DR. HOLLINGER: I appreciate everybody's responses
4 today and discussion. We will start tomorrow morning at 8
5 o'clock.

6 [Whereupon, at 5:20 p.m., the proceedings were
7 recessed, to resume at 8:00 a.m., Friday, June 18, 1999.]

8 - - -

C E R T I F I C A T E

I, ALICE TOIGO, the Official Court Reporter for Miller Reporting Company, Inc., hereby certify that I recorded the foregoing proceedings; that the proceedings have been reduced to typewriting by me, or under my direction and that the foregoing transcript is a correct and accurate record of the proceedings to the best of my knowledge, ability and belief.


ALICE TOIGO