

## FOOD AND DRUG ADMINISTRATION

+ + + + +

## CENTER FOR BIOLOGICS AND RESEARCH

+ + + + +

## ALLERGENIC PRODUCTS ADVISORY COMMITTEE

+ + + + +

## OPEN COMMITTEE DISCUSSION

+ + + + +

WEDNESDAY,  
SEPTEMBER 13, 2006

+ + + + +

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly, the Food and Drug Administration makes no representation as to its accuracy.

The Committee met in Conference Rooms A and B, Building 29B, National Institutes of Health, Bethesda, Maryland, at 12:00 noon, Larry Borish, Chairman, presiding.

COMMITTEE MEMBERS PRESENT:

LARRY BORISH, Chairman

FRED M. ATKINS

CHRISTY OLSON

STEVEN OSTROVE

JAY M. PORTNOY

GILLIAN SHEPHERD

MARSHA WILLS-KARP

CONSULTANT PRESENT:

LYNELLE C. GRANADY

EXECUTIVE SECRETARY PRESENT:

GAIL DAPOLITO

ALSO PRESENT:

JAY E. SLATER

RONALD RABIN

RICHARD I. WALKER

MILAN S. BLAKE

MICHAEL J. BRENNAN

NORMAN BAYLOR

FLORENCE HO

C O N T E N T S

	<u>PAGE</u>
Introductions .....	4
Conflict of Interest Statement .....	8
<u>Topic 1</u> : FDA Proposed Strategy for the Reclassification of Category IIIA Allergenic Products, Dr. Jay E. Slater .....	12
Recognition of Committee Service of Retiring Member, Dr. Jay E. Slater .....	79
<u>Topic 2</u> : Research Update	
Dr. Jay E. Slater .....	81
Dr. Ronald Rabin .....	91

P R O C E E D I N G S

(11:57 a.m.)

CHAIRMAN BORISH: This is Larry Borish and I would like to welcome everyone to the meeting of the Allergenic Products Advisory Committee, and I will start by introducing myself and I'll just say a couple of words to defend, explain why I'm sitting here in the chair seat.

I'm currently a professor at the University of Virginia in the Division of Allergy, and I'm actually on a sabbatical in Boston for six months.

My interest in allergenic products goes back to a long line of research I've done in mechanisms of allergy and especially immunotherapy, which led to my being chair for many years of the Academy Immunotherapy Committee and the Biotherapeutics Committee, and I think my nomination for this committee came through the auspices of the Academy of Allergy, the College of Allergy.

And I immediately went from being a member of the committee to being chair without ever having attended a meeting. This is my first meeting, and you'll have to bear with me while I learn some of the ropes.

That is my defense of why I'm here. While

1 I don't have a specific research interest in  
2 allergenic products, I have a huge personal interest  
3 in mechanisms and what we're doing in this field, and  
4 the defense of this really comes down to a running  
5 joke. When I was the chair of the Immunotherapy  
6 Committee, given by innumerable Fellows, and the joke  
7 always went along the lines of, "What are you doing  
8 chairing the Immunotherapy Committee, Larry? You've  
9 never actually given an allergy shot in your life,  
10 have you?" which is something of an exaggeration.

11 Anyway, that is my interest and why I'm  
12 here, and let me turn the chair or turn the speaker  
13 over to Gayle for a moment, who will introduce herself  
14 and other members here today.

15 MS. DAPOLITO: Thank you, Dr. Borish.

16 I'm Gail Dapolito. I'm the Executive  
17 Secretary for the committee, and what I'd like to do  
18 is first check with the committee members who are on  
19 the teleconference. Can you hear us okay? If I don't  
20 hear a no, then I'll assume everyone can hear us okay.

21 I think we just had some members join us.  
22 Can I ask is Dr. Shepherd on the line?

23 DR. SHEPHERD: Yes, I'm here.

24 MS. DAPOLITO: Okay, and Dr. Wills-Karp?

25 DR. WILLS-KARP: Yes, I just joined.

1 MS. DAPOLITO: Oh, terrific. So what I  
2 would like to do now is I'll call the roll of the  
3 committee alphabetically, and if the committee members  
4 could introduce themselves please. Dr. Atkins.

5 DR. ATKINS: I'm Dan Atkins. I'm from  
6 National Jewish Medical Research Center. I'm the  
7 Director of Ambulatory Pediatrics here.

8 MS. DAPOLITO: Thank you, and Dr. Granady,  
9 I think, went off the phone for a few minutes.

10 Ms. Olson.

11 MS. OLSON: Hi. I'm a consumer  
12 representative. I'm a patient education specialist,  
13 and I work at the Mayo Clinic in Rochester, Minnesota.

14 MS. DAPOLITO: Thank you.

15 Dr. Ostrove.

16 DR. OSTROVE: Yes. I'm president of my  
17 own validation client's company. I'm a biochemist by  
18 degree and have worked in basic research and allergy  
19 research years ago while I was doing my doctorate and  
20 postdoctoral work.

21 MS. DAPOLITO: All right, and Dr. Portnoy.

22 DR. PORTNOY: Jay Portnoy. I'm the Chief  
23 of Allergy at Children's Mercy Hospital in Kansas  
24 City.

25 MS. DAPOLITO: Dr. Shepherd.

1 DR. SHEPHERD: Gillian Shepherd. I'm on  
2 the staff at Cornell University in New York,  
3 previously directing the clinical services in  
4 immunology, now in private practice.

5 MS. DAPOLITO: Thank you.

6 Dr. Wills-Karp.

7 DR. WILLS-KARP: I'm Marsha Wills-Karp.  
8 I'm Director of Immunobiology at Children's Hospital  
9 in Cincinnati.

10 MS. DAPOLITO: Thank you.

11 We have one speaker phone in our office,  
12 in our conference room here, and we have it on the  
13 highest volume. So I would ask the committee members  
14 to speak as loud as you're comfortable with for us so  
15 we can all hear you in the room.

16 Thank you.

17 And I'd like to go around the table and  
18 introduce the FDA staff here. Shall we start with Dr.  
19 Slater?

20 DR. SLATER: Sure. I'm Jay Slater. I'm  
21 the Chief of the Laboratory of Immunobiochemistry in  
22 the Division of Bacterial, Parasitic, and Allergenic  
23 Products.

24 DR. RABIN: I'm Ron Rabin. I'm a Senior  
25 Staff Fellow in the Laboratory of Immunobiochemistry.

1 DR. BAYLOR: I'm Norman Baylor, the  
2 Director of the Office of Vaccines.

3 DR. HO: Florence Ho, Deputy Director,  
4 Office of Vaccines.

5 DR. BRENNAN: I'm Michael Brennan, the  
6 Associate Director of Research for the Office of  
7 Vaccines.

8 DR. BLAKE: I'm Milan Blake, Deputy  
9 Director of DBDAP.

10 DR. WALKER: I'm Dick Walker. I'm the  
11 Director of the Division of Bacterial, Parasitic, and  
12 Allergenic Products.

13 MS. DAPOLITO: Thank you.

14 I wanted to tell the committee on the  
15 phone we do have a few members of the public with us  
16 today, and other staff from FDA, and a video company  
17 FDAAdvisoryCommittees.com, just so you have a feel for  
18 what we are on site.

19 Dr. Borish, shall I read the conflict of  
20 interest statement?

21 CHAIRMAN BORISH: Yes, please.

22 MS. DAPOLITO: Okay. This is the conflict  
23 of interest disclosure statement for the Allergenic  
24 Products Advisory Committee Meeting September 13th,  
25 2006.



1           The Food and Drug Administration convenes  
2 today's meeting of the Allergenic Products Advisory  
3 Committee via teleconference under the authority of  
4 the Federal Advisory Committee Act of 1972. With the  
5 exception of the industry representative, all members  
6 and consultants of the committee are special  
7 government employees, and are subject to the federal  
8 conflict of interest laws and regulations.

9           Dr. Steven Ostrove serves as the industry  
10 representative acting on behalf of all related  
11 industry and is president of Ostrove Associates,  
12 Incorporated. Ostrove Associates provides consulting  
13 services to pharmaceutical clients in validation and  
14 regulatory affairs. Industry representatives are not  
15 special government employees and do not vote.

16           The following information on the status of  
17 this advisory committee's compliance with federal  
18 ethics and conflict of interest laws, including, but  
19 not limited to, 18 USC Section 201 and 21 USC Section  
20 355(n)(4), is being provided to participants of  
21 today's meeting and to the public. FDA determined  
22 that members and consultants of this advisory  
23 committee are in compliance with federal ethics and  
24 conflict of interest laws, including, but not limited  
25 to, 18 USC Section 208 and 21 USC Section 355(n)(4).

1 Under 18 USC 208, applicable to all  
2 government agencies, and 21 USC 355, applicable to  
3 certain FDA committees, Congress authorized FDA to  
4 grant waivers to special government employees who had  
5 financial conflicts when it is determined that the  
6 agency's need for a particular individual's services  
7 outweighs his or her potential financial conflict of  
8 interest, Section 208, and where participation is  
9 necessary to afford essential expertise, Section 355.

10 Related to Topic 1, the committee  
11 discussion of FDA's proposed strategy for  
12 reclassification of Category IIIA, allergenic  
13 products, members and consultants of the committee who  
14 are special government employees at today's meeting,  
15 including special government employees appointed as  
16 temporary voting members, were screened for potential  
17 financial conflict of interest of their own as well as  
18 those imputed to them, including those of their  
19 employer, spouse or minor child. These interests may  
20 include investments, consulting, expert witness  
21 testimony, contracts, grants, CRADAs, teaching,  
22 speaking, writing, patents and royalties, and primary  
23 employment.

24 In accordance with 18 USC Section  
25 208(b)(3), the waiver was granted to Dr. Jay Portnoy.

1 A copy of the waiver statement may be obtained by  
2 submitting a written request to the agency's Freedom  
3 of Information Office, Room 12A-30 of the Parklawn  
4 Building.

5 For Topic 2, the committee will receive an  
6 update on the research programs in the Laboratory of  
7 Immunobiochemistry, Office of Vaccines, Research and  
8 Review.

9 We would like to remind members and  
10 consultants that if the discussions involve any other  
11 products or firms not already on the agency for which  
12 an FDA participant has a personal or imputed financial  
13 interest, the participants need to exclude themselves  
14 from such involvement, and their exclusion will be  
15 noted for the record.

16 FDA encourages all other participants to  
17 advise the committee of any financial relationships  
18 that you may have with any sponsor, products, direct  
19 competitors, and firms that could be affected by the  
20 discussions.

21 This conflict of interest statement is  
22 available for review at this meeting. Please see the  
23 Executive Secretary.

24 Thank you.

25 Dr. Borish.

1 CHAIRMAN BORISH: Thank you, Gail.

2 At this point maybe we should defer the  
3 next item on the agenda and move right on to Topic No.  
4 1, which will be the proposed strategy for  
5 reclassification of Category IIIA, allergenic  
6 products, and I'll turn the meeting over to Jay  
7 Slater to handle this part of it.

8 DR. SLATER: Thank you very much, Dr.  
9 Borish.

10 First of all, let me just ask. We can  
11 hear the committee members pretty clearly. Can you  
12 all hear me very clearly?

13 PARTICIPANTS: Yes.

14 DR. SLATER: Okay. Terrific. Okay. Then  
15 we'll proceed.

16 And you should all have a copy of my  
17 presentation, "The Efficacy Review of Allergenic  
18 Products." Do you have that?

19 PARTICIPANTS: Yes.

20 DR. SLATER: Okay. Then what I'm going to  
21 do is as I go through these slides I'm going to  
22 identify the slide that we're on by number. The  
23 numbers actually appear in the lower right-hand corner  
24 of most, but not all, of the slides. So we may have  
25 some gaps, but I think it will be pretty clear.

1 First of all, I want to thank you all for  
2 participating in today's meeting and giving us your  
3 time to discuss these matters. What I'm going to talk  
4 about is a process that I actually talked about in our  
5 last meeting, which was held in April 2005.

6 Only some of you were actually in  
7 attendance at that meeting. We have new members and  
8 we have some members that were new at that meeting but  
9 couldn't attend, and so I'm going to spend the first  
10 half of this presentation reviewing some items that we  
11 discussed back then, and then I'm going to give you an  
12 update on where we have gone with this process.

13 Go to Slide 2, please.

14 So today's presentation will involve a  
15 discussion of prior efficacy reviews, and those are  
16 reviews that were done by two panels that were  
17 convened by the FDA. The first one was convened in  
18 1974, the second one in 1982, and we'll discuss very  
19 briefly their work.

20 And then we'll bring the discussion up to  
21 the present and talk about our current effort at  
22 bringing this efficacy review process to completion.  
23 The process that was started in 1974 needs to be  
24 brought to completion at this time, and we'll discuss  
25 how those efforts have gone forward.

1           That's involved in initial screening of  
2 the remaining allergen extracts on the market, the  
3 construction of a database, the process of our review,  
4 including discussing some issues that our committee  
5 has encountered and how we dealt with them, and  
6 perhaps an idea of the time line for the completion of  
7 the process at this point.

8           Let's go to Slide 3.

9           This slide has a lot of information in it,  
10 but it's background that I think is of use. You're  
11 probably aware that the FDA operates under laws, and  
12 the first of those laws that was involved in allergen  
13 extract regulation was actually the Biologics Control  
14 Act of 1902.

15           The Biologics Control Act of 1902 was  
16 passed by Congress in the wake of a catastrophic event  
17 in St. Louis where 13 children died after having  
18 received a diphtheria antitoxin that was contaminated  
19 with tetanus spores.

20           The next significant act was the Food and  
21 Drugs Act of 1906, which was passed in the wake of  
22 disclosures about horrors in the meat packing industry  
23 as well as poisonous preservatives and dyes in food  
24 against the background flames of useless cure-alls as  
25 well and patent medicines that were dangerous. The

1 Food, Drug, and Cosmetics Act of 1938 was passed in  
2 the wake of the production of an elixir of  
3 sulfanilamide that contained diethylene glycol in  
4 which 107 people were killed.

5 In the wake of that, the efforts to  
6 regulate all biologic products as well as allergen  
7 extracts proceeded forward. Initially allergenics  
8 were managed by the hygienic laboratory of the Public  
9 Health Service in 1902. In 1930 the National  
10 Institute, not Institutes, of Health was founded and  
11 that took over the regulation of biological products.

12 In 1955, NIH founded a Division of  
13 Biologic Standards, which regulated biological  
14 products until 1972 when the FDA took over the  
15 regulation of these products.

16 At that time, the FDA convened a series of  
17 efficacy review panels, not just to review allergenic  
18 products, but to review all biological products.

19 Next slide, please, and this is a brief  
20 time line. You can skip now to slide number five.

21 What we are now going to talk about,  
22 however, are only the efficacy review panels that were  
23 convened to review allergenic products, but you should  
24 keep in mind that other efficacy review panels were  
25 convened for other biological products as well.

1           The purpose of the classification panels  
2 is indicated on this slide. The purpose is for  
3 reviewing biological products that have been licensed  
4 prior to July 1, 1972, that they are safe and  
5 effective and not misbranded. In the context of the  
6 initial allergenics reviewed, data were requested from  
7 manufacturers in two Federal Register notices, both of  
8 them in 1974. This panel did a significant amount of  
9 work working over a period of five years. They  
10 submitted their final report in 1981, which was  
11 published in the Federal Register in 1985.

12           Slide number six.

13           So, again, on our time line, remember that  
14 this 1974 to 1979 panel, which we're going to call  
15 Panel 1, reviewed all allergenic products, and what  
16 you can see on that designation for that panel, the  
17 panel categorized all allergenic products as one of  
18 four different categories, I, II, IIIA and IIIB, and  
19 we're going to talk about that in the next couple of  
20 slides.

21           Slide number seven.

22           The panel's task was to review all of the  
23 existing allergenic products. This is over 1,500  
24 allergenic products at the time. Their goal was to  
25 evaluate the safety and efficacy of these products in



1 accordance with the regulations, to review the  
2 labeling of these products, to submit a report of  
3 conclusions and recommendations.

4 Slide eight.

5 These are the categories that were given  
6 to that panel. These are categories not that the  
7 panel actually set up for themselves, but that the  
8 regulations set up for them.

9 Those extracts that were put in Category I  
10 were extracts that the panel said were safe and  
11 effective and not misbranded. Any extract that the  
12 panel thought was either unsafe or ineffective or  
13 misbranded was placed in Category II.

14 Category III was for extracts for which  
15 the data were insufficient to place it in either  
16 Category I and II, and within Category III there were  
17 two subcategories. One was Category IIIA. Those were  
18 products that were thought in spite of their  
19 insufficient data to have a highly favorable risk to  
20 benefit ratio, and these were products that were left  
21 on the market pending completion of testing and  
22 evaluation.

23 In contrast, products that had an  
24 unfavorable risk-benefit ratio were Category IIIB, and  
25 these were to be removed from the market pending

1 completion of testing.

2 Slide number nine.

3 Let's talk about this in a little bit more  
4 detail. Category I products, those that were safe and  
5 effective and not misbranded could be so categorized  
6 based on what the panel called conclusive evidence,  
7 and for purposes of brevity here and because some of  
8 the panel members have heard this before I'm not going  
9 to go into this in great detail, but suffice it to say  
10 that conclusive evidence for the panel in 1974 is  
11 pretty much what I think you and I would consider to  
12 be conclusive evidence today. These were really  
13 controlled trials in a significant number of  
14 individuals that were scientifically well done and  
15 valid.

16 So conclusive evidence is a pretty high  
17 standard, and clearly, those products could be put in  
18 Category 1.

19 However, the panel also recognized that  
20 this was going to be a very small number of extracts  
21 for which conclusive evidence was available. They had  
22 a lower standard for allowing some items into Category  
23 1, and that was acceptable evidence for which there  
24 was good scientific data but not necessarily well  
25 controlled or quite perfect in terms of its design.

1           Acceptable evidence could put a product  
2 into Category I if it was associated with widespread  
3 acceptance and use, clinical syndrome well documented,  
4 favorable in vitro changes, systematic observation for  
5 possible adverse events and for which the disease, the  
6 natural history was fairly well understood.

7           Let's go to slide number ten.

8           Products were placed in Category IIIA,  
9 that is, data insufficient for classification but may  
10 remain on the market for either acceptable evidence or  
11 circumstantial evidence, and slide number 11, products  
12 that go into Category IIIB, if there was insufficient  
13 evidence, and those products would be put in Category  
14 II if there really were no data whatever or if there  
15 was evidence of lack of safety or questions about  
16 risk-benefit ratio.

17           Slide 12.

18           So let's look at what the panel actually  
19 recommended with the 1,500-plus extracts that they  
20 looked at, and on this slide you see an important  
21 point, and that was that the panel recognized that  
22 they really didn't have 1,500 reviews to do. They  
23 really had 3,000 reviewed to do because each allergen  
24 extract had to be reviewed independently for its two  
25 important uses, and that was either to be used for the

1 diagnosis of allergic disease or to be used for the  
2 immunotherapy of allergic disease.

3 And you can see that the distribution of  
4 results were somewhat different. You can see here  
5 that very few products actually were put in Category  
6 II at all. The exception for this was foods for  
7 immunotherapy. All foods were categorically put in  
8 Category II for immunotherapy by Panel I, and because  
9 of that I actually don't include foods under the  
10 therapy column at all. I thought it would be more  
11 interesting to look at the percentages of the non-food  
12 products for therapy.

13 But very few products, only a small  
14 handful actually made it into category two for  
15 diagnosis.

16 What you can see here is that for  
17 diagnosis about 26 percent of the products they  
18 reviewed were put into Category I and 48 percent were  
19 put in Category IIIA, 26 percent in Category IIIB.  
20 For therapy only one percent were placed in Category  
21 I, 65 percent in IIIA, and 34 percent in IIIB.

22 Slide 13.

23 In addition to these broad  
24 recommendations, the panel made other recommendations  
25 for manufacturing principles, how to improve the

1 manufacturing of allergenic products, for studies that  
2 should be done on the IIIA products. In other words,  
3 the panel was very cognizant of the fact that putting  
4 products into Category IIIA was not a permanent  
5 approval, but rather a call for better studies to be  
6 done.

7 And in addition, the panel made a strong  
8 recommendation for ongoing allergen standardization.

9 Slide 13.

10 So the panel recommended for studies on  
11 IIIA products that these studies be done prospectively  
12 in FDA approved studies. They recognized that in  
13 order to do these well, they needed to be  
14 collaborative studies. They thought that it was  
15 important that there be separate studies for diagnosis  
16 and for therapy of these IIIA products.

17 The next point is an important one. The  
18 committee explicitly recognized that cross-reactivity  
19 was an important factor in allergenic extracts, and  
20 they certainly left the door open for inference among  
21 related allergens that allergens could be approved  
22 based on cross-reactivity data.

23 they also considered it to be acceptable  
24 in some cases for in vitro rather than clinical data  
25 to be used for placing products in Category I.

1 Slide 15.

2 In the report that Panel I issued that was  
3 published in 1985 was also included the FDA's  
4 responses to the panel's recommendations, and the most  
5 important response was that in spite of the fact that  
6 the first panel had initially been instructed to put  
7 products in Category I, II, IIIA or IIIB, shortly  
8 after this panel completed its work in 1979 to '80,  
9 FDA recommended that Category IIIA products should  
10 now be reclassified into Category I or II based on  
11 available data.

12 And, therefore, while this Panel I was  
13 actually in the process of writing up its report,  
14 Panel II was actually convened to do that.

15 So what you can see here on our time line  
16 is that the classification panel in 1974 to '79  
17 completed its work and then shortly after that a  
18 reclassification panel was convened.

19 Now, in reality these panels had very  
20 significantly overlapping individuals, which I don't  
21 like here, but this was really a continuation of a  
22 very long job and very significant service for these  
23 people.

24 The reclassification panel was convened  
25 under another regulation and mandated that IIIA

1 products be reclassified as Category I or II. This  
2 panel met over a period of seven months in 1982 and  
3 1983 and submitted its report at the end 1983. Slide  
4 18 shows where this panel comes into play.

5 Slide 19.

6 So let's talk about what Panel II did.  
7 This is the reclassification panel. Basically all  
8 Category IIIA products were recommended for  
9 reclassification into Category I for diagnosis,  
10 except for certain extracts. In other words, most of  
11 the products were recommended for Category I, but some  
12 pollens, molds, mammalian inhalants were recommended  
13 for Category II.

14 And Panel II, and we'll talk about this a  
15 little bit more later stated that species definition  
16 was an important qualification for getting an extract  
17 into Category I. We'll talk about these nomenclature  
18 issues a little bit more later.

19 In terms of therapy, let's go to Slide No.  
20 20. In terms of therapy, pollen extracts, animal  
21 extracts, and many mold and insect extracts were  
22 actually recommended for classification to Category I.

23 Species definition was needed for reclassification to  
24 Category I, and many miscellaneous inhalant and all  
25 food extracts were recommended for reclassification

1 into Category II.

2 Slide 21.

3 So now we come to the task at hand, and  
4 the task at hand is to complete the process that was  
5 begun by Panels I and Panels II, and the way we have  
6 embarked on this is to review all of the  
7 recommendations for the Category IIIA products,  
8 review data that have been published since 1972, and  
9 then determine the FDA's position on the  
10 reclassification panel's recommendations based on the  
11 additional data that may have accrued over the past 20  
12 years.

13 So if you go to Slide 22, you can see this  
14 time line, and you can see where we are relative to the  
15 process that has gone before us.

16 Slide 23.

17 So the current process involves first  
18 establishing a provisional process in which these  
19 Category IIIA products can be reclassified and to  
20 implement the reclassification. After that happens a  
21 proposed order will be published in the Federal  
22 Register that will include a listing of the FDA's  
23 reclassification of these products. It will include a  
24 period for public comment after the issuance of the  
25 proposed order, and at that point the FDA will



1 consider the public responses and revise the order as  
2 necessary.

3 After that happens, the final order will  
4 be published in the Federal Register, and the licenses  
5 or products that have been reclassified into Category  
6 II will at that point be revoked.

7 Slide 24.

8 So now we get into the real report on what  
9 we've done so far. The initial database contained  
10 over 1,500 extracts. Now, many of these were put in  
11 Category I or Category II by the original panels. We  
12 actually decided since there was some complexity of  
13 that, in other words, since there was some complexity  
14 of that. In other words, some products might have  
15 been a Category I for diagnosis, but Category IIIA for  
16 immunotherapy. In effect, the lion's share of these  
17 actually needed to be reviewed by us.

18 What we did not review and at the outset  
19 we decided we were not going to review, were any  
20 standardized products. There are 19 of those. We  
21 also decided that we needed to spend some time  
22 removing duplicate and obsolete entries. There were  
23 many of these products that even though they continued  
24 to be listed were actually not being manufactured and  
25 had not been manufactured for years.

1           So we spent a good amount of time looking  
2 for these obsolete entries. We also looked for  
3 duplicate entries and eventually pared it down to  
4 1,273 entries, which more than half are pollens. The  
5 next largest group is foods, followed by molds,  
6 animals, insects, plant products, and dust.

7           Next slide, please. Slide 25.

8           At that point we realized we were going to  
9 have a large amount of data to manage, and we asked  
10 our IT department to help us to design a database that  
11 could be used for this purpose. We used a Microsoft  
12 Access based database, and it was important that we  
13 have provisions for good records for each extract that  
14 was reviewed, simultaneous access for all committee  
15 members of all records, a filing and organizational  
16 system of all the data that have been retrieved and  
17 saved, and the ability to generate final reports.

18           Although this was preliminary work, this  
19 was really critical preliminary work, and we had a  
20 great deal of help from, in particular, Richard Kapick  
21 and Nadja Davie in IT who really devoted and continued  
22 to devote a great deal of effort to keeping this  
23 database going.

24           Slide 26.

25           Because I'm just going to walk you through

1 some of these database panels to give you a sense of  
2 how we manage these extracts. What you see here on  
3 Slide 26 is the main panel that appears when you call  
4 up the database. The top drop-down menu is a  
5 searchable menu for the entire database of nearly  
6 1,300 extracts. You can see that in this case we've  
7 selected a particular extract, cattle dander.

8 In the next panel below that says  
9 rationale, that is actually the final answer that the  
10 committee comes to after its deliberations. So I'm  
11 going to skip that. The next panel below is where the  
12 primary reviewer has indicated, but you should be  
13 aware that the way we've organized our meetings is  
14 that the primary reviewer does the review, but each  
15 extract is reviewed individually by all of the members  
16 of the committee as a group.

17 So even though there is a primary reviewer  
18 assigned to each extract, each extract is actually  
19 discussed at reasonable length by the entire group.

20 In the next panel below, we can designate  
21 by clicking the radio buttons what the previous two  
22 panels decided on each of the extracts, whether they  
23 were in Category I, II, IIA or IIIB. In some cases,  
24 we've actually found that the reviews have been absent  
25 even though we would have expected them to be there,

1 and so we have a "none" button as well.

2 Below that we can indicate which  
3 manufacturers make each of these products.

4 If you go to the next slide you'll see the  
5 bottom half of the main panel, and this is where the  
6 real core of our activity is focused.

7 The real center of the primary reviewer's  
8 activity is to search all available databases for  
9 information about these extracts, and therefore, it  
10 seemed important to us that we have a record of how  
11 these searches are actually conducted.

12 So under search strategy, the reviewer can  
13 record what strategies they used, what databases they  
14 searched. PubMed is obviously the major source of all  
15 of our reviews, but occasionally when there are no  
16 data in PubMed we search in ISI. In addition, we  
17 routinely search in non-medical, non-scientific  
18 databases or search engines such as Google.

19 In the comment section, this is really the  
20 narrative section. You really only see six lines of  
21 text here, but in the real database you can put as  
22 much as 150 lines of text, and this is the reviewer's  
23 opportunity to really go through in a narrative sense  
24 and indicate what his or her review showed.

25 In the panel below that is the folder in

1 which all of the data, usually PDF files, are stored  
2 and below that are the actual linkages to each of the  
3 PDF files or any other data files that are used.

4           If you think about it, if you do a search  
5 on a particular allergen, you may come up with  
6 articles or data that are relevant not only for that  
7 allergen but for other allergens. The database gives  
8 us the ability to link a single paper to multiple --  
9 as many allergens as you want in the database, and  
10 I'll show you how that happens in the next slide.

11           So if you go to the next slide, this is  
12 the document data panel. So each of the documents  
13 that we use in our review are actually pulled up and  
14 the reviewer is expected to put in a fair amount of  
15 information about those documents, the articles that  
16 we pull up.

17           In particular, we can put in specific  
18 information about the vehicle that's used, what kind  
19 of immunotherapy. Design is described, extract  
20 concentrations, the study designs, if any, analyses,  
21 diagnosis, species used, statistical analyses and lot  
22 information. You see a small radio button in the  
23 upper right-hand corner. In this the reviewer can  
24 designate a piece of information as proprietary  
25 information. This is not a problem when the committee

1 is involved in its internal reviews, but certainly any  
2 proprietary information would have to be removed  
3 before any of this information were released to the  
4 public.

5 Below that you can see that the PubMed or  
6 ISI number is indicated. This is important for  
7 subsequent retrieval if any other problems occur with  
8 the database. Below that is a comment section where  
9 the reviewer can indicate in narrative form what the  
10 particular article has told them, and finally, below  
11 that is a way to link this particular source to any  
12 other extracts beyond the extract under review at the  
13 moment.

14 Next slide, please.

15 At this point we go back to the main  
16 panel. The reviewer has completed their individual  
17 review and at that point they need to make a decision  
18 as to the safety and efficacy of the product for both  
19 diagnosis and therapy so they click to update their  
20 rationale, go to the next slide, and this is the last  
21 one of these panels where the rationale panel is  
22 indicated.

23 Here the reviewer decides whether the  
24 product is safe and effective for diagnosis and  
25 therapy and indicates the reason for those decisions,

1 and you can see in the windows below the number of  
2 possible reasons, either clinical reports, cross-  
3 reactivity data, good peer reviewed article or in some  
4 cases authoritative text that form the basis of the  
5 decision.

6           So what have we done so far? This  
7 committee meets about every three to four weeks. We  
8 started out with a total of 1,273 entries. Seven  
9 hundred and forty-five individual reviews have been  
10 completed. Of those 745, the committee as a whole has  
11 reviewed 624. So you can see that on our track so far  
12 we are more than halfway done, which would seem not to  
13 be terrific progress sine we last talked about this  
14 nearly a year and a half ago, but in fact, the pace of  
15 reviews has picked up dramatically. Obviously as the  
16 committee gets more experienced, we're able to do  
17 things more efficiently, and I hope even better, and I  
18 think we are on target to really complete this process  
19 in a fairly short time at this point.

20           I'd like to spend the next few slides  
21 starting with Slide 32 taking you through some of the  
22 issues that we have addressed in the course of our  
23 review. Now, some of these have not been surprises.  
24 Many of them, in fact, were not surprises at all, but  
25 I really wanted to give the committee an idea of some

1 of the considerations that we internally have been  
2 dealing with in these reviews.

3 The first point I'd like to make is that  
4 our reviews continue to be generic and not specific.  
5 Generic is not a great word, but it's the word that  
6 Panel I used, and so I'm going to explain it to you in  
7 this context.

8 Panel I, the panel that met in the 1970s,  
9 recognized immediately that it had a significant  
10 problem. It had over 1,500 products, but it also had  
11 at the time 11 or 12 companies that were making the  
12 lion's share of these products. They had to decide  
13 whether they were going to review each company's  
14 product individually or whether they were going to  
15 review these products more generically.

16 And by generically, they meant that it  
17 relied on accumulated evidence and information about  
18 the substance itself. For the most part Panel I  
19 reviewed products generically. In other words, when  
20 they reviewed short ragweed allergenic extract, they  
21 reviewed short ragweed allergenic extract, not each  
22 individual company's short ragweed allergenic extract.

23 Now, in some cases, they did do some  
24 product specific reviews, and these are indicated on  
25 this slide, but they were very uncommon, and they were



1 certainly not the way that committee did most of its  
2 deliberations. Panel II continued in that vein, and  
3 we really saw no reason to diverge from that. We  
4 continued to do reviews in this manner.

5 Slide 33.

6 I indicated when I showed you the database  
7 that we could designate products, information sources  
8 as proprietary or private. I would like to report to  
9 you that the information that we reviewed so far has  
10 been entirely from public sources. We have received  
11 no proprietary information. We've received no  
12 information from individual manufacturers or from  
13 other nonpublished sources.

14 All of our data are data that are publicly  
15 available on the Internet, most of which, the lion's  
16 share, has been from Medline searches of English  
17 language literature. We have obtained some  
18 information from ISI, and very rarely from more  
19 general Internet searches.

20 Slide 34.

21 Product safety, and we talked about this  
22 at the last meeting in April 2005. The fact is that  
23 Panels I and II classified nearly all products as  
24 safe, with the exception of their reasonable  
25 recommendation that food allergens should not be used

1 for immunotherapy.

2 We have continued in that manner, and  
3 basically unless we have seen data suggesting that  
4 there were safety issues, we have inferred that the  
5 product is safe for diagnosis and even other than  
6 foods for immunotherapy.

7 A good part of our effort in our database  
8 searches is to look for safety problems. It's one of  
9 the main reasons that we do Google searches at all.  
10 It's really fairly unlikely that we're going to find  
11 efficacy information on a general database search, but  
12 actually following a suggestion from Dr. McDonald at  
13 the April 2005 meeting we have been doing a fairly  
14 aggressive searching for safety issues. That being  
15 said, when we have not seen safety issues in spite of  
16 our searching, we have concluded that products were  
17 safe.

18 Slide 35.

19 Likewise, following what Panel I and Panel  
20 II did, we have used in some cases limited data to  
21 provide information of efficacy on certain product.  
22 I'll give you some examples. For grasses, trees, and  
23 weed pollens and for animal extracts, there's a  
24 significant amount of data that as a group these  
25 products are efficacious and safe for immunotherapy.

1                   Therefore, when we have found evidence  
2 that products are efficacious for diagnosis among  
3 these groups, we have placed them in Category I for  
4 therapy as well.

5                   Another example of using limited data for  
6 efficacy on certain products is that in general, as we  
7 discussed in our April 2005 meeting, we have required  
8 that we have two or three case reports to support the  
9 efficacy of products.

10                   In the case of foods, we have in some  
11 cases considered a single case report supportive of  
12 skin test diagnosis if that same case report has  
13 supportive oral challenge data as well, and likewise  
14 for other allergens we have in some cases accepted  
15 single case reports for skin test diagnosis if the  
16 same case report included supportive challenge data,  
17 either nasal or bronchial or congenital challenge  
18 data.

19                   So these are examples of where, if you  
20 will, we have sort of leveraged some data to support  
21 efficacy for other products as well.

22                   Slide 36.

23                   One of the problems that we encountered  
24 early on was how to handle food studies in which the  
25 actual studies were done with the foods themselves

1 rather than with extracts. This is not particularly a  
2 problem for other extracts.

3 In other words, the committee has  
4 not really been getting into the details of how  
5 extracts are prepared for the most part. We know that  
6 when somebody makes a pollen extract and does a study,  
7 it's going to be pretty much what the commercial  
8 manufacturers are using, and we can infer from those  
9 data to what the manufacturer might be doing.

10 The underlying assumption is that most  
11 allergens are water soluble and stable when properly  
12 stored, but it's clear that that assumption is not  
13 valid for food allergens, and therefore, we decided  
14 fairly early on that data will be considered  
15 supportive of the efficacy of food allergens for  
16 diagnosis only if the extract was prepared by a method  
17 comparable to those for commercial methods.

18 Therefore, data using fresh or unfiltered  
19 pulp or juice or slurries even if they're relatively  
20 convincing are not being used to support the efficacy  
21 of an allergen extract for the food allergens.

22 Slide 37.

23 Following the recommendations put forth in  
24 both Panels I and Panels II, products may be placed in  
25 Category I based on cross-reactivity. If an extract

1 is shown using either in vitro or in vivo data to be  
2 cross-reactive to another extract for which good  
3 efficacy data exists, the other cross-reactive extract  
4 may be considered to be effective as well. Partial  
5 cross-reactivity, which is really the rule, not the  
6 exception, is acceptable.

7           When quantitative cross-reactivity data  
8 are provided, the degree of cross-reactivity should  
9 certainly be no less than 20 percent for allergens of  
10 the same genus, and for allergens of different genera,  
11 the minimal level of cross-reactivity should be  
12 higher.

13           This is an important point, the last one  
14 on Slide 37.

15           When cross-reactivity between two or more  
16 extracts of the same genus are especially convincing,  
17 and that's true for a number of genera, then  
18 additional members of the same genus may be determined  
19 to be cross-reactive as well.

20           And this has come up in a number of  
21 allergens, especially some tree and weed pollens,  
22 where there may be very convincing data on efficacy  
23 for one or two members of a genus, some good data that  
24 suggested that there's extensive cross-reactivity  
25 among those members of the genus. Therefore, we have

1 in some cases inferred efficacy for other members of  
2 the same genus.

3 Slide 38.

4 And this point is actually covered in both  
5 Slides 38 and 39, and this was, I think, a bit of a  
6 surprise to us. Let's talk about the specificity of  
7 source material nomenclature. Panel I, and it's a  
8 report that I would recommend that you read -- it's  
9 actually very interesting reading from the 1970s -- it  
10 turns out Panel I made a big point of saying that  
11 specific designations and names for the source  
12 materials had to be given, but they did not really  
13 require that it be genus, species scientific  
14 nomenclature.

15 They were concerned simply that the name  
16 be highly specific, short ragweed pollen, for  
17 instance.

18 When Panel II came around, they actually  
19 introduced the idea that genus and species names  
20 should be required for pollens, molds, and plant  
21 extracts.

22 And slide 39.

23 When we started our deliberation, it  
24 seemed to us that it was intuitively clear that we  
25 would insist on genus and species nomenclature for all

1 of our allergen extracts. We quickly realized that  
2 that was a little bit naive. We found several cases  
3 in which genus, species naming was confusing and  
4 several cases in which they were not helpful.

5 For instance, and the examples that I give  
6 are almost entirely in foods, and that's because with  
7 the other extracts, there really are extensive  
8 scientific databases to help us negotiate this, but  
9 with foods it's somewhat more difficult.

10 For instance, multiple different beans,  
11 navy beans, pinto, red kidney, green beans and yellow  
12 wax beans, all share the same genus species names.  
13 There seem to be different strains of the same  
14 species.

15 If you look in databases to look at the  
16 name of flounders, you find three genera that are  
17 designated, but no specific species oddly enough. And  
18 in fact, if you look at many of the articles, most of  
19 the articles about flounder allergy, they don't  
20 designate any genus or species at all. They just say  
21 flounder.

22 And likewise catfish articles, we were  
23 unable to find any articles that designated genus and  
24 species at all which wouldn't be a problem, except  
25 that there are several different species that are

1 called catfish.

2 Lobster it turns out is even more  
3 complicated. There are 36 different species of  
4 lobster that are reported in the FDA's own database,  
5 but the articles never indicate the genus and species.

6 Now, again, you can deal with some of these issues,  
7 but they require some flexibility and some inference.

8 For instance, we can probably infer that  
9 many of these investigators simply go down to the  
10 local grocery store to buy the products that they're  
11 testing with. If they happen to be in Maine, you know  
12 that it's probably *Homarus americanus*, but you can't  
13 really be sure, and it's hard to interpret these  
14 things.

15 So we have had to confront some of these  
16 issues, and we actually haven't quite resolved them  
17 yet. This is an ongoing problem. We are in the midst  
18 of consulting with experts both in nomenclature and in  
19 food allergy, but this is going to be an ongoing issue  
20 that we haven't resolved yet, and we are going to have  
21 to try to resolve before we issue our final reports.

22 Needless to say, Slide 40, we have learned  
23 a great deal about nomenclature, species naming,  
24 species synonymy, and with the exception of foods,  
25 we've actually learned quite a bit that has helped us.



1       There are terrific online databases.  NCBI's taxonomy  
2       database is really terrific.  The National Museum of  
3       Natural History has a wonderful database devoted  
4       specifically to mammals as well as to seafood.  There  
5       are, believe it or not, USDA has outstanding plant  
6       databases that have really helped us quite a bit with  
7       our pollen extracts.

8               And for seafood, in spite of the fact that  
9       the information is often contradictory, there's a huge  
10      amount of information both from FDA based databases,  
11      from independent databases such as fish base, and from  
12      the Museum of Natural History.

13              And for the molds, there's a database that  
14      I promise you I never knew existed before called Index  
15      Fungoram that has been extremely helpful and actually  
16      has helped us resolve many, many issues with the mold  
17      extract.

18              So Slide 41.

19              This is my report to you on our progress  
20      so far in the completion of the 601.26 process.  We  
21      are about halfway done, but my guess is that in terms  
22      of timing we are probably three quarters done in terms  
23      of our time line.

24              I hope to have this process completed over  
25      the next five to six months, and we certainly are

1 aiming for that. The committee members are very  
2 energetic.

3 By the way, it was asked at the last  
4 meeting how many people were going to be involved in  
5 this. It's ten. So it's a lot of work. Very hard  
6 working people who have a lot of other things to do in  
7 terms of their jobs here at FDA, but we've had really  
8 good reviews by everybody, good discussions in the  
9 committee as well.

10 Fortunately we've not identified any broad  
11 safety issues, and you can imagine having reviewed  
12 over 700 products with ten individual reviewers really  
13 aggressively looking for safety issues it has been  
14 reassuring that we have not found them.

15 And then finally just to point out that  
16 our evaluations are just about exclusively based on  
17 really -- I won't qualify that -- exclusively based on  
18 published data, readily available data in the  
19 databases.

20 CHAIRMAN BORISH: Well, thank you very  
21 much, Dr. Slater, for that great report.

22 Actually, before we continue, is Dr.  
23 Granady back with us?

24 (No response.)

25 CHAIRMAN BORISH: I'll take that as a no.

1 I think before we open this up to a  
2 general discussion I'd like to begin maybe with just  
3 specific questions on Dr. Slater's presentation that  
4 people may have. I know I have a couple. Does anyone  
5 want -- hello?

6 MS. DAPOLITO: Dr. Granady, are you with  
7 us? Well, it must also have a sign-off.

8 CHAIRMAN BORISH: Okay. I have two  
9 questions for Dr. Slater. Does anyone else have any  
10 specific questions they want to ask him just right for  
11 now about his presentation?

12 (No response.)

13 CHAIRMAN BORISH: Okay. I'll ask my two.

14 The first one is I may have missed this,  
15 but what is the status of IIIB? That seemed to  
16 disappear after the mid-'70s report. Are there still  
17 products in that category? And what are we doing with  
18 them?

19 DR. SLATER: No. The IIIB products were  
20 designated to be removed at that time. There were not  
21 many IIIB products, but the Category II and the  
22 Category IIIB products were designated to be removed,  
23 and we have not come across those in our review. So  
24 they are gone.

25 CHAIRMAN BORISH: And the Slide 35 --

1 DR. SLATER: I'm sorry, Larry. Hold on one  
2 second.

3 MS. BRIDGEWATER: I'm sorry. I just want  
4 to say the final order request by the category IIIB  
5 products was published in 1994, and that is in the  
6 Federal Register.

7 I'm sorry. This is Jennifer Bridgewater  
8 from FDA.

9 CHAIRMAN BORISH: So that was the final  
10 order reclassifying them as Category II?

11 MS. BRIDGEWATER: Yes.

12 CHAIRMAN BORISH: Okay. Now, in Slide 35  
13 you say for grass, tree, weed and animals, the  
14 preponderance of evidence of safety. That leaves off  
15 one very large category, and I'm sort of curious how  
16 the previous committees and your group has dealt with  
17 the issue of mold, especially mold in terms of  
18 therapeutic because although you could find an  
19 occasional study suggesting some efficacy, any kind of  
20 retrospective analysis would say the preponderance of  
21 data is that these agents are, in fact, not effective  
22 as therapeutic.

23 I'm just curious how you dealt with that  
24 issue.

25 DR. SLATER: Well, that's a good question.

1       You're quite right. Let me say the positive thing  
2 first. Again, there's a fairly rich literature having  
3 to do with these various pollens and animal extracts,  
4 that these are products that if you're allergic to  
5 them and you can be given them safely, they can reduce  
6 your allergic response. In other words, allergen  
7 immunotherapy can be effective for them.

8               The data on molds are controversial and  
9 are, you know, hard to interpret. Therefore, when  
10 we've been reviewing mold extracts, we have not  
11 inferred efficacy for immunotherapy even if there were  
12 good data to support efficacy for diagnosis.

13               So that's the difference. In other words,  
14 for the mold extracts, in order to support a Category  
15 I designation for therapy, we actually had to have  
16 some data that suggested that the extract was  
17 effective for therapy, and we didn't just infer it on  
18 the basis of the fact that there are allergic diseases  
19 and you can skin test people for the extract.

20               CHAIRMAN BORISH: So by and large, it  
21 sounds like most of the agents currently available are  
22 going to be moved into this Category I for diagnosis  
23 and therapy. The exception may be mold. It may be  
24 approved for diagnosis, but not therapy.

25               Now, are there implications of that?

1 DR. SLATER: Okay. So let's be perfectly  
2 clear. We're not commenting on how many of the  
3 products are being -- we haven't completed the process  
4 yet. So we don't know.

5 CHAIRMAN BORISH: Understood.

6 DR. SLATER: All we're saying is that if a  
7 pollen extract or an animal extract has been shown on  
8 the basis of data or cross-reactivity data to be shown  
9 to be effective for diagnosis, then we are putting it  
10 into Category I for therapy as well.

11 If a mold extract is shown to be effective  
12 on the basis of data or cross-reactivity data, to be  
13 effective for diagnosis, we are not necessarily  
14 putting it into Category I for therapy.

15 That doesn't mean that it won't be  
16 ultimately on the market. It simply will go into that  
17 same group as all of the food extracts that will say,  
18 you know, for use in diagnosis only.

19 But you're quite right. The mold extracts  
20 were not included in that group, and that's  
21 intentional.

22 CHAIRMAN BORISH: Another specific  
23 questions regarding Dr. Slater's presentation?

24 DR. WILLS-KARP: Eric, can I ask one  
25 question? Is there any concern that adverse events or

1 negative data may not have been published?

2 MS. DAPOLITO: Is this Dr. Wills-Karp?

3 DR. WILLS-KARP: Dr. Wills-Karp.

4 MS. DAPOLITO: Thank you.

5 DR. SLATER: It's a good question. I  
6 think that whenever you talk about adverse events you  
7 certainly have to worry about how sensitive your  
8 system is to detect adverse events. That being said,  
9 when you look at reports, and there certainly are many  
10 articles about adverse events during allergen  
11 immunotherapy, they have not really focused on  
12 particular products. They have really focused on  
13 particular patient profiles, and particular regimens  
14 of immunotherapy: rush versus conventional. They  
15 have focused on medication errors in terms of dosing.

16 They have not really focused on individual  
17 products or even classes of products so much.  
18 Certainly our ability to collect safety data is only  
19 as good as the reporting of this information, and I  
20 certainly will acknowledge that it's possible that  
21 we're going to miss some safety reports even if we're  
22 trying very hard.

23 It's one of the reasons that we really  
24 discussed this in April of 2005, are discussing it  
25 today. This process is still ongoing. Certainly we

1 are open to receiving nonpublished information about  
2 practice, but we have not received that yet.

3 CHAIRMAN BORISH: This is Dr. Borish.

4 I just want to suggest that when people  
5 talk they introduce themselves first so we can know  
6 who you are.

7 One of the interesting points, what Dr.  
8 Wills-Karp just said, is presumably foods are falling  
9 from what you just said, foods are falling into the  
10 category of not being safe for treatment largely  
11 because they are so risky for treatment because of the  
12 issue of anaphylaxis and death.

13 So there we're using anaphylaxis and death  
14 as a category not to prove it, but clearly a lot of  
15 the safety issue with all of the extracts is that  
16 deaths have occurred with all of them, but in the case  
17 of non-food allergy, we're accepting, well, near death  
18 and death as an acceptable risk, I guess, is the  
19 thinking.

20 You're looking for safety. So you  
21 understand what I'm asking. Safety is non-issue for  
22 an inhalant allergy, yet it becomes an issue for food  
23 allergy.

24 DR. SLATER: No, it's clear that there's a  
25 risk for allergen immunotherapy not matter what the



1 allergen that's used. We understand that, and the  
2 practitioners understand it and hopefully the patients  
3 who undergo allergen immunotherapy understand it.  
4 That risk is actually quite small.

5 The reason that Panel I and Panel II put  
6 all foods into Category II for immunotherapy is that  
7 there seems to be some widespread consensus, some of  
8 it based on experience, that treating food-allergic  
9 individuals by immunotherapy was unusually risky; that  
10 even though there may be benefits associated with it,  
11 the risk was unacceptably high.

12 And, therefore, it's not just the end  
13 point and the types of adverse events. I think it has  
14 to do with the frequency of the adverse events and the  
15 frequency of the risk.

16 So, you know, I have to say the current  
17 group at FDA certainly agrees with the Panel I and  
18 Panel II's conclusions regarding food allergen  
19 immunotherapy at this point.

20 CHAIRMAN BORISH: Before we continue, I  
21 just want to make a general comment or two general  
22 comments. I mean one is that this is clearly an  
23 essential activity of the FDA. You know, as a  
24 consumer I find it, frankly, for lack of a better  
25 word, unacceptable that we have thousands of products

1 sitting on the market that have never had any kind of  
2 a supervision and are sitting in this no man's land of  
3 never having received any kind of an approval process.

4 But, you know, it should be very clear to  
5 all of us that there are huge implications to the  
6 decisions we're going to make today. There are a lot  
7 of practitioners -- I don't think a majority, but  
8 certainly a large minority -- whose practices are  
9 going to be severely impacted by the decisions to move  
10 a lot of their extracts into Category II.

11 There are also some manufacturers for whom  
12 this is going to have a huge impact. I think there  
13 are manufacturers out there who have sort of made a  
14 career, have found a niche of providing or addressing  
15 products that have been dropped, if you will, by some  
16 of the larger manufacturers because of the perceived  
17 concern over the value that they provide for the  
18 allergen community at large.

19 So we need to have a serious discussion as  
20 to what we're doing here today and be very comfortable  
21 that we're going to remove a large number of products  
22 from the market potentially.

23 DR. SHEPHERD: Larry, Gillian Shepherd.  
24 Can I interject a question?

25 CHAIRMAN BORISH: Please.

1 DR. SHEPHERD: Jay, I know you've been  
2 focused on published data. Are you also including  
3 MedWatch in your review for possible adverse  
4 reactions?

5 DR. SLATER: Gillian, do you think that  
6 adverse reactions to allergens reported to MedWatch  
7 very much? We initially stated out saying that we  
8 were going to do that. It turns out it's not a very  
9 common mechanism for reporting, and very often what  
10 we're getting more is noise than actual information.

11 Do you have a different sense of the value  
12 of it at this point?

13 DR. SHEPHERD: No, my only bias is that a  
14 lot of busy physicians might send a report into  
15 MedWatch, but would not take the time, as Marsha was  
16 concerned, to actually make a published report.

17 DR. SLATER: We can certainly do that.

18 DR. SHEPHERD: it probably wouldn't take  
19 very much time to just scan and make sure that you're  
20 not missing a specific adverse reaction.

21 DR. SLATER: No, I think that's a good  
22 idea.

23 DR. SHEPHERD: My second question is to  
24 Larry or to Jay because I'm a new member of the  
25 committee. Could you just define for me exactly the

1 role of this committee on the phone today with regard  
2 to this process?

3 Jay you said that there are ten FDA  
4 members that are doing this review. Specifically what  
5 is the responsibility our committee?

6 DR. SLATER: Yes, I'm sorry. I didn't  
7 really make that clear.

8 The purpose of this presentation is really  
9 to report to you on what we are doing. It's really  
10 information only. We're not asking any specific  
11 questions, but I really would fully welcome any  
12 comments and suggestions that you have about the  
13 process. You know, I appreciate the MedWatch  
14 recommendation and any other ones that you have.

15 We view this as the completion of really a  
16 very public process that was started in the 1970s and  
17 1980s. At this point, as we said in our April 2005  
18 meeting, we are not asking for outside experts to come  
19 in and to help us at this point. We are comfortable  
20 that we can complete this with our internal staff.

21 And in the end, we are going to report to  
22 you on what our decisions are, but that will also be  
23 by way of reporting.

24 The committee today, I think, is serving  
25 to help us with this process by giving us any comments

1 or advice about the process as I've described it to  
2 you so far, but I'm not asking you to make any  
3 decisions about it at this point.

4 CHAIRMAN BORISH: Well, a specific  
5 question since I'm also new in this committee and  
6 since clearly we are an advisory committee and we'll  
7 do that function. But at the end of this process for  
8 the next hour or so are we going to go on record  
9 officially with a vote, if you will, saying that we  
10 are giving our consent to the approach you have taken?

11 DR. SLATER: No.

12 CHAIRMAN BORISH: No.

13 DR. SLATER: This is a process that was  
14 started. We've reported on it last time. We're  
15 reporting on it now. It's a process that we have to  
16 finish. We have no real choice at this point.

17 CHAIRMAN BORISH: So this process is going  
18 to go ahead, and it's going to go ahead with a record  
19 that an independent advisory committee at least had an  
20 opportunity to give you.

21 DR. SLATER: To comment.

22 CHAIRMAN BORISH: To comment upon it. so  
23 perhaps to go back to my point about what the  
24 implications of this might be, it might help some of  
25 the other committee members if we give some focused

1 example of what kinds of extracts are potentially not  
2 going to be available to practitioners in a year or  
3 so.

4 From my listening to your report, an  
5 obvious example would be something like dust where  
6 you're handed a bottle and absolutely no information  
7 whatsoever as to what might be inside that bottle,  
8 one, a dozen, hundreds of different products many of  
9 which may or may not be actual allergens. Presumably  
10 there are other mixes like that that would clearly be  
11 unacceptable, but maybe if you could just give some  
12 specific examples.

13 DR. SLATER: Actually specific examples is  
14 probably something I can't give you. In the case of  
15 dust I can tell you right now we haven't reviewed it  
16 yet. We're saving that for later on.

17 I think that --

18 CHAIRMAN BORISH: Well, categories.

19 DR. SLATER: Poorly characterized  
20 allergies in terms of species designations. We have  
21 in our deliberations so far, although certainly that  
22 could change, we have been reluctant to putting  
23 Category I mixes or extracts in which only the genus  
24 was indicated, but it could be any species from that  
25 genus.

1 CHAIRMAN BORISH: You've been reluctant  
2 to?

3 DR. SLATER: To put them in Category I.

4 CHAIRMAN BORISH: Okay.

5 DR. SLATER: Unless there was some  
6 specific designation.

7 CHAIRMAN BORISH: There have been some  
8 extracts, a fair number of extracts that there has  
9 been quite a bit of ambiguity as to what the source  
10 material is from me, from what information we have,  
11 and in those cases we've been very reluctant to put  
12 them in Category I. We have put them in Category II.

13 DR. GRANADY. This is Lynelle Granady.

14 CHAIRMAN BORISH: Hi.

15 DR. GRANADY: You gave us a very extensive  
16 list of Category I, Category II extracts at the last  
17 advisory committee meeting. Maybe it would be helpful  
18 to provide that for the new members.

19 DR. SLATER: Are you talking about the  
20 first panel's report?

21 DR. GRANADY: Right.

22 DR. SLATER: I think that's a good idea.  
23 I think we can certainly send out a PDF file.

24 DR. GRANADY: -- a sense of what you're  
25 referring to.

1 DR. SLATER: Right, right. I think that's  
2 not a bad idea. Thank you.

3 DR. ATKINS: This is Dan Atkins.

4 I have another question about have you  
5 looked at the use of the different products. I mean,  
6 because that might have implications as to what your  
7 decision -- you know, how that impacts other people.  
8 At this point whether you use it or not, have you  
9 looked at that? Have you looked at these extra?

10 DR. SLATER: You mean whether the product  
11 is used at all?

12 DR. ATKINS: Right, whether it's used at  
13 all or whether it's, you know, widely used.

14 DR. SLATER: You know, the fact is Dan, we  
15 really don't have any good way of learning that. I  
16 think that's hard for us to really assess in an  
17 objective way, and certainly, you know, we could sit  
18 around the room and try to decide whether something is  
19 used, but many of these products are regional, and so  
20 it's really hard for us to assess that.

21 In a sense we're trying to get around that  
22 by allowing for cross-reactivity information to be  
23 used, but it is hard to assess that. Was there a  
24 particular example that you had in mind?

25 DR. ATKINS: Well, no, I am just concerned



1 that there may be an extract. Somebody is on an  
2 allergen extract that they're getting immunotherapy  
3 for and now we decide that there's not enough  
4 evidence, but it's widely used. Now you pull that out  
5 of everybody's extract and people are worrying, you  
6 know, that you changed their extract and why.

7 DR. GRANADY: I think you'll be more  
8 comfortable when you see the list though.

9 DR. ATKINS: Okay.

10 VIDEO OPERATOR: Dr. Granady, if at all  
11 possible, could you speak a little louder?

12 DR. GRANADY: Oh, I said that I think that  
13 you will feel more comfortable when you see the  
14 previous report because many of those allergens are  
15 allergens that we do not use, and that we don't have  
16 available routinely anyway. I don't think there was  
17 as much discussion about it, with it, you know, while  
18 we were able to see it.

19 DR. SHEPHERD: Hi. Gillian Shepherd.

20 CHAIRMAN BORISH: Hi.

21 DR. SHEPHERD: Another question. You're  
22 going to come out with a report that says that these  
23 various extracts, particularly plant extracts, are  
24 Category I for treatment, but there's obviously a lot  
25 of data that mixing these extracts affects their

1 efficacy. Are you under the umbrella of the FDA  
2 going to add any comments or recommendations about  
3 their use for treatment whether they be mixed or not?

4 DR. SLATER: It was not our intention to  
5 do that. Panel I actually had an extensive discussion  
6 about mixing. Of course, that was current as of the  
7 1970s. It was not our intention to include anything  
8 that had to do with that.

9 Remember we're going to be issuing a  
10 proposed order, and that order certainly wouldn't have  
11 any comment about mixing. Are you suggesting that we  
12 should?

13 DR. SHEPHERD: Well, I think that most  
14 people are aware of that through currently published  
15 data, but I think the it would obviously -- it strikes  
16 me initially that that is something appropriate for  
17 FDA because if you're mixing these incorrectly, you're  
18 not getting the proper therapeutic effect.

19 From a safety point of view it's somewhat  
20 moot because you're decreasing the relative  
21 concentrations presumably.

22 DR. SLATER: You know, I think the problem  
23 with that, we can certainly consider it. I think the  
24 problem is that it was not our intention to not only  
25 review all the extracts for safety and efficacy for

1 diagnosis of the therapy. It was certainly not our  
2 intention to get into issues of dosing and treatment  
3 regimens and things like that.

4 I think that would probably go into the  
5 category of dosing and treatment regimens. I think  
6 what you're raising is a very valid point. It is  
7 certainly a concern, but probably doing that kind of a  
8 review and rendering that kind of a decision on a body  
9 of extracts that perhaps will number in the many  
10 hundreds would be very hard to do in a scientifically  
11 defensible manner.

12 That's my opinion, but I think we'll  
13 certainly talk about it as a committee.

14 CHAIRMAN BORISH: You're setting the bar  
15 very low, which is, I guess, a good thing in many  
16 categories, and one of them, of course, is equating  
17 efficacy with B in therapy with the diagnosis.

18 For diagnosis, of course, the dose  
19 response curve is amazingly flat, as Dr. Nelson among  
20 others have published. You have to make an awful lot  
21 of Serial 10 dilutions before you see a skin test  
22 disappear, whereas the window for -- let me go back.

23 At the high end at least for prick testing  
24 you may not be able to get enough allergen in solution  
25 to endanger a PRIC test, whereas clearly the

1 therapeutic window for therapy is very narrow, and the  
2 preponderance of data is we need to get a quite high  
3 concentration.

4           So, for example, you could imagine a  
5 scenario where there are diagnostic extracts for a cat  
6 that are clearly perfectly good for diagnosis, yet  
7 proven ineffective because the concentration of  
8 Thelzine 1 is so negligible, but you're comfortable  
9 with that at least aspect, that there are a lot of  
10 extracts that really are good for skin testing, but  
11 probably aren't for IT.

12           DR. SLATER: Well, I think you raise a  
13 good point. Again, I guess this goes back to Dr.  
14 Shepherd's point. I guess we were not plunging into  
15 issues of dosing and dosage regimens. Frankly,  
16 because, again, we're dealing with hundreds and  
17 hundreds of extracts, the complexity would really be  
18 too great if you concluded that one particular genus  
19 and species was effective for immunotherapy based on  
20 data. Would you then have to go through dose-response  
21 considerations in terms of the cross-reactivity in  
22 order to draw conclusions, the level of complexity  
23 would be fairly high.

24           And it's not so much that I'm unwilling to  
25 tackle that level of complexity. I'm just not sure

1 when you try to confront that if what you come out  
2 with at the end would be at all valid either in  
3 deciding yes or no for specific extracts.

4 What I want to get away from is having  
5 these IIIA decisions be random in any way or simply  
6 based on noise rather than real information. So it  
7 would be, I think, hard given the literature on  
8 allergen extracts, and especially the literature on  
9 allergen immunotherapy, which is really focused on a  
10 very small community of allergens. It would be really  
11 hard to raise too many fine points in terms of each  
12 individual allergen.

13 It does seem to me though that we could  
14 make a reasonable decision to say that, no, they're  
15 just going to be Category II for immunotherapy unless  
16 there's affirmative data. We've decided not to do  
17 that, but I hear your point, and I hear this part of  
18 the discussion. We certainly can reconsider that  
19 approach.

20 CHAIRMAN BORISH: I'm leading you, by the  
21 way, and I guess one question, of course, is, as I  
22 said, the bar is being set very low, but presumably we  
23 are giving ourselves the option of some future meeting  
24 or decade to readdress the then Category I extracts  
25 and say this was a fine standard for 2006, but maybe

1 in 2010 or '12 maybe you'll want to come back and say  
2 we can do better than this and then, I guess, reopen  
3 that and come up with maybe a better system of judging  
4 safety and efficacy.

5 DR. SLATER: I think that that's not going  
6 to happen. I think that -- and I'm staring at the  
7 industry representative sitting over there --

8 DR. OSTROVE: May I ask a question here on  
9 this. Steve Ostrove.

10 From the industry side, it looks like  
11 Slide 37, you indicate that you have a 20 percent  
12 cross-over in the same genus, and for different genera  
13 you expect to have a higher -- it would be a lower  
14 cross-reactivity.

15 Would you be setting standards of that  
16 nature for manufacturers for production issues?

17 DR. SLATER: No.

18 DR. OSTROVE: So standards would be set  
19 that they would have to meet at this point or at that  
20 point, I should say?

21 DR. SLATER: No. This is not -- this is  
22 not an effort to set new standards for the  
23 manufacturer. This is an effort to work with the data  
24 that we have to try to make decisions about existing  
25 extracts.

1 DR. OSTROVE: Okay.

2 DR. SLATER: That were present before July  
3 1972.

4 CHAIRMAN BORISH: See, the problem with  
5 not opening this up in the future is that you  
6 eliminate any incentive to come up with improved  
7 standardized extracts. If we are going to have for  
8 all time a cockroach extract with no cockroach or with  
9 minimal cockroach allergen in it, what is the  
10 incentive for industry to come out with a standardized  
11 Logene 1 extract?

12 DR. SLATER: So this process is  
13 independent of standardization which proceeds on its  
14 own track. Regardless of whether a product becomes  
15 Category I based on this process, in other words,  
16 there's a preexistent nonstandardized product or a  
17 nonstandardized product that has been approved since  
18 1972. The process of standardization is that when the  
19 FDA decides that the data and the technology are  
20 available to standardize an extract, it proceeds with  
21 standardization of that extract.

22 So I think you're bringing up cockroach is  
23 a very good example. We are in the midst of the  
24 process of standardizing a German cockroach allergen  
25 extract. We've done some studies, and we're going to

1 proceed with more, and my hope is that within the next  
2 few years this will actually happen.

3 It doesn't really particularly matter that  
4 cockroach be put in Category I at this point, but  
5 certainly the data are accumulating that suggest that  
6 it will.

7 But even if it is put in Category I, the  
8 manufacturers will have to comply with standardization  
9 when that happens on a separate scale. I think it's  
10 important to stress that this is an effort to complete  
11 this efficacy review. Efficacy review was never  
12 construed as an open ended, ongoing process.  
13 Certainly any product about which new compelling data  
14 arise that suggest that it's not really safe or  
15 effective, that can be reviewed by FDA and action can  
16 be taken.

17 But this is a process that once we  
18 complete it, will, in fact, be complete.

19 CHAIRMAN BORISH: Okay. Other comments?

20 DR. ATKINS: Dan Atkins.

21 this is certainly a tremendous amount of  
22 work. I appreciate the fact that your committee has  
23 done this. Is this database going to be open to the  
24 public?

25 DR. SLATER: I can't answer that yet, Dan.



1 DR. ATKINS: Okay.

2 DR. SLATER: I don't know. I can tell you  
3 that thus far nothing proprietary has occurred.  
4 Really, quite honestly, all of our deliberations have  
5 been totally generic. The committee's deliberations,  
6 nothing proprietary has occurred. We simply haven't  
7 decided from a technical point of view whether this is  
8 all going to be released to the public. You raise a  
9 good question.

10 DR. ATKINS: I think even for the  
11 physicians describing this extract it would be great  
12 to have this information available.

13 DR. SLATER: In what sense? I'm sorry.  
14 Do you want to -- I'd like to know what information  
15 you'd like to have available.

16 DR. ATKINS: So that they could go to an  
17 extract, look it up, look at the data about efficacy.  
18 You know, you've got all of the articles listed. I  
19 mean, there's a lot of information there. You have  
20 your summary.

21 DR. SLATER: Dan, to be honest, it's the  
22 first time I ever or anyone ever raised the idea that  
23 practicing physicians would find this database  
24 useful. I think that's very --

25 (Laughter.)

1 DR. SLATER: No, no. That's important. I  
2 appreciate it, and we'll have to give that some  
3 thought. I think you're raising a very good point.

4 CHAIRMAN BORISH: You found every  
5 published article on that extract, and you have the  
6 PDFs. It's a priceless data source.

7 DR. SLATER: Actually the PDFs can't be  
8 made available. No, that I can tell you right now.  
9 We can make the references available, but the PDF --  
10 you all understand this -- are obtained, you know,  
11 through our license with Medline. One of the reasons  
12 that if you remember I was asking for the PubMed  
13 numbers is that if we did make this public I would  
14 actually issue a bibliography so anybody else could  
15 access the articles, but the PDF files themselves  
16 would actually have to be redacted out.

17 DR. RABIN: This is Dr. Rabin.

18 I would also just clarify that we don't  
19 necessarily have every reference. I mean, if there  
20 are certain allergens where, you know, there are 20  
21 papers that prove the point that the allergen should  
22 be placed in Category I, most of us will stop at two  
23 or three.

24 CHAIRMAN BORISH: And most of us don't  
25 need help finding the studies that had allergy works.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24  
25

DR. RABIN: Right.

CHAIRMAN BORISH: It's the obscure ones.

Now, some of this -- I just want to be clear of the approval process -- could be based on I guess for lack of a better word sort of a subjective impression. Somebody publishes their experience with this extra act and they have a few positive tests, and they think it's useful diagnostically.

It occurs to me that it might be useful for there to be some documentation somewhere that an approved extract actually has an allergen in it, meaning that there is some protein in there to which somebody somewhere once made IGE to. Is that something we could ask for?

DR. SLATER: No. Well, yes.

CHAIRMAN BORISH: Well, we could ask.

DR. SLATER: I can tell you right now that our approach has been to look for evidence that there is an allergic disease associated with the allergen, and that extracts prepared from this allergen can be used to diagnose or treat that allergic disease.

In the case of the first qualification, we certainly looked at specific IGE data. For many allergens there is specific IGE data that suggest that

1 that allergen actually elicits an allergic response in  
2 a certain subpopulation of humans, and we've looked  
3 for information that suggests that this disease can be  
4 diagnosed or treated.

5 In terms of saying that the extract bottle  
6 actually contains an allergen, that's actually a  
7 product specific review, and that's something we  
8 really -- it's hard to access that information without  
9 trying to access manufacturer specific and product  
10 specific data because one manufacturer may have a lot  
11 of allergen in it the other may not. So that's a  
12 little hard to do.

13 CHAIRMAN BORISH: But if you have a  
14 problem, you know, fall allergies, there's a specific  
15 disease. And I decide that fall allergies is caused  
16 by goldenrod, and I can now do a study where I say  
17 here's seven people who I used a goldenrod extract and  
18 found it to be diagnostic of fall allergies.

19 DR. SLATER: Right. The data aren't all  
20 equally strong.

21 CHAIRMAN BORISH: But you are prepared to  
22 move goldenrod -- I know we don't want to be specific,  
23 but flowers. How about as a flower pollen into  
24 Category I based on the current literature?

25 DR. SLATER: Well, you know, we have to go

1 somewhere, and obviously if we had our druthers we  
2 would need good, well designed trials to demonstrate  
3 allergenicity. It would be hard to find those, and I  
4 might add it would be hard to find those in spite of  
5 the fact that in 1985 this was specifically requested  
6 by the first review panel and reiterated by a  
7 subsequent panel since then.

8 It's clear that for a large percentage of  
9 allergen extracts this kind of data are simply not  
10 available, and, you know, I think that the decision  
11 that we've made as to what kind of data to entertain  
12 to decide that something is efficacious I think is a  
13 reasonable decision, but it's certainly not an  
14 airtight decision, and we all recognize that.

15 CHAIRMAN BORISH: But just to reiterate,  
16 you're setting a bar, and I'm obviously playing  
17 devil's advocate, and clearly any standard that I  
18 would like to propose would cause a furor in the  
19 industry and allergy community that was based on any  
20 kind of scientific merit. I'm just curious in my own  
21 mind since you're not going to answer the questions.  
22 What possible extract doesn't meet these criteria?

23 And I guess it's just coming down to  
24 somebody had better figure out what kind of Homarus  
25 they're treating, Homarus being the genus of lobster

1 for those who missed that part of Dr. Slater's talk.

2 Okay.

3 DR. SLATER: I think, you know, you raise  
4 some good questions, you know, and I can tell you  
5 right now that as a group we sort of grapple with  
6 these at every -- you know, where, what kind of data,  
7 what kind of evidence are really strong enough?

8 You've said repeatedly that we're setting  
9 the bar low. I agree that we're not setting the bar  
10 high, but there are many extracts that are not meeting  
11 these qualifications. So --

12 CHAIRMAN BORISH: And you're setting it  
13 low and refusing to consider coming back at a later  
14 day.

15 DR. SLATER: Well, coming back at a later  
16 day from a reasonable point of view really should not  
17 happen. I mean, this is, you know, a process in which  
18 we're being asked to decide which ones are  
19 efficacious, and this is a process that will end at  
20 this point.

21 But standardization will not end, and the  
22 improvement of allergen extracts will not end as well.

23 They can still be --

24 (Pause in proceedings for audio  
25 interruption.)

1                   CHAIRMAN BORISH: Clearly there must be  
2 some frustration from people sitting around this  
3 table, that for 30 years you have been demanding these  
4 data, not receiving the data, and now the people who  
5 should have created the data and didn't provide the  
6 data are basically being rewarded with approval of  
7 their process with their products.

8                   MS. DAPOLITO: Could we ask if possible  
9 for the committee members to mute when they're not  
10 talking? Thanks.

11                   CHAIRMAN BORISH: I may be nearing the B  
12 I'm at the end of my discussion. I don't know. Do  
13 any of the other committee members have comments  
14 before we open this up?

15                   DR. SHEPHERD: It's Gillian Shepherd.  
16 Just one. I unfortunately echo everything that Larry  
17 said. It is a bit frustrating.

18                   Jay, you're saying that, for example,  
19 cockroach is efficacious based on your criteria. Are  
20 you comfortable that the standardization process then  
21 is such that the cockroach manufactured by the  
22 different companies does meet this efficacious  
23 criteria? There's enough protein in it or for any one  
24 of the extracts? You're saying globally it's  
25 effective.

1 DR. SLATER: Right. I mean, again, this  
2 is setting the -- these are the principles that were  
3 really established by Panel I, that they were going to  
4 review, if you will, an extract generically, and I'm  
5 going to stay away from specific extracts. I  
6 apologize, but I really don't want to discuss specific  
7 extracts.

8 But if the committee decides, if our group  
9 decides that there are enough data about Substance X  
10 that when properly extracted it can be used to  
11 diagnose and/or treat a legitimate allergic disease,  
12 we are not going into each individual manufacturer's  
13 methods for making it.

14 Certainly if there were any data that we  
15 found that suggested that no manufacturer could make  
16 an Extract X in an effective way, then we would take  
17 that into consideration.

18 But we're not going into each  
19 manufacturer's individual method. Is that a weakness  
20 in this approach? It certainly is, but it's a choice.

21 I think if we chose to review each  
22 manufacturer's data, it would actually be much less  
23 likely that we could apply some of the scientific  
24 papers that we have to any individual manufacturer's  
25 product because then we would have to link the



1 specific methods that were used in that specific  
2 academic paper to each individual manufacturer's  
3 product, and that would be, frankly, impossible to do  
4 in the vast majority of cases.

5 DR. OSTROVE: If I could once again step  
6 in, this is Steve Ostrove.

7 From a manufacturer's perspective, I think  
8 just guidelines as to what would be expected or the  
9 concentrations necessary to meet the requirements is  
10 the kind of information that we would be looking for  
11 and want to have, that I would need to go to one of my  
12 clients in order to work with them.

13 The specific manufacturing process may or  
14 may not be the key here, and that would have to be  
15 process validated at the end anyway. So I think  
16 that's just a guideline as to the levels or the  
17 minimum standards whether it's coming out of this  
18 committee or your data here that you're generating.  
19 I'm not sure, but I think it would have to be set in  
20 order to do something along the lines that's being  
21 talked about.

22 DR. SLATER: Dr. Ostrove, that's not part  
23 of this process, and I think it's important to clarify  
24 this. The end of this process is not going to be a  
25 situation in which we're citing to the manufacturers

1 anything about their methods at this point.

2 DR. OSTROVE: Not necessarily about the  
3 methods, but that's what I was wondering about the  
4 standardization before the limits that you were going  
5 to be setting, if any, as to whether that would be  
6 coming out of this.

7 And I understood or at least I think I  
8 understood that the numbers or levels would not be set  
9 from this and just looking to see from the data that's  
10 out there right now as to whether you consider it safe  
11 or efficacious or not.

12 DR. SLATER: That is correct.

13 DR. OSTROVE: Okay. Okay, fine. Thank  
14 you.

15 CHAIRMAN BORISH: Well, with Gillian's  
16 support I'm getting more frustrated, and I'm going to  
17 be more vocal. I'm bothered that really what we're  
18 going to do is we're going to pull products off solely  
19 because you don't know the genera and species of  
20 what's in it, but it could potentially be a perfectly  
21 good allergen like lobster, and we're keeping a lot of  
22 products on the market like flowers, I guess, which  
23 probably have no role in allergic disease.

24 And the bar I'm proposing we set really  
25 isn't a whole lot higher than yours. You know, in

1 2006, the technology of developing an in vitro assay  
2 that can be used to answer a simple question, did  
3 somebody ever make IGE to something in this bottle,  
4 isn't that difficult. You know, it is very easy to  
5 set up an IGE immunoassay in vitro and the  
6 manufacturer can do this, get serum from 50 people and  
7 just answer that simple question: is there an  
8 allergen in my extract?

9 And that could be somewhere along the  
10 lines of basis for approval of that product.

11 DR. WILLS-KARP: This is Marsha again.

12 I guess I'm bothered, too, that there is  
13 no standardization because I worry not only about  
14 allergen deaths, but contaminants, and is that not  
15 regulated?

16 DR. SLATER: What do you mean by  
17 contaminants?

18 DR. WILLS-KARP: Well, I guess the prime  
19 example and something you work on, I know, is  
20 endotoxin or other things that may be in these  
21 extracts.

22 DR. SLATER: Well, I think the presence of  
23 endotoxin in extracts is well understood, and I think  
24 that, again, that's not -- again, it's important not  
25 to confuse what we're doing. This B Certainly FDA and

1       CBER are committed to allergen standardization, and  
2       allergen standardization is completely different from  
3       the process that we're talking about here.

4                 In the course of allergen standardization,  
5       you establish criteria for the levels of specific  
6       allergens.    You establish methods by which those  
7       allergens can be measured, and you establish potency  
8       ranges that are acceptable both from a safety and  
9       efficacy point of view.

10                That from a practical point of view, the  
11       number of allergens on which we can achieve that is  
12       going to be a small number in any given decade.  That  
13       doesn't mean that we're not committed to the process.

14       I think in a practical sense -- and I've discussed  
15       this with this Advisory Committee in the past -- we  
16       need to set priorities.  We need to look at which  
17       allergens are of particular public health importance  
18       in order to achieve this, and then proceed on that  
19       basis.

20                This process --

21                DR. WILLS-KARP:  Marsha Wills-Karp again.

22                I understand you're saying that that  
23       process isn't under the purview of what you're doing  
24       now, and that makes sense, but one question I have is  
25       is the standardization, the burden for that is going

1 to be on the FDA? I feel it should be perhaps on the  
2 manufacturers.

3 DR. SLATER: Well, it's a shared burden of  
4 the FDA and the manufacturers, and I think that's the  
5 way it has been, and I think that is actually a  
6 rational basis for it to be a shared burden of the two  
7 parties.

8 DR. WILLS-KARP: Is there a timetable for  
9 completion of standardization of a certain number of  
10 product?

11 DR. SLATER: Not at this point, no, there  
12 isn't. You know, the last group of products that were  
13 standardized were the grass pollens, and that was  
14 about nine years ago. We started the process of  
15 German cockroach extract a couple of years ago. We've  
16 made some very nice progress, and it's my hope that  
17 within a short time we'll have that process going much  
18 quicker.

19 But the efficacy review is really a  
20 separate process, and it does not involve setting  
21 minimal levels of allergens in any individual extract,  
22 and it does not involve establishing methods for  
23 measuring those allergens.

24 It does involve ascertaining that there  
25 actually are allergic diseases for which these

1 extracts are designed, and it does involve at least  
2 some evidence, some studies that extracts made from  
3 these products can be used for diagnosis and therapy.

4 But you know, I think what I'm hearing in  
5 the committee, and I share some of that frustration,  
6 that even at the end of this exhaustive process that  
7 has gone on for many decades there will still be less  
8 than perfect products that are left on the market.

9 We are, I think, certainly setting a  
10 higher standard for these products by looking for  
11 data, affirmative data that they actually are useful,  
12 are safe, and are effective, and you know, I think  
13 this is going to be an improvement in allergenics, a  
14 substantial improvement, but it's definitely not  
15 equivalent to saying that all of the allergen extracts  
16 that are out there are standardized. that would be  
17 better, but that's not something that we're doing.

18 CHAIRMAN BORISH: Well, maybe part of my  
19 frustration and our frustration is the point you just  
20 made, which is that after an initial slew of  
21 standardized products that got approved a decade ago,  
22 we have not seen a new standardized product in nine  
23 years, and for some reason that process has been  
24 arrested and, you know, maybe I incorrectly view it as  
25 there being an opportunity here to give B to light a

1 fire under that standardization process.

2 DR. SLATER: The fire has been lit.

3 (Laughter.)

4 CHAIRMAN BORISH: Are there any other  
5 comments from the committee members?

6 And if there are not, actually let me step  
7 back to an earlier item in the agenda, Dr. Slater.  
8 Let me turn the chair over to you for a second so that  
9 you can address gratitude toward a departing member.

10 DR. SLATER: Gratitude for a departing  
11 member.

12 Lynelle, are you on the line?

13 DR. GRANADY: Yes, I am.

14 DR. SLATER: Terrific. Well, Dr. Granady,  
15 I want to take this opportunity to thank you. This is  
16 going to be the last meeting that you're participating  
17 in, and I just want to say a couple of words to thank  
18 you.

19 You've been on this committee since  
20 February 2003. You've been involved in discussions  
21 like this last one and several other having to do with  
22 allergen standardization and with important  
23 improvements that we've tried to put into place.

24 The rest of you on the committee probably  
25 are not aware that I've known Dr. Granady for many

1 years. We go back longer than I think either of us  
2 would like to remember, but I've known Dr. Granady  
3 since she was a pediatric resident at Children's  
4 Hospital, and I have to say that in reviewing the  
5 transcripts of the meetings that you've been part of,  
6 I was impressed, and I continue to be impressed by  
7 your active participation by bringing a very reasoned  
8 voice to the meetings.

9 I really appreciate the service that  
10 you've put in. We all do, and we hope that you'll  
11 help us out again in the future. Thank you very much.

12 DR. GRANADY: Well, than you very much,  
13 and it has certainly been an honor to participate in  
14 the committee, and I'm happy to help in any way that I  
15 can.

16 DR. SLATER: Thank you.

17 CHAIRMAN BORISH: At this point we come to  
18 the part of the agenda where there is an open public  
19 hearing. I should mention that there were no prior  
20 requests from the public to address the committee, but  
21 I would like to ask if anyone present would, in fact,  
22 like to address the committee

23 MS. DAPOLITO: We have no requests.

24 CHAIRMAN BORISH: In which case we can  
25 move on to Topic 2, which is a research update of the



1 Laboratory of Immunobiochemistry, and I think we will  
2 have a brief break while we partially clear the room.

3 MS. DAPOLITO: No.

4 CHAIRMAN BORISH: Oh, no.

5 MS. DAPOLITO: Not at this time. We'll  
6 wait until these are -- there's still an open session  
7 for these two presentations, and then we'll clear the  
8 room.

9 CHAIRMAN BORISH: Okay, sorry.

10 (Pause in proceedings.)

11 DR. SLATER: Shall I proceed?

12 MS. DAPOLITO: Yes, yes.

13 DR. SLATER: All right. We're going to  
14 switch gears now. This is going to be a brief  
15 introduction in open session, and Dr. Rabin and I are  
16 going to give very, very brief presentations that are  
17 a small subset of the slides that we presented on June  
18 29th to the site visit group that came here.

19 Again, I'm going to identify the slides.  
20 This is my research presentation, which I believe all  
21 of you have received. There are 19 slides in this  
22 presentation. Let's go to Slide No. 2.

23 This is a brief introduction to our  
24 research and regulatory activities. The Laboratory of  
25 Immunobiochemistry supports the regulatory mission of

1       CBER and FDA in assuring the safety and efficacy of  
2       allergenic products in the U.S. We do this in several  
3       ways.

4               We do this by performing original  
5       research, which is going to be the focus of today's  
6       brief presentations by Dr. Rabin and myself. We also  
7       do directed research projects. We provide expert  
8       advice both within our division and outside. We are  
9       very active in lot release review and in the review of  
10      INDs and biological license application supplements.

11              Slide 3.

12              Our previous site visit was in January  
13      2002, and that was a fairly positive site visit. At  
14      the time the group said that OIB was functioning at  
15      one of its best levels in recent memory. Within the  
16      limited resources available, our lab needed to be well  
17      focused to achieve worthwhile results and the site  
18      visit committee encouraged LIB to direct future  
19      efforts and resources toward continued standardization  
20      of allergenic products.

21              This Slide 4.

22              The scientific goals of our lab are to  
23      provide insights on allergen structure and function.  
24      The connection to our regulatory activity is that this  
25      involves product, quality, safety, and efficacy.

1                   We also characterize allergenic extracts,  
2 again, having to do with product quality, safety, and  
3 efficacy, and finally, we are very active in our work  
4 on modulation of T cell function, which is critical  
5 for our ability to review novel agents and  
6 formulations of allergenic extracts.

7                   Slide 5.

8                   This is our current staffing in the lab.  
9 Dr. Rabin and I are the two principal investigators.  
10 We have had two research fellows at the time, Bo Chi  
11 and Nicki deVore. The next three research fellows are  
12 listed in parentheses because even though they started  
13 recently or will be starting very shortly, they  
14 actually were not here in June when the site visit  
15 occurred, and we have three research technicians:  
16 Mona Febus, Cherry Valerio, and Katia Dobrovolskaia.

17                   Our research program is shown on Slide No.  
18 6, and what's appearing in red on your screen are the  
19 parts of the research program that you're going to be  
20 hearing about today. Dr. Rabin's projects are shown  
21 on the screen. He will be talking about the  
22 characterization of responses to respiratory syncytial  
23 virus by T cells, and again, in very brief summary  
24 because of time constraints.

25                   And I will be talking about the last two

1 topics in my research program, which involves the  
2 potency of German roach extracts and the use of  
3 antibody microarrays to determine potency and  
4 composition of allergen extracts.

5 So let me talk extremely briefly about  
6 these two projects. The German roach standardization  
7 extract obviously is a very important part of our  
8 lab's activities. Three of our research technicians  
9 are involved.

10 In addition, we've had a very fruitful  
11 collaboration with the Intercity Asthma Consortium at  
12 NIAID, as well as with Dr. Woodfolk at University of  
13 Virginia.

14 The problem that we're dealing with is  
15 that cockroach allergy has been associated with asthma  
16 in the intercity. Cockroach allergen extracts are not  
17 standardized, and that standardized extracts are  
18 really needed to increase the safety and efficacy of  
19 extracts used for immunotherapy, but also that you  
20 really need standardized extracts in order to perform  
21 valid scientific studies of any extract, and so we  
22 considered it to be a high priority to standardize  
23 cockroach extracts.

24 Let's go to Slide 9. So the aims of the  
25 study were to establish the biological potency for

1 German roach extracts and to establish a surrogate in  
2 vitro method for estimating biological potency.

3 Slide 10.

4 NIAID and the Intercity Asthma Consortium  
5 were really critical in terms of getting the  
6 biological study done. They have multiple sites  
7 already selected for their studies, and it was very  
8 easy to interest four of those sites in Baltimore,  
9 Washington, D.C., Chicago and Denver in pursuing this  
10 project. They submitted an IND to support it, 11319,  
11 and the purpose of that IND, Slide 11, is to determine  
12 the biological potency of three commercially available  
13 extracts and to test their bioequivalence of the  
14 patient population who are adults with a history of  
15 allergic disease or asthma and a demonstrated  
16 sensitivity to German roach allergens that were  
17 tested.

18 Slide 12.

19 I told you this was going to be brief.  
20 The conclusions are that we determined the biological  
21 potencies. The potencies appear to be low, but in  
22 spite of that, based on existing data, successful  
23 immunotherapy dosing should be achievable.

24 We were disappointed that no single  
25 allergen assay would be adequate as a measure of

1 overall potency. We really did not find that any  
2 single allergen correlated with overall potency as  
3 measured by this.

4 That could be because there are other  
5 allergens that may be significant, but certainly any  
6 approach to surrogate potency testing will have to  
7 take this uncertainty into account.

8 The next very brief presentation -- I  
9 think it's only five slides -- this is Nicki deVore's  
10 project, "Antibody microarrays for allergen  
11 standardization.

12 Slide 14.

13 This is our effort to address one of the  
14 problems we have in addressing the potency with  
15 allergen extracts, and that is what is the best way to  
16 measure them.

17 We do this already by several different  
18 methods. For hymenoptera venoms, the total protein  
19 measurements appear to be an adequate reflection of  
20 allergenicity. For some other allergens, grasses and  
21 mites, we have overall measures of allergen content  
22 using cooled human antibody and recognizing presumably  
23 numerous specific allergens all at once.

24 And for two allergen extract types, short  
25 ragweed and cat extract, specific allergen

1 measurements are the best way to go, and we use sheep  
2 antibodies in those cases.

3           The problem that we face with this is if  
4 you look at Slide 15, in order to measure specific  
5 allergens, we need to know which allergens are  
6 relevant. That's the case for cat and for ragweed,  
7 but it's not the case for the other allergens. It's  
8 not the case for cockroach either.

9           However, if we measure overall potency, we  
10 are unable to detect the absence of specific and  
11 potentially important allergens. In other words, if  
12 we look at overall potency, we may get into a  
13 situation of learning subsequently that certain  
14 allergens are more important, but the overall potency  
15 measure may not be adequate to measure those specific  
16 allergens, in particular.

17           Slide 16.

18           Toward that end, we began to investigate a  
19 couple of years ago now the use of antibody  
20 microarrays to measure potency of allergens in a way  
21 that would allow us to measure specific allergens as  
22 well as overall potency concurrently. The approach is  
23 shown on this slide.

24           We use nitrocellulose coated glass slides,  
25 applied clonal/monoclonal antibodies as CFEs to the

1 slide, incubate with allergens, and then detect the  
2 allergens that are bound to the specific scFvs.

3 And, again, this was presented in great  
4 detail to the site visit back in June. Our aim is to  
5 develop a recombinant antibody microarray for  
6 identifying individual allergens and complex mixtures,  
7 looking at both overall potency and specific profiles,  
8 to test this method using known simpler extracts such  
9 as cat and ragweed, and to apply this to complex  
10 extracts such as German cockroach.

11 Slide 18.

12 In our studies so far we have successfully  
13 applied a phagemid library screening techniques to  
14 raising specific scFv antibodies to allergens. We  
15 have developed appropriate antibody screening methods  
16 to assess the scFvs and how they will perform in the  
17 antibody microarray platform, and we have validated  
18 the use of antibody microarray to measure the potency  
19 of these allergens.

20 And then finally where we are going with  
21 this is to develop a quantifiable fingerprint of  
22 complex allergen mixtures using clonal scFvs, as well  
23 as polyvalent sera and to advance to more complex  
24 allergens, specifically yellow jacket venom, German  
25 roach, and American roach.



1 CHAIRMAN BORISH: Questions for Dr.  
2 Slater?

3 And perhaps if I can begin, are you  
4 concerned that your scFv approach might teach you more  
5 about immune response genes of chickens than  
6 allergenic immune response genes of humans? And had  
7 you considered maybe using, again, pooled allergic  
8 human serum and just putting IGE on your solid phase  
9 and collect every relevant actual allergen as opposed  
10 to proteins that chickens for whatever reason make  
11 antibodies to?

12 DR. SLATER: No, it's a good point. Not  
13 only did we consider it; we tried it, and we switched  
14 over when we really failed to pull out sufficient  
15 complexity of specific ITE encoding regions, specific  
16 human antibody encoding regions actually.

17 You know, I think the problem perhaps was  
18 that we started out looking at roach allergic  
19 individuals and the intensity of the immune responses  
20 were not that high in the individuals that we tried to  
21 screen. I think you're raising a good point, and that  
22 is that having successfully elicited these reactions  
23 in chickens and working with them, we now have to go  
24 back to the human sera that we've collected and verify  
25 that these are relevant responses that we're

1 measuring.

2                   That being said, the power of the method  
3 is that we are really obtaining a fairly variable  
4 response in our animal model, and you know, we're  
5 certainly hoping that we'll be able to detect  
6 different profiles of the complex allergens using  
7 these multiple clonal antibodies. But you're right.  
8 We have to go back and make sure.

9                   CHAIRMAN BORISH: So what you're saying is  
10 in the case of -- you just don't get a lot of IGE, and  
11 the IGE tends to all be against sort of a single  
12 dominant IG-1, whatever.

13                   DR. SLATER: Right.

14                   CHAIRMAN BORISH: Okay, but presumably the  
15 assay you have would lend itself to I think what  
16 you're saying is some kind of a rashed inhibition.

17                   I was lucky enough to have a tour of Dr.  
18 Slater's lab earlier, and I think I did see a mass  
19 spectrometer across the hall. So what about some kind  
20 of a protein -- and I think this was in Dr. Wills-  
21 Karp's review as well. It's kind of a proteomic  
22 approach where now it takes vanishingly little amounts  
23 of protein and maybe antibody where you can sort of do  
24 a 2D separation of every protein in a cockroach and  
25 maybe pull out the ones that -- well, I guess maybe

1 here IGE would be sensitive enough to start pulling  
2 out different spots.

3 DR. SLATER: Right. No, I think that's  
4 right. I thing that is the approach that we're  
5 starting to look at now.

6 CHAIRMAN BORISH: Are there any questions  
7 from other committee members?

8 If not, then we will move on to Dr.  
9 Rabin's presentation.

10 DR. RABIN: Thank you, Dr. Borish.

11 So my work addresses the general question  
12 of whether or not viruses and, in particular  
13 respiratory syncytial virus might be an environmental  
14 factor in the pathogenesis of allergy and of asthma.

15 And to give that some context, I would  
16 remind what I'm sure most of you know, is that asthma  
17 really has become the classic example of an  
18 interaction between genetics and environment; that  
19 many genes linked to asthma and/or atopia are integral  
20 to innate and adaptive immunity, but that childhood  
21 exposure to house dust endotoxin can correlate with  
22 asthma prevalence and correlate with the prevalence of  
23 atopy, but in particular, the correlation with the  
24 presence of the risk for atopy is dependent upon  
25 whether or not the subjects express a known single

1 nucleotide polymorphism in the promoter region of the  
2 gene for CD-14, which is a surface molecule that is  
3 part of the endotoxin recognition complex, if you  
4 will.

5 And so depending upon the genetics then,  
6 the environment has a particular effect on whether or  
7 not a child may or may not be atopic.

8 Now, RSV, why would we consider RSV in  
9 particular, a viral sort of environmental factor?  
10 Well, there are a number of reasons. First of all,  
11 RSV is frequently the first pathogen that infants  
12 encounter. The T cells in infants in general are  
13 biased towards Type 2 responses and Type 2 responses  
14 are necessary for asthma.

15 And while we tend to focus on wheezing,  
16 asthmatics always and sometimes only cough, and that  
17 the cough likely enhances the spread of the  
18 respiratory pathogen compared to symptoms of  
19 uncomplicated upper respiratory infection.

20 And as such, RSV URIs trigger bronchospasm  
21 with cough and wheezing in asthmatic children, and so,  
22 therefore, really the asthma through the cough  
23 enhances RSV spread and survival.

24 Now, another point to be made through  
25 using RSV as a viral environmental factor is simply to

1 also point out that the fusion protein of RSV uses, in  
2 addition to other self-surface molecules, does signal  
3 through this endotoxin recognition complex of CD-14  
4 and TLR-4.

5 Now, of course, people have looked at this  
6 correlation and asked this question for a number of  
7 years now, and here I just outline a couple of studies  
8 that are the most B , I guess, the most quoted  
9 studies, and one is the Tucson children's respiratory  
10 study, which is a prospective longitudinal study of  
11 1,246 infants, and really came to the conclusion that  
12 differences in airway structure and multiple genetic  
13 factors may determine the development of asthma and  
14 allergy later in life, but that RSV lower respiratory  
15 infection increases the risk for an episodic wheezing  
16 associated with viral upper respiratory infections,  
17 but not true asthma or atopy.

18 In contrast, a group of Seegers, et al.,  
19 in Boras, Sweden, looked at, has been following, and  
20 continues to follow 47 Swedish infants who are  
21 hospitalized with RSV bronchiolitis and compared with  
22 age and sex matched controls. So they looked  
23 specifically at those children who were the sickest,  
24 and these children were evaluated for asthma and  
25 atopia at one, three, and actually most recently at

1 about six years of age, and they find a higher  
2 incidence of asthma in the RSV group, and also a  
3 higher incidence of skin test positivity.

4 Well, the reasons, you can argue why would  
5 you look at the children who were the sickest, and  
6 there's actually a biological justification for doing  
7 what Seegers has done, which is that it appears that  
8 as asthma B as the genetic linkages to asthma are  
9 being determined and really verified to a much greater  
10 degree than those children who are prone to severe  
11 RSV, there's clearly some overlap in genetic linkages  
12 that make a child or a human prone to both severe RSV  
13 and to asthma.

14 And slide number five lists a few of those  
15 overlapping mutations, and obviously they're all  
16 associated with innate or adaptive immunity, and in  
17 particular I would call your attention to the TLR-4,  
18 again, part of the endotoxin recognition complex and  
19 part of a complex through which RSV fusion protein can  
20 signal.

21 And so the goals of this project have been  
22 to define the mechanisms by which RSV manipulates  
23 innate and adaptive immune responses, ultimately in  
24 the context of genotype, to find the responses of live  
25 RSV by human T cells in vitro, but in order to really

1 do that and to do that better than it has been done,  
2 we had to determine the cause of T cell suppression  
3 that RSV is known to induce, and in order to do that,  
4 we had to and did develop a simple and reproducible  
5 experimental model limited to monocyte-derived  
6 dendritic cells and CD-4 T cells.

7 And Slide 7 shows some results. These  
8 have all been published in the May 1 issue of Journal  
9 of Virology, and what we show here is that on the Y  
10 axis is proliferation in response to super antigens,  
11 staphoriosis endotoxin or super antigen SEB, and then  
12 on the X axis are exposure to dendritic cells with  
13 either live RSV, UV RSV and mock killed and mock  
14 infection.

15 And you could see that we have  
16 demonstrated here that the live RSV is necessary for  
17 the immunosuppression, which is what others had  
18 demonstrated, and so we reproduced the model, and  
19 we've also demonstrated in this that the CD-4 T cells  
20 and the dendritic cells enough are sufficient to  
21 reproduce this finding of immunosuppression.

22 And then on Slide 8 we demonstrate that  
23 this immunosuppression at least in part transfers with  
24 the MDDC supernatant. So here we transfer the MDDC  
25 supernatant and stimulate the cells again with staph

1 enterotoxin B and again demonstrate that only the RSV  
2 and not the UV RSV exposed DC is -- the supernatant  
3 from that is the only supernatant that suppresses T  
4 cell proliferation.

5           And cutting to the quick, we obviously  
6 looked for a panel of cytokines and did find that some  
7 were elevated, some were not, but what correlated with  
8 the findings of the supernatant findings that the UV  
9 RSV did not induce the immunosuppression, but the live  
10 RSV did was interferon alpha, and Slide 9 shows you  
11 that only from the RSV exposed MDDCs could we find  
12 appreciable amounts of interferon alpha in the  
13 supernatants.

14           We also looked for other species of Type 1  
15 and Type 2 interferons, for that matter, and one that  
16 we found that was particularly interesting is a  
17 relatively newly described interferon called  
18 interferon lambda, which is actually a Type 3  
19 interferon, and we found this by a couple of  
20 biological assays that were done by collaborators, and  
21 they're in the paper, but they're not on this  
22 presentation for the sake of brevity. And here by RT-  
23 PCR.

24           And so we asked the simple question  
25 whether or not blocking the receptors, which is really



1 the best way rather than blocking the cytokines to  
2 these interferons might reverse or abrogate the  
3 suppression that we found. So here on the Y axis now  
4 we have inhibition of proliferation. So the higher  
5 the points are, the more inhibition there is.

6 And on the X axis are the various  
7 experimental conditions. To the far left none of the  
8 receptor antibodies are added. In the middle section  
9 -- this is Slide 11 in the gray -- are antibodies only  
10 to one of the interferon receptors or to either of the  
11 chains of the interferon lambda receptors.

12 And then on the far right is the  
13 combination of antibodies to the Type 1 interferon  
14 receptor plus one of the antibodies, either of the  
15 antibodies to the interferon lambda receptor.

16 And what you'll notice, and we've  
17 reproduced this in a trans-weld system as well, that  
18 clearly when we inhibit the receptors to both  
19 interferon alpha and interferon lambda, we reverse and  
20 sometimes completely reverse the immunosuppression  
21 that is induced by RSV and transferred with the  
22 supernatants.

23 And so in summary, we found we have  
24 demonstrated and published that CD-4 T cells,  
25 dendritic cells and live RSV are sufficient to

1 demonstrate RSV induced immunosuppression; that the  
2 inhibition transfers with supernatant from RSV  
3 infected dendritic cells; and that interferon lambda  
4 and alpha are expressed by the monocyte drive  
5 dendritic cells in response to live virus V and  
6 neutralizing their receptor substantially reverses RSV  
7 induced suppression of T cells.

8           Where we're going with this is to  
9 determine the patterns of cytokine expression in  
10 response to RSV that are revealed now by neutralizing  
11 these receptors, and we're wanting to get away from  
12 the somewhat artificial system of the monocyte derived  
13 dendritic cells to look at primary myelonic and plasma  
14 cytoid dendritic cells from blood and tissue.

15           And in that regard, one of the things that  
16 is kind of exciting is that one member of my lab who  
17 will be joining us soon is an expert in laser capture  
18 microscopy. So we'll be able to do some in situ  
19 studies looking at gene expression in response to RSV,  
20 and I'm, in particular, very -- I anticipate some very  
21 interesting results there.

22           And then finally, we will compare these  
23 responses to RSV to those of other respiratory  
24 viruses, such as flu and rhinovirus and PIV3.

25           So, thank you, Dr. Borish. That's a brief

1 review of what we've done and where we're going.

2 CHAIRMAN BORISH: If I could lead off,  
3 first of all, I always worry a little bit about in  
4 vitro models, and I don't know, and I'm not a  
5 virologist, and I don't know how RSV works in vivo,  
6 but very specifically does RSV, in fact, infect and  
7 replicate within dendritic cells in actual people?

8 I know you can make that happen in your  
9 laboratory, but that's a key point because the fact  
10 that you can get them to infect and make interferon  
11 alpha is probably not by itself not a particularly  
12 surprising result.

13 DR. RABIN: Dendritic cells are certainly  
14 not the target cell for RSV. Okay? And in fact,  
15 we're starting to look at A-549 respiratory epithelial  
16 cells and some gene expression studies, you know,  
17 which will follow with primary cells as well  
18 specifically because we agree with you that that's not  
19 the issue.

20 We do, however, think that certainly live  
21 RSV makes it to the lymphoid tissues and does affect  
22 this, you know, and certainly can, you know, do this.

23 So we think that this is relevant, but the  
24 idea that we were focusing on the target cell, no,  
25 we're not focusing on the target cell.

1                   CHAIRMAN BORISH:    Because you may be --  
2                   well, you see the issue.  An infected dendritic cell  
3                   may make interferon because it has got a virus  
4                   replicating within it.

5                   DR. RABIN:    Sure.

6                   CHAIRMAN BORISH:  That may not be relevant  
7                   to what really happens, which is RSV components are  
8                   taken up by dendritic cells, migrate to the lymph  
9                   node, and present antigen arguably more to CD8 cells  
10                  than CD4 cells.

11                  DR. RABIN:    Well, right, but to bring it  
12                  back to the in vivo situation, you know, and to the  
13                  initial point as to why we tackled this particular  
14                  issue is this issue of the immunosuppression, which is  
15                  known to occur with paramyxal virus.  Measles is most  
16                  clearly, you know, the most remarkable of that effect,  
17                  but, in fact, super infections and such with RSV are  
18                  known to occur, and the immunosuppression is not only  
19                  CD4 T cells, but it's CD8 T cells.

20                  So the fact that the dendritic cells would  
21                  pick up the RSV and take it to the lymph node, when  
22                  they arrive there, they may not function as well or,  
23                  you know, the lymph node, you know, the biology within  
24                  the lymph node is suppressed.

25                  I mean, we do believe that that in vitro

1 finding is relevant to the in vivo clinical picture.

2 CHAIRMAN BORISH: But just, again,  
3 generally, immunosuppression isn't the model you're  
4 out to prove. You're setting asthma and  
5 immunosuppression is good for asthma and RSV is not  
6 good for asthmatics.

7 DR. RABIN: Well, okay. Your point --

8 CHAIRMAN BORISH: RSV is clearly, you  
9 know, stimulatory.

10 DR. RABIN: Right, right. Well, for the  
11 sake of -- I mean, let me state that my overall model,  
12 is that RSV molds the developing immune system of a  
13 child to serve its interest. Okay? If you will, and  
14 its interests are the children cough when they get it.

15 Okay? And they cough more, and they get sicker with  
16 it.

17 Part of that molding is this mild,  
18 admittedly, immunosuppression. Okay? It may not be  
19 the thing that I would prefer to address, but in order  
20 to address it, in order to address what RSV does,  
21 okay, better than any of my competitors, I have to  
22 address this issue first. Okay?

23 The final thing is that part and parcel of  
24 that model is that not all children -- and it's not  
25 necessary that RSV do this to all children -- that RSV

1 probably capitalizes on the subset of children who are  
2 more prone to atopy and asthma by virtue of the single  
3 nucleotide polymorphisms that I showed on the slide.

4 So the immunosuppression is something that  
5 I would actually rather not have to deal with, but in  
6 order to ask the other questions, I have to answer  
7 that one.

8 CHAIRMAN BORISH: Questions from other  
9 members of the committee?

10 Let me repeat that because we had turned  
11 the volume down to knock out some of the background  
12 noise. Were there questions from other members of the  
13 committee?

14 DR. ATKINS: Dan Atkins. I just wanted to  
15 ask about the 47 Swedish infants. When they look at  
16 that was the family history of atopic disease higher  
17 in that group than the general population?

18 DR. RABIN: I believe, Dan, that it  
19 wasn't. I believe I would have to go back and look at  
20 some of the papers because certainly that's in there,  
21 but I believe that it wasn't, but as I remember the  
22 case controls were SIPs. So they kind of took that  
23 into account in any event.

24 DR. ATKINS: And that was the other  
25 question. When they matched for agent, did they match

1 for family history of atopia as well?

2 DR. RABIN: Yes, I believe that they did.

3 I mean, I think that they did the study as well as  
4 you can do a study with case controls, which is to say  
5 that it's flawed because of case controls, but they  
6 tried to control pretty well, and of course, they're  
7 dealing with a more homogeneous population in general  
8 than, say, a comparable American study would be.

9 DR. SHEPHERD: This is Gillian Shepherd.

10 One general question. Have you looked at  
11 your monocyte derived dendritic cells in your CD4  
12 positive T cells and genotyped them? Because there  
13 clearly is data about differential reactions pending  
14 the genotype. I noticed actually there was an article  
15 in September JSEI showing exactly there are some cases  
16 of exposure to farm bacteria with the development of  
17 atrophy.

18 DR. RABIN: We haven't, but --

19 DR. SHEPHERD: With CD14.

20 DR. RABIN: Yes, we haven't, but we're  
21 planning on it. I mean, we're planning on a number of  
22 studies in the genotyping in particular that I'm very  
23 interested in doing on all of our donors, is the  
24 TLR4D299G. We need to know that for all of our  
25 donors, and I intend to do that.

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23

CHAIRMAN BORISH: If there are no other questions, then I guess we will move to closed session, and we'll take a one or two minute break during which time I will apologize for trying to throw Dr. Rabin and Dr. Slater out of the room before their presentations, which clearly went a lot better with them actually here to give them.

(Whereupon, at 2:18 p.m., the open session of the meeting was concluded, to reconvene immediate in closed session.)