

FOOD AND DRUG ADMINISTRATION

+ + + + +

CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

+ + + + +

CELLULAR, TISSUE, AND GENE THERAPIES
ADVISORY COMMITTEE

"This transcript has not been edited or corrected, but appears as received from the commercial service. Accordingly the Food and Drug Administration makes no representation to its accuracy....."

+ + + + +

TELECONFERENCE

November 20, 2006

The teleconference came to order at 2:15 in room 121 of Building 29, National Institutes of Health, Bethesda Campus. Dr. James Mule, Chairman, presiding.

PRESENT:

JAMES MULE, PHD	CHAIRMAN
DAVID HARLAN	CONSULTANT
MATTHEW J. ALLEN, DVM, PHD	MEMBER
MICHELE CALOS, PHD	MEMBER
JEFFREY S. CHAMBERLAIN, PHD	MEMBER
RICHARD J. CHAPPELL, PHD	MEMBER
STANTON L. GERSON, MD	MEMBER
FASHID GUILAK, PHD	MEMBER
LARRY W. KWAK, MD, PHD	MEMBER
SAVIO LAU-CHING WOO, PHD	MEMBER
DORIS A. TAYLOR, PHD	MEMBER
WALTER J. URBA, MD, PHD	MEMBER
KURT C. GUNTER, MD	INDUSTRY REP
GAIL DAPOLITO	EXEC SECRETARY
CATHRYN CARBONE, MD	CBER
WILLIAM FREAS, PHD	CBER
ROSANNA HARVEY	CBER
KATHLEEN CLOUSE, PHD	CDER
AMY ROSENBERG, MD	CDER
ELIZABETH SHORES, PHD	CDER
STEVEN KOZLOWSKI, MD	CDER
WAYNE RAY, PHD	CDER
BARBARA RELLAHAN, PHD	CDER
DANIELA VERTHELYI, MD, PHD	CDER
KEITH WEBBER, PHD	CDER

A-G-E-N-D-A

Page No.Open Session

Open Meeting -- Administrative Remarks, Dr. James Mulè , Chair Chair	3
Roll Call of members and Conflict of Interest Statement, Gail Dapolito , Executive Secretary	3
FDA Introduction Dr. Keith Webber - Deputy Director Office of Pharmaceutical Sciences Center for Drug Evaluation and Research	9
Introduction - Division of Therapeutic Proteins Laboratory of Immunology, Dr. Amy Rosenberg , Division Director	12
Laboratory Presentations, Laboratory of Immunology Dr. Daniela Verthelyi , Senior Staff Fellow	17
Introduction - Division of Monoclonal Antibodies Laboratory of Immunobiology Dr. Kathleen Clouse , Division Director	24
Laboratory Presentations, Laboratory of Immunobiology Dr. Wendy Weinberg , Staff Scientist 32 Dr. Barbara Rellahan , Staff Scientist 39 Dr. Steven Kozlowski , Director 46 Office of Biotechnology Products	
Q&A	
Open Public Hearing	

P-R-O-C-E-E-D-I-N-G-S

2:15 p.m.

CHAIR MULÉ: Let me just start by thanking everyone for their time in reviewing the summary statement that Dr. Harlan put together for the Site Visit Team. So, I appreciate your participation, and I know fully well how difficult it can be to be involved with this teleconference without first having a face-to-face, since some of the members of the committee are new, but I do appreciate that you were able to join us by teleconference.

MS. DAPOLITO: Thank you.

Okay, Doctor Mulè, I'll take the roll call now?

CHAIR MULÉ: Okay.

MS. DAPOLITO: Okay, if the members would just say here or present when I call your name, please, again.

Dr. Calos?

DR. CALOS: Here.

MS. DAPOLITO: Dr. Chamberlain?

Dr. Urba?

DR. URBA: Here.

MS. DAPOLITO: Dr. Gerson will join us late.

1 Dr. Tomford?

2 Dr. Allen?

3 DR. ALLEN: Here.

4 MS. DAPOLITO: Dr. Woo?

5 Dr. Guilak?

6 DR. GUILAK: Here.

7 MS. DAPOLITO: Dr. Kwak?

8 DR. KWAK: Here.

9 MS. DAPOLITO: Dr. Taylor?

10 DR. TAYLOR: Here.

11 MS. DAPOLITO: Dr. Mulè is here.

12 Dr. Harlan?

13 DR. HARLAN: Here.

14 MS. DAPOLITO: Dr. Gunter, are you on the
15 line?

16 Dr. Chappell, are you on the line?

17 DR. CHAPPELL: Yes.

18 MS. DAPOLITO: Okay, terrific.

19 Okay, and I also at this time will read
20 the conflict of interest statement.

21 "The Food and Drug Administration convenes
22 today's meeting of the Cellular Tissue and Gene
23 Therapies Advisory Committee under the authority of
24 the Federal Advisory Committee Act of 1972. With the
25 exception of the industry representatives all members

1 of the committee are special government employees or
2 regular federal employees from other agencies and are
3 subject to the Federal Conflict of Interest laws and
4 regulations.

5 The following information on the status of
6 this Advisory Committee's compliance with Federal
7 Conflict of Interest laws, including but not limited
8 to, 18 USC 208 and 21 USC 355(N)(4), is being provided
9 to participants in today's meeting and to the public.

10 FDA has determined that members of this Advisory
11 Committee are in compliance with federal ethics and
12 conflict of interest laws, including but not limited
13 to 18 USC Section 208, and 21 USC Section 355(N)(4).
14 Under 18 USC 208, applicable to all government
15 agencies, and 21 USC 355(N)(4), applicable to certain
16 FDA committees. Today's agenda includes a review and
17 discussion of intramural research programs in the
18 laboratories of Immunology and Immunobiology, Office
19 of Biotechnology Products, Center for Drug Evaluation
20 and Research.

21 Based on the agenda, FDA determined that
22 the committee discussion presents no actual or
23 appearance of a conflict of interest for today's
24 meeting. Dr. Kurt Gunter serves as the industry
25 representative acting on behalf of all regulated

1 industry, and is employed by Hospra, Inc. Industry
2 representatives are not special government employees
3 and do not vote.

4 This conflict of interest statement is
5 available for review at the handout table on site. We
6 would like to remind the members, if the discussions
7 involve any other products or firms not already on the
8 agenda, for which an FDA participant has a personal or
9 imputed financial interest, the participants need to
10 exclude themselves from such involvement and their
11 exclusion will be noted for the record. FDA
12 encourages all other participants to advise the
13 committee of any financial relationships that you may
14 have with firms that could be affected by the
15 committee discussions."

16 Also, I would like to ask the committee if
17 you can mute your phones except when you are talking,
18 but right now we don't hear too much background noise,
19 so that's pretty good. And, I wanted to tell the
20 committee, the proceedings today are all being
21 transcribed on the open portion of the meeting, those
22 transcripts will be posted on the FDA website after
23 the meeting.

24 And, Dr. Mulè, should we go around the
25 room here and introduce the FDA folks?

1 CHAIR MULE: That would be great.

2 MS. DAPOLITO: Okay.

3 Keith, Dr. Webber, shall we start with
4 you? I know you are on the phone.

5 DR. WEBBER: Yes, Keith Webber, I'm Deputy
6 Director of the Office of Pharmaceutical Science here
7 in the Center for Drug Evaluation Research.

8 DR. WOO: Hello, this is Salvio Woo from--
9 joining.

10 MS. DAPOLITO: Thank you, Dr. Woo.

11 DR. WOO: Okay, thank you.

12 DR. CARBONE: This is Dr. Carbone from
13 CBER.

14 DR. CLOUSE: Kathleen Clouse, CDER.

15 DR. WEINBERG: Wendy Weinberg, CDER.

16 DR. RELAHAN: Barbara Rellahan, CDER.

17 DR. KOZLOWSKI: Steve Kozlowski, CDER.

18 DR. VERTHEYLI: Daniela Vertheyli, CDER.

19 DR. ROSENBERG: Amy Rosenberg, CDER.

20 DR. SHORES: Elizabeth Shores, CDER.

21 MS. DAPOLITO: Okay, and Dr. Mulè, there
22 are no members of the public in the office, in the
23 room here. Also, Rosanna Harvey is with us.

24 DR. RAY: Wayne Ray, CBER.

25 MS. DAPOLITO: And so, it's all FDA in the

1 room, we are -- we do have a video company here and a
2 transcriber, so that's who is on site at the moment.

3 *(2:20:55 JS)*

4 CHAIR MULÉ: Okay.

5 MS. DAPOLITO: Can I ask if there are --
6 Dr. Gerson, or Gunter or Tomford joined us? Okay.

7 So, Dr. Mulè, I'll turn it over to you.

8 CHAIR MULÉ: Okay. So, Gail, has there
9 been any feedback on the open public hearing?

10 MS. DAPOLITO: No, there's been no
11 requests, and it doesn't look like there's anyone in
12 the room that would request time.

13 CHAIR MULÉ: Okay, great.

14 So, to the committee, you probably
15 received from Gail, I believe it was on Friday, the
16 slide sets for an update by several presenters from
17 the Division of Therapeutic Proteins in Division of
18 Monoclonal Antibodies that are pertinent to the
19 summary statement of the Site Visit Team that was set
20 up by Dr. Harlan.

21 So, I guess what we can do is go through
22 the slides, if you have them loaded on your computer,
23 as the presenters take us through them. And then, at
24 the end of that period of time, we'll enter into the
25 closed session, where we'll discuss, we'll have

1 presentations by Dr. Carbone, and also the
2 presentation of the Site Visit Report from Dr. Harlan.

3 We'll then have some discussion, and then
4 we'll vote, and the vote will be on the question, does
5 the committee accept the report of the Site Visit
6 Team?

7 DR. CHAPPELL: This is Rick Chappell. I
8 wanted to say apologetically that I teach class at
9 3:30 Eastern Time, so I'm going to have to bow out
10 then.

11 CHAIR MULÉ: Okay. Okay, Gail, so I guess
12 we can go ahead with the introduction from Dr.
13 Rosenberg.

14 MS. DAPOLITO: Okay. Actually, Dr.
15 Webber, from the FDA Office of Pharmaceutical
16 Sciences, will give us just a brief introduction
17 before Dr. Rosenberg.

18 CHAIR MULÉ: Okay, great.

19 DR. WEBBER: Yes, this is Keith, and I
20 just wanted to give a little bit of brief background
21 and introduction before the presentation starts, just
22 to orient everyone, I know there are some new folks on
23 the committee as well.

24 With regard to OPS, this office, OPS, and
25 we oversee four other offices within CDER that are

1 responsible for the laboratory research and the review
2 of product manufacturing. These offices include the
3 Office of Biotechnology Products, which is the home
4 office of the researchers that are being reviewed
5 today.

6 The Office of Biotechnology Products was
7 formed in CDER when the two divisions, DMA, Division
8 of Monoclonal Antibodies, and Division of Therapeutic
9 Proteins, got transferred over from the Center for
10 Biologics to the Center for Drugs, back in 2003. So,
11 that sort of gives a little bit of perspective about
12 the relationship between CDER and CBER with these
13 researchers.

14 And, the folks under review today are
15 going to be Dr. Kozlowski, who is the Director of the
16 Office of Biotechnology Products, as well as a
17 researcher in DMA, Division of Monoclonal Antibodies.
18 He's being reviewed to assess his research progress, -

19 -----.

20 Dr. Vertheyli and Dr. Weinberg are being
21 considered for a -----, and Dr.
22 Rellahan, in the Division of Monoclonal Antibodies, is
23 being considered -----.

24 DR. CARBONE: Keith?

25 DR. WEBBER: Yes.

1 DR. CARBONE: Excuse me, this is open
2 session, this is Kathleen Carbone, personnel actions
3 are confidential, so --

4 DR. WEBBER: Okay, these aren't actions
5 that are actually underway, but they are things for
6 consideration.

7 DR. CARBONE: This is confidential stuff,
8 so what we need to do is do the science first, and
9 then when we close the session we'll do that review.
10 We'll do the science and organization presentations.

11 DR. WEBBER: Okay.

12 DR. CARBONE: Okay, sorry.

13 DR. WEBBER: In closing, I'd like to
14 certainly thank Dr. Harlan and the Site Visit
15 Committee, as well as the CTGT Advisory Committee for
16 taking time from their busy schedules to participate
17 in this evaluation.

18 Again, the site visit system is really
19 extremely valuable to us, as a component of our
20 quality assurance system here at the FDA, and,
21 particularly, I'd like to thank the Site Visit Team
22 for documenting within their report such strong report
23 for the researcher reviewer model, which is critical
24 to ensuring scientifically informative relevant
25 reviews of products at the FDA.

1 And, with that, I thank you.

2 CHAIR MULÉ: Thanks, Dr. Webber.

3 Let's move on to Dr. Amy Rosenberg.

4 DR. ROSENBERG: Yes. I just have a few
5 comments. I'm Dr. Vertheyli's Division Director, and
6 I just want to talk very briefly about the division,
7 the laboratory she's in, and her contributions.

8 Next slide, please.

9 So, this is the structure of our division.

10 Dr. Vertheyli is located in the Laboratory of
11 Immunology. The Laboratory of Immunology is directed
12 by Dr. Elizabeth Shores. The people who are
13 highlighted in red are people we have lost.
14 Particularly difficult is the loss of full-time
15 reviewers, which puts added stress on our research
16 reviewers and all other reviewers, in terms of the
17 amount of time that they have for addressing the
18 research programs.

19 Next slide.

20 I have an update of this slide. This is a
21 little bit old. We now have, currently, 65 total
22 licensed products with 47 novel molecular entities
23 within our division. We have a mix of proteins
24 derived from natural sources and recombinant sources,
25 mostly recombinants. We also have engineered versions

1 of prototype products that are designed, engineered to
2 enhance critical product quality characteristics, such
3 as pegylation, hyperglycosylation, mutations to
4 enhance specificity of activity. We deal with
5 products produced in a multiplicity of cell
6 substrates, including bacteria, yeast, human cells,
7 transgenic animals and transgenic plants. And, the
8 manufacturing process is unique for each of our
9 products.

10 Next slide.

11 The Laboratory of Immunology is the
12 primary reviewers for the products you see one through
13 nine that are bolded, including the interons, the
14 interleukins, chemokines, toxins, including botulinum
15 toxin products, the most toxic products known to man,
16 toxin fusion molecules, immunomodulators, innate
17 immune system components, bacterial adjuvants, and I
18 want to point out that Dr. Vertheyli's expertise is
19 particularly crucial in these three areas of
20 immunomodulators, innate immune system components and
21 modifiers, as well as adjuvants.

22 However, the Laboratory of Immunology also
23 serves as critical product reviewers for all of our
24 therapeutic protein products, in reviewing the
25 immunogenicity of their products. As you all know, all

1 proteins are subject to generation of immune
2 responses, and this can be particularly problematic
3 with some of our products whose endogenous
4 counterparts subserve unique biological functions such
5 as Epo, GCSF, et cetera.

6 Next slide, please.

7 So, let's get to Dr. Vertheyli's
8 contribution to our division and laboratory. So, Dr.
9 Vertheyli functions at the highest levels in both the
10 research and regulatory arenas. As you know, she's a
11 nationally and internationally recognized expert in
12 immunology, and she's a leader in the field of the
13 innate immune system. Her research program provides
14 the agency with critical expertise in the evolving and
15 highly-active area of innate immune system modifiers.

16 And so, her expertise really allows for the highest
17 caliber evaluation of these developing products that
18 impact both the innate and, consequently, the adaptive
19 immune systems.

20 Her research program also provides the
21 agency with the expertise essential to evaluate the
22 quality of the immune assays used to assess the
23 immunogenicity of therapeutic protein products, which
24 is rather critical.

25 As well, her regulatory activities are of

1 the highest caliber and involve all levels of
2 regulatory actions. She's contributed to policy
3 formation, and she's been a co-author on a guidance
4 document as well as a critical contributor to another
5 guidance document on immunogenicity.

6 She serves as the CDER representative to a
7 government-wide working group called ICCVAM that
8 evaluates assays critical to product regulation. She
9 has been our representative to national and
10 international meetings, speaking on regulatory issues.

11 She provides, again, expert regulation of products
12 that are components of or act on the innate immune
13 system. She, as well, provides expert regulation of
14 botulinum toxin products, which are very challenging,
15 and she also has served as consultant to other agency
16 centers on immunogenicity issues.

17 Next slide.

18 Last slide is, we are in full agreement
19 with the site visit assessment that was communicated
20 to us by the committee immediately following the site
21 visit, to convert Dr. Vertheyli to Senior Investigator
22 at the GS-14 level, and that's all I need to say.

23 CHAIR MULÉ: Thanks, Dr. Rosenberg.

24 Are there questions from the committee for
25 Dr. Rosenberg?

1 Okay.

2 DR. TAYLOR: This is Dr. Taylor, I
3 actually have a question.

4 How much of Dr. Vertheyli's time is spent
5 in regulatory work versus research? Do you have any
6 sense?

7 DR. ROSENBERG: Yes. I would say that up
8 until, you know, and we can't protect her time. As
9 you saw, we are losing full-time reviewers. Full-time
10 reviewers are incredibly important, because they can
11 take up a lot of the work otherwise assigned to our
12 research reviewers. It's very difficult to protect the
13 research time for our research reviewers, but as you
14 know, it's critical because otherwise we lose the
15 critical expertise and it becomes just a slow-boiling
16 negative effect.

17 So, it's very critical for us to have
18 sufficient personnel to handle all of the regulatory
19 work.

20 CHAIR MULÉ: Okay. Other questions?

21 Okay, thanks.

22 DR. CARBONE: Dr. Mulè?

23 CHAIR MULÉ: Yes.

24 DR. CARBONE: This is Cathy Carbone. I
25 wanted to sort of clarify that, of course, we are

1 expecting the committee to give us their assessment on
2 Dr. Vertheyli's research quality, et cetera. So, I
3 appreciate Dr. Rosenberg's slanting her thoughts, and
4 I appreciate the very important regulatory comments,
5 but, of course, we are interested in the committee's
6 thoughts on Dr. Vertheyli's regulatory quality. I
7 just wanted to clarify.

8 CHAIR MULÉ: Okay, great, thanks.

9 Okay, let's move on to Dr. Vertheyli.

10 MS. DAPOLITO: Dr. Mulè, can I just ask,
11 did Dr. Gerson join us? Not yet? Okay.

12 DR. GERSON: He did. I'm here.

13 MS. DAPOLITO: Oh, okay, thanks.

14 DR. VERTHEYLI: Good afternoon, and thank
15 you to the committee for taking the time to do this.
16 My name is Daniela Vertheyli, and I joined DTP in 2002
17 and established a lab that has myself and three other
18 people --

19 MS. DAPOLITO: Could you speak up, please?

20 DR. VERTHEYLI: I'll move closer to the
21 microphone. Is that better?

22 CHAIR MULÉ: That's better.

23 MS. DAPOLITO: Yes.

24 DR. VERTHEYLI: So, I was saying, I head a
25 small lab with two staff fellows, Montserrat Puig and

1 Joao Pedras a technician, the focus of the program is
2 in the identification and characterization of innate
3 immune response modulators.

4 We are, basically, interested in assessing
5 the safety and effectiveness of immune regulators,
6 vaccine adjuvants and immunoprotective agents in
7 infectious diseases. In particular, -- bioterrorism
8 agents and emerging pathogens.

9 To that effect, we work in the development
10 of murine and primate models to assess safety and
11 efficacy. We assess the impact of age, gender and
12 immune competency, again, on the same type of
13 products. And, over the last year we incorporated a
14 new program that looks at the impact of impurities
15 that trigger the innate immune system on product
16 immunogenicity.

17 So, I'm just going to highlight some of
18 the findings that we've had over the past four years.

19 We have demonstrated that systemic administration of
20 CpG ODN, which are a total of nine agonists that
21 directly stimulates the innate immune response,
22 protects neonatal mice from (?? 2:34 p.m.)
23 meningoencephalitis due to Tacaribe arenavirus, which
24 is a model for Class A arenaviruses, which are agents
25 of hemorrhagic fevers. We've developed and

1 characterized the first primate model of
2 immunoprotection demonstrating that administration of
3 CpG ODN alone significant reduces the severity of
4 continuous leishmaniasis. This protection is
5 systemic, can be attained after the lesions are
6 established, and does not interfere with subsequent
7 life-long immunity.

8 We have also showed that CpG ODN
9 accelerate and improve the protective effects of
10 vaccines to Hepatitis B virus in healthy as well as in
11 immunocomprised SIV infected macaques, and I should
12 like to add that those findings were used as parts of
13 the base for an IND that came into the agency looking
14 at the addition of these type of compounds to an
15 (??2:35 p.m.) vaccine for immunocompromised subjects.

16 We also have developed a novel (??2:35
17 p.m.) form of CpG ODN that results in manufacturing
18 issue of product aggregation in sequences containing
19 poly-G strands. This approach can also be used to
20 prolong the immunoprotective effect of therapeutic
21 ODN, and this has applications both in the field of
22 bioterrorism, and that one of the shortcomings of
23 potential use of immunomodulators as first response
24 agents was the narrow therapeutic windows that they
25 have, and we found a way of prolonging that. And

1 also, it may have a positive impact or increase the
2 effectiveness of these drugs in cancer treatment.

3 In terms of what progress we've made since
4 the site visit, in the project assessing the
5 contribution of low levels of impurities that can
6 trigger cold-like receptors to increase the
7 immunogenicity of therapeutic vaccines, we'd one
8 studies both in vitro and in vivo. In vitro we've
9 shown that sub-optimal concentrations of different TLR
10 ligands synergize to stimulate B cells and (?? 2:37
11 p.m.) cell activation, and we've seen in vivo that
12 this reflects, or this causes an induction of an
13 accelerated IgG antibody response. We found this in a
14 model of ovalbumin, where we've seen that simultaneous
15 addition of sub-optimal levels or sub-stimulatory
16 levels of different TLR ligands can increase the
17 immunogenicity up to two logs, so, in essence, you
18 would have a two logs lower LPS with use of
19 significant levels of antibodies in the presence of an
20 additional TLR ligand.

21 We have an additional ongoing study
22 looking at whether these sub-optimal levels of TLR
23 ligands have an effect on T cell tolerance.

24 In terms of the use of innate immune
25 response modifiers, in a mouse model of viral

1 meningoencephalitis by arenavirus. Over the past five
2 months, we assessed the contribution of selected
3 immune cell type cytokines and chemokines and
4 determined what their role is in pathogenesis. We
5 established that antibodies to TNF alpha induced 100
6 percent survival in these animals, but result in
7 chronic infection, and have demonstrated that the co-
8 treatment of mice with anti-TNF antibodies plus CpG
9 ODN result both in 100 percent survival plus a
10 reduction in viral load.

11 Importantly, the increased protection is
12 evident even in immunocompromised mice, such as the
13 iNOS KO mice, that could not be rescued by any of the
14 conventional therapies.

15 In ongoing studies, we are starting to
16 look at the effects of TLR organisms in the developing
17 central nervous systems in the absence of infection.
18 We think this is important in terms of safety of these
19 compounds.

20 So, as far as updating the output of the
21 lab as it stands in the site visit report, since June,
22 2006 we had -- at that time we had reported we had a
23 chapter in press, that chapter has now been published.

24 Also, we had submitted a manuscript in conjunction
25 with Bonnie Dittel's group, that one is now in press.

1 In addition, we have now submitted and got an
2 acceptance for a manuscript of which I'm particularly
3 -- I think it illustrates very nicely the capabilities
4 of the lab. There's a manuscript that looks all the
5 way from the synthesis and design of an
6 immunomodulatory molecule. It looks at it's
7 immunostimulatory activities in vitro and in vivo, and
8 then the immunoprotective activities in vivo in
9 primates. So, it, basically, spans the whole
10 expertise of the lab, and that is now in press with
11 Nucleic Acid Research.

12 We've also submitted and got acceptance
13 for a chapter in a book on therapeutic
14 oglionucleotides, and we've submitted an additional
15 manuscript on BAFF and B cells.

16 In terms of additional accomplishments,
17 we've also submitted a patent for our discoveries in
18 terms of the treatment of mice with antibodies to TNF
19 alpha alone or in combination with CpG ODN. I've
20 presented data at an invited seminar at Boston
21 University. My lab has presented data at the 2nd
22 Annual Meeting on Oglionucleotide Therapeutic Society,
23 and I'm happy to say that Montserrat Puig, who also
24 presented data, got one of three awards for best data
25 that were presented.

1 The other posted in the lab where Pedras
2 presented data on the anti-TNF antibody use in
3 meningoencephalitis at a FASEB Conference on immune
4 interactions, and then the NIH-wide immunology
5 retreat, and has been awarded what's called the FARE
6 award, which is a prestigious award for post-doc
7 fellows.

8 Now, Amy Rosenberg has mentioned, it is
9 often the question of why do we need somebody who
10 actually knows about innate immunity in the Division
11 of Therapeutic Proteins, and I'd like to point out
12 that the innate immune system not only is composed of
13 dendritic cells, monocytes, B cells and other immune
14 cells, but it, basically, entails a number of
15 processes that are independent processes that include
16 phagocytosis, antimicrobial proteins, compliment
17 interferon-inducing responses in antimicrobial
18 peptides. Innate immune regulators affect all of
19 these processes, and we have over the past four years
20 received submissions that target all of the ones that
21 are circles in red in your slides.

22 Lastly, I'd like to sort of summarize the
23 regulatory work that has been done over the past five
24 months. We've contributed to one new BLA, actually,
25 two new BLAs, in terms of immunogenicity, which dealt

1 with a couple of CMC supplements with BLA. One new
2 pre-IND, and one new IND, several annual reports and
3 supplements, and lastly I'd like to say that I've been
4 asked to be a session chair for the Infectious Disease
5 Section on an upcoming FDA and DIA meeting on
6 therapeutic oligonucleotides.

7 \ And, with that, I'll answer any questions.

8 CHAIR MULÉ: Thanks, Dr. Vertheyli.

9 Questions from the committee?

10 All right, well, thanks for the update. I
11 think we can move ahead.

12 DR. GUNTER: Jim, this is Kurt Gunter. I
13 apologize, I had to dial in late, I had a minor
14 emergency, but I apologize, I'm here now.

15 CHAIR MULÉ: Okay, great, Kurt.

16 Okay, let's move on to Dr. Kathleen
17 Clouse.

18 DR. CLOUSE: Okay. I would like to
19 mention, I am the Acting Director for the Division of
20 Monoclonal Antibodies. the Division of Monoclonal
21 Antibodies is structured a bit differently from the
22 Division of Therapeutic Proteins, but the flow chart
23 for that is in the site visit book and so on.

24 We, basically, have one regulatory review
25 branch composed of eight full-time reviewers, and then

1 we have three research-based laboratories where the
2 principal investigators do research and regulatory
3 review work.

4 The Division of Monoclonal Antibodies
5 handles, not just monoclonal antibodies, but bi-
6 specific antibodies, combinations of antibodies that
7 range anywhere from combinations of two to more
8 recently 25 monoclonal antibodies. We also do epsi
9 fusion proteins that can function as agonists or
10 antagonists, antibody toxin conjugates, antibody
11 radionuclide conjugates, and so on.

12 The mission of the DMA is shown in the
13 next slide, is to ensure that safe, and efficacious,
14 and high-quality monoclonal antibody and related
15 products are available to the American people to
16 diagnose, prevent and treat the illnesses that afflict
17 them. And, more importantly, or most importantly, to
18 maintain and retain a diverse, knowledgeable, and
19 scientifically based and dedicated staff.

20 Now, it's been published recently that
21 monoclonal antibodies now comprise the majority of
22 recombinant proteins currently in the clinic, with
23 more than 150 products and studies sponsored by
24 companies located worldwide. Now, their targets,
25 clinical indications, mechanisms of action, and

1 potential adverse events, are diverse and complex,
2 which necessitates a knowledgeable and scientific
3 staff.

4 So, I'd like to just go through very
5 briefly, because we do have three principal
6 investigators who were site visited, and give you a
7 brief background on each, and let them expand on their
8 own programs in greater detail.

9 First, I'd like to introduce Dr. Wendy
10 Weinberg and talk about Dr. Weinberg. She was
11 selected for a tenure-track staff fellow position in
12 2000, following a national recruitment effort for an
13 expert in oncology. She has established an oncology -
14 - an independent oncology research program, with an
15 emphasis on squamous cell carcinogenesis, in part to
16 identify potential biomarkers and molecular targets,
17 and also to facilitate the development and review of
18 pre-clinical models that are needed.

19 Dr. Weinberg had to assume an unusually
20 heavy regulatory workload early in her appointment at
21 FDA, due to the loss of personnel with oncology
22 expertise, and she has maintained that throughout her
23 tenure-track appointment.

24 The next slide, Dr. Weinberg reviews
25 numerous products for oncology and dermatology, both

1 at the IND and BLA level. She has performed intra and
2 inter-center regulatory consults. She's participated
3 in pre-approval inspections for monoclonal antibody
4 products. She's a member of the CDER Committee for
5 Advanced Scientific Education, and she's served as a
6 Co-Director for a CASE workshop about the FDA review
7 of biologic products from A to Z, that was actually
8 used for training of clinical reviewers in the Center
9 for Drug Evaluation and Research, subsequent to our
10 transfer from the Center for Biologics.

11 She served as a session co-chair at the
12 AACR annual meeting, and was on the program committee.

13 She was an invited speaker and also a session co-
14 chair for the International Skin Carcinogenesis
15 Conference. She served as an adhoc reviewer for
16 numerous scientific journals, some of which include
17 Cancer Research, Oncogene, Molecular Cell Biology, and
18 she has also served in the review of grants for
19 international funding agencies.

20 She recently was appointed Associate
21 Editor for the Journal of Molecular Carcinogenesis.

22 The second individual who was under review
23 for site visit is Dr. Barbara Rellahan. Dr. Rellahan,
24 actually, has served as a researcher reviewer at FDA
25 since 1996, first as a staff fellow and then upon her

1 conversion to a staff scientist position, she served
2 as a reviewer and researcher as a staff scientist.

3 She was selected for tenure-track
4 independent staff fellow position in 2004, after an
5 HHS-wide recruitment, to replace a principal
6 investigator who left the division. She has since
7 established an independent research program to study
8 signaling cascades that serve as targets of
9 immunomodulatory antibodies, in order to understand
10 how their modulation will affect lymphocyte function.

11 She's made significant progress as an
12 independent investigator, despite maintaining her
13 heavy regulatory workload that she's accumulated since
14 1996.

15 Her critical knowledge and expertise have
16 been vital to the FDA regulatory mission, that's been
17 shown most eloquently recently by her critical role in
18 the FDA response to issues raised by the United
19 Kingdom's incident with the anti-CD28 monoclonal
20 antibody TGN1412, and this was the subject of a lot of
21 discussion at the site visit during her presentation,
22 and I understand in the closed sessions as well.

23 Dr. Rellahan reviews numerous products for
24 autoimmune diseases, Graft Versus Host disease,
25 transplantation and cancer, again, both at the IND and

1 BLA level. She also has sent inter and intra-center
2 regulatory consults, participated in pre-approval and
3 biennial inspections as a product expert.

4 She's served on regulatory working groups
5 for guidance document development, and again, as
6 mentioned before, she was the FDA's representative to
7 the U.K.'s expert group to review adverse incidents
8 during clinical trials of TGN1412.

9 She was an invited speaker in 2001 and
10 2004 to the International Immunology Congress. She's
11 been a DMA member on the Committee for the Advancement
12 of CBER Science, an adhoc member of the CBER/CDER
13 Promotion and Conversion Evaluation Committee, and
14 also an adhoc reviewer for scientific journals,
15 including The Journal of Immunology, Molecular Cell
16 Biology, and The Journal of Biological Chemistry.

17 The last person who was up for site visit
18 in the lab of Immunobiology is Dr. Steven Kozlowski.

19 Steve joined the FDA after a national recruitment in
20 1993. He established an independent research program
21 that was focused on cell/cell interactions, looking,
22 specifically, at T cell activation and migration in
23 order to investigate the influence of biologic
24 therapeutics.

25 He acquired and maintained a heavy

1 regulatory workload since he served as both a clinical
2 and a product reviewer while in the Division of
3 Monoclonal Antibodies, for antibodies that target
4 cell/cell interactions and act on adhesion and co-
5 stimulatory molecules.

6 Dr. Kozlowski has participated in pre-
7 approval and biennial inspections as well. He has
8 served on regulatory working groups for guidance and
9 document development. He also served as an instructor
10 for the Team Biologics inspectors and the ICH training
11 courses. He's been a member of the CDER Committee for
12 Advanced Scientific Education, an adhoc reviewer for
13 scientific journals.

14 He served as Chief of the Laboratory of
15 Immunobiology from 2003 until shortly after the site
16 visit, and due to his heavy administrative
17 responsibilities we currently have an acting lab
18 chief.

19 He served as Acting Deputy Director, and
20 then Acting Director, for the Division of Monoclonal
21 Antibodies from 2003 to 2005. In 2005, he assumed a
22 position as Acting Director for the office of
23 Biotechnology Products and was appointed the Director
24 as of May, 2006.

25 He has acquired numerous additional

1 administrative responsibilities as OBP transitioned
2 administratively from CBER to CDER, and those
3 encompassed quite a bit of his time the past three
4 years.

5 So, I'd like to leave you with one
6 thought, we feel it's impressive that in spite of the
7 increasing regulatory workload and diminishing
8 resources, these individuals have continued to perform
9 quality research which supports the mission of OBP and
10 the agency.

11 Thank you.

12 CHAIR MULE: Okay, thanks, Dr. Clouse.

13 Questions?

14 DR. TAYLOR: I have one question,
15 regarding Dr. Kozlowski, this is Doris Taylor. You
16 said he is no longer serving as the Chief of
17 Laboratory of Immunobiology, and he's no longer the
18 Deputy Director and Acting Director for DMA, is that
19 correct?

20 DR. CLOUSE: That's correct. He served as
21 Acting Deputy Director when Dr. Webber was the
22 Director of DMA. When Dr. Webber moved up to the
23 Office of Biotechnology Products, Dr. Kozlowski then
24 became the Acting Director for DMA, and then when Dr.
25 Kozlowski became the Acting Office Director he then

1 gave up the Acting Division Director position, which I
2 now hold.

3 DR. TAYLOR: Okay.

4 CHAIR MULÉ: Okay, great.

5 Let's move ahead. Dr. Weinberg.

6 DR. WEINBERG: Okay, thank you.

7 The overall goal of my lab is to delineate
8 the molecular mechanisms that contribute to squamous
9 carcinogenesis, and this is for the purposes of
10 defining critical pathways and identifying potential
11 biomarkers and molecular targets, but also to aid in
12 optimization of bioassays, which are a critical
13 parameter for characterizing protein products, and to
14 aid in the development and review of relevant pre-
15 clinical models that can be used for screening
16 potential targeted therapies.

17 I've outlined our regulatory
18 responsibilities of my laboratory on the next slide.
19 Approximately, 40 percent of the submissions to the
20 Division of Monoclonal Antibodies are targeted to
21 cancer indications. And, as part of this division, my
22 lab reviews monoclonal antibodies or antibody-related
23 fusion proteins, primarily, designed for the diagnosis
24 or treatment of solid malignancies. And, these can be
25 agonist antibodies, for example, to death receptors,

1 or they might be molecules designed to block growth
2 stimulatory signals. We also have immunoconjugates to
3 target toxins to malignant cells, as well as a variety
4 of radiolabeled antibodies that are designed for
5 diagnostic and therapeutic use.

6 The pathways that are targeted by these
7 products may also be applicable for other disease
8 indications, so I also work with products within other
9 disciplines in CDER, and I didn't mention fusion
10 proteins, but we have several of those as well.

11 So, I have been handling -- I have,
12 approximately, 25 new molecular entities that I've
13 dealt with as INDs, and I also deal with licensed
14 products. I was on the BLA Committee for Herbitox,
15 and I am responsible for all CMC post-licensing
16 supplements for Herceptin.

17 In addition to my own regulatory work, I
18 oversee the regulatory reviews of a staff fellow in my
19 lab, as well as an NCI post-doctoral fellow who is in
20 our lab through the Interagency Oncology Task Force
21 Agreement between NCI and FDA.

22 On the next slide I've highlighted some of
23 my scientific accomplishments since joining FDA as a
24 tenure-track investigator. Dr. Clouse has mentioned
25 some of these. They include publications in peer

1 review journals, and I currently have two manuscripts
2 in preparation, in addition to what's on my CV. Also,
3 invited speaking engagements and acting as co-chair or
4 on the program committee of national and international
5 conferences, as well as editorial work for journals,
6 both as a reviewer and as an Editorial Board member
7 for molecular carcinogenesis.

8 In terms of my research, I'll refer you to
9 the next slide. My lab is focused on p63, which was
10 originally identified as a family member of the tumor
11 suppressor protein p53. Loss of p53 is believed to
12 contribute to cancers in many organ sites, and p63 is
13 essential for squamous development, and the gene has
14 been found to be amplified in squamous cell cancers of
15 several organ sites, most notably skin, lung and head
16 and neck, and you are aware that cancers of the lung
17 and head and neck have very poor prognosis and do not
18 respond well, patients do not respond well to standard
19 therapies.

20 The p63 gene is expressed as multiple
21 isoforms. One of these isoforms, which is referred to
22 as the delta N form, lacks a p53-like transactivation
23 domain, and so can block p53 function, and this may be
24 part of what over-expression of this gene product
25 might be doing in cancers, but it's still not clear.

1 So, the role of p63 over-expression cancer
2 development, as well as its contribution to the
3 response of a patient to treatments, is not clear.

4 So, we've defined two specific aims, which
5 I've shown on the next slide, to define the biological
6 impact of p63 gene over-expression on epithelial --
7 normal epithelial homeostasis and on squamous
8 carcinogenesis. And, we use the mouse epidermis as a
9 model system for studying squamous epithelium. Among
10 the goals are to understand the mechanism of action of
11 p63 protein products, and to identify interacting
12 signaling pathways that might offer potential targets
13 of directed therapies. And, we have a second aim, to
14 develop new models that will allow us to dissect the
15 roles of these pathways in multi-step cancer
16 pathogenesis, as well as the cellular response to
17 cancer therapies.

18 On the next slide I have a summary of some
19 of our findings. We've determined that p63 isoforms
20 are expressed in keratinocytes under normal
21 conditions, and that they are differentially regulated
22 during keratinocyte differentiation.

23 A delta N isoform, which is
24 (δ)Np63(α), is a marker of keratinocyte
25 proliferation, and it's up-regulated in

1 experimentally-induced squamous cancers, similar to
2 the up-regulation that's observed in human squamous
3 cell cancers.

4 \ So, to mimic this over-expression we've
5 developed adinoviruses to test the effect of over-
6 expressing, the contribution of over-expressing p63 in
7 the cell type, and we found that while they can block
8 p63 it also has a p53 independent activity in
9 regulating gene transcription that's cell-type
10 specific. And, notably, that keratinocytes over-
11 expressing (delta)Np63(alpha) display defects in
12 growth regulation and differentiation specific gene
13 expression that is consistent with the over-expression
14 observed in human cancers.

15 To understand the mechanism by which p63
16 affects cell growth and differentiation, we used a
17 transcription factor profiling system, and as you can
18 see on the next slide we found that keratinocytes
19 over-expressing (delta)Np63(alpha) have increased
20 nuclear levels of the NF(kappa)B sub-unit c-Rel, and
21 this corresponds to increased phosphorylation of the
22 c-Rel protein, as well as increased transactivation by
23 NF(kappa)B.

24 And, using SINRA we've established that
25 this is data since the site visit we've established,

1 that this transcriptional activity is due to c-Rel.

2 Also, using a super repressor of
3 NF(kappa)B we were able to determine that NF(kappa)B
4 activity is required for the proliferative response
5 observed in the loss of regulation of growth observed
6 in keratinocytes over-expressing (delta)Np63(alpha).

7 So, we wanted to extend these studies to
8 human cancers, and set up a collaboration with Carter
9 Van Waise. I showed some of these data at the site
10 visit. Dr. Van Waise has a clinical group, clinical
11 program on campus, and so along with him we have
12 established that p63 expression in normal human mucosa
13 is associated with nuclear c-Rel, and that both p63
14 and nuclear c-Rel are up-regulated in samples of human
15 head and neck squamous cell cancers.

16 We have some biochemical data that we've
17 gotten since the site visit, that demonstrate that p63
18 and c-Rel associate both in mouse keratinocytes and
19 also this is held up in human head and neck squamous
20 cell cancer cell lines.

21 We are currently writing this up for
22 publication, and in our future studies we intend to
23 study how the molecules associate and what is down
24 stream of NF(kappa)B in this setting.

25 We also, as I mentioned, have a second

1 aim, which is to develop models to dissect the
2 contribution of p63 to cancer development and
3 therapeutic response, and on the next slide I've
4 outlined a scheme that we are using, it's an inducible
5 transgenic model that we've developed to manipulate
6 the expression of $(\delta)\text{Np}63(\alpha)$. We are doing
7 this work in collaboration with Adam Glock at Penn
8 State University, and we've identified two lines of
9 transgenic mice that express inducibly
10 $(\delta)\text{Np}63(\alpha)$. We are currently characterizing
11 the phenotype, and we are bringing up one of these
12 lines for chemical carcinogenesis protocol to
13 determine the stage of cancer development at which
14 $(\delta)\text{Np}63(\alpha)$ might act.

15 We also intend to use these mice to
16 further explore the contribution of c-Rel within this
17 model system, and also to apply it to test treatment
18 strategies.

19 So, that's where things stand right now.

20 CHAIR MULÉ: Great, thanks, Dr. Weinberg.

21 Questions?

22 Okay, terrific. All right, we are right
23 on schedule. Let's move ahead to Dr. Rellahan.

24 DR. RELLAHAN: So, I am going to talk
25 about my program looking at the regulation of

1 PLC(gamma)1 in lymphocytes. I have two full-time
2 technicians, Karen DeBell and Laurie Graham, who work
3 in the lab. Laurie Graham also does review work as
4 well as research.

5 If you go on to the second slide, our lab
6 is primarily responsible for the review of regulatory
7 submissions that look at clinical use of antibodies or
8 antibody-related molecules for the treatment or
9 prevention of autoimmune diseases, Graft Versus Host
10 disease, transplant rejection and anti-cancer
11 therapies.

12 Probably about half the antibodies we look
13 at are aimed at T cell surface markers. We have a
14 number against the CD3 complex, as well as a number
15 against post-stimulatory or inhibitory receptors.

16 We actually have a number of antibodies
17 that are directed against cytokine receptors, as well
18 as natural killer cell receptors.

19 On the next page, I just listed a select
20 few of the regulatory responsibilities. I do
21 supervise the work, regulatory work, of any staff
22 fellow or staff scientist in the lab. Our lab is
23 responsible currently for the review of 34 unique
24 molecular entities, and I am responsible for BLA
25 supplements and the biennial inspections for Zenapax,

1 which is an anti-L2 receptor antibody on OKT3. In the
2 past year or so, I also acted as a consult reviewer
3 for FC region-related issues for the Abatacept BLA.

4 I'm currently the co-chair of the DMA
5 committee to rewrite the monoclonal antibody points to
6 consider guidance document, and I'm serving as the FDA
7 representative to the U.K.'s expert scientific group
8 on the DGN1412 issue.

9 On the next slide, I just listed some of
10 the regulatory presentations since my last site visit.

11 Toward the bottom, I just want to point out in June I
12 presented -- I had two presentations at the AAPS
13 National Biotech Conference. I went to London two
14 times during the spring and summer for the U.K.
15 Scientific Expert -- Expert Scientific Group, and in
16 another week or so I'm going to participate and
17 present at the CDER Science Rounds, which is going to
18 discuss the issue of TGN1412.

19 On the next slide, I'm turning now to the
20 research program. We look at PLC(gamma)1 as a marker
21 of lymphocyte activation, and the goal of the
22 laboratory is to use our understanding of the
23 regulation of PLC activation on lymphocytes, and then
24 apply this knowledge to help in our regulation of
25 immunomodulatory therapeutic antibodies, and maybe to

1 understand and help predict adverse drug events.

2 The next slide just lists the three
3 specific aims of the laboratory as outlined in my site
4 visit package. And, if you go to the next slide,
5 during the site visit I did present data from studies
6 where we were looking at the role of the SH2C domain
7 in PLC activation, and I show data in which we have
8 found that the carboxy terminal SH2 domain in
9 combination with the immunoterminal split plextron
10 domain, TPLC in a closed and inactive configuration in
11 unstimulated cells, and then after receptor
12 stimulation the immunoterminal FH2 domain of PLC
13 interacts with phosphotyrosine residues, and this
14 induces a conformational change in PLC that helps it
15 open up and become catalytically active. And,. we've
16 had this work accepted for publication in Molecular
17 and Cellular Biology.

18 On the next slide, these are sort of the
19 future aims of this project. We were looking at why
20 raft-targeted PLC construct demonstrates deficient
21 activity in T lymphocytes, and I want to point out
22 that we used raft-targeted PLC, it was actually proved
23 to be very helpful in our studies looking at the SH2C
24 domain, and recently we have found that the raft-
25 targeted PLC has a marked increase in the level of

1 serine phosphorylation compared to wild type PLC.

2 Serine phosphorylation in EGF receptor
3 stimulation has been associated with decreased PLC
4 activity, PLC has decreased activity, so we are
5 planning now on investigating the role of serine
6 phosphorylation of PLC during lymphocyte activation.

7 During my site visit and sessions I had
8 with members, there was a certain amount of discussion
9 that it would be very nice if we could get more
10 structural data on the types of changes that we think
11 are going on with PLC, and so I've been speaking with
12 Dr. Daron Freedberg, who is in the Office of Vaccines
13 at CBER, he runs their NMR lab and is an expert on
14 glycoprotein structure, and he was very -- very
15 interested in working with us, looking at PLC
16 confirmation. He suggested that we first begin doing
17 circular dichroism analysis on different GST fusion
18 proteins we have in lab to see if whether when the SH2
19 domains bind to tyrosine phosphorylated residues there
20 is a conformational change, and then we'll move on to
21 NMR.

22 If you go on to the next slide, this is
23 about specific aim number two, where we are looking at
24 the role of tyrosine phosphorylation in PLC
25 activation. Specifically, right now we are trying to

1 characterize the interaction between the SH2 domains
2 of PLC and the phosphotyrosine residues within PLC.
3 We are trying to determine whether this interaction
4 mediates (??homodyma 3:09) formation or whether it
5 mediates an intracellular, intramolecular association.

6 We've been looking more at the specificity
7 of this interaction, looking at the ability of the SH2
8 domain -- the immunoterminal SH2 domain to bind to
9 specific peptides, phosphorylated peptides. During
10 the site visit, Dr. (??Peer 3:09) suggested we vary
11 the distance between the tyrosines that we've made
12 peptides, where there is differences in the spacing.

13 So, go on to the next slide. I just
14 wanted to mention the critical path initiative project
15 in the lab, in the site visit package we had outlined
16 that we would -- the aim there was to try to
17 characterize and validate phospho flow to see if it
18 could be used to characterize antibodies and be used
19 as a potency assay, but after the incident in the
20 U.K., where there were healthy volunteers given the
21 CD28 antibody and they had acute life-threatening
22 cytokine release, this project has sort of undergone a
23 little bit of a variation, and right now what we are
24 trying to do is to -- we think that it would be very
25 nice for us to have a panel of assays that we could

1 ask sponsors to do if we had concerns about an
2 antibody, so that we could sort of characterize agents
3 as to their potential to induce cytokine release.

4 And, it might seem somewhat obvious that
5 you look at cytokine release, but the anti-CD28
6 antibody actually didn't induce cytokine release in
7 vitro, and only induced a small amount of cytokine
8 release in animal models. And so, it's clear that we
9 need some other assays, and so we've geared this part
10 of the projects to look at other -- evaluate the use
11 of other assays.

12 And then on my last slide, as part of this
13 we've contracted with ProMap Biotechnologies to
14 generate an antibody to the CD region of CD28, and
15 we'll screen these antibodies to identify any that can
16 induce proliferation of T cells, and, therefore, would
17 mimic the activity of TGN1412, and the aim would be to
18 use this in our -- to identify assays that would
19 identify this as a potential cytokine release inducing
20 agent, but also use it in our investigations of PLC
21 activation.

22 Thank you.

23 CHAIR MULÉ: Great, thank you.

24 Questions? I have just a brief scientific
25 question. How will you know if the antibody and CD28

1 that you raise, even if it does mimic some aspects of
2 the 1412 antibody, will have the in vivo effect?
3 Can't you get the 1412 antibody?

4 DR. RELLAHAN: Yes, I tried to get the
5 1412 antibody, and both the innovator of it and T-
6 Genero were not willing to give it to me. So, we'll
7 look for one the way that -- in all three cases
8 there's an anti-rat, an anti-murine, and an anti-
9 human, anti-CD28 that all recognize the same region,
10 and they all induce proliferation. So, we are going
11 to screen for proliferation and if we need to move on
12 from that the OTR, the Office of Testing and Research
13 here at CDER, actually has a very good animal pharm
14 tox, clinical pharm tox unit, and there's a
15 possibility we could work with them in looking at
16 cytokine release, although this would be anti-human,
17 we'd have to do something to get it into -- recognize
18 the murine. But, at this point we are going to use
19 proliferation, because all the other antibodies induce
20 proliferation.

21 CHAIR MULÉ: Okay. Dr. Rellahan, what is
22 the percent effort from the critical path initiative
23 project versus the research in specific things one and
24 two?

25 DR. RELLAHAN: I would say it's probably

1 about 30 percent of the lab.

2 CHAIR MULÉ: 30, and do you usually have a
3 time line as to when, say, the critical path
4 initiative project begins to wind down, and you are
5 able to then take more attention to, say, the other
6 aims?

7 DR. RELLAHAN: The funding for the
8 critical path initiative project is an annual funding
9 allocation, so there is supposed to be projects that
10 have -- that you can get them fairly much complete
11 within a year.

12 CHAIR MULÉ: Okay. Okay, great, other
13 questions?

14 Okay, let's move on to Dr. Koslowski.

15 DR. KOZLOWSKI: Hi. I'd just like to
16 point out that since there may be some animations in
17 the slides that were sent out, it would be better not
18 to view them as slide shows, because I think over the
19 phone that would be confusing.

20 So, I want to talk a little bit about my
21 project, which is activation and migration of T cells.

22 I came from a background looking at MHC and TCR
23 interactions, and I've moved to look at migration.

24 In the second slide, it talks about
25 project aims, and aim one is in vivo outcomes, and

1 we've done some work on that, but we are sort of
2 moving to look more exclusively at aim two, which is
3 cell surface reorganization and migration.

4 So, if you go to slide three, it talks a
5 little bit about the relevance of this. So, there are
6 a wide variety of products that are being developed to
7 target cell migration and adhesion. Some of them
8 small molecule, some of them biologicals, and some
9 products may have unintentional effects on migration
10 and adhesion. And so, we are interested in developing
11 models that would be useful in looking at potential
12 combination effects, and look at sort of the targets
13 for these drugs for migration and adhesion changes,
14 and how they might predict or not predict
15 interactions.

16 If you go to slide four, so the cell here
17 depicted as a cell that's in the process of migrating,
18 it's organized into a leading edge, which has
19 chemokine receptors, an (?? 3:14), and a tail or a
20 URAPOD, in which some adhesion molecules and CD43 move
21 to. And so, this morphology is associated with
22 migration.

23 So, one of the things we noted in 2002 was
24 that the movement of CD43 to this URAPOD or tail or
25 cells, when they were stimulated could be blocked by

1 statins or drugs that block MHG co-way reductase, and
2 they block them just the movement of the CD43, they
3 didn't actually block the other shape changes in the
4 molecule, which was unusual.

5 We've gone on to look at this further, and
6 the bar graph on the side of that is looking at
7 polarization using imaging flow cytometry, so this is
8 a method where every cell that goes through the flow
9 cytometer has a digital photograph taken, and one can
10 then use a variety of algorithms to look at the
11 polarization of fluorescents on that molecule, or the
12 distribution of the molecules. And so again, here we
13 see that (?? cyndostatin 3:16) on a very commonly used
14 statin drug has significant effect on the polarization
15 of CD43, and, in fact, at doses that are quite low,
16 and doses that would be seen under normal use of this
17 product in clinical situations.

18 In addition to CD43, we found that it also
19 blocked the redistribution of chemokine receptor CCR5
20 and other chemokine receptors, and that correlating
21 with this change in distribution of molecules on the
22 cell was also in vitro migration through dual chambers
23 with a membrane, and also in in vivo T cell migration,
24 in which an air pouch was made and chemokine was
25 placed, and the ability of cells to track in vivo to

1 that pouch were looked at.

2 So, if we go to the next slide, our
3 interest in migration has also been using in vivo
4 models in which we adoptively transfer TCR transgenic
5 T cells and track where they go, and, in fact, found a
6 number of years ago that T cells track to different
7 organs and have different phenotypes in those organs,
8 which is a striking finding, and we also have found
9 that when you immunize with peptides versus whole
10 antigen that the localization within the splenic
11 lymphoid compartments is different, that peptide
12 immunization tends to have cells in the red pulp,
13 whereas whole protein tends to have cells migrating to
14 the white pulp, where they should be. And, this may
15 correlate with some of the lack of efficacy that
16 peptide immunization has had.

17 If you go to the next slide, slide 7,
18 we've also looked at other things back to MHC, which
19 was my original interest, and some work with the
20 diacore, and for a while our lab managed the diacore
21 for the office, and, in fact, for labs outside the
22 office.

23 And, if we go to the next slide, we are
24 also involved in regulatory work and have published on
25 regulatory issues.

1 And, if we go to slide 8, and we've given
2 talks about the work on cytokine polarization and
3 migration, but also have given a large number of
4 regulatory talks and workshop panels over the last few
5 years, on issues like biological activity, follow-on
6 proteins, and protein manufacturing.

7 If we go to slide 9, this is something Dr.
8 Clouse mentioned. So, pretty much since a few months
9 after my last site visit, there have been a lot of
10 changes in my administrative responsibility, from
11 becoming a Lab chief, to Acting Deputy of the Division
12 of Monoclonal Antibodies, Acting Director, and then
13 Acting Director of the office, and finally, Director.

14 And, one thing I'd like to point out, because this
15 was something that I was questioned on rather
16 extensively during my interviews at the site visit,
17 was that this is a large burden, however, the burden
18 of the transition is probably greater than a stable
19 position. And so, my situation was, every half year
20 to year I was learning somewhat of a different job
21 with different responsibilities. I think now that I
22 am permanent in my position, that the learning curve
23 will complete itself and the ability to handle the
24 administrative issues more efficiently will be
25 concomitant with that.

1 If we go to slide 10, so to talk about
2 current and future plans, so again, I think the plan
3 is to focus more on the migration aspect of this, and
4 limit the scope of the program, and certainly one of
5 our observations with statins should be looked at
6 mechanistically. And, the graphic here looks at some
7 of the roles that statins can play in migration, from
8 directly blocking integrins, although at very high
9 concentrations, to blocking the synthesis of
10 cholesterol, to blocking prenylation of small G
11 proteins, which can have effects on the cytoskeleton.

12 And, what we intend to focus on, and
13 again, with help from the discussions at the site
14 visit, would be to look at specifically the role of
15 prenylation versus cholesterol, using specific blocker
16 as a prenylation that block for insulation, or journal
17 group addition, and also to then look at how that
18 might impact the cytoskeleton. And so, we've obtained
19 some mice where with pre-locks we can delete out
20 potential linkers to the cytoskeleton and begin to use
21 those animals as a way of dissecting out where in the
22 process stations, and potentially other drugs, may
23 impact migration and polarization of these cells.

24 And then, obviously, the goal of this
25 would be then to evaluate the interactions of this

1 with other biologics, like the monoclonal antibodies
2 that would block adhesion and migration, and other
3 small molecules that may not. And clearly, as many,
4 many individuals run statins and the biologics are
5 going to have expanded targets and other drugs are
6 going to be developed, the role of interactions, and
7 which ones to look for, and how to make that decision
8 effectively and efficiently, is a very, very important
9 issue.

10 If we move to slide 11, so in terms of
11 sort of current and future plans, so we want to
12 increase our collaborations, and we are starting the
13 collaboration with Anna Gammero and NCI, about looking
14 at inflammation in cytokines and cell migration, using
15 some of the models we have. We interact with FDA's
16 group that looks at adverse events, and trying to see
17 whether we can link the ability to look at these
18 interactions with adverse event databases. We are
19 interested in forming collaborations to have more
20 sophisticated imaging. We've done some attempts to
21 look at polarization by things like histograms for co-
22 localization, and they actually have not been as
23 informative as the imaging flow cytometry, and we are
24 looking at strategies for dividing the cells into
25 sectors to potentially use microscopy for that

1 purpose.

2 And, we are expanding the lab into
3 interacting more with NIH in general, by joining Phil
4 Murphy's Journal Club on Chemokines and Cell
5 Migration.

6 Administratively, as Dr. Clouse mentioned,
7 I'm no longer acting as Lab Chief, so I have only one
8 administrative job. Wendy Shores has been acting as
9 Deputy and beginning to really manage the OBP research
10 program, to free me of the details of that on a day-
11 to-day basis, and to help manage that, and that
12 position will be advertised to be permanent.

13 And, we are planning to have someone who
14 already works with us on a detail from another office,
15 to be an Associate Director to help with certain
16 management issues from the program.

17 Okay, thank you.

18 CHAIR MULÉ: Okay, thanks.

19 Questions for Dr. Koslowski?

20 I just have one. Have you considered
21 interacting with Simon Watson at Pittsburgh on some of
22 these imaging collaborations?

23 DR. KOZLOWSKI: No, but certainly I'll
24 take that suggestion. We are interested in, you know,
25 whomever can help us with more sophisticated imaging

1 techniques.

2 CHAIR MULÉ: I would encourage you to give
3 him a call. He is one of the experts.

4 DR. KOZLOWSKI: Okay.

5 CHAIR MULÉ: He runs the imaging core
6 facility at Pittsburgh.

7 Okay, if there are no further questions,
8 Gail, I assume now we haven't heard anymore about the
9 open hearing.

10 MS. DAPOLITO: Dr. Mulè, a couple of
11 individuals joined us. I'm just going to check on
12 site and make sure.

13 CHAIR MULÉ: Okay.

14 MS. DAPOLITO: Is there anyone in this
15 room who would like to address the committee on the
16 topic at hand?

17 No, Dr. Mulè, so we can go into the closed
18 session if you'd give me a second to clear the room.

19 CHAIR MULÉ: Okay, and I guess, Kurt, you
20 are off then?

21 MS. DAPOLITO: He may have already signed
22 off.

23 DR. GUNTER: I'm still here, but I'll sign
24 off now.

25 CHAIR MULÉ: Okay.

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

MS. DAPOLITO: Okay, give me two seconds.

(Whereupon, the above-entitled matter was
concluded at 3:25 p.m.)