

UNITED STATES OF AMERICA  
FOOD AND DRUG ADMINISTRATION  
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

\* \* \*

VACCINES AND RELATED BIOLOGICAL PRODUCTS ADVISORY  
COMMITTEE

\* \* \*

101<sup>st</sup> MEETING

\* \* \*

WEDNESDAY,  
FEBRUARY 16, 2005

\* \* \*

The Advisory Committee met at 8:30 a.m. in the Versailles Room of the Holiday Inn Select, 8120 Wisconsin Avenue, Bethesda, Maryland, Dr. Gary Overturf, Chair, presiding.

*This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.*

PRESENT:

- GARY D. OVERTURF, M.D., Chair
- ROBERT COUCH, M.D., Temporary Voting Member
- NANCY COX, Ph.D., Consultant
- WALTER DOWDLE, Ph.D., Temporary Voting Member

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

PRESENT (Continued):

THEODORE EICKHOFF, M.D., Temporary Voting Member

MONICA M. FARLEY, M.D., Member

RUTH A. KARRON, M.D., Member

PHILIP S. LaRUSSA, M.D., Member

DAVID MARKOVITZ, M.D., Member

PAMELA McINNES, D.D.S., Temporary Voting Member

ARNOLD MONTO, M.D., Temporary Voting Member

STEPHEN PHILLIPS, D.O., M.P.H., Temporary Voting  
Member

CINDY LYN PROVINCE, R.N., M.S.N., M.A., Consumer  
Representative

BENJAMIN SCHWARTZ, M.D. (CPT)

STEVEN SELF, Ph.D., Member

WALTER ROYAL III, M.D., Member

MELINDA WHARTON, M.D., M.P.H., Temporary Voting Member

BONNIE M. WORD, M.D., Member

CHRISTINE WALSH, R.N., Executive Secretary

FDA REPRESENTATIVES:

KAREN MIDTHUN, M.D.

NORMAN W. BAYLOR, Ph.D.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

FDA REPRESENTATIVES (Continued):

ROLAND A. LEVANDOWSKI, M.D.

ZHIPING YE, M.D., Ph.D.

ALSO PRESENT:

LINDA C. CANAS

KEIJI FUKUDA, M.D., M.P.H.

ALBERT THOMAS

C O N T E N T S

	<u>PAGE</u>
Conflict of Interest Statement . . . . .	5
Presentation of Dr. Roland Levandowski . . . . .	10
U.S. Surveillance Data, Dr. Keiji Fukuda . . . . .	29
World Surveillance and Strain Characterization, Dr. Nancy Cox . . . . .	53
Update on Response to H5, Dr. Pamela McInnes . . .	93
Report of Department of Defense, Linda Canas .	102
Vaccine Responses, Dr. Roland Levandowski . . .	137
Availability of Strain and Reagents, Dr. Zhiping Ye . . . . .	166
Comments from Manufacturers, Albert Thomas . . .	172
Options for Strain Selection, Dr. Roland Levandowski . . . . .	201
Committee Discussion and Recommendations . . . .	216

P R O C E E D I N G S

(8:34 a.m.)

1  
2  
3 CHAIRPERSON OVERTURF: I'd like to call  
4 the meeting to order and turn it over first to  
5 Christine Walsh.

6 MS. WALSH: Good morning. I'm Christine  
7 Walsh, the Executive Secretary for today's meeting of  
8 the Vaccines and Related Biological Products Advisory  
9 Committee.

10 I would like to welcome all of you to the  
11 101st meeting of this Advisory Committee. Today's  
12 session will consist of presentations that are open to  
13 the public. Tomorrow's meeting will consist of both  
14 open and closed sessions.

15 I would like to request that everyone  
16 please check your cell phones and pagers to make sure  
17 they are either in the off or silent mode.

18 I would like now to read into the public  
19 record the conflict of interest statement for today's  
20 meeting.

21 The following announcement is made part of  
22 the public record to preclude even the appearance of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a conflict of interest at this meeting. Pursuant to  
2 the authority granted under the committee charter, the  
3 Director, Center for Biologics Evaluation and  
4 Research, has appointed for the discussions on  
5 February 16th the following participants as temporary  
6 voting members:

7 Dr. Robert Couch

8 Walter Dowdle

9 Theodore Eickhoff

10 Pamela McInnes

11 Arnold Monto

12 Stephen Phillips

13 Benjamin Schwartz

14 Melinda Wharton

15 For the discussions on February 17th, the  
16 following participants have been appointed as  
17 temporary voting members:

18 Drs. Pamela McInnes

19 Stephen Phillips

20 Benjamin Schwartz

21 Melinda Wharton

22 Based on the agenda for February 16th, it

1 has been determined that the topic being discussed by  
2 the committee on the strain selection for influenza  
3 virus for the 2005-2006 season is a general matters  
4 issue. The committee will not be providing advice on  
5 specific firms or products on this day.

6 To determine if any conflicts of interest  
7 exist, the agency reviewed the agenda and all relevant  
8 financial interests reported by the meeting  
9 participants. The Food and Drug Administration  
10 prepared general matters waivers for participants who  
11 require a waiver under 18 USC 208.

12 Because general topics impact on so many  
13 entities, it is not prudent to recite all potential  
14 conflicts of interest as they apply to each member.  
15 FDA acknowledges that there may be potential conflicts  
16 of interest, but because of the general nature of the  
17 discussion before the committee, these potential  
18 conflicts are mitigated.

19 We would like to note for the record that  
20 the agency is in the process of selecting a nonvoting  
21 industry representative for this committee. On  
22 February 17th, the committee will hear updates on

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 FDA's critical path initiative and will hear a  
2 presentation on the Laboratory of Biophysics and the  
3 Laboratory of Pediatrics and Respiratory Viral  
4 Diseases.

5 Meeting participants were not screened for  
6 potential conflicts of interest for these updates and  
7 overviews.

8 We would like to note for the record that  
9 Dr. Nancy Cox is serving as a consultant for this  
10 meeting, any speaker making a presentation. She is  
11 Chief, Influenza Branch, Center for Disease Control  
12 and Prevention in Atlanta, Georgia.

13 With regards to FDA's invited guest  
14 speakers, the agency has determined that the services  
15 of these speakers are essential. The following  
16 interests are being made public to allow meeting  
17 participants to objectively evaluate any presentation  
18 and/or comments made by the speakers.

19 Ms. Linda Canas is Chief of Diagnostic  
20 Virology, Epidemiological Surveillance Division, U.S.  
21 Air Force, San Antonio, Texas.

22 Dr. Keiji Fukuda is Chief, Epidemiology



1 Section, Influenza Branch, Center for Disease Control  
2 and Prevention, Atlanta, Georgia.

3 In addition, Mr. Albert Thomas is an  
4 industry speaker making a presentation. He has  
5 financial interests associated with his employer and  
6 regulated firms. He was not screened for these  
7 conflicts of interest.

8 Members and consultants are aware of the  
9 need to exclude themselves from the discussions  
10 involving specific products or firms for which they  
11 have not been screened for conflict of interest.  
12 Their exclusion will be noted for public record.

13 With respect to all other meeting  
14 participants, we ask in the interest of fairness that  
15 you address any current or previous financial  
16 involvement with any firm whose products you wish to  
17 comment upon. Waivers are available by written  
18 request under the Freedom of Information Act.

19 That ends the reading of the conflict of  
20 interest statement. Dr. Overturf, I turn the meeting  
21 over to you.

22 CHAIRPERSON OVERTURF: The entire first

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 day will be contributed to the issue of strain  
2 selection for influenza virus for the forthcoming  
3 season, 2005 through 2006, and the first speaker is  
4 Dr. Roland Levandowski.

5 DR. LEVANDOWSKI: Thank you, Dr. Overturf.

6 Good morning, everybody. Welcome to  
7 Bethesda. Actually I see there's lots of room left at  
8 the front. So those of you who are sitting at the  
9 back are welcome to come up a little closer and the  
10 slides will be a little bit better, I think, for you.

11 I have been reminded by a friend that this  
12 is the Year of the Rooster, and if I can get this up  
13 here, this is the rooster family, and they're all  
14 smiling because they know it's time to get started  
15 making influenza vaccine again this year. So I don't  
16 really know who to attribute this picture to. It was  
17 sent to me by a friend who found it on the Internet,  
18 and if you like it, you may be able to find it, too.  
19 I couldn't, but I got the picture from the friend.

20 DR. COUCH: I thought you were going to  
21 say it's our new susceptible population image.

22 DR. LEVANDOWSKI: Well, it could be. This

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 is the red jungle fowl here.

2 All right. Let me get down to business  
3 though. Okay.

4 As Dr. Overturf said, the reason that  
5 we're here today is for the committee to make  
6 recommendations for selection of the influenza virus  
7 strains, the A(H1N1) and A(H3N2) and B viruses that  
8 should be used for the influenza vaccines to be  
9 prepared for the 2005-2006 influenza season in the  
10 United States.

11 Why do we change the strains in the  
12 influenza vaccines? We do that because it's really  
13 important for vaccine efficacy. We know that vaccine  
14 efficacy relates to a couple of things, one of which  
15 is the potency of the influenza vaccines, but from a  
16 lot of experience, it has become very clear that the  
17 match of hemagglutinin and neuraminidase of the  
18 vaccine strains to the wild-type circulating viruses  
19 is important for vaccine efficacy.

20 And the first evidence of that for reduced  
21 vaccine efficacy was apparent two years after the  
22 first vaccines were licensed for use in 1945. Within

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 two years, it became clear that antigenic drift in  
2 influenza viruses could reduce the vaccine  
3 effectiveness.

4 The questions that the committee needs to  
5 consider answering in order to make the  
6 recommendations are listed here and we'll be  
7 presenting information that covers all of these areas  
8 during the course of this meeting.

9 The first and most important questions is  
10 from Surveillance and Epidemiology: are there new  
11 influenza viruses that are circulating that have  
12 hemagglutinins and neuraminidases that appear to be  
13 different from the current vaccine?

14 And if the answer to that question is yes,  
15 we also want to know: are these new viruses spreading  
16 in people? Are they in wide geographic locations or  
17 are they just from one location?

18 Occasionally we see viruses that look  
19 extremely different, but it turns out that they're one  
20 off, and they don't seem to spread anywhere. So this  
21 question two, if it's answered yes, are those viruses  
22 spreading, we also want to know whether current

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 vaccines can induce antibodies that will recognize  
2 those new viruses.

3 And if the answer to that is no, then we  
4 want to know further are there vaccine strain  
5 candidates available that would be suitable for large  
6 scale manufacturing of inactivated and live attenuated  
7 influenza vaccines.

8 I'd just like to go through a review of  
9 what the committee considered last year and what the  
10 questions and the sort of resolution to the questions  
11 was. First of all, for the current vaccine that we  
12 have now last year, the question was were there new  
13 strains of Influenza A(H1N1) circulating, and at that  
14 time you might remember we also had some reassortant  
15 viruses that were H1N2. Last year there really  
16 weren't strains that were antigenically different from  
17 the current vaccine strain. All of them were very  
18 much similar to what was in the vaccine.

19 The same question for the H3N2. Last year  
20 the answer to that question was yes. There were A  
21 Fujian-like viruses that we had known about since  
22 February of 2003. As you might recall, the first

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 season that those were identified it was not possible  
2 to make a change in the vaccine, but those strains  
3 continued to circulate widely around the world in  
4 people.

5 And by 2004, although early on those were  
6 more or less in the minority or fairly quickly they  
7 became the majority and by 2004, those were the main  
8 strains that were circulating in the world.

9 For Influenza B, the question was asked:  
10 are there new strains present? And the answer was  
11 yes, and in 2004, the majority of the viruses were  
12 similar to a strain called B/Shanghai/361/2002, which  
13 is from the so-called B/Yamagata/1688 hemagglutinin  
14 lineage.

15 That lineage was not the one that was  
16 being used in the vaccine that was current last year.  
17 In a minority of the strains that were found during  
18 the epidemiologic studies were similar to the strain  
19 that was in the vaccine for last year, which was  
20 B/Hong Kong/330/2001, which belongs to the HA lineage  
21 that we represent with the strain B/Victoria/287.

22 In answer to the question were these new

1 viruses spreading, the answer, of course, is  
2 definitely yes. The Fujian-like viruses had become  
3 widespread around the world and were predominant  
4 everywhere, and these B/Shanghai-like strains at the  
5 time we were holding this meeting in February were  
6 predominant not only in North America and the United  
7 States, but also in Asia and Europe.

8 Were the new viruses that were identified  
9 and spreading, were those inhibited by the current  
10 vaccines? And this question, as it sometimes is, was  
11 not a very definite no or yes. It was a little bit  
12 difficult to interpret, but it seemed like man of the  
13 A/Fujian-like viruses were not well inhibited by the  
14 current vaccines, although some of them were.

15 For the B/Shanghai-like strains, of  
16 course, we've known for a long time that these two  
17 divergent hemagglutinin lineages are not that well  
18 inhibited one by the other, and as time has gone on  
19 and antigenic drift has occurred in these strains,  
20 that has become truer.

21 Generally we also know that for the  
22 B/Yamagata-like strains and the B/Victoria-like

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 strains, that very young children and people who  
2 haven't been immunologically primed, exposure to one  
3 of these does not seem to immediately give antibodies  
4 that cross-react with the other HA lineage.

5 So were there strains that were suitable  
6 for manufacturing? And the answer was yes. Of  
7 course, we all know that for inactivated vaccines and  
8 for live attenuated vaccines manufacturing depends on  
9 having egg adapted strains, either the wild-type or  
10 reassortant, and in the case of the live vaccine, of  
11 course, it has to be a reassortant for the attenuation  
12 phenotype.

13 But there were A/Fujian-like strains that  
14 were available, and there was a high growth  
15 reassortant that was being used in manufacturing for  
16 the Southern Hemisphere already, the A/Wyoming/3/2003  
17 X 147 reassortant.

18 For the B strain, there were a number of  
19 wild-type isolates that seemed to be suitable for  
20 manufacturing, including B/Jilin/20/2003 and  
21 B/Jiangsu/10/2003, in addition to the B/Shanghai/361  
22 strain itself.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1           So based on that, the strains that were  
2 selected for this year include A/New Caledonia/20/99-  
3 like strain, which in this case really is A/New  
4 Caledonia/20/99.

5           For the B/Shanghai/361/2002-like  
6 recommendation that was made, there were all three of  
7 these strains, B/Shanghai, B/Jilin, and B/Jiangsu.

8           And for the A/Fujian/411/2002-like  
9 recommendation that was made and the A/Wyoming/3/2003  
10 strain was chosen or is the one that has become widely  
11 used for vaccine preparation.

12           Now, the implications of the strain  
13 selection were that preparation of the vaccines was on  
14 schedule throughout the year. All of the strains  
15 seemed to be typical and easy to adapt for  
16 manufacturing purposes, and going into the summer, the  
17 supply of vaccine was expected to match the demand  
18 predicted by previous years' experiences.

19           But what happened was that we ended up  
20 with a vaccine shortage at the end of the summer, and  
21 just to try to put that into a little perspective,  
22 from January until August, manufacturing had been

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 progressing on schedule even including these two new  
2 strains that were recommended for use in vaccines, and  
3 it was anticipated there were going to be about 100  
4 million doses of vaccine from all of the manufacturers  
5 combined for this year.

6 In August of 2004, Chiron notified  
7 regulatory authorities about a sterility issue and  
8 indicated that investigation to identify the cause and  
9 the implementation of corrections was underway, and at  
10 that time Chiron made a public announcement indicating  
11 that there would be a possible delay in distribution  
12 and possibly a reduction in the amount of vaccine that  
13 would be available.

14 You also probably all know that in early  
15 October of 2004, the MHRA, the U.K. regulatory  
16 authority, announced that they were suspending  
17 Chiron's license to manufacture inactivated influenza  
18 vaccine for three months, and that was based on the  
19 issues that have previously been identified and were  
20 in investigation and correction by Chiron.

21 Subsequently, over the next few weeks and  
22 certainly by November of 2004, it became clear after

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 consultation between FDA and MHRA that the vaccine  
2 that Chiron had planned to make was not going to be  
3 available for us in the United States.

4 In response to that, there were a number  
5 of things that happened within the Public Health  
6 Service, and I'll just very briefly indicate some of  
7 those. At FDA there was a lot of work done to  
8 evaluate manufacturers who were not licensed in the  
9 United States to identify whether their vaccines could  
10 be used under IND.

11 There was consultation with manufacturers  
12 to discuss regulatory mechanisms going forward from  
13 this time for getting approval of new products in the  
14 United States. That includes accelerated approval,  
15 fast track and priority reviews to facilitate those  
16 new licenses, and all of these things actually have  
17 been continuing.

18 CDC had a number of roles to play, and I'm  
19 not indicating everything here, but certainly there  
20 are some very prominent roles in the public health  
21 response to what was happening with loss of some of  
22 the vaccine that was anticipated.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 CDC immediately reviewed and communicated  
2 the use recommendations that would be appropriate for  
3 this reduced amount of vaccine that was anticipated.  
4 It worked very diligently in terms of coordinating  
5 distribution of the existing vaccine supplies and were  
6 very closely linked and working with manufacturers and  
7 FDA in terms of acquisition and use of vaccines under  
8 IND in the United States.

9 National Institutes of Health also as part  
10 of the Public Health Service responded to this and  
11 were able to provide support for a number of clinical  
12 studies that might be done for vaccines under IND-made  
13 commitments to help manufacturers and their interests  
14 in doing clinical studies that would be useful for IND  
15 and possibly later on for vaccine license approvals.

16 And of course, they've been giving  
17 continuing support for development of new vaccines.  
18 This is something that was ongoing already at NIH, but  
19 have continued to try to facilitate development of new  
20 vaccines, tissue culture vaccines, recombinant DNA,  
21 and also adjuvanted influenza vaccines.

22 And the Public Health Service and HHS

**NEAL R. GROSS**  
COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 generally underwent a global consultation with other  
2 partners, including national regulatory authorities,  
3 but certainly also with manufacturers to try to find  
4 where there might be additional vaccine supplies and  
5 to acquire those for use here.

6 Currently there still is vaccine that's  
7 available, and I guess the most recent recommendations  
8 that I have seen on use of vaccine for late season use  
9 are on the CDC Website, and I would anticipate that  
10 those are continuing to be updated. So anyone who is  
11 interested in them should certainly check --  
12 interested in vaccine supply and maybe how to obtain  
13 additional vaccine -- should continue to check the CDC  
14 Website and see what the latest information is.

15 There still, as of the time I was putting  
16 these slides together, was inactivated and live  
17 attenuated vaccine available for use, and I think we  
18 would all like to make sure that the vaccine that has  
19 been produced is used effectively.

20 And finally, there are also IND vaccines  
21 that are available during this year from both GSK and  
22 Berna Biotech.

1                   Now, switching gears a little bit and  
2                   thinking about where we are and where we're going from  
3                   here for this year. We're in February so there are  
4                   a lot of things that are happening. So there are a  
5                   lot of things that are happening. Obviously I've put  
6                   this together as a kind of a pyramid, and the most  
7                   visible part of the influenza vaccine, of course, is  
8                   the vaccine use that occurs in the fall months and  
9                   into the winter, but you'll see that there's a lot of  
10                  activities that have to go on before that can happen,  
11                  including preparation of the vaccine shown in blue  
12                  here and all of those bars, and all of the support  
13                  activities that are required for the manufacturers to  
14                  know what strains that they're going to be using,  
15                  acquire the reagents and the materials that they need  
16                  to permit them to go ahead with manufacturing.

17                  So we're here in February. I don't have  
18                  a pointer. I don't know if I can get the arrow to  
19                  show up here on the -- I don't see one, but we're here  
20                  in February, and we're right at the point of  
21                  recommendations being made both by this committee and  
22                  by other national health authorities.

1                    Obviously    surveillance    continues  
2 throughout the year. Development of seed viruses and  
3 reagents and reference materials, that goes on  
4 throughout the year as things become apparent and  
5 become available, and it's based on all of those  
6 underlying activities that the preparation of the  
7 vaccine can start.

8                    Now, I showed this slide last year, but I  
9 wanted to show it again to talk about what happens  
10 when there are new viruses that are added to the  
11 vaccine. It's quite a challenge for manufacturers to  
12 get everything together in the relatively short period  
13 of time that they have, and even a simple strain  
14 change, we're talking about work that requires many,  
15 many weeks, somewhere in the order of between 12 and  
16 20 weeks in order to accomplish all of the tasks that  
17 need to be done.

18                    In order to have any change in the  
19 vaccine, of course, there have to be reference viruses  
20 that are obtained. Those come from surveillance, and  
21 it is not always easy to get those. As you might  
22 recall, it was difficult to isolate some of the H3

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 viruses in eggs, and as you're going to hear, CDC has  
2 been putting in a lot of effort into making sure that  
3 egg isolates, appropriate egg isolates, are available  
4 for manufacturing. I think Nancy Cox will talk about  
5 that a little bit later.

6           Once those reference viruses have been  
7 acquired, then that's not the end of the job because  
8 each of the manufacturers has to take the reference  
9 virus and develop a working seed virus from that.  
10 This is not something that is done in a day. It takes  
11 several weeks' worth of work to identify the strain  
12 that seems to be appropriate for the manufacturing  
13 process and also to make sure that all of the quality  
14 control issues that need to be handled and addressed  
15 for those new viruses have been done.

16           Thank you.

17           So once that's accomplished there still  
18 need to be reference reagents that are produced for  
19 the reference virus as well, and that can be a rate  
20 limiting step. Once the virus has been identified or  
21 it's in our hands, then we can start to work on  
22 getting those reagents made, but it takes a period of



1 about three months actually, well, six weeks to three  
2 months to have everything prepared because it requires  
3 both an antigen and an antibody that's made in sheep,  
4 which is a biological system that's not always readily  
5 controllable.

6 Generally though manufacturers are already  
7 for the strains that aren't changed can start working  
8 and keep working on preparation of materials for  
9 vaccine, and when it's time, they can start  
10 manufacturing the third strain so that they can  
11 formulate the trivalent vaccine, fill it, and then  
12 distribute that vaccine hopefully in time for use in  
13 the fall.

14 There will be a presentation later by our  
15 industry representative who will go into more detail  
16 about this, but I wanted to mention it also that it's  
17 a fairly complex set of activities that need to be  
18 undertaken for implementation of any strain change,  
19 and it is a kind of a stressful situation for all  
20 parties that are involved to first make sure that  
21 their reference virus is present, make sure that the  
22 reagents get produced and make sure that the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 manufacturing can go forward.

2 I'll end up here with some bits of  
3 information from WHO recommendations during the past  
4 year. These are the recommendations that WHO made for  
5 the Southern Hemisphere, and you can see that the  
6 strains that were selected for the Southern  
7 Hemisphere, which were based on information much like  
8 what we'll be discussing here this morning, it was to  
9 keep the A/New Caledonia/20/99 strain as the vaccine  
10 virus and it actually was to include now a  
11 B/Shanghai/361/2002-like virus for the first time in  
12 the Southern Hemisphere, but it was a continuation of  
13 what had already happened with recommendations in the  
14 Northern Hemisphere in February last year.

15 However, there was a recommendation for a  
16 change in the A/Wyoming strain to an  
17 A/Wellington/1/2004-like virus, and that was based on  
18 the fact that the H3N2 viruses were undergoing  
19 antigenic drift.

20 And finally, these were the  
21 recommendations that have been published on the WHO  
22 Website for this coming year, and you'll see that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)

1           although the H1N1 and the B strain are the same as  
2           what they were for the Southern Hemisphere, the WHO  
3           recommendation for the H3N2 virus is for another  
4           different strain, the California/7/2004-like virus.  
5           And we'll be presenting information that will probably  
6           make that understandable.

7                         So the question for the committee that we  
8           would like to have addressed, the specific question  
9           that we're asking the committee this morning is what  
10          strains should be recommended for the antigen at  
11          composition of 2005-2006 influenza virus vaccine, and  
12          this recommendation should be based on the  
13          epidemiology and antigenic characteristics of the  
14          circulating influenza viruses.

15                        On serologic responses, people have been  
16          immunized with current vaccines and the availability  
17          of candidate strains, and I guess I can stop there and  
18          see if there are any questions or comments.

19                        CHAIRPERSON OVERTURF: Other questions or  
20          comments for Dr. Levandowski? Dr. Couch.

21                        DR. COUCH:           Haven't the WHO  
22          recommendations for the Northern Hemisphere for 2005-

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 2006 already been made? Haven't they all? They were  
2 on the Website at any rate.

3 DR. LEVANDOWSKI: That's right. That's  
4 what I showed.

5 DR. COUCH: But you didn't include them.  
6 Won't you tell us what those are?

7 DR. LEVANDOWSKI: Right there. Isn't that  
8 it? Do I have it mislabeled?

9 DR. COUCH: No, that's fine.

10 CHAIRPERSON OVERTURF: Dr. Markovitz.

11 DR. MARKOVITZ: Yeah, thank you.

12 I wanted to ask. You showed something  
13 about the Berna Biotech vaccine in IND, and we're  
14 going to hear about the GSK tomorrow, but what is the  
15 Berna Biotech vaccine?

16 DR. LEVANDOWSKI: It's an inactivated  
17 influenza vaccine.

18 DR. COUCH: Aren't there other candidates  
19 for the IND or there was or what's the status of other  
20 manufacturing candidates for interest in our country?  
21 I guess that's the question.

22 DR. LEVANDOWSKI: Okay. Well, of course,

1 I'm not going to talk about any INDs that are, you  
2 know, confidential information, but there is a lot of  
3 interest; there has been ongoing interest. There was  
4 interest even before this from a number of  
5 manufacturers to bring their products to the U.S.  
6 market, and those are all things that were in the  
7 works and are continuing.

8 So I guess what I can say generally is  
9 that there are a number of manufacturers that are  
10 interested who are pursuing avenues toward getting  
11 approval for their products in the United States, and  
12 they're multiple. It's not just one or two. It's  
13 multiple.

14 CHAIRPERSON OVERTURF: Any other  
15 questions?

16 (No response.)

17 CHAIRPERSON OVERTURF: We'll go on then.  
18 Dr. Fukuda is going to give us the U.S. surveillance  
19 data.

20 DR. FUKUDA: Good morning. I see that I'm  
21 allotted more time than I really need. I'm only going  
22 to spend a few minutes talking about surveillance in

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 the United States, and then Dr. Cox will be covering  
2 some of the events going on in Asia related to H5N1.

3 So normally I just talk about the activity  
4 that's going on in the United States, but I thought  
5 I'd take a minute or two and go over some of the  
6 changes affecting how we're doing surveillance in the  
7 U.S. because they really have been quite substantial  
8 over the past year or two, and I think it's changes  
9 that the committee should know about.

10 So over in the left-hand column you can  
11 see how we've done surveillance in the United States  
12 for several years, and for quite a long time we've  
13 monitored viral activity through the WHO nerve  
14 laboratory system in the U.S., which is largely a  
15 group of Public Health laboratories, plus university  
16 laboratories. We've monitored influenza-like illness  
17 visits to a group of sentinel physicians scattered  
18 throughout the United States.

19 We've monitored mortality from influenza  
20 using two different systems. The 122 cities systems  
21 collects data from vital registrars' offices in 122  
22 cities, and then the NCHS data set is the large data

1 set reflecting all deaths in the United States which  
2 are analyzed a couple of years afterwards.

3 And then we typically get state activity  
4 assessments from the state epidemiologists every week,  
5 and so this is basically the information that you've  
6 seen for year in and year out.

7 Now, there are a couple of things which  
8 are really driving changes in surveillance. One of  
9 them is that since really the mid-1990s we've been  
10 trying to strengthen surveillance as much as possible,  
11 recognizing that there are a number of limitations.

12 A second thing is that there has been a  
13 great deal of concern about pandemic influenza, and I  
14 think this concern continues to rise, and so that's  
15 another driving factor for enhancing surveillance in  
16 the U.S.

17 And then the third thing is that there has  
18 been directives from the Director's Office at CDC  
19 really to strengthen surveillance so that the data  
20 comes in a little bit more quickly and so that it's  
21 more broadly representative of the country  
22 geographically.

1           So based on that, there have been a number  
2 of things done to enhance the systems on the left, and  
3 I'll go over one example, which is the sentinel  
4 provider system. There have been a number of new  
5 systems which have been added.

6           We are now monitoring pediatric influenza  
7 related hospitalizations through two different  
8 networks, the NVSN and the EIP Programs, and this  
9 largely comes out of last year's experience where we  
10 had so many pediatric deaths and so many severe  
11 illnesses reported in that age group.

12           And then also as part of that, we have  
13 begun -- we worked with CSTE, the Council of State and  
14 Territorial Epidemiologists, to institute national  
15 pediatric death reporting for death related to  
16 influenza.

17           So these are new systems, and then because  
18 of concern of H4N1 and the initial cases that were  
19 reported back in 2003, we have been, in essence, at a  
20 state of heightened alert in the United States where  
21 state health department and hospitals have been on the  
22 lookout for H5N1 cases among travelers returning from

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 Asia. So these are new systems which have been added  
2 over the past year or two.

3 Then in addition, there are a large number  
4 of systems, and I'm just giving two examples here  
5 which are under evaluation. Biosense is a large  
6 conglomeration of data sets which are collected from  
7 groups such as the Veterans Administration, DOD,  
8 pharmaceutical industry, and so on. These are being  
9 evaluated for the potential value when conducting  
10 influenza surveillance.

11 And then we also will be talking with the  
12 Council of State and Territorial Epidemiologists later  
13 this year about whether influenza laboratory confirmed  
14 illnesses should be a national reportable disease,  
15 which would probably profoundly affect how we do  
16 surveillance. It would probably be the biggest change  
17 of all if we go in that direction.

18 So this is the effect of some of those  
19 changes. You can see on this slide here that this  
20 represents the numbers of sentinel physicians in the  
21 country and then the number of visits that are made to  
22 those physicians for influenza-like illness.

1           So back in 19 96, which is the column over  
2           on the left, you can see that we probably had  
3           somewhere between 50 and 100 sentinel physicians  
4           reporting these data, and then if you go up to 2004,  
5           we have over 1,000 physicians reporting on a regular  
6           basis, and so this represents an increase of less than  
7           a million patient visits to about five to nine million  
8           patient visits per year.

9           Now, these physicians are scattered in the  
10          United States and these dots represent where they're  
11          located, and you can see that, in general, they're  
12          distributed in the way that the population of the U.S.  
13          is distributed, and typically when we've analyzed  
14          these data, we've shown you curves like this, which  
15          shows you the percentage, the cumulative percentage of  
16          visits for influenza-like illness on a week-by-week  
17          basis, and you can see in different years that  
18          percentage increases as we go into the influenza  
19          season, then comes down.

20                 What we're trying to do, we're testing a  
21                 couple of other ways of analyzing these data, however.  
22                 This map here represents the application of the so-

1 called outbreak detection algorithms to each  
2 physician, and so, in essence, each physician is  
3 treated as a sentinel for detecting outbreaks or  
4 increases in activity.

5 And so using certain statistical methods,  
6 what we do is look for an increase in visits for  
7 influenza-like illness for each of the physicians, and  
8 you come up with maps like this, this sort of speckled  
9 map where the red represents increases and the black  
10 do not.

11 I think right now what we're mostly  
12 struggling with is whether this kind of analysis adds  
13 anything substantial to what we already have, but  
14 anyway, so that work goes on.

15 So let me go into the current season now.  
16 So you can see, and this updates the report to the  
17 committee. I think the committee has surveillance  
18 data up through week four, and this goes into week  
19 five. So these numbers will be a little bit different  
20 from what you have.

21 So basically you can see that this year it  
22 has been a mixed Influenza A and B season, but

1 predominantly A. To this point there have been about  
2 65,000 specimens tested by the laboratory system and  
3 about 11 percent of those have been positive for  
4 influenza.

5 And of those which have been positive,  
6 about 85 percent of the isolates have been Influenza  
7 A and about 15 percent have been Influenza B.

8 Now, of the Influenza A viruses, about a  
9 third have been subtyped, and you can see of those  
10 that have been subtyped almost all of them have been  
11 Influenza A(H3N2) viruses, with a few H1N1 viruses or  
12 a few H1 viruses.

13 And so Dr. Cox will be going much further  
14 into these data in a few minutes, and so this graph  
15 here represents the same data shown somewhat  
16 differently, and so these stacked bars represent  
17 Influenza A viruses and B viruses, and the numbers  
18 that have been identified as we've gone into the  
19 influenza season.

20 Now, one of the important things to see  
21 here is this black line. This represents the  
22 percentage of specimens which are positive for

1 influenza, and in many ways it's often the earliest  
2 indicator of how the season is going.

3 So right now you can see that  
4 approximately 23 or 24 percent of specimens coming  
5 into the system are positive for influenza viruses.

6 Now, if you look in the past of the past  
7 several seasons, you will see that this percentage  
8 typically peaks somewhere between a quarter and a  
9 third of specimens testing positive for influenza  
10 viruses when we reach the peak of the season. So  
11 right now this curve looks like we've reached the  
12 peak, but this was probably somewhat of an artifact,  
13 or we're not sure if it's an artifact right now. It  
14 may represent a bit of a reporting lag.

15 So based on this curve right here, it  
16 still looks like that we're going up in the season  
17 and we haven't quite peaked yet.

18 Now, this slide here represents the visits  
19 for influenza-like illnesses to sentinel providers,  
20 which I showed you a few minutes ago. The red line  
21 represents the pattern for this year, and the green  
22 line represents the pattern that we saw last year when

1 we had that early season.

2 Now, these are curves which you wouldn't  
3 have seen last year. So these are laboratory  
4 confirmed hospitalizations, and these are  
5 hospitalizations coming into the NVSN system, and so  
6 these represent hospitalizations of children zero to  
7 four years of age, and you can see the blue line  
8 represents last year when we were hearing about so  
9 many reports, and the red line represents what we're  
10 seeing this year.

11 Now, these are similar data coming into  
12 the EIP system. I won't go into the details. The  
13 NVSN system and the EIP systems identify  
14 hospitalizations in somewhat different ways, and so  
15 the absolute rates are somewhat different, but what  
16 they show, in essence, is fairly comparable.

17 And I want to point out one thing though.  
18 The blue lines here, again, represent what we saw last  
19 year. The solid blue line represents hospitalizations  
20 that we saw in children zero to four years of age, and  
21 then the dotted or the broken line, blue line,  
22 represents the hospitalizations that we saw in

1 children five to 17 years of age.

2 And so you can see that there was a quite  
3 large difference in rates of hospitalizations  
4 depending on age.

5 Again, the red line represents the  
6 hospitalizations that we're detecting this year. So,  
7 again, you can see there's a substantial difference  
8 between the experience this year and last year.

9 This curve here is the familiar pneumonia  
10 and influenza mortality curve which comes out of the  
11 122 cities system, and so far this year we have not  
12 detected an increase in excess mortality.

13 I was looking at this slide this morning.  
14 So I'm struck that we have red states and blue states,  
15 and there are more red states than blue states.

16 (Laughter.)

17 DR. FUKUDA: But all things change. So  
18 anyway, these are activity levels represented by the  
19 state and territorial epidemiologists. The red, in  
20 essence, report reveals or indicates the highest level  
21 of activity in a state, and then the blue levels  
22 represent a somewhat lower level of activity.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   So this is the last slide here. I think  
2                   that what we can say is that in comparison with last  
3                   year, this season has been relatively moderate. It  
4                   has been dominated by Influenza A(H3N2) viruses. I  
5                   didn't go over these data, but so far there have been  
6                   six reported pediatric deaths associated with  
7                   laboratory confirmed influenza. This is in contrast  
8                   to 153 laboratory confirmed deaths reported last year  
9                   for the entire year.

10                   But then, again, this season clearly has  
11                   started later than last year. We are in a period of  
12                   ongoing activity. We cannot say that activity has  
13                   peaked in the country yet, and so we still don't know  
14                   what the full impact and what the full numbers will  
15                   be.

16                   So I'll stop there.

17                   CHAIRPERSON OVERTURF: Just one question.  
18                   You had that slide that looked like four curves or  
19                   four seasons with pediatric hospitalization rates.

20                   DR. FUKUDA: Yes.

21                   CHAIRPERSON OVERTURF: What is the quality  
22                   of the data for the two prior seasons?



1                    Obviously we really didn't have a  
2 surveillance system that was looking at pediatric  
3 hospitalizations that I know of prior to last season;  
4 is that correct?

5                    DR. FUKUDA: Well, this system, the NVSN  
6 system, has been in place since 2000, and so this  
7 actually represents now five years' worth of data.

8                    I would say that the quality of these data  
9 are excellent. You know, this is a system which was  
10 set up by the National Immunization Program in  
11 Rochester and in Tennessee, Rochester, New York, and  
12 then in the Vanderbilt area, and then more recently  
13 they've added a third site.

14                    And in essence, it's an active system  
15 where all children coming in meeting a certain case  
16 definition are then tested for influenza and other  
17 viral respiratory illnesses, and so it's a pretty  
18 labor intensive system, but the data themselves are  
19 quite excellent.

20                    CHAIRPERSON OVERTURF: Other questions?  
21 Dr. Couch.

22                    DR. COUCH: I wanted you to go ahead if

1 you would, Keiji, and contrast those two systems a  
2 little bit because they don't exactly say the same  
3 thing.

4 DR. FUKUDA: Sure. I think one way to  
5 look at the NVSN system is that it's close to an ideal  
6 way of trying to look at what children are getting  
7 sick with and to identify rates of hospitalizations  
8 associated with various pathogens.

9 By contrast, the major limitation I would  
10 say of the NVSN system is that it's restricted to a  
11 small number of sites and it's expensive. The EIP  
12 system is a program intended to look at a wide variety  
13 of issues, and so the ABC system looking at bacterial  
14 infections comes out of that system. FoodNet comes  
15 out of that system, and this is a population-based  
16 surveillance system in 11 sites in the U.S. right now.

17 And so what this system does is take  
18 existing data. It takes how physicians handle  
19 children or other people coming into hospitals and  
20 looks at the virus detections and so on as they're  
21 currently done, and then takes that information and  
22 makes it available and makes it available in a way

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-0701

1 which is population based.

2 And so I think the strength of this system  
3 here is that it's a much larger system, and like NVSN,  
4 it represents population based data, and it reflects  
5 practice as it's actually done right now.

6 So it's probably comparatively less labor  
7 intensive, but I think the sensitivity of this system  
8 -- there will be an article coming out on this -- is  
9 less than the NVSN, yeah. So in a certain sense they  
10 are pretty complementary. They try to do different  
11 things, but in fact, you can see that the overall  
12 picture of the data is pretty similar. The absolute  
13 numbers are different, but I think that you both get  
14 a good sense of the rates going on, and certainly I  
15 think that these systems are going to be very helpful  
16 for looking at differences in seasons, particularly in  
17 children right now, you know, and this has been a  
18 major question. You know, how much does it vary in  
19 children and what is the impact?

20 CHAIRPERSON OVERTURF: Mr. Phillips.

21 COL PHILLIPS: Keiji, it was mentioned  
22 last week at ACIP, but I can't recall. Can you

1 comment on the percentage or the numbers of children  
2 six to 24 months that received immunization this year  
3 compared to last year?

4 DR. FUKUDA: Yes. I think that actually  
5 Melinda or Ben may remember better, but I think that  
6 when we first started out, you know, the rates in that  
7 age group were very low, I think, less than five  
8 percent, and then within a year it went up to about 45  
9 percent somewhere; is that right, Melinda? I'm not  
10 quite sure.

11 DR. WHARTON: I think the most recent data  
12 for six to 23 month olds from the BRFS for this year  
13 was 57 percent.

14 DR. FUKUDA: Oh, 57? Okay. Sorry.

15 So it has really been an astounding  
16 increase in that age group.

17 CHAIRPERSON OVERTURF: Yes, Ted.

18 DR. EICKHOFF: Keiji, two things. In the  
19 very first bar graph you showed about the sentinel  
20 physician providers I missed something. A straight  
21 line had turned straight down. What was that straight  
22 line? Is that the number of sentinel physicians?

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. FUKUDA: This is the number of patient  
2 visits. So if you take the sort of cumulative number,  
3 and I think that the downward turn just reflects that  
4 we're still going on through the season. So I think  
5 that at the end of the season that line will be going  
6 up.

7 So I think that the downward line is just  
8 an artifact of where we are in the season.

9 DR. EICKHOFF: Okay. Thank you.

10 The second part of the question related to  
11 the state epidemiologist reporting. Now, I know there  
12 are definitions that go with each of these categories  
13 of reporting, like regional and widespread and so  
14 forth and so on, but yet I can't escape the feeling  
15 that there may, in fact, be a great deal of observer  
16 variability in these reports.

17 So you have any sense of how variable  
18 these may be within a specific definition, such as  
19 regional?

20 DR. FUKUDA: I think there's probably a  
21 substantial amount of variability. I mean, clearly,  
22 how each state decides to report their activity

1 varies. I mean some states look more at their  
2 laboratory data. Other states look at perhaps what  
3 they're hearing about hospitalizations and so on, and  
4 in a sense it represents a gestalt from that state.

5 Nonetheless, I think it's funny, but I  
6 think that it actually pretty well represents what we  
7 see in the other parts of the system where, when we  
8 look at increases in visits to sentinel physicians,  
9 for example, in the northeast or in the southwest, and  
10 it correlates pretty good.

11 And what it does, you know, we really are  
12 not at a point yet with the other systems where we can  
13 break the data down to a state-by-state level and feel  
14 that they're robust enough that we can report on a  
15 state-by-state level. I think we're getting to a  
16 state where we're feeling pretty good that in a lot of  
17 the regions the data from the other systems are pretty  
18 good for those regions.

19 But as we get into smaller and smaller  
20 cuts at the data, you know, it becomes a little bit  
21 more -- the confidence intervals become a little bit  
22 too wide. So this really represents our way of trying

1 to get at what are the states themselves feeling like  
2 they're seeing and how, you know, are they responding  
3 to that and reacting?

4 DR. FUKUDA: There's another question.  
5 Yes.

6 DR. DOWDLE: First I'd like to  
7 congratulate CDC for continued expansion of the  
8 surveillance system. It's quite gratifying to see  
9 that, and I'm also really interested in your  
10 discussions, upcoming discussions in making influenza  
11 a national notifiable disease, which brings up the  
12 question: in your discussion with the states, what do  
13 you see is going to be the major challenges to get  
14 this done?

15 I mean, this has been discussed before,  
16 but there are many challenges to doing that. So have  
17 there been any changes? Is there different attitudes?  
18 And what do you think are the real challenges this  
19 time?

20 DR. FUKUDA: Walt, I think it represents  
21 a couple of things that are changing out there. One  
22 is that, in fact, it turns out that there are more

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 states in which laboratory confirmed influenza already  
2 is a reportable disease within the state than we  
3 really, I think, suspected.

4 I mean, when you look at it, it's probably  
5 around 20 states or so. So that's one difference than  
6 some years ago.

7 A second issue is that I think that  
8 influenza has gotten so much attention over the past  
9 couple of years that on the political agenda in a lot  
10 of states there is now a recognition that they really  
11 want to keep on track of what's going on with  
12 influenza in their states much more, and that's  
13 probably a big change over, say, five or ten years  
14 ago.

15 And then the third thing is that, you  
16 know, the State and Territorial Epidemiologists really  
17 pull together with CDC when there's kind of a crunch  
18 going on, and I think that there has been a big push  
19 over the past few years with the vaccine supply  
20 disruptions, the push from the Director's Office at  
21 CDC really to strengthen surveillance in a way that  
22 data is coming in a little bit more quickly. It's a

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 little bit more specific, and perhaps eventually can  
2 be used really to respond to emergencies a little bit  
3 more quickly.

4 And so based on that, this idea of moving  
5 to notifiable diseases really came out from the  
6 states. It's something, I think, that we would have  
7 hesitated to approach because of all of the practical  
8 and feasibility issues, but basically a couple of the  
9 states came to us and said, "You know, we really think  
10 it's time that influenza surveillance begin to be  
11 treated like other diseases in the U.S. and that we  
12 begin to look for confirmed cases and try to track  
13 those, and so I think moving into that direction is  
14 really the biggest hurdle is going to be to get all of  
15 the states which aren't doing that right now to agree  
16 that it should be a notifiable disease.

17 I think if that is done then I think all  
18 of the other issues are relatively simple to deal  
19 with, and I think for the states it's really just a  
20 feasibility issue. You know, they're dealing with  
21 bioterrorism activities and so on, and there are so  
22 many things going on that everyone is trying to

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 respond to, but that's the real hurdle.

2 CHAIRPERSON OVERTURF: Dr. Monto.

3 DR. MONTO: Coming from a state where  
4 we've been discussing making influenza notifiable  
5 disease and in a situation where there is concern that  
6 there would be significant under reporting or  
7 different reporting from different states using  
8 different criteria, is there discussion about standard  
9 methods that would be used in different states to get  
10 away from the situation which we have right now where  
11 the state epidemiologists basically makes a seat-of-  
12 the-pants decision about the level of influenza  
13 activity?

14 DR. FUKUDA: Well, Arnold, I think that if  
15 we do move to a situation where laboratory confirmed  
16 influenza becomes a reportable disease throughout the  
17 country, then the first issue is going to be  
18 laboratory confirmed what, and it will probably focus  
19 on something like hospitalizations because it's  
20 relatively restricted in numbers.

21 So we haven't entered into the discussions  
22 with the states about the nitty-gritty of how this

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 might be done because I think first there has to be  
2 discussion about whether the other states think that  
3 this is how they want to go.

4 But I suspect that if we get past those  
5 discussions, it will focus on how do we start off  
6 relatively narrow and then do we expand out later on?

7 CHAIRPERSON OVERTURF: Dr. Farley.

8 DR. FARLEY: Well, this is in many ways a  
9 follow-up to that question in that the issue of  
10 testing, the type of testing, rapid testing versus  
11 virologic testing, and its sensitivity/specificity  
12 issues, but also the word is that some insurance  
13 companies cover the test and some don't, and I guess  
14 if they're hospitalized things may be much different  
15 in terms of coverage for testing, but where do the  
16 policy makers fit in that equation of it we're going  
17 to a laboratory diagnosed surveillance system that's  
18 reportable, will there be recommendations on whom to  
19 test and whether it should be covered?

20 DR. FUKUDA: Yes, Monica, that's a big  
21 issue. If you look at everyone who is tested for  
22 influenza right now, it's clear that a majority of

1       them are now being tested using the rapid detection  
2       kits, and we all know that the sensitivity and the  
3       specificity of those kits is not at a level where any  
4       individual test result, particularly in the off season  
5       or when you have odd results is, you know, so solid,  
6       but you know, it's also the increased usage of that  
7       kind of testing which has made the whole discussion  
8       about moving to laboratory confirmed influenza  
9       possible.

10                    You know, without that kind of testing we  
11       wouldn't be having this discussion with the states,  
12       and I think that some of the things that we'll have to  
13       come to grips with and which I believe will probably  
14       change over the next several years is that there are  
15       undoubtedly going to be regional differences,  
16       individual physician differences in terms of how often  
17       and how they're willing to use those tests, and that  
18       will change.

19                    And so I think that all of those will be  
20       somewhat problematic.    Nonetheless, I think that  
21       they're all addressable issues, and I think that when  
22       we look at the data coming in as a large lump of data,

1 it will be pretty analyzable. I mean, that's what I  
2 suspect.

3 CHAIRPERSON OVERTURF: Other questions?

4 (No response.)

5 CHAIRPERSON OVERTURF: If not, Dr. Cox,  
6 are you ready to present now?

7 Okay. Dr. Cox will present.

8 DR. COX: Okay. Good morning, everyone.  
9 I'm very pleased to be here presenting the virologic  
10 data once again and shifting from a domestic  
11 perspective to a global perspective.

12 I thought I'd spend just a few minutes at  
13 the beginning of my presentation talking about the  
14 H5N1 situation in Asia, and then we will be able to  
15 focus exclusively on the task at hand, which is  
16 vaccine strain selection for this coming year.

17 This slide actually shows the countries  
18 that have reported H5N1 outbreaks in poultry since  
19 December 2003. The countries shown in light purple  
20 are the two countries, Japan and South Korea, that  
21 have their outbreaks under control and the H5N1 virus  
22 as far as we know has been eliminated from their

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 borders.

2 The countries shown in purple have  
3 outbreaks in poultry, but no human cases have been  
4 report, and the three countries shown in red have  
5 outbreaks in birds and at least one case in humans.  
6 The countries in cases with humans are Cambodia, which  
7 has reported a case recently; Thailand, which has  
8 reported 17 cases of which 12 have resulted in death;  
9 Vietnam, which has had a lot of activity recently and  
10 a lot of publicity recently. There are 37 H5N1  
11 laboratory confirmed cases with 29 deaths, for a total  
12 of officially reported cases of 55 and 42 deaths.

13 Now, of course, the case fatality rates  
14 are very high. We know that case ascertainment is not  
15 perfect. It's far from perfect, in fact, and so this  
16 probably represents an over estimate of the case  
17 fatality rate. Nevertheless, it's a very sobering  
18 picture.

19 We've noted that there's a high case  
20 fatality rate regardless of age, although the  
21 illnesses, the detected cases have tended to be in  
22 children and young adults.

1           The clinical symptoms are similar to the  
2 earlier cases in 1997, and lymphopenia is a prominent  
3 feature.

4           Diarrhea has been reported as being a  
5 prominent feature in some of the recent cases.

6           There was a second wave of infections that  
7 began in August of 2004, sort of tailed off a bit,  
8 and then increased again during late January and  
9 February.

10           And then, of course, I'm sure many of you  
11 have heard in the press and perhaps have even read the  
12 paper in the New England Journal about the Thai family  
13 cluster, where because of particular circumstances it  
14 was possible to document probable human-to-human  
15 transmission from a child to her mother and to her  
16 aunt.

17           Now, we have been looking very carefully  
18 at the antigenic properties of these viruses, and I  
19 probably showed you this slide before, but this slide  
20 shows that the viruses have actually drifted  
21 antigenically quite dramatically from 2003, where we  
22 had the Hong Kong/213/2003 virus, which had been used

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 in the -- the wild-type virus had been used in Rob  
2 Webster's lab to produce a vaccine reference strain,  
3 and we had hoped that it would be appropriate to use  
4 for pilot lots for the situation that was developing  
5 in Asia.

6                   Unfortunately, you can see that the ferret  
7 antisera against the Hong Kong/213 virus has a very  
8 nice homologous titer. However, that antiserum covers  
9 the Vietnam/2004 and Thailand/2004 viruses very  
10 poorly.

11                   Likewise, we were able to see distinct  
12 differences between the Hong Kong/97 (H5N1) viruses  
13 here. We see the homologous antiserum titer, and this  
14 antiserum covers the 2004 viruses very poorly.

15                   These viruses themselves do induce a good  
16 antibody response in ferrets that have been infected  
17 intranasally, but by using these sera we can also see  
18 distinct differences between 2003 and the 1997 H5  
19 viruses.

20                   So it became very clear that new vaccine  
21 candidates needed to be developed for the ongoing  
22 situation. Candidates have been developed and perhaps

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 Pamela or someone else from NIH will give an update on  
2 the current pilot production situation for candidates  
3 that have been developed with these two particular  
4 strains if there are questions.

5 I'd just like to give a very brief summary  
6 of the highlights of points I'd like to get across to  
7 the committee. Obviously avian influenza viruses  
8 including all of these subtypes, but particularly the  
9 H5N1 viruses, can pose a major risk to global public  
10 health. Early detection of human to human  
11 transmission of novel influenza viruses is essential.  
12 It's difficult in Asia, but surveillance has been  
13 ramped up, and if there are questions about what the  
14 U.S. has done to help improve surveillance in Asia, I  
15 would be happy to field those questions.

16 The 2003 through 2005 Asian viruses are  
17 heterogeneous both in their antigenic properties and  
18 in their resistance to influenza antivirals. They are  
19 also heterogeneous with respect to pathogenicity, and  
20 the current strains are more lethal in mammals by the  
21 current measurements we have than the 1997 strains,  
22 and I'm talking about animal models here.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1           There is ongoing vaccine development,  
2           ongoing antiviral stockpiling, and pandemic  
3           preparedness activities at many levels within our own  
4           country, within other countries, and within WHO.

5           Surveillance in animals, including birds,  
6           swine, and other susceptible hosts, such as felines is  
7           critical, and a research agenda needs to focus on  
8           enhancing our understanding of the genesis of pandemic  
9           influenza viruses.

10           We have a unique opportunity to view how  
11           new pandemic strains may or may not develop, and there  
12           is now a very broad global recognition of a need for  
13           better communication between human and veterinary  
14           health authorities, and we are all working very  
15           diligently on improving communications.

16           So now I will be shifting gears and  
17           talking about the current influenza season, which is  
18           as Keiji has just shown you increasing and really  
19           getting going.

20           I'd like to provide a bit of an overview  
21           by way of introduction to the global situation. Now,  
22           we have compiled information from all of the four WHO

1 collaborating centers, which are located in London,  
2 Atlanta, Melbourne, and Tokyo.

3 And we have also included information from  
4 the European influenza surveillance scheme, which is  
5 a very comprehensive influenza surveillance system in  
6 Europe, and have also included the very good data from  
7 our Canadian counterparts who report on a weekly basis  
8 their analysis of influenza viruses that have been  
9 isolated in Canada.

10 Generally speaking, between October 2004  
11 and January of 2005 through the current time,  
12 influenza activity has been reported in Africa, the  
13 Americas, Asia, Europe, and Oceania. In general,  
14 influenza activity has been relatively low compared to  
15 the same period last year globally as well as  
16 nationally.

17 The influenza season began in October in  
18 North America where viruses were first detected, and  
19 it has increased quite gradually in countries in the  
20 Northern Hemisphere, including countries in Europe and  
21 Asia.

22 As you can see here, Influenza H3N2

1 viruses have predominated worldwide and were  
2 responsible for the majority of outbreaks. Influenza  
3 B viruses from both the Yamagata and Victoria lineages  
4 have continued to circulate globally and have been  
5 responsible for a few outbreaks.

6 Influenza A(H1N1) viruses have been  
7 detected less frequently and have been reported to be  
8 responsible for only one outbreak so far.

9 I would like to note that of the Influenza  
10 B viruses, those on the Yamagata lineage, which is  
11 represented in our current vaccine, have predominated.  
12 If you add up the 303 and the 74 and look at the  
13 proportion of B Victoria viruses on a global basis,  
14 it's about 20 percent in the United States. You can  
15 see clearly here it's also roughly 20 percent, in the  
16 same ballpark at least.

17 We have relatively few viruses from  
18 Central-South America because they haven't had much  
19 Influenza B activity, and you can see that in Africa  
20 and Oceania B Yamagata lineage viruses really did  
21 heavily predominate.

22 So now we'll move on to Influenza A(H1N1)

1 viruses. Now, I would encourage anyone who really  
2 wants to see these numbers to move forward because I  
3 know it's very difficult to see the HI tables on the  
4 screen. You do have copies, but they're not color  
5 copies. So it's sometimes a bit harder to see.

6 I mentioned that we had relatively few H1  
7 isolates, but we do have some relatively recent  
8 strains, some December strains from Florida  
9 represented here as test antigens.

10 We also have quite a number of Asian  
11 isolates. There was a fairly large outbreak of H1N1  
12 in Thailand. We received quite a few of the viruses.  
13 They were isolated mainly in September and October,  
14 and we also have some viruses from Hong Kong down here  
15 at the bottom.

16 We do, of course, distill the information  
17 that we receive and try to present representative data  
18 to you. We couldn't possibly present all of the HI  
19 tables to you.

20 I gave you a bit of an orientation, well,  
21 when we looked at the H5N1 antigenic table, but I'll  
22 remind you that what we're looking for is a fourfold

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 or greater difference between the homologous titer  
2 with the vaccine virus, in this case a New  
3 Caledonia/20/99, which is in our current vaccine. We  
4 have a homologous titer of 640 here with the New  
5 Caledonia antiserum, and we're looking for  
6 differences, fourfold or greater differences with the  
7 current viruses.

8 Now, you can see very clearly from our own  
9 CDC data -- and this was confirmed by data from the  
10 other four collaborating centers -- that the New  
11 Caledonia antiserum covers the current viruses very  
12 well.

13 this is reflected in a frequency table.  
14 We have only in our collaborating center had a total  
15 of 14 viruses, H1N1 viruses. They were all H1N1. We  
16 detected no H1N2 viruses at CDC, and 100 percent of  
17 them were New Caledonia-like.

18 And if we look back at the previous period  
19 from April to September when influenza viruses were  
20 circulating in the Southern Hemisphere, we picked up  
21 only one virus that was low to the New Caledonia  
22 antiserum.

1                   Now, I'm going to go on and remind you  
2                   that we sequence the hemagglutinin genes of  
3                   geographically and antigenically representative  
4                   strains. So we tended to sequence the majority of  
5                   H1N1 viruses that came into our laboratory simply  
6                   because we had so few and we were trying to track  
7                   exactly what was happening.

8                   Our New Caledonia vaccine strain is  
9                   located down here at the bottom of this evolutionary  
10                  tree for the hemagglutinin genes, and it may be just  
11                  a little bit difficult to see, but we've color coded  
12                  the dates of isolation because we were very interested  
13                  since we have two distinct clades or sublineages of  
14                  (H1) HA genes. We wanted to see which of these two  
15                  clades had the most recent viruses, and it's this  
16                  clade at the bottom which has the most recent viruses.

17                  Now, Roland mentioned that we had focused  
18                  much more of our efforts on obtaining egg isolates,  
19                  and we have shown in all of our evolutionary trees the  
20                  viruses for which we have egg isolates. So you can  
21                  see for H1N1s where really there hasn't been very much  
22                  change. We were prepared in terms of having egg

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 isolates ready should there be a surprise.

2 Now we're looking at the neuraminidase  
3 genes. As I mentioned, we have only detected H1N1  
4 viruses over the past few months, no H1N2s, and you  
5 have a similar sort of pattern generally speaking with  
6 two different sublineages or subclades, but there  
7 haven't been that many amino acid changes associated  
8 with the ongoing evolution of the neuraminidase genes.

9 I forgot to mention for this previous  
10 slide that, of course, we are unable to distinguish  
11 antigenically the viruses in this sublineage versus  
12 this sublineage. So there are no antigenically  
13 detectable differences with post infection antisera  
14 between these two groups.

15 I'm going to skip the serologic responses.  
16 There are a number of tables in the CDC package. We  
17 did a lot of post vaccination human serology this  
18 year. We actually had two panels of serum from  
19 children, one panel from children zero to 23 months of  
20 age and another panel from children five to eight  
21 years of age.

22 And so if you have specific questions



1 about those tables of serologic results, I'll be happy  
2 to answer them, but Roland will be doing a summary  
3 talk in which he compiles all of the serologic data  
4 accumulated by all of the collaborators to the WHO  
5 Global Influenza Program.

6 So our H1N1 summary is as follows.  
7 Relatively few H1 viruses have been detected  
8 worldwide. The majority of the H1 viruses were  
9 closely related antigenically to the New Caledonia  
10 vaccine strain, and no significant variance of H1N1  
11 viruses were detected during recent months.

12 No H1N2 viruses were detected, and that is  
13 true with respect both to the U.S. strains and the  
14 strains analyzed globally.

15 N1 neuraminidase genes of recent H1  
16 viruses were similar to those of viruses isolated  
17 prior to October 2002. So you can see the H1  
18 situation is fairly straightforward.

19 Okay. We'll move on to H3N2. H3N2  
20 viruses always cause us a lot of headaches. They are  
21 responsible for more severe influenza seasons,  
22 generally speaking, including a higher numbers of

1 hospitalizations and deaths.

2 I'll walk you through this table which  
3 includes our reference panel up here. Here's our  
4 Wyoming vaccine strain right here with a homologous  
5 titer of 640.

6 Here is the Wellington virus here,  
7 Wellington/1/2004, which was recommended as a vaccine  
8 strain for the Southern Hemisphere. It has a  
9 homologous titer of 320, and then we have some  
10 relatively new variants which will be mentioned later  
11 on. We have the North Dakota/1/2004 virus.

12 And I would like you to note that the  
13 Wellington, North Dakota, California, and Singapore/37  
14 viruses are all egg isolates, and therefore, I'm  
15 concentrating on data generated with viruses and  
16 antisera to these viruses that are potential vaccine  
17 strains.

18 Now, at the beginning of this season we  
19 were seeing that the majority of the viruses were  
20 similar to the Kentucky and New York/57 strains, and  
21 they were really quite well inhibited by antiserum to  
22 Wyoming. If you see a twofold difference, it's not

1 considered significant because that's within the error  
2 of the test, but as the season progressed, we began  
3 seeing more viruses with titers of 80 and even a few  
4 viruses with titers of 40 against to Wyoming serum.

5           Once we got the California egg isolate and  
6 product a post infection ferret antiserum to it, what  
7 we found in this test and which has been borne out in  
8 our laboratory in other tests as well as in the other  
9 collaborating centers is that the antiserum to the  
10 California egg isolate covers recent strains better.  
11 It has a lower homologous titer, and there are not  
12 reductions or there are no more than twofold  
13 reductions compared to the homologous.

14           The same is true to a great extent with  
15 the North Dakota strain, although the California  
16 strain did seem to cover viruses slightly better, and  
17 we found out that the North Dakota egg isolate did not  
18 grow particularly well.

19           So I'll show only one more table, and I'd  
20 like to mention that this particular hemagglutinin  
21 inhibition test was done using getting pig red blood  
22 cells. The H3 viruses, the current ones grow

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 relatively poorly, and sometimes it's necessary to use  
2 guinea pig red blood cells to detect high enough  
3 titers to do HI tests, and we do all of our screening  
4 for H3N2s using guinea pig red blood cells.

5 And if we have a virus with a low titer,  
6 it's too low to test with the turkey red blood cells,  
7 which were the red blood cells used for the previous  
8 HI test. Then we use guinea pig red blood cells to do  
9 the HI test.

10 Now, turkey cells are the standard cells  
11 used in all of the WHO collaborating centers.

12 What we have found, and it has been very,  
13 very interesting, indeed, that with guinea pig red  
14 blood cells, we often see that there's greater  
15 differentiation between strains, and so if you look at  
16 the Wellington homologous titer, it's 640 here, and  
17 it's dropped even lower against a couple of current  
18 strains, Victoria/500 from the Southern Hemisphere and  
19 the California/7/2004 from our recent season.

20 It doesn't matter whether you use guinea  
21 pig red blood cells or turkey red blood cells. The  
22 antiserum to the California strain covers recent

1 isolates and even those that are difficult to  
2 quantitate using turkey red blood cells very well. In  
3 contrast, you see a homologous titer of 640, and a  
4 number of titers of 80 here with recent strains. And  
5 that's true no matter which continent you're looking  
6 at.

7 So in summary, I'd like to try to explain  
8 this frequency table which is a little bit more  
9 complex than the frequency tables that we normally  
10 have simply because we didn't have the ferret  
11 antiserum to the California egg isolate until January  
12 5th.

13 Since that time, since the time we've been  
14 using that, we've been able to characterize 30 percent  
15 of the total 261 H3N2 strains that we've looked at  
16 from global sources as California-like, but we also  
17 had prior to the introduction of the California ferret  
18 antiserum been characterizing a number of strains that  
19 we haven't had a chance to go back and test  
20 retrospectively that were low to the Fujian.

21 Our guess is that until you do the  
22 studies you don't know for sure. Our guess is that

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 these will look California-like when we eventually are  
2 able to test them retrospectively.

3 Last season, which isn't shown here, we  
4 had a bumper crop of H3N2 viruses, and we had well  
5 over 1,000 strains that were analyzed during the  
6 period preceding this, which was our influenza season  
7 last year, and the majority of those viruses were  
8 Fujian-like, as you will recall.

9 Okay. Now, you see the evolutionary tree  
10 for the H3 hemagglutinin genes. Our vaccine strain,  
11 Wyoming/3/2003, is right down here shown in red. Once  
12 again, the blue strains are our egg isolates. The  
13 Wellington strain, which was recommended for use in  
14 the Southern Hemisphere is here. You can see that  
15 we've moved up the tree from Wyoming for the Southern  
16 Hemisphere vaccine recommendation.

17 The one change that does not appear, the  
18 one amino acid change that does not appear in the  
19 Wellington strain that appears in the majority of the  
20 currently circulating strains is this change at amino  
21 acid 145 that you'll note. It's a K to N change, and  
22 it has in the past proved to be significant in terms

1 of antigenic variation.

2 Our California reference virus is shown  
3 right up here. It is designated as a low reactor.  
4 Some of the other egg isolates that have been sent out  
5 to other collaborating centers and to vaccine  
6 manufacturers include New York/55/2004 shown here and  
7 New York/40/2004 and Wisconsin/19/2004, and these are  
8 all quite representative of the currently circulating  
9 strains.

10 The Singapore/37 strain, which was shown  
11 on the previous HI table, is right here.

12 When we look at the pattern of evolution  
13 for the N2 neuraminidase genes, we see that the  
14 neuraminidase genes are clustering in a fairly tight  
15 group. Of course, for those of you who aren't so  
16 accustomed to looking at the evolutionary trees, it's  
17 really not -- the distance between viruses is measured  
18 like this, not like this. These are spaced out.

19 So the vertical distance is not the  
20 important distance, and so these are clustering fairly  
21 tightly.

22 Here is the Wellington strain. Here is

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the North Dakota strain, and here is the  
2 California/7/2004 strain. New York 55 is right here,  
3 and of course, its neuraminidase is right on the  
4 backbone and very close to the consensus sequence for  
5 neuraminidase genes.

6 So in summary, Influenza H3N2 viruses have  
7 circulated in many countries, in the Americas, Asia,  
8 Europe and Oceania. In HI tests, H3N2 viruses were  
9 antigenically heterogeneous. Viruses isolated early  
10 in the season were often more closely related or most  
11 closely related to Fujian/411 and Wyoming/303 viruses,  
12 our two reference strains.

13 But an increasing proportion of recent  
14 isolates were antigenically distinguishable from these  
15 vaccine reference strains, and as I have shown you,  
16 were most closely related to the California/704  
17 reference virus, both antigenically and genetically.

18 And sequence analysis of N2 neuraminidase  
19 genes of recent H3N2 viruses indicates that  
20 neuraminidases of recent viruses are genetically  
21 distinguishable from the Wyoming virus with these  
22 changes, but were very similar to the neuraminidases

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)



1 of Wellington and California.

2 Okay. Well, now I'll move on to Influenza  
3 B viruses. As Roland mentioned, there are two  
4 distinct genetic and antigenic groups of influenza  
5 viruses circulating globally. As I mentioned before,  
6 the Yamagata lineage viruses which are represented  
7 here in yellow have predominated both in the U.S. and  
8 worldwide. The Victoria lineage viruses are shown  
9 here represented in green. They are still  
10 circulating. The viruses that you see here are from  
11 Asia, but we had a number of viruses on the Victoria  
12 lineage from Florida as well -- from Hawaii as well.

13 We can see here from this table that the  
14 Shanghai/361 reference vaccine virus antiserum covers  
15 the current Yamagata lineage viruses quite well.  
16 That's true also in this test for the Jilin/20, a  
17 little bit less true for the Jiangsu, but there's the  
18 very high homologous titer here, and you'll note that  
19 there's a recent virus from Florida. We also did have  
20 some B activity in Florida earlier, and we had an egg  
21 isolate, which we were able to put into ferrets, and  
22 that particular egg isolate covers the current strains

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 very well.

2 With the B Victoria lineage viruses,  
3 you'll remember that the previous vaccine strain was  
4 Hong Kong/330-like. Hong Kong/330 was used by some  
5 manufacturers. Hong Kong/1434 was used by others, and  
6 we're seeing that there's drift away from the previous  
7 vaccine strain. We've worked very, very hard to get  
8 a vaccine strain that would be suitable on the  
9 Victoria lineage, and what we found rather  
10 disappointingly is that as soon as we put the B  
11 Victoria lineage viruses into eggs, as soon as we  
12 isolate them in eggs, they lose the glycosylation  
13 site, and they tend to produce ferret antisera which  
14 don't uniformly cover the currently circulating B  
15 Victoria lineage viruses.

16 So we put a lot of effort into this, and  
17 have been relatively disappointed with the results.  
18 Nevertheless we're continuing to pursue this.

19 This is an updated summary of the  
20 Influenza B isolates characterized by CDC. Remember  
21 the previous table I showed as a compilation from all  
22 the WHO collaborating centers. As I mentioned, we're

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 seeing quite a number of the B Hong Kong low, the  
2 viruses that are fourfold or greater reduced in titer  
3 compared to the homologous Hong Kong virus.

4 But the Victoria lineage viruses are a  
5 minority compared to the Yamagata lineage Shanghai-  
6 like strain, and the majority of the Shanghai lineage  
7 viruses that have been isolated recently are well  
8 covered by antiserum to the current vaccine strain.

9 Okay. I'll be showing evolutionary trees  
10 separately for the Yamagata lineage and the Victoria  
11 lineage. This is an advantage because you can  
12 actually see the strains better on the tree, but it's  
13 a disadvantage because you can't see the rather  
14 distant relationship between the Victoria and the  
15 Yamagata lineage viruses because they're not both on  
16 the same tree.

17 But I think for the purposes of our  
18 discussion today, it's best to do the presentation  
19 this way.

20 Here we have our Shanghai/361 reference  
21 virus. You can see that there are a number of amino  
22 acid changes that have occurred, but that we don't see

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 a consistency in terms of viruses which are low  
2 reactors.

3 Again, shown in blue we have egg isolates  
4 designated. We have a large number of egg isolates,  
5 and I think I'll move on to the B Victoria lineage, HA  
6 genes.

7 Our previous recommended vaccine reference  
8 strain was Hong Kong/330/2001. You can see that the  
9 viruses have moved on. Here are some of the Hawaii  
10 strains, the egg isolates that have lost the  
11 glycosylation site and haven't produced good antiserum  
12 in terms of covering the currently circulating  
13 strains.

14 Again, I'd like to emphasize that the  
15 minority of viruses are on that lineage.

16 Now, if we look at the evolutionary  
17 relationships among the Influenza B neuraminidase  
18 genes, you can see that there are two distinct  
19 subgroups here, but the majority of viruses have  
20 neuraminidases in this group here, which indicate that  
21 they are reassortants between the two lineages.

22 So anyway, the neuraminidase genes are

1 being tracked, and we can see that there are some  
2 differences, but we have representative strains from  
3 both lineages.

4 So in summary, Influenza B viruses  
5 continue to circulate in many countries. The majority  
6 of analyzed Influenza B viruses belong to the Yamagata  
7 lineage and are closely related antigenically to the  
8 B Shanghai/361 reference vaccine strain.

9 Most B Victoria/287 lineage viruses that  
10 we analyzed were reassortants bearing Hong Kong-like  
11 HAs and the Szechwan or Yamagata lineage neuraminidase  
12 genes. B Victoria lineage viruses were antigenically  
13 distinguishable from the previous vaccine strain from  
14 this lineage that was used a few years ago.

15 And then I just put up the summary table  
16 one more time in case there are any questions about  
17 the circulation of the different groups of influenza  
18 viruses that have caused problems around the world.

19 Okay. I think I'll stop there and  
20 entertain questions.

21 CHAIRPERSON OVERTURF: Are there  
22 questions? Dr. Monto.

1 DR. MONTO: Given the diversity between  
2 the Yamagata and Victoria lineage occurring in Asia at  
3 the WHO meeting last week was there any concern  
4 expressed in making a global recommendation for  
5 continuing with the Yamagata lineage?

6 DR. COX: We discussed that at length, and  
7 I think that what you can see here is that at least  
8 for the Asian viruses that we've had, there is  
9 approximately a 50-50 split. However, Japan is just  
10 experiencing the beginning of its influenza season,  
11 and it's predominantly B, and all of the viruses that  
12 they had obtained so far were B Yamagata lineage  
13 viruses.

14 So you know, our most current information  
15 was that B Yamagata was continuing to predominate in  
16 Asia even though the numbers we have are relatively  
17 small here for Asia and indicated about a 50-50 split.

18 DR. MONTO: Do we know anything from  
19 China? Because they've diverged from the  
20 recommendation, as we all know, in the past.

21 DR. COX: China has, as you probably know  
22 from press reports, had to close down their Institute

1 of Virology due to a SARS incident, and that led to  
2 delay in analyzing and shipping influenza strains from  
3 the National Influenza Center in the Institute of  
4 Virology in Beijing to the WHO collaborating centers.

5 So we have not yet received a recent  
6 shipment with viruses from December and January.

7 CHAIRPERSON OVERTURF: Dr. Markovitz.

8 DR. MARKOVITZ: Yes, I wanted to ask a  
9 couple of questions. One, this is just for my  
10 information. With the two different strains of  
11 Influenza B, in the past when there has been serious  
12 illness in kids with Influenza B and deaths, is one  
13 strain more likely than the other, you know, one  
14 lineage, I should say, Victoria versus Yamagata more  
15 likely to cause serious illness?

16 And then the second question I had is if  
17 you could just tell us a little bit more about efforts  
18 to develop vaccines for avian flu. I know it's a long  
19 story, but if you could summarize a little bit about  
20 what different institutions are doing about that.

21 DR. COX: Okay. With respect to the first  
22 question about whether more serious illnesses are

1 caused by Victoria or B Yamagata lineage viruses, we  
2 really don't have enough data to say definitively, but  
3 based on my knowledge of the characterization of  
4 viruses from children who have died or had serious  
5 illnesses, I would say that both lineages are capable  
6 of causing serious illness in children.

7 I would like to offer the opportunity to  
8 Pamela McInnes to really talk more about the vaccine  
9 development issues. I think I mentioned that vaccine  
10 reference viruses had been produced in three different  
11 laboratories around the world, one in the U.K. and two  
12 in the U.S., and a couple of those reference viruses  
13 have been given to manufacturers for production of  
14 pilot lots and so on, and Pamela has much more recent  
15 information than I have. So perhaps she could make a  
16 few comments.

17 CHAIRPERSON OVERTURF: Dr. McInnes, do you  
18 want to do that?

19 DR. McINNES: Do you want me to do that  
20 now or you want to finish any questions for Nancy?  
21 Whatever your preference.

22 CHAIRPERSON OVERTURF: Are there any

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-2704



1 further questions for Dr. Cox? Yes, Ben.

2 DR. SCHWARTZ: I just have a question  
3 about interpreting some of the HI data, and  
4 specifically with respect to the Type B. You've  
5 emphasized in your presentation that what one should  
6 look for is a fourfold difference between the various  
7 strains with a particular antisera, but you don't  
8 really emphasize at all the absolute height of the  
9 titers.

10 If you look at the B data and you look at  
11 the Shanghai 361 and compare it with the Florida/7,  
12 and both of them seem to be very good across the whole  
13 Yamagata lineage, but the Florida/7 titers are higher  
14 compared with the Shanghai, and I was wondering if  
15 that has any meaning whatsoever and whether that has  
16 any predictive value in terms of which may be a better  
17 vaccine strain.

18 DR. COX: We haven't noted that titer --  
19 there is some ferret to ferret variation in terms of  
20 the height of titer, and sometimes when we get a very  
21 low titer with the particular ferret, we'll inoculate  
22 the same strain and we'll get a higher homologous

1 titer. So there is ferret-to-ferret variation.

2 We have not noted a correspondence of the  
3 homologous titers that we obtain with ferret serum,  
4 and a corresponding either enhancement or diminution  
5 of titers in humans using those strains for vaccines,  
6 but it's a good question, and we have -- there are  
7 some factors about the hemagglutinin which we don't  
8 understand which makes some strains inherently more  
9 immunogenic than others.

10 We have been discussing and thinking about  
11 ways to get a better handle on what those factors may  
12 be and how to predict which strains might be the best  
13 vaccine strains. So far we don't have a handle on  
14 that, and we've often been very, very limited in terms  
15 of the number of egg isolates that we had available.  
16 I think we've put after we faced the Fujian situation  
17 where we didn't have an egg isolate. We've put an  
18 enormous amount of effort and had partnership with  
19 industry in this effort to obtain more egg isolates.  
20 So we really may have more options in the future, and  
21 it may be more important to really have a handle on  
22 predictors for immunogenicity in humans.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-2784

1 CHAIRPERSON OVERTURF: Dr. Eickhoff.

2 DR. EICKHOFF: Nancy, a question about the  
3 H3N2 data. If I'm reading the dendrograms correctly,  
4 and I may be stumbling on them, is the drift  
5 represented by the recent A/California-like isolates  
6 in the same direction as that started by the  
7 A/Wellington strain, or does it go off in a wholly  
8 different direction?

9 DR. COX: You are correct. The  
10 A/California virus has simply moved on from the  
11 Wellington strain. So it's just an advance. It's  
12 just Wellington progeny with a few more changes.

13 DR. EICKHOFF: And I also get the  
14 impression that the shift, the degree of drift,  
15 rather, is much less dramatic in this instance, in the  
16 A/California strains than the drift of the A/Fujian  
17 strain was from its predecessor. Is that correct?

18 DR. COX: That is correct.

19 DR. EICKHOFF: Up to a point.

20 DR. COX: Up to a point. I mean, you  
21 really have to look at the gestalt, and I think that  
22 when Roland begins discussing the human post vaccine

1 serology, you'll see why I was greatly surprised by  
2 the human post vaccine serology.

3 It seemed to differentiate current strains  
4 even better than our ferret sera did, and that is the  
5 first time in my memory that that has been the case.  
6 So I was, shall we say, unpleasantly surprised by  
7 results for the H3 post vaccine human serologic  
8 testing that was done, and we'll get onto that later.

9 DR. EICKHOFF: Can I ask a further  
10 question then?

11 DR. COX: Certainly.

12 DR. EICKHOFF: And maybe this is a  
13 question that Keiji can answer also or can't answer  
14 also, as the case may be. Do you have any sense,  
15 considering that the A/California strains now at least  
16 in some parts of the country seem to predominate? Is  
17 this strain behaving any more aggressively  
18 epidemiologically and can we, therefore, anticipate  
19 that our season this year may go on further than it  
20 already has? Is that a fair inference to draw or not?

21 DR. COX: I think it is very difficult to  
22 say. I think we could have in areas of the country

1 that were not so heavily affected by influenza last  
2 year, we could have continuing H3 and B activity.  
3 It's really difficult to predict how the strains are  
4 going to behave in the population. I think we just  
5 have to wait and see. We can't predict.

6 CHAIRPERSON OVERTURF: Yes.

7 DR. KARRON: Two questions actually about  
8 the H5 presentation. One was that you mentioned  
9 heterogeneity and antiviral susceptibility, and I  
10 assume that means a susceptibility to amantadine and  
11 rimantadine, and these are all susceptible to the  
12 neuraminidase inhibitors, or is that not the case?

13 DR. COX: You're right. I didn't go into  
14 a lot of detail. Not all of the H5N1 viruses that we  
15 received last year were resistant to the adamantanes,  
16 but all of those that were isolated from humans were  
17 resistant to adamantanes. So there were some in birds  
18 that were sensitive to adamantanes. We have tested  
19 all of the H5N1 viruses that we've received, and in  
20 laboratory tests, the viruses are sensitive to the  
21 neuraminidase inhibitors or we use oseltamavir. We  
22 don't use zanamivir.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   And animal experiments done by Rob Webster  
2                   and others have indicated that in vivo in animal  
3                   models the viruses are also sensitive.

4                   DR. KARRON: And then my second question  
5                   was actually about I noticed that you had said with  
6                   the ferrets when you -- obviously there's tremendous  
7                   drift of the H5s, but they all, in fact, make  
8                   antibodies to all of the viruses. I was wondering if  
9                   you had any data from the survivors of H5 human  
10                  infections about the quality of their HI responses to  
11                  these H5 viruses.

12                  DR. COX: A very good question. It has  
13                  been extremely difficult getting serum from survivors.  
14                  Oftentimes individuals are reluctant to give blood for  
15                  a variety of reasons, and then it's often difficult to  
16                  get the serum sent to us. We do have some serum in  
17                  very limited quantities and have requested additional  
18                  amounts and we're looking at the ability of serum from  
19                  survivors to inhibit in neutralization tests a variety  
20                  of viruses.

21                  So we should have a better handle on that,  
22                  and of course, one of the ideas for the pilot lot

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 testing in humans is to look at the ability of the  
2 antibody induced by the pilot lot vaccines to inhibit  
3 a variety of the antigenic variance of H5.

4 CHAIRPERSON OVERTURF: Dr. Couch.

5 DR. COUCH: I want to pursue Arnold's  
6 comment a little bit, and I guess I really have a  
7 comment rather than a question for you, Nancy, and I  
8 was not here last year, but I'm sort of back in the  
9 same mode I was two years ago with the Influenza B  
10 strains.

11 And you look at these epidemiologically.  
12 Well, I think mostly people know they were dominant in  
13 Asia for a number of years before they began to show  
14 up in the rest of the world, and then they've been  
15 jockeying with each other for dominance would be the  
16 way I would describe what's gone on in the last few  
17 years.

18 And our approach to handling that  
19 dominance is to guess which one is going to be  
20 dominant in a coming year, and it has been amazingly  
21 successful that the Victoria derivative was guessed  
22 the right year and then went back to Shanghai. But I

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 think it emphasized that we're guessing is the point  
2 I wanted to make.

3 And we've looked at the serologic data in  
4 the past that looks very much like the same data we  
5 have this year, and that is that adults and elderly  
6 individuals have a reasonable degree of cross-  
7 reactivity to vaccine responses to either one. You  
8 get the Yamagata derivative and you have a reasonable  
9 cross-reactivity to the Victoria and vice versa.

10 It's children where the differences are  
11 really distinctive. If they got the Victoria vaccine,  
12 they have very little immune response that you would  
13 say would be protective against the Yamagata  
14 derivative and vice versa, and if you wanted to look  
15 at some of that data, what's going to happen with some  
16 of our guessing, some of you remember an old term that  
17 Paul Gleason brought in a few years ago of herald  
18 wave. We're looking at a herald wave, you see, of the  
19 Victoria derivative, and here we're guessing that  
20 that's not going to be true. It's going to be the  
21 Shanghai.

22 I think I asked Walter the last time I did



1 this if he could remember, but the two separate Bs  
2 have been used in the vaccine in the past. I meant to  
3 go back and check when that was done, and you see, I  
4 tried this a couple of years ago and it didn't fly.  
5 I'm going to try it again.

6 You see, based on what we know about these  
7 immunologically, if you took that 15 micrograms, and  
8 let's assume that at least for the time being is  
9 fairly rigid, and you split it between those two and  
10 you look at the responses in the elderly, adults and  
11 the elderly, that they would be pretty good to either  
12 one if you've split it, you know, based on what we  
13 know about the cross-reactivity of the 15 micrograms  
14 of each.

15 That might not give you as much response  
16 as you'd like in those children, but it would insure  
17 that you've got protection against both of these  
18 strains, which will be present, we would say, and  
19 hopefully when we only pick one we're guessing the  
20 dominance, but that would be of less concern.

21 Now, I know that has not been pursued, and  
22 you need immunologic data to go along with that kind

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 of thing, but if we continue to see these two  
2 jockeying, I think we need to think about ways to  
3 approach that other than immunologically with vaccines  
4 rather than just guessing which one is going to be  
5 dominant.

6 So I didn't have a question. I meant it  
7 as a comment, unless you want to add to that.

8 DR. COX: I think that you have hit the  
9 nail on the head. As long as we have these two quite  
10 distinct lineages of Influenza B circulating  
11 worldwide, we are making an educated forecast for  
12 which virus is likely to predominate, and we could be  
13 wrong.

14 I think that in an ideal world, we would  
15 have a tetravalent vaccine, but we're not in an ideal  
16 world and we know that that would reduce the number of  
17 doses of vaccine in an environment where we already  
18 are concerned about vaccine supply. We know that  
19 young children respond relatively poorly to the  
20 influence of the B component of the vaccine. We have  
21 to think about the manufacturing issues involved and  
22 the standardization issues, the whole complex of

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealrgross.com](http://www.nealrgross.com)

1 issues that these changes bring up.

2 And I think we are in a dilemma, and we  
3 should talk about these things, but we should also  
4 recognize that there are many practical issues that  
5 would go along with the departure from the way we've  
6 been doing this.

7 Roland may wish to add something or others  
8 may wish to.

9 CHAIRPERSON OVERTURF: I'm going to give  
10 Dr. Couch the last say for this segment and then we'll  
11 take a break for 15 minutes, come back, and we can  
12 discuss that.

13 DR. COUCH: Manufacturers certainly would  
14 not like to hear adding a different antigen, but part  
15 of my point, Nancy, was that we have had a tetravalent  
16 vaccine. So we have the precedent of it being  
17 available and it having been circulated, and that  
18 tetravalent was with the B strains.

19 CHAIRPERSON OVERTURF: So I would propose  
20 we take a 15 minute break and be back at 10:45.

21 Thank you.

22 (Whereupon, the foregoing matter went off

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 the record at 10:31 a.m. and went back on  
2 the record at 10:55 a.m.)

3 CHAIRPERSON OVERTURF: Dr. LaRussa.

4 One more comment about the B issue, and  
5 then we'll turn to Dr. McInnes.

6 DR. LaRUSSA: So a number of us  
7 pediatricians were talking on the side during the  
8 break, and before I make this comment, I want to  
9 emphasize that I'm not proposing we do this for this  
10 coming year, but just to plant this seed for the  
11 future.

12 If, in fact, it could be shown that if you  
13 put the two B lineages in the same vial and you could  
14 get good immunologic responses in children, one way  
15 around doing this dance we do every year about which  
16 B lineage we're going to pick is to make a separate  
17 pediatric vaccine, which you'll already do. You'd  
18 know that you'd have a stable demand for it every  
19 year. You'd make your eight million doses. It would  
20 be there because we do very well with immunizing kids,  
21 and you could get around this whole issue because one  
22 of these years we're going to do the wrong dance and

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 pick the wrong strain.

2 So what I would propose is that we think  
3 about the kinds of serologic studies you would need to  
4 do to show that you could put two B lineages in the  
5 same vial and get a good response in kids, and then  
6 think about a separate pediatric vaccine for the six  
7 to 24 age group.

8 CHAIRPERSON OVERTURF: Dr. McInnes.

9 DR. COUCH: It's testable.

10 CHAIRPERSON OVERTURF: Yes, you can stay  
11 there.

12 DR. McINNES: Thank you very much.

13 This is a summary update. A year ago at  
14 the vaccine strain selection meeting, Dr. Lambert made  
15 a presentation on H5, and the initial responses of  
16 different agencies within the Department of Health and  
17 Human Services, and this is an update for you.

18 It is personally been one of the most  
19 gratifying experiences in government because I think  
20 the flu machine within government has always worked  
21 very, very well, but this has been really a marvelous  
22 experience of people really working extremely well

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 together, as well as with manufacturers and other  
2 government contractors, working very hard.

3 Nobody should underestimate how seriously  
4 the department is taking the threat of pandemic  
5 influenza. It is the subject of a great percentage of  
6 our lives and of our time, and I will just summarize.  
7 I'm not going to provide lots of details.

8 The reference virus, you heard Dr. Cox  
9 talk about the reference virus for the H5. The  
10 particular reference virus that is being used to  
11 provide the pilot lots of vaccine that I will be  
12 talking about was produced under a government  
13 contract, and it utilized reverse genetics technology  
14 to make this reference virus, and it turned out to be  
15 a real test of the select agent rule.

16 And so the dry run of, in fact, going  
17 through the process of generating the data and the  
18 pathogenicity data on these genetically engineered  
19 viruses did go through the select agent rules and, in  
20 fact, the data were very compelling, and it resulted  
21 in the exemption from select agent rule.

22 And we still though were subject to the

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 U.S. Department of Agriculture permitting process to  
2 move this virus. So the reason I am sharing these  
3 pieces with you is that many of the pieces that will  
4 be in play during pandemic response have, in fact,  
5 been tested in this past year and hopefully will  
6 facilitate the path for future journeys.

7 Pilot lot contracts were awarded to the  
8 two licensed and inactivated vaccine manufacturers in  
9 the United States, licensed in the United States, and  
10 that was Aventis Pasteur, and I'm not sure if I should  
11 be calling it Sanofi now, but when I say "Aventis," I  
12 hope I'm calling it the right name, and Chiron.

13 So the contracts were awarded around May  
14 of 2000, May through June 2004. The reference viruses  
15 were produced, characterized, exempted from select  
16 agent and moved to both manufacturers, and both  
17 manufacturers have been underway with pilot lot  
18 production of an H5N1 inactivated vaccine candidate.

19 The quantities of doses that have been  
20 procured for the pilot lot scale is less than 10,000  
21 doses from each of the manufacturers, and they're in  
22 two different dose concentration formulations.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1                   Aventis     has     completed     its     bulk  
2     manufacture, as well as its formulation and filing and  
3     finish and testing, and the IND. We have filed the  
4     IND for the clinical evaluation of this candidate.

5                   Their clinical development plan has been  
6     designed and laid out and will be implemented through  
7     the NIAID vaccine and treatment evaluation unit  
8     contracts. We have proposed two different programs,  
9     one for the Aventis candidate, one for the Chiron  
10    candidate because they'll be available at different  
11    times, as well as we want to insure access to the  
12    appropriate number of individuals in each of the  
13    target population groups.

14                  The clinical trial scenario will begin  
15    with a trial for safety and immunogenicity in healthy  
16    adults, and with those data in hand, we'll move to  
17    evaluation in the elderly and in younger children.

18                  Coupled with this for the pilot lot scale  
19    of production, the department awarded a contract for  
20    the commercial scale production of an H5N1 vaccine and  
21    the intent of this was to test the commercial  
22    production capacity and the ability to respond, as

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

(202) 234-4433

[www.nealgross.com](http://www.nealgross.com)



1 well as handling of these candidates in the  
2 facilities. This is a much larger scale.

3 This has been completed. The vaccine bulk  
4 has been made. The formulation, finishing and vialing  
5 will be dependent on the data that come out of the  
6 clinical evaluation program of the investigational  
7 pilot lots.

8 In addition, the department has awarded a  
9 contract to secure egg supply year around to enhance  
10 our capacity to respond to produce pandemic vaccines.  
11 Couple a deliverable under this egg securing contract  
12 is, in fact, pilot lots of investigational candidate  
13 vaccines for pandemic preparedness, and so over a  
14 period of years, several of those will be made and  
15 will be evaluated, all of which is designed to build  
16 on our knowledge of safety and immunogenicity, the  
17 human response to these novel antigens.

18 That's really a summary of where we are on  
19 the response to H5.

20 CHAIRPERSON OVERTURF: Dr. Markovitz.

21 DR. MARKOVITZ: Yes, thanks.

22 I had a couple of questions. One is how

1 did people get around the issue that these tend to  
2 kill eggs, chicken eggs?

3 And then second of all, how are the  
4 vaccines that you're talking about -- are they going  
5 to be able to deal with this heterogeneity issue that  
6 Nancy Cox was alluding to or is that going to mean  
7 that we're going to have to be, you know, similar to  
8 what we do with the other strains, sort of constantly  
9 revising them?

10 DR. McINNES: Sir, the issue around being  
11 able to get a meaningful yield by growth in eggs is  
12 dealt with by engineering the virus, the wild type  
13 virus so that, in fact, you're going from the tiger  
14 down to the pussycat that can, in fact, be grown in  
15 egg, moved to the manufacturers and dealt with under  
16 usual biocontainment levels. So that, in fact,  
17 happened very successfully and yield was quite good.

18 We would anticipate that the early  
19 clinical studies will, in fact, be generating sera in  
20 response to this particular reference virus candidate  
21 that can be the subject of investigation in terms of  
22 what sort of protection one might derive to strains

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 that have some change in them or some drift in them.

2 DR. MARKOVITZ: Can you tell me more about  
3 how it was mutated without violating proprietary  
4 matters?

5 DR. McINNES: There is, in fact, a  
6 publication on this, which I'd be happy to share with  
7 you.

8 DR. MARKOVITZ: Yes, that would be good.

9 CHAIRPERSON OVERTURF: Dr. Eickhoff.

10 DR. EICKHOFF: Pamela, I know regarding  
11 the reverse genetics technique great concerns have  
12 been expressed in the past about intellectual property  
13 rights. Have those been resolved or is that being  
14 addressed?

15 DR. McINNES: It has been, I think,  
16 addressed extensively, and I think there are paths to  
17 resolution for this. In the investigational framework  
18 and experimental framework it's not an issue. The  
19 issue comes around the commercial area. So, yes, this  
20 is the subject of a lot of discussion, and I think  
21 there are solutions on the table to deal with it.

22 CHAIRPERSON OVERTURF: Dr. Karron.

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701

1 DR. KARRON: Are there differences in this  
2 Sanofi and the Chiron products or are they essentially  
3 the same product?

4 DR. McINNES: Our goal was to go as close  
5 as possible to their currently licensed methodology  
6 and formulations because we felt that that would  
7 assist in a licensure process in an emergency  
8 situation. So you wouldn't be dealing with trying to  
9 deliver huge amounts of vaccine under IND.

10 So given that they produced them in a  
11 pilot facility, it's not identical, but the hope was  
12 that the process would be as close as possible and the  
13 formulation would be as close as possible to their  
14 license formulation.

15 CHAIRPERSON OVERTURF: Were there any  
16 other questions or comments anybody else wanted to  
17 make? Yes, Dr. Royal.

18 DR. ROYAL: Thank you.

19 One would expect that since this is a  
20 genetically engineered virus that one could introduce  
21 a series of mutations and establish panels that one  
22 could use to screen different strains. Is that sort

**NEAL R. GROSS**

COURT REPORTERS AND TRANSCRIBERS  
1323 RHODE ISLAND AVE., N.W.  
WASHINGTON, D.C. 20005-3701