

1 of approach being considered?

2 DR. McINNES: I don't quite understand the
3 question.

4 DR. ROYAL: Well, since you said that this
5 is a genetically engineered virus, so one would expect
6 that one could introduce mutations in the
7 hemagglutinin and other regions that could be used to
8 generate reference sera to anticipate, so to speak,
9 strains that might arise instead of waiting for
10 various strains to appear on the horizon and sera to
11 be generated at that time.

12 Is that sort of approach being considered?

13 DR. McINNES: Along with this program,
14 there is a large effort and CDC is involved in it as
15 well, which is a genomic spaced program to actually
16 characterize a whole series of viruses in the intent
17 that you might select particular cannons for the
18 particular tributes to manufacture vaccines.

19 The reverse genetics approach that I'm
20 talking about here is specifically for generation of
21 a relevant reference virus which is taking the two
22 relevant genes and inserting them into a backbone, a

1 worker virus backbone to facilitate the yield.

2 So, yeah, there is a lot of discussion
3 about how one might generate hypothetical candidates,
4 whether you would utilize genomics technologies,
5 whether you would engineer technologies, whether you
6 would use wild-type, classical reassortant
7 methodologies. It is a great deal of discussion
8 around what might be the appropriate candidates to
9 try.

10 CHAIRPERSON OVERTURF: I think if there's
11 no further questions we should probably proceed on the
12 agenda with the report from the Department of Defense.
13 Linda Canas.

14 MS. CANAS: Hello. Good afternoon or
15 morning.

16 In 1942, the precursor organization to
17 what is now the Armed Force Epidemiological Board
18 began a series of clinical studies using concentrated
19 inactivated Influenza A and B virus vaccine. In 1943,
20 the next year, there was an Influenza A outbreak. In
21 the control group, those people who had not been
22 vaccinated, there was a three to six percent increase

1 in illness compared to those who had received this
2 trial vaccine.

3 The incidence of hospitalization was seven
4 percent in unvaccinated individuals and two percent in
5 vaccinated individuals. As Dr. Levandowski indicated,
6 in 1945, the military licensed this vaccine, but in
7 1947, it was quite ineffective against the circulating
8 virus, and thus we proved that the changing nature of
9 the influenza virus compels us to match the vaccine
10 each year with the virus that we expect to circulate.

11 In 1976, the Air Force began a
12 surveillance program that was fondly called Project
13 Gargle, which was based with a series of sentinel
14 sites around the world where we had people stationed,
15 and this was also public health, wanting to know
16 what's going on with our active duty members and their
17 families.

18 And in the process, we were in areas of
19 the world where influenza was emerging and causing
20 disease so that we could share that information with
21 those organizations that would make the vaccine
22 decision.

1 It is a mandatory vaccination for active
2 duty military.

3 In the mid-'90s, there was a presidential
4 decision directive establishing the global emerging
5 infection system, GEIS, and their mandate is to be a
6 force against microbial emergence of pathogens and to
7 assure the biosecurity of the United States, and we
8 had influenza already, a program that was well
9 established. So it only made sense to expand this and
10 make it a tri-service program.

11 So in 1997, it did become tri-service with
12 Air Force, Army and Navy participation, and the
13 influenza part of this program. In the GEIS Program
14 there's two wings. I'm going to be talking today
15 about the part that we do in San Antonio, etiology
16 based, where we have sentinel sites, and whatever they
17 send into our lab in San Antonio we work up for viral
18 pathogens and report anything we find.

19 The other wing is Naval Health Research
20 Center in San Diego, California, and their sentinel
21 sites are all of the recruit centers of each of the
22 services. They know the demographics. They have an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 established population. They keep track of the
2 febrile respiratory illness going on in that
3 population, and based on those demographic numbers, a
4 certain number of samples are collected and submitted
5 weekly to this lab in San Diego. So they can
6 establish rates and know trends and tell when
7 something is getting out of hand.

8 Now, I always think it's important that
9 the board understand how this program work. We have
10 a variety of layers of cooperation which make it work,
11 make it effective, and also give us opportunities that
12 we can respond to.

13 As I've indicated, DOD GEIS oversees the
14 program, and laboratorians and epidemiologists from
15 all of the services work together. Each year we do
16 have an annual meeting. Last year it was in late May
17 in San Diego, and 27 individuals from each of the
18 services, again, laboratorians and epidemiologists,
19 representatives of the central hub of GEIS.

20 We had people from Bangkok lab and the
21 Cairo lab there, and also a representative from CDC,
22 and the purpose is to analyze the program from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 year before, much as we're doing here today, see what
2 we expect to come about, where our opportunities will
3 be, how we can cooperate and work together.

4 We choose the sentinel sites. The
5 epidemiologists make sure that they have all the
6 information they need to run this program. They put
7 together a PowerPoint presentation that goes out to
8 the Public Health officers of each of these sites that
9 they can use to develop educational programs for their
10 providers so that they understand what the program is
11 about, what it's trying to accomplish and what their
12 role is.

13 We're very aware that we're adding to
14 their daily work load. So to try and involve them and
15 make them benefit from it as much as possible helps
16 everyone.

17 During the season, a weekly report is put
18 out on the Web. Anyone who has access to a dot-mil
19 Website can access this report, and this year,
20 actually very recently, it is now being posted on the
21 Epi-X Website.

22 Over in the laboratory we make sure that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 the sites all have the collection materials, they have
2 all the information on what we expect from them, how
3 they should collect the samples and preserve them, and
4 of course, this is all best case scenario.

5 I need to emphasize that this is a full
6 service virology lab working within a full service
7 reference lab. This is not a stand-alone program, and
8 that helps us because we have the infrastructure of a
9 lab. We have FedEx contracts set up for deliveries
10 from around the world. It's very easy and timely to
11 collect two or three samples that meet a case
12 definition, get the in a FedEx box going out the next
13 day, and arrive in our laboratory in a timely manner.

14 We do operate this as a clinical program,
15 and for the most part everything is set up the day it
16 arrives in our lab.

17 When we do get flu isolated, we use
18 conventional methods where tissue culture. We want
19 the isolate. We're not interested in just knowing if
20 flu is there. We want the isolate. So we do use a
21 variety of different tissue cultures, and once we have
22 those, then we become more modern and have various

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 molecular tests that we can go on to characterize as
2 virus.

3 And, again, I said it was a clinical
4 program. So when we have it isolated, it goes back to
5 the submitting site as a patient report. The
6 epidemiologist notify the Public Health officer what's
7 going on at their base for two reasons. First, we're
8 giving back to them information that they have
9 provided for us, again, trying to involve them in the
10 program, but also so they can go into the Air Force
11 reportable event surveillance system, AFRESS. Culture
12 confirmed influenza is a reportable event, and this
13 gives them all of the information they need to go in
14 and update those records.

15 Meanwhile back in the laboratory, after
16 we've identified as Flu A or B, we do go in and
17 subtype selected samples, and some of those go on to
18 be molecularly sequenced, and all of this information
19 is then shared with CDC, and then I get to come here
20 and tell you about it.

21 This is our map for this year. The red
22 stars indicate those sentinel sites that are new this

1 year. The blue ones have been around for a while.
2 The green sites are representative from Army in
3 Thailand and the Navy in Lima, Peru, where they've had
4 longstanding research labs, and we've been able to
5 hook on with their protocols. They have IRBs, and do
6 surveillance in the local populations. This has
7 proved to be very helpful.

8 There's other labs, Kenya, Cairo, and
9 Jakarta, that are also supported by GEIS, but I do not
10 have their information here today.

11 Reporting from the Air Force, we can say
12 that 80 percent of the active duty Air Force have been
13 vaccinated as of the 14th of February. When the
14 vaccine shortage issue was first announced, the
15 decision was made that the priority status in the
16 active duty military forces would be the same as what
17 had been suggested by CDC with the addition that
18 people who were deployed or deploying would also be in
19 that priority group.

20 Eventually the recruits got FluMist, and
21 they are all being vaccinated now and FluMist is
22 available for other active duty members. There is now

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 vaccine for all of the active duty members of either
2 the injectable or the FluMist.

3 We had a great many questions in the
4 beginning about FluMist. It is an inactivated virus.
5 Were we going to pick this up in the laboratory?

6 So we had the sequence information for
7 what the seed strain, the A/Wyoming would look like.
8 We did not look for this in every virus we got, but in
9 those that were referred to us as being particularly
10 of concern, we did look at those, and we have not
11 found any that match that.

12 This slide was submitted by NHRC in San
13 Diego. As I said, they are responsible for
14 surveillance of the recruits. In the military there's
15 a particular problem with adenovirus in the recruit
16 centers.

17 So NHRC is to adeno what our laboratory is
18 to influenza, but this year right around the time when
19 they were just getting to vaccinating the recruits,
20 they have isolated a total of nine Influenza A
21 viruses. One of those was in a person who had been
22 vaccinated for more than two weeks. All of these have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been subtyped as H3N2.

2 Now, every season has its own personality.
3 I'm preaching to the choir here, and this graph kind
4 of shows dramatically the last two seasons.

5 One of the things we do in this program
6 that we're particularly trying to get out that we are
7 a resource for outbreak investigation, both laboratory
8 support and epidemiology support, and this was
9 dramatically exemplified this past summer when we
10 received word from the lab in Thailand that there was
11 evidence of an influenza-like illness in Nepal.

12 So two of the researchers stationed from
13 Thailand that were working in Katmandu traveled to
14 southeastern Nepal to a refugee camp. There were
15 actually three. When I made this slide I thought
16 there were two camps, but there were three camps, and
17 between July 1st and July 3rd of this past summer,
18 they collected a total of 64 samples from hospitalized
19 patients.

20 They only went to the hospitals because of
21 the political situation in Nepal. It was not
22 considered safe to be traveling through the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 countryside.

2 Within two weeks, we had those 64 samples
3 in our lab in San Antonio and had isolated 42
4 Influenza A viruses. All 42 were subtyped to be H3N2,
5 and I want to say here I don't have HI data, nice
6 pretty charts like Nancy Cox does, because we do not
7 have animal studies. We don't have ferrets. We use
8 the WHO reagents, which basically just gives us a
9 breakdown of whether it's H1 or H3. So more extensive
10 studies we send off to CDC and also then we do some
11 molecular work.

12 And that's what we did here. Twenty-seven
13 samples of the 42 that were positive were randomly
14 selected to see if we could look at a molecular basis
15 for this outbreak, and we studied the hemagglutinin
16 gene, and in the majority of those 27 isolates, there
17 was a four amino acid substitution from the A/Fujian
18 virus. All four of those were either within or near
19 an antibody binding site.

20 And here we have the signature, K 145N
21 substitution that Dr. Cox mentioned earlier as one of
22 the samples that had drifted, and we do feel that this

1 shows there had been a significant drift away from the
2 A/Fujian viruses.

3 This is a graph of our season so far.
4 Judging from the way samples are coming in, we have
5 not peaked. It is an A season predominantly. We had
6 received just under 1,300 samples as of the 9th of
7 February. Thirty-seven percent of those were positive
8 for any respiratory virus. Twenty-six percent were
9 positive for Influenza A.

10 We have subtyped so far about 40 percent
11 of those, and they have all been H3N2, and a portion
12 of those then have had nucleotide sequencing and all
13 of those have shown the four amino acid substitution
14 that I mentioned earlier.

15 Overall three percent of our viruses were
16 B, and it was a mix. It was a 50-50 mix between
17 B/Yamagata lineage and the B/Victoria, but there was
18 a very definite difference in the season. We got
19 samples in from Hawaii late summer, early fall, and
20 they were split with the Hong Kong and the Shanghai.
21 That made us nervous about what we were going to face
22 this year.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 All of our other Hong Kong isolates have
2 come from Peru. One of those was isolated in
3 December, but all of the others had been from the past
4 summer, June, July and August. Everything we've seen
5 so far -- now, we're not talking bit numbers here, 38
6 total -- have been Shanghai.

7 Just this past week we already started
8 getting more B viruses. So we haven't had a chance to
9 subtype those yet, but so far everything late in the
10 season has been B/Shanghai.

11 If we looked at a breakdown of our basis,
12 of our sentinel sites compared to CDC regions, our
13 season started much as everyone else's did with the
14 early results coming in from upstate New York. We're
15 still getting some samples from them, but it has moved
16 much more down coast into New Jersey. All of those As
17 that we have subtyped have been H3N2, and I think
18 there's only one B that we've had in this region prior
19 to last week. It was the B/Shanghai.

20 We've started getting a lot from Alabama
21 and Mississippi, and of course, we just have specific
22 bases. So it's very dependent on what they send us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 from those, but we had a sudden surge of A from these
2 bases and a few Bs that came late.

3 This is probably the region that has the
4 greatest number of sites, and it does include Lackland
5 Air Force Base with the Air Force recruits. Now, the
6 Air Force recruits are in the sentinel site for NHRC,
7 but ours is a clinical lab, and it's Air Force, and
8 we're in the same city, and we just handle their
9 samples for clinical purposes, and because it is
10 recruits, we've had a great deal of the adenovirus
11 early in the season.

12 And, in fact, the big mystery right now is
13 where is adeno at this point. We're just not seeing
14 it. We're getting a lot of samples, and we are
15 getting flu. Certainly there's a lot of flu in the
16 area, both A and B at this point.

17 There's only one site in Illinois, but
18 they're very enthusiastic, and I was concerned last
19 week when we got three Bs from them because I hope
20 they're not getting another wave.

21 Colorado has the Air Force Academy. We've
22 always been very concerned about those. They are not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in barracks, as the recruits are, but it is a training
2 center, and for public health purposes, we're very
3 interested in detecting early outbreaks, and of
4 course, knowing what they have that's circulating
5 there.

6 Overseas our early isolates came and still
7 continue to come from Lakenheath Air Force Base in the
8 U.K. They seem to have more flu than the surrounding
9 area. So it's probably enthusiastic Public Health
10 officers making sure that they are doing a good job of
11 surveillance.

12 Most of what they have is A. It has been
13 very boring I'm told to do their work because they
14 look exactly alike. They are H3N2, again, with the
15 four amino acid substitutions.

16 They are beginning to pick up a little
17 more B, and the ones here, again, are B/Shanghai.

18 Italy and Germany are just coming up.
19 We've got quite a few from there, and I should mention
20 in Germany, in addition to our Air Force base there,
21 there's the Army Landstuhl regional Army medical
22 center. They have a very good virology lab of their

1 own.

2 And the same is true with Tripler in
3 Hawaii, Tripler Army Medical Center. They isolate the
4 viruses there and then send us the positives. So
5 we're only working up the positives from them.

6 Of particular interest are those areas
7 that are deployed, and there are many challenges to
8 getting samples out of the deployed areas. Influenza
9 surveillance is not their primary objective, and even
10 if they're concerned about it, they don't have
11 supplies. They have limited storage space. They
12 don't have any dry ice, but they are concerned.

13 This represents a site in Iraq. I
14 reported last year on a site from Kyrgyzstan. We
15 haven't gotten anything from this year, and we're told
16 they're not seeing any respiratory illness, which of
17 course is the good news.

18 We have 13 isolates here. Eight of the
19 people had been vaccinated before they arrived in
20 country. The other five were vaccinated when they got
21 in country. We don't have any information on where
22 they got the illness, if it was from the surrounding

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 community, other individuals. I don't have any
2 denominator status.

3 We don't understand that this is a
4 particularly big problem, but these are the only ones
5 we have received, and it has worked well. This is
6 where you just do what you can do: collect the
7 samples and ship them however you can. They do come
8 FedEx, but they don't have dry ice. So we've given
9 them gel packs to use, and we're getting very good
10 isolation.

11 So we go for best case and settle for
12 whatever we can get from some of these more esoteric
13 sites, and of course, the deployed areas we continue
14 to monitor for any of the respiratory pathogens.

15 I mentioned that in Hawaii we get from
16 Tripler where they isolate their own. We are just
17 beginning to see samples from Asia. They are a
18 primary concern. They're very interested. We get a
19 lot of queries about what's going on. Many of them
20 are very aware of their location in regards to the H5.

21 But they just now started coming in. We
22 did have reports a couple of weeks ago of an outbreak

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 in Hokkaido, but it was an exercise. It wasn't an
2 established base of any kind. They had no samples or
3 no collection supplies. We tried to get things to
4 them, but of course, there are limitations, and of
5 course that illness had run its course by the time we
6 got them there.

7 There was even an effort made to contact
8 the local hospital where the patients had been sent to
9 see if we could get isolates from them, but there was
10 a language problem. So hopefully we'll start seeing
11 more from them.

12 South America sends us a shipment of
13 between 150 and 200 samples every few months. In
14 fact, we just got a box in this past week, and they're
15 very good. We get a very good percentage of isolation
16 in what they send us.

17 We have seen a lot of flu A, more flu B
18 from them than we have seen from some of the other
19 sites, as I mentioned, most of it being the B/Victoria
20 lineage.

21 This slide represents kind of -- there's
22 always an interest. If it's not flu, what is it? So

1 we put together this slide, actually added on the last
2 year. So it's a fix full seasonal year review. It
3 kind of represents seven different seasons on this
4 slide.

5 But our case definition is specific for
6 influenza. We have been stressing this year to make
7 sure fever is in the case definition of the samples
8 that are selected. So we look for flu and we get flu.

9 We do have a variety of other things
10 circulating at the same time, and we have picked up
11 several of the enteroviruses and parainfluenza
12 viruses.

13 I think there are two unique things to our
14 population that I need to point out, the first being
15 adenovirus. Because of the recruits and what we pick
16 up with NHRC and ours with Lackland, we do have a
17 higher percentage than you would see in the normal
18 civilian population, and just the reverse is true for
19 RSV.

20 RSV is a very big problem this time of
21 year for babies and immunocompromised, and they don't
22 make up very much of our population. And even if it

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 is there, the RSV virus is very fragile and is better
2 detected on site with a rapid test. It doesn't
3 survive travel very well. So a program like ours, we
4 get one or two a year at most.

5 But this is one of our big concerns, and
6 it is certainly a goal of the program because we're
7 back to the same thing. If it's not flu, what is it?

8 And SARS really brought this to the
9 forefront. When we really needed to know a sample was
10 coming, and we didn't get any samples from SARS, it
11 was just the intellectual discussion in the labs that
12 were getting them, it's difficult to actually
13 identify. So if you're in a SARS endemic area and you
14 have a symptomatic patient and you get a negative, how
15 do you know that it's not SARS? You'd much rather
16 know what you do have.

17 We're working very hard to get tests.
18 There are tests, but they're not easy and they're not
19 cheap to do in the laboratory for things like
20 chlamydia pneumoniae, mycoplasma, Legionella,
21 pertussis, and of course SARS and the other
22 coronaviruses and things yet to be determined.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 We do like to think of ourselves as being
2 in position to handle these kinds of outbreaks. It's
3 a challenge because most of our work certainly with
4 flu, we do large numbers and we emphasize safety all
5 the time, and the laboratory technicians are very
6 aware of safety issues, but I will tell you last
7 February we got a sample in from our lab in Thailand,
8 and the report sheet said, "From soldier sick after
9 culling chickens in avian-endemic area," and that got
10 everybody's attention.

11 Well, it's nice to have that information
12 because we immediately took that sample into the BL-3
13 laboratory, and always in the laboratory it's the ones
14 you don't know that you have to be concerned with. So
15 it was a real eye opener to all of us that, oh, yeah,
16 this is true. This can really happen.

17 We never know what's coming into our lab.
18 We get so caught up in the Flu A and B that sometimes
19 we have to step back and make sure that our procedures
20 are going to be there to cover things that are more
21 pathogenic.

22 If we summarize our season this year, it

1 has certainly been predominantly A. All of what we
2 have seen has been H3N2, and all of those have had
3 this four amino acid substitution indicating to us a
4 drift away from the A/Fujian last year and even the
5 A/Wellington from South America.

6 The B, while it's in our population evenly
7 split between the two, it suggests to us since the
8 latter ones are Shanghai that that is the way it's
9 continuing to go, and we have nothing to add to the H1
10 story. We know it's out there, but we haven't
11 isolated even a single one.

12 Thank you.

13 CHAIRPERSON OVERTURF: Are there cases for
14 Linda Canas? Yes, Dr. Farley.

15 DR. FARLEY: On the current slide that you
16 have up, I'm just noticing that it seems in 2003 that
17 things changed a bit in the distribution or the
18 prevalence. Did anything change in the methodology.
19 The influenza isolation rates seem to have gone up,
20 and the adeno went down a bit from the previous years.

21 MS. CANAS: The adeno is difficult to
22 understand because a lot of times they just quit

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 sending us so many samples. If you look at the '99-
2 2000, everything we got was adeno. The number of
3 samples went from 2,000-some to over 6,000 because
4 they were giving us so many. So adeno went up.

5 So they quit sending us so many. So
6 adeno, it's hard to tell what that means because we
7 weren't looking at them.

8 And the other one was Influenza A and
9 what?

10 DR. FARLEY: Well, the Influenza A
11 isolation rates, they now represent 25 percent in 2003
12 and 2004.

13 MS. CANAS: Flu has its own. A lot of
14 times it's the people who are taking the samples. I
15 think in the last two years, I don't have definitive
16 data on this, but I think we put out information on
17 these rapid flu tests about their sensitivity and
18 their specificity, and they should culture the
19 negatives. I think they send us all of their
20 positives, too. I know some places do because I've
21 talked to them. "Oh, but we want you to see what our
22 virus looks like."

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 So I think the last two years that's been
2 a big part of it, but we've also stressed a lot more
3 the case definition. I reported last year that we did
4 a records review at one of the sites that was
5 overwhelming us, and of those patients that had fever
6 in the diagnosis, 40 percent were positive for a
7 virus. Of those that did not have fever in the
8 diagnosis, eight percent were positive. So that was
9 strong indication to us that this was a way.

10 This program keeps getting bigger and
11 bigger, and our resources don't, so to try and target
12 those that truly are ill with influenza or respiratory
13 virus. So those two things, I think, have.

14 DR. COUCH: Just a quickie. You partly
15 answered that, I think. Your case definition for
16 sampling is just acute respiratory illness in the
17 reports for health care?

18 MS. CANAS: Yes, they do visit, and it
19 is --

20 DR. COUCH: No fever criteria or syndrome
21 criteria?

22 MS. CANAS: Fever of 100.5 or greater.

1 DR. COUCH: They must have fever?

2 MS. CANAS: Well, that's what we tell
3 them, and we give them sheets and they fill them out,
4 and you say fever and they say 98.7.

5 DR. COUCH: Otherwise your percent of
6 rhinovirus, of enteroviruses and no rhinoviruses would
7 be very --

8 MS. CANAS: Well, we don't have the right
9 conditions for rhinovirus. So I suspect a lot of our
10 negatives are rhinovirus. Our temperatures are around
11 36. You need to be 34 to 35 to isolate the
12 rhinovirus.

13 DR. COUCH: But you are asking for fever.

14 MS. CANAS: But you're asking for fever,
15 and that's what we're stressing with the FluMist also,
16 when people are reporting after getting FluMist that
17 they're sick. As long as they have the fever and
18 submit it, then we can ascertain whether it is disease
19 or not. Those that don't, the FluMist people feel bad
20 afterwards, but they don't have fever.

21 CHAIRPERSON OVERTURF: Dr. Monto.

22 DR. MONTO: Two questions. First of all,

1 could you update me as to the situation with
2 adenovirus vaccine in the military? I know there was
3 no vaccine for a while. Are you vaccinating now for
4 adenovirus, number one?

5 And, number two, are you able to get
6 anything about seasonality in other parts of the world
7 from your data? This has become a major issue in
8 trying to identify impact.

9 MS. CANAS: Right. I'll answer the second
10 one first and then refer to Colonel Phillips for the
11 adenovirus.

12 I one time made a comment about when South
13 American first started sending samples, that, oh,
14 good, we could get theirs in our off season when they
15 had flu season because they're Southern Hemisphere.
16 That just made sense to me.

17 And the reply back was, "How do you know
18 that? We're trying to figure it out."

19 And, in fact, we get flu from them all
20 year, and they say it's because they have so many
21 different sites and their topography in the country
22 where they have some around the coast, some in the

1 mountains, and it varies more with that, and I can
2 only comment. The rest of them tend to follow the
3 same seasons that we see.

4 Colonel Phillips.

5 COL. PHILLIPS: Regarding adenovirus, and
6 you had commented on the epidemiology on the slide
7 there, 1999 was the last year that we had adenovirus
8 vaccine and were able to give it, and have seen
9 steadily cases since then.

10 We are aggressively pursuing the pursuit
11 of the new adenovirus. Our researchers are working
12 real closely with the folks from Bar Laboratories that
13 has the contract for that.

14 Phase 3 clinical trials have begun and are
15 underway currently, and we're anticipating FDA
16 licensure of a vaccine by 2007.

17 CHAIRPERSON OVERTURF: Yes, Dr. Dowdle.

18 DR. DOWDLE: Thank you.

19 One of the real advantages, I think, of
20 this system, global system, in terms of influenza
21 surveillance, of course, is sampling sites throughout
22 the world, and it's a real opportunity for sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 timely sampling in the sense of you know what's going
2 on.

3 The question I would have is how does the
4 timeliness relate to, for example, other shipments
5 that Nancy would get in from other parts of the world
6 and other WHO centers. Does this system lend itself
7 to completing or being ahead of some of those or would
8 it be lagging behind, depending on how the shipments
9 are arranged?

10 MS. CANAS: Well, I can only comment on
11 our system, and those that come in from South America
12 and Thailand do have a lag, sometimes as much as five
13 months, but the others are coming in a day or two
14 after collection, and then within a couple of weeks,
15 we try to get anything off to CDC, but I don't have
16 any idea.

17 DR. COX: I can comment just a bit further
18 on what Linda said and can concur with what she said.
19 In some cases, we do get viruses through the military
20 surveillance sites on a very timely basis, and it's
21 really excellent.

22 She mentioned but didn't emphasize

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 probably quite as much as I would like to emphasize
2 that there's a lot of sequence work going on, which
3 feeds directly into the sequence information that we
4 have and, therefore, that goes into the WHO system.

5 In other cases, we get viruses, for
6 example, from Thailand, directly from their National
7 Influenza Center, in a more timely manner than we do
8 from the military. So it varies depending on site and
9 a variety of circumstances.

10 What we have found generally is that GEIS
11 is a tremendous value added to the WHO global
12 influenza program, and that we would very much like to
13 see it continue, the GEIS activities to continue.

14 Along the same lines, we've had the
15 opportunity this past year through efforts of the
16 department to work in closer partnership with some of
17 the military labs and will be providing some financial
18 support for NAMRU-2 and NAMRU-3, to enhance the
19 already existing influenza surveillance
20 infrastructures that are in place there in the hopes
21 of both expanding influenza surveillance into more
22 rural areas in Indonesia and some other countries, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 also having more timely shipments of viruses to our
2 WHO Collaborating Center.

3 So I think there's very close interaction
4 and real value added is the bottom line.

5 CHAIRPERSON OVERTURF: Any other comments?

6 One thing I didn't hear was whether
7 there's any enhancement of this or has been an attempt
8 to enhance the system recently to look for H5, H7, H9
9 strains, particularly from those areas where they used
10 to come in the past.

11 What I heard was that you rapidly grow the
12 viruses that you tend to characterize their
13 hemagglutinins. Are there additional epidemiologic
14 data in those sites where these viruses are likely to
15 emerge that are collected that in any way changes your
16 operation at that point?

17 MS. CANAS: Well, this is what we struggle
18 with. As long as we can keep geography in that mix
19 there, we feel pretty safe that those things that are
20 coming in from endemic areas we can handle in the BL-3
21 laboratory.

22 We work again with CDC to know what's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 going on in the world. Other than that it's just
2 trying to keep up with what's going on and be
3 prepared.

4 CHAIRPERSON OVERTURF: Are there other
5 questions or comments? Yes, audience.

6 DR. GAYDOS: My name is Joel Gaydos. I
7 work with Linda and the other people on the GEIS
8 program.

9 In response to some of the questions that
10 have come up over the last few minutes starting with
11 Dr. Dowdle, one of the reasons that we meet once a
12 year is to make sure that the programs are
13 complementary, and there are a couple of things that
14 I think are important in addition to what has been
15 brought up.

16 We have people who are permanently
17 stationed at places like Cairo and in Lima, and we do
18 a lot of bulk processing. But if something is
19 happening, we can speed up the processing. If
20 something happens at the CDC and they think that we
21 should be getting more specimens from Nepal or from
22 Peru, then we get the word from them, and then we go

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 out and collect the specimens.

2 We also have the opportunity because of
3 having military people stationed there to get into
4 some areas where other people aren't and where
5 specimens would not be easily obtained, like some of
6 the places you have heard about in Napal.

7 So I think the program has worked very,
8 very well with the CDC. As Linda pointed out,
9 specimens come to her laboratory. She works very
10 closely with Atlanta and also with the Navy group in
11 San Diego.

12 Not all of our specimens come in that way.
13 Our lab in Cairo for a number of reasons works
14 directly with the CDC. Our lab in Jakarta for a
15 number of reasons works directly with the CDC or with
16 the laboratory in Australia.

17 So I think it is, in fact, a surveillance
18 system in that we are regularly collecting specimens.
19 We're working together.

20 We also respond to situations, and we do
21 have the epidemiologic capability to immediately jump
22 on something if it's picked up in the laboratory.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON OVERTURF: Thank you.

2 Are there any other questions or comments?

3 (No response.)

4 CHAIRPERSON OVERTURF: We have 15 minutes
5 extra for lunch, and the proceedings of the meeting
6 will begin, again, at one o'clock sharp.

7 So thank you very much.

8 (Whereupon, at 11:46 a.m., the meeting was
9 recessed for lunch, to reconvene at 1:00 p.m., the
10 same day.)

11

12

13

14

15

16

17

18

19

20

21

22

1 understand the context of an individual's
2 presentation.

3 For this reason, FDA encourages you, the
4 open public hearing speaker, at the beginning of your
5 written or oral statement to advise the committee of
6 any financial relationships that you have with any
7 company or any group that is likely to be impacted by
8 the topic of this meeting.

9 For example, the financial information may
10 include the company's or group's payment of your
11 travel, lodging, or other expenses in connection with
12 your attendance at this meeting.

13 Likewise, FDA encourages you at the
14 beginning of your statement to advise the committee if
15 you do not have any such financial relationships.

16 If you choose not to address this issue of
17 financial relationships at the beginning of your
18 statement, it will not preclude you from speaking.

19 MS. WALSH: I have not received any
20 requests at this time. Is there anyone in the room
21 who would like to address the committee?

22 (No response.)

1 MS. WALSH: I see no response. Dr.
2 Overturf, I turn the meeting back to you.

3 CHAIRPERSON OVERTURF: So we will proceed
4 with the agenda and talk about vaccine responses. Dr.
5 Roland Levandowski.

6 (Pause in proceedings.)

7 DR. LEVANDOWSKI: Okay. While we're
8 working out the technical end of things here, maybe
9 I'll just give a little bit of background information
10 about the serologic information that I'm going to be
11 discussing.

12 The serological data that I'm going to be
13 presenting actually will be coming from a number of
14 different centers, and I'm going to try to summarize
15 that information as best I can. I think you all are
16 aware that we share serum panels between several
17 different laboratories and test these same serum
18 panels, each within those laboratories.

19 The whole point of doing this serological
20 exercise really is to try to see whether the responses
21 to current vaccines confirm what we have found already
22 with the antigenic and genetic characterizations that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 have been presented this morning already by Nancy Cox.

2 And whereas you saw for the tables with
3 the ferret sera where there were a few very well
4 characterized ferret sera used to test an enormous
5 number of different antigens, for the serologies we're
6 really kind of reversing that procedure. We're
7 looking at a relatively few antigens that have been
8 selected to be representative of the current
9 circulating strains that seem to be different to us,
10 and we're using a much larger panel of sera.

11 Now, the sera that we use, those different
12 serum panels that we have available to us are not all
13 identical. They're not all collected the same way.
14 I think that's something that everybody should be
15 aware of also.

16 Some of these serum panels, you'll see the
17 number of sera on each one of them. Some of these
18 serum panels have been prescreened to select out
19 people who respond well to the vaccine, and that's
20 actually okay. That's good because if there's not a
21 response of any sort, then we won't be able to perform
22 what our primary purpose for this whole exercise is,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 which is to try to compare the responses between the
2 different antigens.

3 We're not really trying to say that any of
4 the vaccines used are immunogenic. We're not trying
5 to say anything about the vaccine itself. Really
6 we're focusing on trying to compare what kind of
7 antibody response we see for the vaccine strain as
8 compared to the test antigen.

9 And so what I'm going to be emphasizing as
10 I have on some previous occasions are the geometric
11 mean titers, the post immunization geometric mean
12 titers. The tables that I'm going to show you are
13 going to be in the traditional sense. They're going
14 to have percent fourfold increases. They're going to
15 have percent of people who are above an arbitrary
16 cutoff point like one to 32 or one to 40, but I'm
17 really going to be focusing on the geometric mean
18 titers and how that relates the test antigen to the
19 vaccine strain.

20 You're going to see also as I show you
21 these results that there are going to be differences
22 in the absolute titers between labs, and again, that's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 not something I want you to focus on. What I really
2 want you to see are the differences that are shown
3 between the labs.

4 And what I can tell you generally when we
5 look at this, although there are differences in the
6 absolute values from each lab, when you do a rank
7 order of the way the different antigens fall out, the
8 test antigens, they come out to be not exactly the
9 same but pretty close to the same in terms of which is
10 recognized as the highest response and second and
11 third and fourth, and so on.

12 So these are the serum panels that have
13 been provided shown on this slide and the next one,
14 and you'll see here that the vaccine strains used in
15 all of the centers were pretty similar. I just point
16 out some slight differences.

17 All of the centers using A/New Caledonia
18 and A/Wyoming as the H1N1 and H3N2 strains, but there
19 are differences in the B strain. The vaccine used for
20 preparation of these sera from Australia contain the
21 older B/Victoria-like vaccine antigen, B/Brisbane/32,
22 but the other centers have used more recent

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 B/Yamagata-like vaccine strains, B/Jiangsu/10/2003 or
2 B/Shanghai/361 and that should be 2002.

3 We also have a couple of panels of sera
4 from children. Nancy Cox mentioned that they had
5 tested two. There's only one that I'll be discussing,
6 one panel from the CDC that I'll be discussing with
7 the following information.

8 We had even a third panel of sera from
9 children, and I'll be presenting some information from
10 those. So these are not exactly the same two panels
11 that Nancy mentioned, but they are two panels of sera
12 from children that we have to look at.

13 So jumping right into it, these are the
14 different antigens that are used for the H1
15 serologies, and all of them are H1N1 viruses. As
16 Nancy mentioned, there really haven't been any H1N2
17 viruses circulating, but we have a good representation
18 of strains from around the world, from Asia, from
19 North America, from Europe, from Oceania.

20 And in terms of responses, I'll be showing
21 you some tables that are not all the tables that were
22 available or all of the data, but some that are just

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 representative of results that came out from the
2 different centers.

3 So here is a table of results for three
4 different serum panels from the United States, from
5 Australia and Japan. These are sera that were done in
6 the lab here at Center for Biologics, and these are
7 the numbers of serum pairs that we had from each of
8 these sites. The antigens that were tested are shown
9 here, and in blue on every one of the slides I'll have
10 the vaccine antigen, and in red I'll point out the
11 ones where there's a 50 percent or greater reduction
12 in the post immunization titers as compared to the
13 vaccine strain.

14 So from this you see that we tested not
15 only New Caledonia, the vaccine strain, but also
16 A/Florida/4/2004, A/Bangkok/1544/2004, and
17 A/Okinawa/42/2004.

18 And for the most part the post
19 immunization responses of people who were immunized
20 with A/New Caledonia were very similar for the other
21 strains that were tested. The one exception here is
22 that in this particular serum panel with A/Florida,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there was a more than 50 percent reduction against the
2 A/Florida strain as compared to the vaccine.

3 So those were adults, and now elderly,
4 from the tests that were done at National Institute
5 for Biological Standard and Control, or NIBSC.
6 They're ordered somewhat differently here and
7 different numbers and so on, but again, A/New
8 Caledonia was the vaccine strain.
9 A/Netherlands/128/2004, A/New Caledonia/9/2004, and
10 A/Okinawa/42/2004 were the test antigens.

11 And by saying that, I'd also point out
12 that we didn't test all of the same antigens in every
13 lab, but there is overlap between antigens that were
14 tested in the different labs. They're not all exactly
15 the same. So we have a somewhat broadening of the
16 numbers that are tested and some similar or some
17 identical strains that are tested in the different
18 labs to give us some idea of where we're standing in
19 terms of the overall results in comparison between
20 labs.

21 But here, again, you see in the post
22 immunization geometric mean titers for the most part

1 the new strains were well inhibited by antisera that
2 were raised against the vaccine strain. In this case,
3 the one exception, it was for this A/Okinawa/42/2004
4 H1N1.

5 So those were adults and elderly. For the
6 pediatric population, the two different groups of
7 children were children who were six to 23 months of
8 age. I don't know exactly what the mean age is, but
9 they were all less than two years old, and another
10 group of children who had a mean age of 21 months with
11 a range of eight to 38 months.

12 And, again, the antigens that were tested
13 are shown here. For the somewhat older children, we
14 did not really see much of a difference between the
15 vaccine strain and the test antigens, although it's a
16 little bit low for this Florida strain, which is
17 similar to what I showed on the first slide that
18 didn't quite reach this 50 percent reduction

19 And here these younger children for these
20 two antigens, A/Florida/4/2004 and
21 A/Netherlands/128/2004, there were more than 50
22 percent reductions, and I'm just going to go right on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 to a table where we've tried to summarize all of these
2 results looking at the percents that were greater or
3 the reductions in geometric titer that were more than
4 50 percent.

5 Why are we emphasizing this? This is a
6 way to try to get some idea of how severe or how the
7 magnitude of the difference. It's a somewhat
8 arbitrary way to do things, but it does give us a way
9 to try to handle the data which are somewhat disparate
10 between the different labs and for the different
11 antigens.

12 And if you look at this, there are a
13 number of different antigens that were tested. Many
14 of them were tested in more than one lab, and I'm not
15 including some of the information for strains that
16 were just tested in one lab, although I have a little
17 bit here.

18 But what you see generally is that for all
19 of these H1N1 strains, for the most part on average
20 there was not really a 50 percent reduction either by
21 the total numbers or by the mean averaging the percent
22 reduction for all of the studies all together.

1 Actually they're quite low.

2 So the difference here wasn't very much,
3 and you can see from the range also that for the most
4 part, very few of these antigens when they were tested
5 were there 50 percent or more reductions.

6 So now moving on to the H3 strains,
7 A/Wyoming, of course, was the vaccine strain, and
8 there were a whole range of representative current
9 viruses. All of these, I think, can be categorized as
10 California/7/2004, although I'm not entirely sure
11 about the Singapore strain. That might not be truly
12 considered California/7-like, but it's a more recent
13 strain than Wyoming, and if anything, it would be
14 somewhat like Wellington or out farther than
15 Wellington on the genetic dendrogram.

16 But, again, we have a range of viruses
17 that were tested, and here I'm showing some data from
18 the CDC lab for adults, from the United States,
19 Europe, and Australia. The antigens that I'm showing
20 here, Wyoming is the vaccine strain, and here there
21 were A/California/7/2004, A/Singapore/36/2004, and
22 A/Tennessee/6/2004. Those strains were tested.

1 And I would call your attention to the
2 fact that for every one of these serum panels and with
3 all three of those California-like antigens, the
4 reductions in post immunization geometric mean titer
5 are really quite obvious. They're very large
6 reductions. It's much more than 50 percent in each
7 one of these cases.

8 And as you'll see on the next slide, that
9 holds true for the elderly here as well. These are
10 sera that were run at NIBSC for several serum panels.
11 They tested California/7/2004, Singapore/37/2004,
12 Oslo/807/2004, and Shantou/1219/2004. And here,
13 again, you see it stands out very much that there's a
14 big difference between the post immunization responses
15 for the vaccine strain and for these newer California-
16 like antigens, and it's a lot more than a 50 percent
17 reduction.

18 And, finally, for the H3, here are some
19 data for the pediatric population again, and again,
20 just not to belabor this too much you see that even in
21 these young children or particularly in these young
22 children, you see that there's a big difference

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 between the post immunization titers for the
2 California-like strains and the Wyoming vaccine
3 strain.

4 For a summary of all of this, in this
5 table I just call your attention to the totals over
6 here. You'll see that almost every one of the
7 serologic tests that was done show that there was a 50
8 percent or greater reduction in geometric mean titer
9 as they were tested, and the magnitude of this
10 difference was really quite amazing and substantial.
11 All of these are much, much more than 50 percent, and
12 in many instances the percent reduction -- none of the
13 tests were under 50 percent and most of them were
14 almost 100 percent.

15 So now moving on to Influenza B, this is
16 a little bit more complex. As I mentioned in the
17 vaccine, the Australian vaccine has B/Brisbane/32 as
18 the vaccine strain. The vaccine that we're interested
19 in here in the United States would have contained
20 B/Jiangsu/10/2003. So I'm really not going to be
21 focusing on results that came from the vaccine that
22 was used in Australia in this case.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 There were both Victoria-like Influenza B
2 viruses that were tested here. I've listed them as
3 Hong Kong/330-like. That was the last vaccine strain
4 that we used here in the United States.

5 And there are also a group of viruses
6 that are more like Yamagata/1688 or our current
7 vaccine is Shanghai/361-like. So there's a range of
8 different antigens to look at here.

9 And, again, this table from data from the
10 CDC in adults for a number of the different serum
11 panels. In this particular instance B/Jilin/20, which
12 is equivalent to B/Jiangsu/10, was used as the test
13 antigen, but that's equivalent to the vaccine.

14 And I guess I should point out that in
15 this case the antigen was ether treated. Not all of
16 the labs do this, but many of the labs do ether treat
17 the antigen before doing the serologic testing.

18 There are a number of antigens that were
19 new viruses that were included in the test, including
20 B/Colorado/4/2004, B/Florida/2004, and B/Fujian/2004,
21 and again, all of those are in the same HA lineage as
22 the vaccine, and you can see all of these in each of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 these instances were very well inhibited by the
2 current vaccine.

3 The only thing that was not in this
4 particular test was B/Hawaii/13/2004, which is
5 representative of the other HA lineage that's not in
6 the vaccine right now, and there were more than 50
7 percent reductions here, and this is very consistent
8 with what we have been seeing in the past in the same
9 situation. This is really not new. It's what we have
10 identified in previous years when we've been comparing
11 the responses of vaccine containing one HA lineages to
12 viruses in the other HA lineage.

13 These are data from the Australian center,
14 an elderly population, and here they were testing --
15 that should be B/Jiangsu/10. Sorry -- but they were
16 using B/Jiangsu/10 as the equivalent of the vaccine
17 strain, and then tested B/Brisbane/4/2004,
18 B/Victoria/501/2004, and B/Hawaii/13/2004.

19 And here the results are not quite as
20 clear-cut as in the previous slide. Here in this
21 instance, compared to the vaccine response, we would
22 say that the response for all of these test antigens,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 including the one that's not from the same HA lineage,
2 the B/Hawaii/13, looked pretty similar.

3 But in this other panel from Europe, one
4 of the strains that's in the same HA lineage as
5 B/Jiangsu actually gave responses that seemed to be
6 low in this particular center's tests, as did the
7 Hawaii which we would have expected because it's in
8 the other HA lineage.

9 And then the last in this particular panel
10 of sera here. Again, there was not really a
11 difference seen even between the Hawaii strain which
12 was in the other HA lineage and the vaccine strain.

13 So these are results from children, these
14 two different groups, and here it's a little bit more
15 difficult to interpret because the vaccine responses
16 to the vaccines themselves were on the low side. The
17 sera tested at CDC using B/Colorado/4, B/Florida/7,
18 B/Fujian/430, Hawaii/13, and the other similar strain
19 to Hawaii, Phitsanulok -- I think I'm getting that
20 right or close -- even though these results are low,
21 it looks like for all of the strains that are
22 equivalent to the vaccine there's a similar response,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 whereas it was a lower response for the non-vaccine
2 antigens.

3 For these children, these slightly older
4 children, however, although we had some response to
5 the vaccine, we really weren't able to detect response
6 to any of the antigens, including those that are
7 similar to what's in the vaccine.

8 So trying to put this into a summary
9 slide, looking at the 50 percent reduction between the
10 different sera, I'd say overall it still sort of holds
11 up that the strains that are in the HA lineage, not
12 currently in the vaccine, Hawaii/13 and
13 Phitsanulok/2053, that there are some reductions, but
14 you know, they're not really high magnitude all the
15 time. It's not really consistent between all the
16 centers and all of the tests that there's a 50 percent
17 or greater reduction, and in only about half of them,
18 if I've done the math there right -- and I might have
19 made a mistake, but it looks okay as I do it quickly
20 in my head -- although it did reach like 50 percent
21 for mean, there was a huge range here for the results.

22 For the viruses that are in the same HA

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 lineage as the vaccine strain, here again there's some
2 data that suggests that there may be some reductions
3 for some of these viruses like Brisbane/4, and on the
4 other hand, other data suggest that for new, more
5 recently circulating strains similar to the
6 B/Shanghai/361 that there's not so much reduction.

7 But, again, you see there's a wide range
8 here in the results for these different serum panels,
9 and the highest percent reduction actually would come
10 from the children. So if I excluded those, you would
11 see for adults and elderly that it looks like even
12 less a difference between those more recently
13 circulating strains that are like the vaccine strain,
14 B/Shanghai/361.

15 So in summary, I guess what I would say
16 overall is the studies were done with sera that were
17 collected after immunization from a number of centers
18 with a number of different vaccine products, and at
19 this point it looks like the representative H1N1
20 viruses are very well inhibited by the current
21 vaccine.

22 On the other hand, it looks very clear

1 that the A/California/7/2004-like viruses are poorly
2 inhibited compared to the current vaccine.

3 For the B strains, the
4 B/Shanghai/361/2002-like viruses seem to be reasonably
5 well inhibited, but those that are in the other HA
6 lineage as represented by B/Hong Kong/330 are less
7 well inhibited than the vaccine strains.

8 And I will stop there and see if there are
9 any questions.

10 CHAIRPERSON OVERTURF: Are there questions
11 for Dr. Levandowski? Dr. McInnes.

12 DR. McINNES: Roland, the infant data one
13 presumes that some of these children are receiving
14 their first dose of flu vaccine and some are maybe
15 second, maybe, I guess, second. So what was the
16 timing around the sera collection post dose, and for
17 those who are receiving it for the first time, was
18 this collected post two-dose?

19 DR. LEVANDOWSKI: Okay. I should have
20 made that clear. Both of those serum panels were from
21 children who had received two doses of vaccine. It
22 was post the second dose of vaccine. I don't have

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information. I can't tell you at this point whether
2 any of those children had been immunized previously or
3 infected.

4 Some of the older children in the second
5 serum panel more than likely were either immunized or
6 infected. The younger children though you'd have
7 to -- since these were studies done some time in the
8 summer to early fall, late summer to early fall, this
9 would have been happening in between flu seasons, but
10 I can't really totally tell you how all of their
11 exposure history fits in because I don't have those
12 data.

13 And I think, you know, still these are
14 really very young children, and it gives you an idea
15 of what you would expect in a population of very young
16 children.

17 CHAIRPERSON OVERTURF: Yes, Dr. Karron.

18 DR. KARRON: Two questions. the first is
19 I actually first want to commend you on having these
20 pediatric panels of sera. I think a couple of years
21 ago we were discussing how important that was, and I
22 think it's very useful to have them.

1 I guess my first question is now that we
2 have two types of vaccines in this country -- we have
3 an activated and we have live attenuated -- would it
4 be useful to have data from individuals immunized with
5 live attenuated vaccine to have that as a comparator,
6 obviously not in the children under five, but you
7 could have school age children and adults 18 to 50.
8 I think, particularly when these issues of antigenic
9 drift come up, that might be a useful comparator to
10 have.

11 I'll let you say something, and then I
12 have a second question.

13 DR. LEVANDOWSKI: I think it would be
14 interesting, but I just would like to point out that
15 the whole point of this, again, is to compare. It's
16 not so much to say whether the vaccine is immunogenic
17 or not. I don't think with the strategy that we're
18 using here, I don't think we can really make any
19 comment on that. So really we're trying to focus on
20 getting a comparison between the different antigens,
21 the vaccine antigens versus the newly circulating
22 strains.

1 Having said that, I think it would be very
2 interesting to have access to more sera all together
3 from different populations, and it might make sense to
4 explore that and see if that gives us any additional
5 data compared to what we get from inactivated
6 vaccines. I don't see any reason it wouldn't be
7 useful information really.

8 DR. KARRON: And I guess the follow-up
9 question really relates to something that Dr. Couch
10 was talking about earlier, but you know, I guess my
11 question is really we see very poor responses in very
12 young children to the B strain. Is that consistent
13 with what we've seen before, data that we have before?

14 And if so, I mean, I'm almost wondering
15 whether it matters which B strain we choose because
16 responses are poor. You know, it's hard to look at
17 reductions when your geometric mean titer is ten.

18 DR. LEVANDOWSKI: Right. Well, those
19 numbers are small, and I don't know how much of that
20 is technical artifact and how much of that is
21 significant in terms of what protection would be.
22 Most people are used to thinking about titers of one

1 to 40 or greater being representative of protection,
2 but actually the data are fairly sparse in terms of
3 indicating that there's a correlation between the
4 titer and efficacy.

5 We do know the higher the titer of
6 antibodies the more likely you are to be protected,
7 but I don't think we know for sure that, you know,
8 even at lower titers there might not be some level of
9 protection. So I would hesitate to comment on what
10 the meaning of those titers that we saw there are.

11 But we have for children, we have seen
12 titers that have been very low. Usually it's for the
13 H3 strains that we've seen that in previous years, but
14 they vary quite widely, and when I said there may be
15 some technical artifact, I guess we occasionally see
16 differences that may be related to some aspect of the
17 virus itself in terms of their avidity for binding to
18 red cells, other things that are going on in the
19 system.

20 But I'm rambling around here and not
21 trying to answer your question directly because I
22 don't think there is a direct answer to it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 DR. KARRON: But I guess my question is
2 that I'd have to go back and look at these data, but
3 the titers to be were not uniformly low across
4 populations. You know, if you thought it was a
5 technical issue, then presumably whether you tested
6 adults or elderly or children, you would have
7 uniformly lower titers.

8 My question is given how low the titers
9 are to the B strain in these very young children, is
10 that inconsistent with what we've seen before or is
11 that consistent with what we've seen before in TIB in
12 very young kids?

13 DR. LEVANDOWSKI: Well, I did answer that
14 part directly. I think we've seen low titers for
15 different antigens, not necessarily for B, but we have
16 seen low titers for different antigens, not
17 necessarily for B, but we've seen low titers with
18 different antigens in very young children, and I don't
19 think we always would predict what that would be. I
20 think we've seen differences between children and
21 adults for, you know, the same antigen and we also
22 have seen if you look at a series of antigens like H3,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 for example. It seems that some of them are just more
2 inherently immunogenic than others.

3 CHAIRPERSON OVERTURF: Dr. Couch.

4 DR. COUCH: Just for a moment, a lot of
5 what we're looking at here is very test dependent, as
6 Roland pointed out, and the split product is used for
7 the B which, in order to give it the kind of
8 sensitivity that will permit these spread out
9 comparisons, and what he is giving us is really
10 relative, not absolute, and when we're using the A
11 strains, we're using it there in an entirely different
12 HI test.

13 But in general, Influenza B responsive is
14 when you do one type of assay, you see, which carries,
15 as we know, the same kind of sensitivity.
16 Neutralization tests are generally speaking not quite
17 as good as they are to A, but that's all fairly
18 relative. So it's difficult to compare B responses to
19 A responses when we're looking at them here because
20 it's so person and so test dependent. But he's going
21 with relative findings for strain selection, you know,
22 this percent of GMT, and then the same is true for the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 equal to or greater than 40, you know. What is the
2 validation of a one-to-40 with a split product versus
3 a one-to-40 with a whole virus antigen when you're
4 doing Influenza A, and certainly a major difference is
5 if you do B with whole versus the split product.

6 So it's all sort of a test-test nuance in
7 that. So one of the reasons that I think that really
8 caught my eye that you didn't comment on, Roland, was
9 page 37 in the CDC surveillance where the pediatric
10 population age is five to eight, 274 children by Kathy
11 Neuzil, and only ten percent of them had a rise to be
12 Hong Kong. There's a major disparity in that age
13 group, both of which has inactivated and live
14 recommended for.

15 You really would have liked to have seen
16 the live to see how it does in that comparison in that
17 regard, but as we go back to Roland's point, that
18 doesn't influence our selection here. That's the kind
19 of thing that the ACIP would want to be looking at
20 when they talk about relative criteria for
21 recommendations, but that data would have really been
22 interesting to add to that one.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 I only had one other just minor question,
2 and I couldn't pick it up here, but in the individuals
3 it looked like the elderly in the U.S. and Japan
4 didn't respond as well as the elderly in Australia and
5 Europe. That's got to be partly population dependent
6 in testing. What do you --

7 DR. LEVANDOWSKI: No, no, no, no, please.
8 I said at the very beginning that you should be aware
9 that some of these serum panels have been prescreened
10 to select out higher responders to begin with. Not
11 all of these serum panels have been so prescreened.

12 DR. COUCH: I missed that.

13 DR. LEVANDOWSKI: So I think you have to
14 be very careful. There are differences in how the
15 sera were handled before they got to the individual
16 labs to be tested. So I wouldn't draw any conclusions
17 from that. I'd try to focus on the relative responses
18 between the antigens.

19 CHAIRPERSON OVERTURF: Dr. Monto.

20 DR. MONTO: Just a further comment about
21 B responses in young children. They don't even
22 respond well to HI ether treated antigens post

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 infection because we've had, when we were back doing
2 our community studies in Tecumseh years ago, we could
3 show that children under five were heavily infected
4 when there was a B outbreak, but if you look just in
5 terms of rises in antibody titer, a fourfold rise in
6 titer, it looked like they were spared.

7 So there basically is a problem in just
8 immune responses and young children measured by HI, we
9 don't know how that translates to other things.

10 DR. COUCH: Just a quickie for general
11 information. That same thing is true for the
12 neutralization test, and I think our test is fairly
13 sensitive. The same finding.

14 CHAIRPERSON OVERTURF: Yes, Dr. Dowdle.

15 DR. DOWDLE: Like Bob, when I saw that
16 chart, the Australia sera were looking so good that I
17 was ready to move to Australia, but --

18 (Laughter.)

19 DR. DOWDLE: So thank you very much for
20 your explanation, Roland.

21 But this is just to say that I think that
22 the evolution in sort of the use of human sera to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 interpret the strain differences has been really quite
2 helpful and is moving forward quite a bit.

3 I wonder if there's further discussion on
4 how this can be standardized even more because
5 particularly I think there were core viruses, but
6 could there be more core viruses, it might be used.
7 In addition, the labs could use whatever other strains
8 that they want, but I'm sure those discussions must be
9 taking place.

10 DR. LEVANDOWSKI: You're right. There are
11 discussions going on all the time about how to try to
12 do this better. It's very difficult because of the
13 logistics of transport of all of these materials, and
14 although I did not get into that as part of the
15 presentation, there are differences in the antigens,
16 too, because in order to do the testing, it's done
17 with a pool of virus that is grown usually in the
18 laboratory where this is being done, and so there may
19 be some subtle differences from passage levels in the
20 different laboratories that have an impact on that.

21 But the logistic part of it is very
22 tricky, and I'm not emphasizing that, but of course,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 these California viruses have only really been
2 recognized as a separate group of interests since
3 about mid-January. So to get these shipped around to
4 the different labs and to be able to do the testing on
5 those has been, you know, a challenge, quite honestly.

6 But in the ideal world, if we had more
7 time and it wasn't flu, then maybe we could get it
8 right.

9 CHAIRPERSON OVERTURF: Dr. Cox.

10 DR. COX: If I could just add a couple of
11 more comments, we really have put a lot of effort into
12 improving the testing and the consistency of choice of
13 antigens and so on. Every year there's some different
14 factor that's a problem, and this year, in particular,
15 we had a rather slow start to the influenza season not
16 only in North America, but also in Europe, and this
17 year, as in other recent years, we have had two
18 preparatory WHO conference calls, part of which are
19 dedicated to talking about what strains we should
20 include in the human serologies and where the serum
21 panels are in terms of their availability for shipment
22 and so on.

1 So there is really a lot of effort that
2 goes into this. It's just a matter of, as Roland
3 said, getting the viruses out there and then seeing
4 what viruses grow best and how many you can actually
5 put in test given the limited amount of antiserum that
6 you actually have.

7 CHAIRPERSON OVERTURF: Dr. Eickhoff.

8 DR. EICKHOFF: Just a general comment. I
9 think this year particularly illustrates the
10 importance of having human serum panels available for
11 study because as Nancy pointed out to me earlier in
12 response to one of my questions, the difference
13 between A/Fujian strain and the A/California strain
14 wasn't really that clear in the ferret antisera data,
15 but in the human sera data it's apparent that there's
16 a real problem.

17 CHAIRPERSON OVERTURF: If there's no more
18 comments, we can go ahead and progress to the
19 availability of strain reagents. Dr. Ye.

20 DR. YE: Thanks.

21 In his presentation, Dr. Levandowski
22 described the immune response to the current influenza

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 virus vaccine, and I'm going to present this status of
2 candidate virus vaccine that is used for production as
3 a viral vaccine and the potency reagent that had to be
4 used for standardize the antigen of vaccine product.

5 Influenza vaccine contains three antigens:
6 H1N1, H3N2, and B virus. Current vaccine for H1N1 is
7 New Caledonia/20/99. IVR/116 is a reassortant between
8 New Caledonia/20/99 and A/Puerto Rico/8 or PR/8 high
9 growth viruses in the lab.

10 This reassortant grows quite well in eggs.
11 Currently we do not have antigenically divergent
12 strains available for this particular subtype.

13 Wyoming is the current vaccine
14 reassortant. NYMC-X-147, NYMC-X-149, RVR-134 are the
15 reassortants between Wyoming and PR/8. All of them
16 grow pretty well in eggs.

17 The candidate strain for H3N2 is a little
18 bit complex. The first one is the
19 A/Wellington/01/2004. There are three reassortants.
20 RESVIR-20, IVR-139, NYMC-X-155 are the reassortants
21 between Wellington/01/2004 and PR/8. All of them grow
22 reasonably well in eggs.

1 And as Roland mentioned,
2 Wellington/01/2004 is a strain recommended for the
3 Southern Hemisphere in the 2005 season.

4 The next one is A/California/7/2004-like
5 strain. Currently we do not have high growth
6 reassortant for this particular strain. Although IVR-
7 140 is a resortant between Singapore/37/04 with PR/8,
8 this particular reassortant does not grow very well in
9 eggs, neither grow well in eggs nor ideal strain
10 represent A/California/7/2004.

11 However, we have at least six circulating
12 viruses that represent A/California/07/2004. All of
13 them are egg isolates. One of these strains, A/New
14 York/355/2004, are the most among the six -- grow
15 reasonably well in eggs, and this strain may be ideal
16 strain to be used for generating high growth
17 reassortant between this particular strain and PR/8,
18 and the rest of them do not grow very well in eggs.

19 I'm putting up this slide to give you the
20 sense of the possibility we can generate high growth
21 virus for this particular subtype. There are at least
22 the six laboratories work wholeheartedly to generate

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 high growth reassortant for A/California/7/2004.
2 There are three in the United States, one from CBER,
3 CDC, New York Medical College, and three from
4 overseas. They are NIBSC from New York (sic), CSL
5 from Australia, National Institute, Infected Disease,
6 from Japan, and hopefully, by mid-March we can have
7 this high growth reassortant for A/California/704.

8 The reason I'm saying that is I mentioned
9 one strain grows reasonably well. Then the
10 possibility we have with high growth reassortant for
11 this particular strain would be likely.

12 The current Influenza B strain -- current
13 vaccine strain is B/Shanghai/331/2004-like. There are
14 three strains: B/Shanghai/361/04 itself,
15 B/Jilin/20/2003 and B/Jiangsu/10/2003. All of them
16 grow moderately, at a moderate rate in eggs.

17 And currently we do not have a new
18 antigenically divergent strain available for this B
19 strain.

20 Now we move on to the potency reagents.
21 We have antisera and antigen for standardization of
22 the vaccine for both H1N1, which is New

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealgross.com

1 Caledonia/20/99 and Wyoming/03/2003. If the new
2 strain is going to be chosen and the particular
3 reagents needed to be prepared so that they wouldn't
4 be available before May.

5 We also have antisera and antigen for both
6 HA lineages, one for Shanghai/361/04, which is a
7 Jiangsu/10/2003, and also we have antisera and antigen
8 for Yamagata lineages, which are B/Hong Kong/330/2201
9 and Hong Kong/1434/2002 and B/Shandong/7/97.

10 Again, if the new strain are choosing the
11 reagents, it would be available in May in the
12 earliest.

13 Thank you.

14 CHAIRPERSON OVERTURF: Are there
15 questions? Yes.

16 DR. BUCHER: I just wanted to mention that
17 we had submitted a high yield B reassortant for
18 Jiangsu, NYMC -- I'm from New York Medical College --
19 NYMC-BX-7. So it doesn't -- in our hands it grows
20 about twice as well as the B/Jiangsu. So just to let
21 people know that that's available. We did submit it
22 to the CDC. It was also sent to your lab.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON OVERTURF: Maybe you can
2 clarify something for a bacteriologist. What is it
3 that you do to make the -- is it all trial and error
4 or are there genetic or molecular elements known for
5 egg growth?

6 DR. BUCHER: No, it isn't that random. We
7 do use, although we do take advantage of a lot of
8 possibilities here -- Ed Kilbourne had the main lab,
9 and we're continuing on with his assistant as well and
10 his reagents, and we've generated improved selection
11 reagents.

12 So he developed the system for As, and
13 that's what people have been using, using an old, well
14 adapted egg strain as the donor, which is A/PR/8/34.
15 So it's been in an egg since 1934.

16 For the Bs now, we -- and that's how we
17 made X-147 and X-149, which were mentioned, and now
18 we're working on the high yield A/New York/55.

19 For the B strains, we selected B/Lee/40,
20 which has been in egg since 1940, and as I said, it
21 doesn't give us the tremendous enhancement that we see
22 for the As, but the Bs generally -- they generally are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 growing better than the new isolates of the A strains,
2 but we did see an increase in yield.

3 So we know that it has the eight gene
4 segments. Of course, we have to have the two from the
5 current strain, the strain that's circulating
6 currently. So we have to have the hemagglutinin and
7 neuraminidase from the B/Jiangsu.

8 We know we have the M gene from B/Lee/40,
9 and we're in the process of analyzing the rest of the
10 genes.

11 CHAIRPERSON OVERTURF: Thanks.

12 Are there any other questions or comments?

13 (No response.)

14 CHAIRPERSON OVERTURF: Thank you.

15 We now have time for the comments from the
16 manufacturers, and that's going to be presented by
17 Albert Thomas of Sanofi Pasteur.

18 MR. THOMAS: Good afternoon. My name is
19 Albert Thomas. I'm the Director of Viral
20 Manufacturing for Sanofi Pasteur, the vaccine maker
21 previously known as Aventis Pasteur.

22 I'd first like to thank the committee for

1 the opportunity to present today, and I'd like to
2 begin by talking about some of the critical factors
3 that are involved with influenza vaccine supply and
4 how the strain selection process can impact each of
5 those factors.

6 First of all is the growth potential of
7 the seed virus. Obviously there are many factors that
8 can impact the number of doses of influenza vaccine
9 that can be produced, such as the overall capacity
10 that is available to each manufacturer, the average
11 yield of all three of the monovalent strains currently
12 in that formulation, but many times it is the yield of
13 basically the lowest performer, the least productive
14 monovalent strain that will ultimately impact or
15 determine the number of doses that can be produced in
16 that given season.

17 You may be successful in producing 40
18 million doses of your H1N1 monovalent component, 40
19 million doses of your B component, but if you can only
20 produce 20 million doses of your H3N2 component, you
21 will ultimately only be able to distribute 20 million
22 doses of trivalent influenza vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Probably the most critical constraint is
2 time. Given that the timing for influenza vaccine
3 manufacturing is limited at the beginning by the
4 timing of strain selection and is then limited at the
5 end by the necessity to be able to manufacture,
6 release, distribute, and administer the vaccine prior
7 to the onset of the influenza season, your actual time
8 is very limited, in which case you can actually
9 manufacture the monovalent components and then
10 formulate the trivalent vaccine, fill, package,
11 release, and ultimately distribute.

12 Also, please keep in mind that the working
13 seeds typically require about four weeks for
14 development and release prior to them being able to be
15 utilized in large scale manufacturing, and usually
16 that four weeks is based upon the time that we
17 initially receive the seed candidate.

18 The potency test reagents are also a
19 factor that must be taken into account. Given that
20 each monovalent component, the potency of that
21 component or the amount of hemagglutinin must first be
22 determined prior to formulation of the trivalent

1 vaccine, and that's done via single radial
2 immunodiffusion, which requires a strain specific
3 reference antigen and antiserum.

4 Those two components, the potency test
5 reagents must first be manufactured and calibrated or
6 standardized for each new strain prior to the
7 formulation. That can be anywhere from, say, an
8 eight-week process to about a 12-week process,
9 depending on when the seed candidate is first involved
10 or first available.

11 The time line that I've got listed here
12 has several assumptions built into it. I'd like to
13 first describe those. This is based upon assuming
14 that there is one strain change from one year to the
15 current year, and the new strain here is listed as B
16 or is listed as the blue component.

17 The way this is broken down is really
18 first the upper half here is related to the production
19 of the individual monovalent components. The lower
20 half here is involving the formulation of the bulk
21 trivalent vaccine, the ultimate filling, packaging,
22 and then distribution of that vaccine.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 Again, as I mentioned before, the time
2 constraints are we are required or limited by the time
3 that we need to begin distributing the vaccine, again,
4 typically the August to the, say, early November
5 timing. This past season was a bit of an exception.
6 Obviously we've been able to extend the time, in which
7 case we can distribute the vaccine.

8 This basically ends or defines when you
9 need to begin distributing, and again, the actual
10 beginning of the strain selection really determines
11 when you can begin production.

12 Now, something you might notice here is is
13 I've got this arrow here listed as essentially the
14 timing that the strains are announced, basically the
15 middle of February. If you're not familiar with
16 influenza manufacturing, you may see that
17 manufacturing is already underway.

18 Some manufacturers may choose to
19 manufacture one of the strains at risk, and that risk
20 is the fact that that strain may not actually be
21 included in the vaccine the coming year. The reason
22 why manufacturers may choose to do that is, again,

1 because the time here is very limited, in which case
2 you can actually produce those monovalent strains and
3 be able to distribute that vaccine in time.

4 So, again, here, you know, assuming a
5 model of one strain change for the year, assuming that
6 manufacturers would have already had some of that
7 production underway, manufacturers would typically be
8 looking to begin production at that second strain very
9 soon after the strain announcement. Basically the
10 concern with the fact that they want to build too much
11 of one strain without ultimately knowing the yield of
12 all three strains that will be in the vaccine.

13 So typically following the strain
14 selection, you know, assuming a working seat is
15 available, manufacture of the second monovalent strain
16 would begin. Once a working seed would be available
17 for the strain, manufacture of that would also
18 commence.

19 That depends now upon what is the timing
20 or the availability of the reassortant. This time
21 line here would assume approximately a mid-March
22 availability, which again for this year may be

1 aggressive, but at least it's something to start with.

2 Again, approximately the four weeks
3 necessary for each manufacturer to produce the working
4 seed to use in large scale production from that
5 reassortant, and then the beginning production of that
6 third strain.

7 Now, what then happens in parallel with
8 that is the production and standardization of the
9 potency reagents, again, the reference antigen and the
10 antiserum. Until these reagents are available, the
11 final or true yield to this third strain is not known.
12 Once the reagents are available, manufacturers now
13 have a very good idea of what their yields of the
14 three strains are. So they then begin the strain
15 balancing process here.

16 Again, each of the three strains are
17 produced independently, and depending on what the
18 yield is of each strain, manufacturers will emphasize
19 one or the other with the ultimate goal at this time
20 to have an equal number of doses produced of each of
21 the three monovalent strain components.

22 And, again, once the potency reagents are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 available, the formulation of the trivalent vaccine
2 can begin, which then would be followed by the
3 filling, packaging, and ultimate distribution of the
4 vaccine.

5 Current manufacturing status, as I alluded
6 to in the previous time line, for some manufacturers
7 the H1N1, New Caledonia, the 20/1999 manufacturing is
8 underway, and again, that was initiated at risk,
9 again, of that strain potentially not being selected
10 to be in the vaccine formulation for the 2005 and
11 2006.

12 Again, the reason why manufacturers decide
13 to produce that risk is, again, because the
14 manufacturing time point here is very limited. We
15 have a limited time. That's really our most critical
16 constraint that we have to work with, and by
17 manufacturing at risk with some knowledge of the
18 surveillance data that's available allows us the
19 opportunity to produce some of that product prior to
20 that very critical time frame.

21 The H3N2 and B strain, obviously we're
22 awaiting the strain selection process and are

1 currently evaluating any new potential seed candidates
2 that we're receiving, whether they're the A/California
3 type of other type strains.

4 Basically, in conclusion, it's really
5 cooperation. I think it's very clearly necessary for
6 the successful influenza vaccine production and
7 supply, and that really comes from all parties
8 involved in the overall process. I would say the
9 timely selection of the appropriate antigens, both
10 consideration of the antigenic match, as well as the
11 potential growth potential for that strain; the
12 availability of the height of the seed viruses,
13 especially the high growth reassortants.

14 Again, because time is so limited, the
15 best yielding strain you have to produce each day will
16 maximize the number of doses that can actually be
17 distributed. And something that worked, I believe,
18 very well last year and I think is a good
19 representation of the availability or the greater
20 availability of egg isolates is the opportunity for
21 manufacturers to evaluate the growth characteristics
22 of strains that are antigenically similar but may have

NEAL R. GROSS
COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 very different growth characteristics and large scale
2 production.

3 Again, the example last year, evaluation
4 and selection of the B/Shanghai/361/2002 light strain.
5 Manufacturers were given several strains to pick from,
6 and actually it was the Jiangsu strain here which was
7 received last but had by far the best growth
8 potential, and that's what was utilized in production.

9 Again, just showing the fact that
10 antigenically similar strains can have very different
11 growth characteristics, especially in large-scale
12 production, and the availability to have additional
13 egg isolates is a key factor for a lot of the
14 manufacturers to pick potentially what would be
15 significantly a greater yielding strain which
16 ultimately will yield many more doses for the
17 marketplace.

18 And, again, the availability of the
19 potency test reagents and the time frame or the time
20 required to produce those must be taken into account
21 in the overall campaign.

22 CHAIRPERSON OVERTURF: Thank you.

1 Are there questions for Mr. Thomas? Yes.

2 DR. SCHWARTZ: I'd like to ask you a
3 question about your last point about the B/Shanghai-
4 like strains. Two questions. One is: did all of the
5 manufacturers come to the same conclusion or will one
6 strain or a different strain grow better with one
7 particular manufacturer's processor, a different
8 manufacturer's process?

9 Secondly, I'm wondering if when those
10 three different strains were supplied to industry
11 whether there was an indication that the Jiangsu would
12 be the best growing of those three strains or whether
13 it was something that you had to test out in your
14 manufacturing system before that recognition came
15 about.

16 MR. THOMAS: Sure. Could I possibly defer
17 the first question to someone on the committee?
18 Again, I'm not -- typically each manufacturer is not
19 involved with what the other manufacturers are
20 selecting. I believe we all chose that same strain,
21 but I'm not sure if possibly anyone from CBER would
22 like to talk about that. Yeah.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON OVERTURF: Roland.

2 DR. LEVANDOWSKI: We've had two different
3 strains based on preferences for manufacturers in past
4 years, and I don't remember what year it was, but it
5 was in the late 1990s we had -- actually it was the
6 Hong Kong/330/2001 and the Hong Kong/1434/2001. We
7 had different manufacturers producing different
8 strains, and that went even further because in Europe
9 the strain that was being used was the B/Shanghai/7/97
10 strain. So all three of those strains that Zhiping
11 mentioned in his talk were used by different
12 manufacturers based on what they saw as what was best
13 for them.

14 It's a little bit stressful for the system
15 to have that happening, but it can be accommodated.

16 MR. THOMAS: And then answering the second
17 part of the question, I believe that's pretty much
18 each manufacturer will probably come to that decision
19 independently. I mean, there is data usually given to
20 us when the seed candidate arrives, but eventually
21 based on what each of our -- whatever process each
22 manufacturer would follow to develop and evaluate that

1 seed candidate, if it would be a good candidate for
2 their process.

3 DR. SCHWARTZ: So that you actually tested
4 all three of those before selecting that particular
5 strain?

6 MR. THOMAS: Oh, yes, yeah, tested them in
7 multiple passages. Sort of it's when the race begins.
8 When you start receiving the seed candidates you begin
9 very aggressively looking at each of these and try to
10 quickly narrow the list down for those that you would
11 continue to evaluate.

12 CHAIRPERSON OVERTURF: Kathleen.

13 MS. COELINGH: It's Kathleen Coelingh from
14 Medimmune.

15 And just so people know, the live
16 attenuated has the B/Jilin strain as the B component
17 last year, and because we use a reassortant, the early
18 growth of the wild-type strains is not necessarily a
19 good indicator of how our reassortant will grown, but
20 at the same time, I would agree with what Albert said.
21 It's important to get these wild-type strains in early
22 because we make our own reassortant. So we're sort of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 on the same time line with CDC and the FDA and Doris
2 Bucher and so forth.

3 So it's the same. It's true we need to
4 get these strains in early, but for different reasons.

5 CHAIRPERSON OVERTURF: Dr. Couch, did you
6 -- oh, I'm sorry. Go ahead.

7 DR. HJORTH: Richard Hjorth from Sanofi
8 Pasteur.

9 I'd just like to also say about yields
10 that I don't believe CDC or CBER are that focused on
11 assays that can really pick up differences between
12 yields, such as the manufacturers are. I think Nancy
13 has mentioned that before. You don't focus on early
14 assays, especially, before the potency reagents are
15 available.

16 So I think the manufacturers are probably
17 in the best position to evaluate the yields coming out
18 in the allantoic fluid, not that we necessarily run
19 them all the way through our process, but we look at
20 the allantoic fluid in a more quantitative way.

21 CHAIRPERSON OVERTURF: Dr. Couch, you had
22 a comment?

1 DR. COUCH: Yeah, I had a question that's
2 along that same line, and if you would confirm or
3 perhaps deny the impression that many of us have that
4 while we know you can balance a number of eggs you put
5 in one strain or another, in terms of the actual yield
6 of a single strain, is it true that the B is most
7 commonly your limiting antigen?

8 MR. THOMAS: Historically, yes, the B
9 strain has been limiting.

10 DR. COUCH: And that need for a high yield
11 that Dr. Bucher referred to that's being worked on
12 right now still carries a high priority that it has
13 carried for a number of years now.

14 CHAIRPERSON OVERTURF: Comment from the
15 floor?

16 DR. SUN: This is Wellington Sun from
17 Walter Reed.

18 One question I had based on your time
19 line, it's very stressful, and you said that
20 manufacturers manufacture the first strain at risk
21 before the selection. So what is the impact if the
22 selection is the wrong one, and how often has that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 happened?

2 MR. THOMAS: Well, probably the first
3 impact is probably a different person standing up here
4 next year --

5 (Laughter.)

6 MR. THOMAS: -- based on the selection.

7 The second is I would say now that's
8 manufacturing time that you just lost. So obviously
9 you try to make the best educated decision to pick
10 that strain as to which one you think is the greatest
11 probability of being in the vaccine for the following
12 year.

13 But, again, because your overall time is
14 fixed and you're working within the time constraint of
15 strain selection to really when do I need to stop
16 production to meet the last available time to
17 distribute vaccine. That time is fixed. So you try
18 to find any way to potentially build up a bit of a
19 hedge if you have a potential low yielder. Right now
20 the yield, say, of the new strain is unknown. So by
21 beginning that production, that gives you a bit of a
22 cover factor knowing that you could potentially build

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 up enough doses of the other strains in time.

2 But, again, obviously it is a decision
3 made at a business risk of that product, potentially
4 not being able to use in the vaccine.

5 CHAIRPERSON OVERTURF: Yes.

6 DR. FARLEY: If I remember correctly, the
7 California strain is at an earlier phase of
8 development from the previous presentation. Can you
9 comment on concerns from a manufacturing standpoint
10 about getting a California-like strain up and ready
11 and going in the time frame this year?

12 MR. THOMAS: I think that's probably
13 similar to -- I'll give you the analogy of last
14 year -- the B strain. Again, we had two strain
15 changes last year, both the H3N2 and the B strain, and
16 it was actually the -- I believe the B/Jiangsu seed
17 candidate we did not receive, I think, until some time
18 in March, which means that we had very little time to
19 pass that, to develop a seed candidate ready for large
20 scale production.

21 Now, the issue is now currently with the
22 A/California type, assuming that is what is selected

1 for the vaccine. It's really how successful this
2 process is going to be and how quickly a reassortant
3 with reasonable growth potential can be completed,
4 released and given to the manufacturers in time. You
5 know, it's kind of obviously that's a strain that
6 we're just seeing very quickly show up, and we're just
7 beginning to receive candidates for that. It's really
8 how quickly can that reassortant be put together and
9 a working seed developed, and what will ultimately be
10 the yield of that.

11 Probably no different than in previous
12 years in which case, you know, a strain shows up near
13 the middle or end of the season and it appears to be
14 the right match for the next year's vaccine and how
15 quickly can manufacturers put that into production.

16 CHAIRPERSON OVERTURF: Yes, Dr. Schwartz.

17 DR. SCHWARTZ: And just to follow up on
18 that, in the previous presentation, it was mentioned
19 that there were six different laboratories working on
20 the reassortants for the A/California strains. Is it
21 possible to tell us are they working on different
22 strains or is it all the New York/55 or are there

1 different California-like strains that are being
2 investigated by these laboratories?

3 DR. YE: They are working on all six
4 strains. So their own preference is to see which
5 strain grows well in their hand. It's early in the
6 development of the candidate strain.

7 CHAIRPERSON OVERTURF: Dr. Cox.

8 DR. COX: Just as a matter of information
9 I'd like to mention that at CDC we are not using the
10 traditional or the classical reassortment techniques,
11 bur rather we have decided that in this particular
12 instance we're going to actually use reverse genetics
13 to attempt to make six, two reassortants for
14 California and for one other California-like strain
15 and we're choosing based on the knowledge we have
16 about those particular viruses.

17 I think a number of other labs will be
18 using the New York/55 because it does appear to grow
19 better. So there will be some consistency in that,
20 but you know, there will be a lot of effort and some
21 diversity, I'm sure, as Zhiping has said in the
22 candidates that eventually come out.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON OVERTURF: Dr. Markovitz.

2 DR. MARKOVITZ: Nancy, I'm happy to hear
3 you're going to use reverse genetics, but I have a
4 question. I thought with reverse genetics you have to
5 go through a cell line before you go into eggs, and
6 then that brings up all of the problems of
7 certification of the cell line. Is that incorrect?

8 DR. COX: That is not incorrect, but we
9 will be using certified cells, certified virile cells
10 in laboratory conditions as close to GLP condition as
11 it's possible to maintain. So we'll be making sure we
12 have introduction of no TSCs and so on, and we're
13 documenting everything so that we believe that the
14 strains that will be made at CDC will be suitable than
15 to go into eggs for production should the necessity
16 arise.

17 DR. MARKOVITZ: Yeah, that sounds like a
18 very good plan. I'm just curious in terms of who
19 decides that a cell line is okay? I'm not speaking
20 against that in any way. I think that's great, but
21 I'm curious. Who gives you the green light to do
22 that?

1 DR. COX: These are cells that have been
2 certified through a long process of testing by one of
3 the vaccine manufacturers, and they have provided
4 those cells to us. FDA has the dossier on these
5 cells, and so it's all very well contained and
6 controlled.

7 DR. MARKOVITZ: So it's an FDA decision
8 that the cell lines are okay?

9 DR. COUCH: But that approval is FDA, is
10 it not?

11 DR. BAYLOR: This is Norman Baylor, FDA.
12 It's not an approval, but when we get
13 information and, say, at a drug master file and what
14 have you, we can review the characterization of the
15 cell line, and then we can give that a green light.
16 We don't approve, quote, unquote, cell lines, but we
17 can evaluate whether they've been adequately
18 characterized to our satisfaction to make products.

19 DR. COUCH: Just a clarification. I think
20 what we're understanding is that you don't approve a
21 cell line. You approve a vaccine.

22 DR. BAYLOR: Correct.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DR. COUCH: If it's made in a substrate of
2 a particular type, that's a part of the review
3 process.

4 DR. BAYLOR: Correct.

5 DR. COUCH: And that's what would be going
6 on with this one also. So it's still an FDA review
7 because the vaccine has to be approved if it had that
8 substrate in its preparation.

9 DR. MARKOVITZ: Right, but I'm wondering
10 who at FDA. I mean who finally says, "Yes, it's okay
11 to do this"? I'm a little perplexed. It sounds very
12 good. I'm not in any way against this. I think it's
13 a great idea, but I just want to know who finally says
14 yes.

15 DR. BAYLOR: CBER's office. if it's a
16 vaccine, CBER's Office of Vaccines.

17 CHAIRPERSON OVERTURF: That's fairly
18 nonspecific.

19 (Laughter.)

20 CHAIRPERSON OVERTURF: Robert. Yes, Dr.
21 LaRussa.

22 DR. LaRUSSA: Just a clarification. Let's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assume a worst case scenario, that you weren't able to
2 make high growth reassortments from the A/California-
3 like strains. Are you saying that the reassortment
4 that's available for A/Singapore 37/04 would not be
5 appropriate and would not work as a vaccine strain?
6 Because that's the one you do have a reassortment.

7 DR. COX: Roland warned me that I would
8 need to go first on this.

9 I don't know if you recall, but when I
10 showed on one of my H3HI tables, what we found when we
11 put the Singapore/37 strain into ferrets was that the
12 ferret serum generated did not cover the current
13 strains quite as well. We went back and very
14 carefully went through the genetic data to see if we
15 could find a reason for why this might be true, and we
16 found that there were two potentially significant
17 genetic changes right in the same neighborhood in the
18 HA molecule, and although this neighborhood, this area
19 of the HA molecule hasn't typically been associated
20 with antigenic changes or major antigenic changes in
21 viruses, there is right adjacent to an isoleucine to
22 methionine change, and changes to methionine are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 fairly infrequent I would say overall.

2 We also see loss of a potential
3 glycosylation site, which certainly could affect
4 anogenicity. So these are changes relative to the
5 majority of the California-like viruses.

6 So while the Singapore strain shares the
7 change at amino acid/145, which we believe is
8 critical, it also contains these two other changes
9 which are not part of the consensus and may cause a
10 slightly different antibody response in the recipient.

11 So we would view the Singapore/37 strain
12 as less than idea.

13 DR. LaRUSSA: So that's a very gracious
14 way of saying no.

15 (Laughter.)

16 CHAIRPERSON OVERTURF: Yes, Dr. Karron.

17 DR. KARRON: Just as a follow-up question
18 to that, of all the other A/California-like strains,
19 are any of those like the Singapore or none of the
20 other California-like strains have those changes?

21 DR. COX: Correct. None of them do.

22 CHAIRPERSON OVERTURF: Yes, from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 floor.

2 DR. BUCHER: I just wanted to raise the
3 issue of our high yield A/Wellington strain, which was
4 NYMC-X-155, which I had understood from the sequencing
5 was a step ahead of the A/Wellington and I would
6 presume toward the A/California type.

7 We had discussed this at the previous
8 meeting, and there was a mention of that going into
9 ferrets. So I wondered if that had been looked at any
10 further. That is the highest yielding reassortant I
11 think we've ever seen. So I wonder if that could be
12 under consideration, as is the A/Singapore as fail
13 safe.

14 DR. COX: If you can give me two minutes
15 to look at our data again so that I'm sure that I give
16 you the correct answer, maybe we could go on to
17 another question and then I'll have the answer to
18 that.

19 CHAIRPERSON OVERTURF: A question from the
20 floor?

21 AUDIENCE MEMBER: Assuming all
22 reassortants grew poorly, can a wild-type virus be

1 used in a vaccine?

2 CHAIRPERSON OVERTURF: I'm sorry. Could
3 you repeat that? It was not quite clear through the
4 mic.

5 AUDIENCE MEMBER: Oh, yeah, that's
6 regarding discussion on the H3N2 virus. So if the
7 reassortants obtained not growing as well as the wild-
8 type virus or even poorer, then can the wild-type
9 virus originally isolated be used in the vaccines?

10 CHAIRPERSON OVERTURF: Yes, Roland.

11 DR. LEVANDOWSKI: The simple answer is
12 yes. The wild-type virus can always be used in the
13 vaccine, and that's what we use typically for
14 Influenza B, and as you recall, even for Influenza A,
15 the A/Taiwan/186 strain was a wild-type virus which
16 was not a reassortant.

17 So, yes, we would make use of whatever
18 seemed to be appropriate. If the question is related
19 to the California-like strains, it doesn't seem very
20 likely to me that that's going to be the case because
21 the California-like strains as Zhiping showed are
22 generally low to moderate growth, if any, so that it's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 unlikely that those would be suitable for large scale
2 manufacturing, at least not within this decade.

3 DR. BUCHER: Since I'm not a manufacturer,
4 in our hands the New York/55 is growing quite well.
5 We've been passing wild-type along with our
6 reassortant passes, and it's gaining. So it may not
7 be so hopeless to use that as wild-type.

8 DR. LEVANDOWSKI: Thanks for that comment,
9 but I wasn't saying it's hopeless. I'm just saying
10 that generally the wild-type strains do not grow
11 better than the reassortants. That's the whole point
12 of doing the reassorting in the first place.

13 CHAIRPERSON OVERTURF: Dr. Cox.

14 DR. COX: Yes. So now I'm prepared to
15 answer Doris' question with 100 percent accuracy. We
16 had taken your new Wellington virus, your new
17 Wellington reassortant, and we put it into ferrets and
18 did the cross-test, and most unfortunately -- well,
19 the antiserum that we generated had a very, very high
20 homologous titer, but when you look at the relative
21 titers to the current strains, it covers many of the
22 current strains no better than the Wellington wild-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 type antiserum did.

2 CHAIRPERSON OVERTURE: Dr. Schwartz.

3 DR. SCHWARTZ: I just have one other
4 question for you, Nancy, and that is that we talked
5 this morning about some potential limitations to the
6 commercial use of a vaccine that's made using reverse
7 genetic reference strain, and so I'm just wondering if
8 you can just describe the decision that you and your
9 laboratory made to use reverse genetics for this
10 California-like strain rather than to use traditional
11 reassorting methodologies.

12 DR. COX: Well, it was really a very --
13 it's a forward looking strategy really. We do feel
14 that it's very important for federal laboratories to
15 be practicing reverse genetics on a regular basis for
16 generation of vaccine strains, even knowing that there
17 are some potential intellectual property issues
18 associated with them.

19 We made this decision at a time when the
20 North Dakota virus, which was one of our candidates,
21 was growing extremely poorly, and we were getting
22 reports back that California was also not performing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 well.

2 So we decided that we would go ahead and
3 really work toward perfecting the system and moving
4 viruses through making reverse genetics modified
5 strains as quickly as possible just in case we were
6 unable to generate one using classical reassortment.

7 It looks now like some of the additional
8 egg isolates that have come on line since then are
9 much more promising. So it may not be necessary, but
10 nevertheless it's good practice in many senses of the
11 word, and I think we'll be doing this in the future as
12 well.

13 DR. LEVANDOWSKI: Yes, sir.

14 DR. COUCH: A very minor question. I
15 would have guessed at least two and possibly three
16 other sites that are also at least trying reverse
17 genetics. Would you agree with that?

18 DR. COX: I don't believe so. I believe
19 NIBSC is --

20 DR. COUCH: Leaving it alone?

21 DR. COX: Going to use classical
22 reassortment.