

1 asymptomatic, or latently incubating people that will
2 never become symptomatic, will never become ill. They
3 are infected, but they'll never become ill.

4 We don't know the size of that population,
5 but these models don't actually capture that, so
6 that's one of the issues that we're trying to resolve,
7 and so what we've chosen is another strategy, is to
8 use surveillance data. So, the most recent
9 surveillance data that's come out, and this is a study
10 by Hilton, et al, and it's a surveillance study of
11 tonsils and appendices, and what they've done is,
12 they've identified three positive samples, samples
13 from three different patients were positive in a total
14 of 12,674.

15 And, what they estimated in that paper is
16 that gives you a rate of about 237 positives per
17 million individuals in the U.K. population. If we
18 walk that down to estimates for our model, what we
19 assumed was that we would have one positive individual
20 in 4,224, just a strict interpretation of this data.

21 So, I wanted to provide somewhat of a
22 rationale for us using this data, and I think these
23 data, for us, are very compelling, first of all
24 because they are surveillance data, they are not
25 modeling data, there's not much more modeling done o

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 them. There's not much more analysis done on them, so
2 they really represent what we consider real data of
3 possible incubating cases. So, we think this data are
4 actually capturing some of the incubating cases that
5 won't progress to illness, as well as those cases that
6 will progress to illness.

7 Now, we know this is somewhat of a
8 conservative approach, but again, the uncertain
9 estimate of the prevalence I think sort of
10 necessitates that we take this approach and use these
11 numbers.

12 Again, the modeling data sort of mostly
13 estimate clinical variant CJD cases and won't really
14 capture those asymptomatic cases. And, I think it's
15 important to emphasize that the non-clinical or
16 asymptomatic infections probably have the similar
17 potential for transfusion transmission as somebody
18 that's going to progress to clinical illness. So, I
19 think that's sort of our reasons for using this data.

20 So, you may want to circle this slide,
21 because this is going to be a point that we may want
22 to discuss later on in the discussion.

23 Again, this is just a summary of the
24 different types of data in the United Kingdom that
25 have been presented, mathematical modeling results are

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 out there in the literature and surveillance studies.

2 In the United States, I think I'm going to
3 not discuss this, because we are going to discuss this
4 point more fully in the second presentation that I'm
5 going to be giving, so let me just move on from there.

6 All right. Now, I've just discussed, in
7 our Factor XI risk assessment we're interested in the
8 probability of exposure, and prevalence of variant CJD
9 determines the number of variant CJD donations per
10 pool. So again, if we take that Hilton data, and we
11 look at it, again we are getting one positive in 4,225
12 individuals, we'll round it up, or down, and what that
13 actually breaks down to is, we consider processing for
14 20,000 donations, so a pool of plasma donations in the
15 United Kingdom, the average size of the pools that
16 were used to make Factor XI was about 20,000
17 donations, and in that what we would expect, just
18 applying these numbers, is that we would have
19 approximately 4.7 donations on average per pool. So,
20 not just one, but we've got almost five, so that's a
21 significant amount. Not only do we have nearly 100
22 percent of the pools predicted to be contaminated, but
23 we also have this larger number of donations going on,
24 so about an average of five times more infected
25 material going into those pools.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And, what I did was, I just wanted to show
2 how we actually arrived at calculations in the model,
3 and what we did was, we just adjusted, these are
4 numbers per million, 237 per million, coming out of
5 the Hilton study, and the range on that, with their 95
6 percent confidence intervals, was 49 to 692. We,
7 basically, just divided these by 50, because it's 1
8 million up here, 20,000 down here, that's dividing by
9 50, and we get a mean of about five donations or
10 variant CJD donations per pool of 20,000 donations,
11 and the range on that went as low as zero and as high
12 as, potentially, 14, although this is a much less
13 likely event.

14 So, that's how we actually sort of took
15 this data and adapted it for our uses in our model,
16 based on the 20,000 donations going into a plasma
17 pool.

18 All right. Our next question then is,
19 we've got this probability, and we've got a little
20 information on quantity, we wanted to get more
21 information on quantity. So, in general, what's the
22 quantity of TSE agent in the starting plasma pool, the
23 amount of infectivity per donation in pool had to be
24 calculated, so we estimated infectivity in human blood
25 derived from animal data. So again, we are using

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 animal data to draw these conclusions.

2 We also wanted to estimate the number of
3 TSE donations per pool, and we've done that using the
4 Hilton data, and it's just important to remember, the
5 higher the prevalence and incidence of the disease the
6 greater the chance of multiple donations in a pool.

7 All right. So, you may want to circle
8 this slide, too, because these are some of the major
9 assumptions we are going to be talking about in the
10 talk. So, this goes to the Factor XI risk assessment,
11 how did we calculate the quantity of variant CJD ID50s
12 that were present per ml of plasma, and what we did
13 was, we used animal studies, and we used what's called
14 a triangular distribution, because this is a
15 probabilistic risk assessment. We are not using just
16 ten ID50s and calculating things out, we are saying,
17 minimally, there could be .1 ID50s per ml of blood,
18 but we are estimating, well, most likely from the data
19 that we've seen that there are ten ID50s, but we
20 actually suggest that there could be a maximum of
21 1,000 ID50s.

22 I just wanted to sort of summarize some of
23 the data sort of verbally, so what we did was, I think
24 some of this data is also described in the risk
25 assessment, but we also relied on Doctor Paul Brown's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 data to make these estimations. That's why we are
2 assuming our most likely is around ten, because his
3 estimates came up in 1988, I'm sorry, 1998 and 1999,
4 with an estimate of ten to 20 ID50s per ml of blood,
5 and then also Bob Rohwer's group at the University of
6 Maryland also did some more studies, there's were in
7 the range of two to 20 ID50s per ml of blood. So, we
8 have a heavy emphasis down towards the lower range,
9 but we are acknowledging that there are some
10 experiments done by Paul Brown and others that are
11 sort of up at the high end. So, we still have to sort
12 of incorporate that into our estimate, so we did that
13 with this distribution.

14 All right. The second part is, what
15 fraction of the infectivity in blood is associated
16 with plasma. We assumed based on experiments by Luisa
17 Gregori in Bob Rohwer's lab at the University of
18 Maryland, that 58 percent was associated with plasma,
19 very similar to the Paul Brown estimates of around 50
20 percent or slightly higher than 50 percent. But, we
21 chose 58 percent as our estimate.

22 The other thing to do that we did was, we
23 adjusted for the efficiency, and there is a reduced
24 efficiency for intravenous units versus intercerebral
25 ID50s. So, what we've got up here at this point is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 intercerebral ID50s. These were determined by
2 injecting blood into animals, but what they think is
3 that there is a five to ten-fold reduction in
4 efficiency via the intravenous route, because we are
5 looking at blood we are interested in that intravenous
6 infectivity, so we adjusted any estimates downwards by
7 about five to ten-fold, and that's based on
8 information from, I believe, Paul Brown's lab, and
9 also from a paper from Kimberlin in the late '90s.

10 So, you may want to circle this, and we
11 can come back to this and discuss it as part of our
12 assumptions that go into the model.

13 The next part of the model that's very
14 important, and I would say that what the sensitivity
15 analysis showed us, I'll sort of tilt my hand right
16 now, is that the variant CJD prevalence was the most
17 important factor in determining risk. This is one of
18 the second most important things. So, we are sort of
19 emphasizing this quite a bit, and that is, what's the
20 log of reduction that could occur during processing,
21 and this reduction is based on the various processing
22 steps, and I believe the previous presentation walked
23 through several of those various steps in the
24 reduction levels achieved.

25 I think it's important to remember that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 there's variability in the processing and the levels
2 of reduction achieved. But, based on information we
3 had, we assumed for Factor XI, again, this is a Factor
4 XI risk assessment, that the minimum reduction could
5 be as low as zero, the most likely level of reduction
6 could be as high as two logs, and this would - the
7 counterpart would be about 99 percent reduction, and
8 then as high as four logs. So, about 99.99 percent
9 reduction in the amount of infectivity.

10 Now, sort of a caveat that I would put, or
11 an explanation here, is that we never assumed that
12 infectivity is totally eliminated. We assume it's
13 greatly reduced, but we assume that there's never 100
14 percent elimination, just to keep that sort of
15 conservative aspect in our estimates.

16 Finally, moving on to the last part of the
17 risk assessment, what's the dose that people actually
18 receive of the product and of variant CJD ID50s during
19 their surgery or treatments with this product? And,
20 what we have to consider is package size, whether it's
21 a vial or other type of product, the vial size. If
22 you have multiple vials coming from multiple different
23 pools, that has an influence on risk. The number of
24 units in those vials, the ID50 then per package,
25 whether it's a vial, or unit, or whatever your unit of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 interest is, how often the product is used, in this
2 case for Factor XI it's used individually or two or
3 three times during surgery. But, there are people
4 that use other sort of plasma derivatives that have
5 multiple or chronic need for use of these products,
6 and they are at higher risk if there is a risk
7 associated with that product.

8 Again, utilization may vary by severity of
9 the disease. We saw in some of the Factor XI patients
10 being treated, some were very mild, needed very little
11 of the product, some needed a lot. Again, those that
12 probably need a lot are at higher risk of variant CJD
13 transmission than those that received lower amounts
14 potentially.

15 Again, in our estimates of utilization I
16 think it's important to try to be as precise as
17 possible, since this is an important aspect of
18 exposure assessment.

19 Okay. So, what did we do for Factor XI?
20 Okay, so for the Factor XI risk assessment we looked
21 at utilization of the U.K.-manufactured Factor XI in
22 the U.S. by patients. Now, what we did was, we looked
23 at the scientific literature to get an idea of the
24 dosing. We looked at also other sources of
25 information for dosing, and what we came up with is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 three possible scenarios. And, what we try to do is
2 sort of have representative scenarios, an extreme
3 scenario, something that's more in the middle, and
4 then something that's at the low end, just to give you
5 an idea of risk, and that's what we are doing. This
6 is sort of a low estimate. A patient might receive
7 one treatment, a 60 kilogram patient might get 50
8 units. That would total about 3,000 units of product.
9 Scenario two, somebody might receive 9,000 units and
10 then 15,000 units if they get three or more
11 treatments, or they are particularly a large patient,
12 heavier, et cetera.

13 So, these are the three scenarios that we
14 use representing the extremes that we saw in the
15 literature.

16 Again, so to do the risk characterization
17 part. I just wanted to say some general comments
18 again about risk characterization. This is the
19 integration of the exposure assessment component, or
20 dose, and then the dose response information to
21 estimate risk. And remember, we don't have a good
22 estimate of the dose response relationship, so we are
23 probably going to apply a sort of more qualitative
24 estimate to that, to our risk.

25 TSE dose response information again is,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that information is lacking, so it's not possible to
2 precisely estimate the risk, and that's a severe
3 limitation here. I think it's important to emphasize,
4 though, what I'm doing here is what we call a TSE risk
5 assessment, but we are really characterizing exposure.
6 So, it's really sort of almost the end of the exposure
7 assessment stage, we go a little bit further, but not
8 much. So, I just wanted to give that clarification.

9 We can draw some limited qualitative
10 conclusions about risk. So, if we know the exposure
11 is extremely high, you can say, well, you know, there
12 is a risk there. If it's extremely low, then we can
13 say, well, there's very little risk there. So, I
14 think we can draw some sort of qualitative comparisons
15 by looking at these types of models.

16 I think you may want to circle this slide,
17 too, because this contains a summary of all of the
18 different parameters that went in and the different
19 statistical distributions. So again, the number of
20 variant CJD donations per pool of 20,000 donations, we
21 estimated a minimum of zero, most likely of two, which
22 works out to a mean of five donations per pool, and
23 then a maximum of 14, 20,000 donors in the pool, 200
24 mls - I'm sorry, 20,000 donations in the pool, 200 mls
25 per recovered plasma unit, these are the variant CJD

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 ID50s and on and on.

2 Again, we also have the log reduction in
3 there, and that's an important factor in driving the
4 risk and the risk estimate, and then we also have
5 information on the yield of Factor XI from the pool.
6 Again, these numbers are all feeding into our risk of
7 what the patients are actually receiving.

8 So, this is the final result of actually
9 all the effort of doing the model. What we've got is
10 our three scenarios of 3,000 units, 9,000 units,
11 15,000 units. We also did a calculation for 1,000
12 vial, and what the risk was for that, and then per
13 unit of Factor XI. So, these are all Factor XI, and
14 then these are the exposure estimates based on our
15 risk assessment.

16 So, for instance, you see a number for
17 3,000, six times 10^{-2} , or .06, for 9,000 it's .17, and
18 then for scenario three it's .28. I think at this
19 point I'll sort of just put an aside in and say that,
20 so how do we really interpret this information? And,
21 I think it's important at least to put some guide on
22 this information. So, this is an ID50, and if we sort
23 of did a strict interpretation of the linear dose
24 response for the ID50, I think as Anna or Doctor
25 Molesworth or Soldan described, what we would get is,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we would get .28 units would equivocate to about a 14
2 percent risk, so we would reduce this by 50 percent,
3 because it's an ID50, that would equate out to a risk
4 of about 14 percent.

5 So, if we had 100 individuals that all
6 received this dose, you might expect 14 of those to
7 potentially become infected with the disease. Now,
8 that doesn't mean that they are going to become ill,
9 and I think we also have to remember that this is an
10 animal ID50, and there are all the caveats of
11 uncertainties that come along with this estimate. And
12 remember, this is based on animal data, the units of
13 infectivity per ml of blood are based on animal data.
14 We also have the logs reduction, and that's based on
15 data - some data, but a lot of uncertainty there, and
16 on and on. And, we have all these assumptions going
17 into this model that have extreme uncertainty. So, I
18 would caution anybody sort of looking at this and
19 trying to do a direct interpretation.

20 I think I wanted to draw people's
21 attention to this, which we didn't actually try to do,
22 but 1,000 units, as the earlier presentation, this
23 equates out to .02, so this would equate out to about
24 a 1 percent risk, if we are using the U.K. approach to
25 this.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 And again, I think the other aspect of
2 this that's very important is to look at these, we not
3 only have these measures of central tendency so we are
4 using the mean, but we are also giving you the fifth
5 percentiles and the 95th percentiles. These express
6 the uncertainties within the model.

7 Now, the other big uncertainty that's not
8 really - that we can't express because we don't know
9 the uncertainty there, is again, this sort of
10 translation of what's an animal ID50 in comparison to
11 a human ID50. So, we can't capture that in these
12 estimates, so that's not there.

13 And, other estimates of things that aren't
14 in the model and their uncertainties aren't there
15 either. So, there is extreme uncertainty again in
16 these estimates.

17 All right, so let me go to the next slide,
18 which talks about models and uncertainty. I just
19 wanted to say that I think you have to keep these
20 models in perspective. I do this all the time, and,
21 you know, I try to keep this in perspective. I don't
22 say, this is an absolute, people are going to get ill,
23 blah, blah, blah. That doesn't mean - necessarily mean
24 that. A model reflects a mathematical approximation
25 of reality. Our model may be inaccurate and may not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 actually approximate reality very well. As we get
2 more data, it will, and we'll have less uncertainty,
3 but there is extreme uncertainty.

4 Now, the predicted risk, you have to
5 remember on this model, is a product of uncertainty in
6 the data and the assumptions, so we not only have the
7 data that are uncertain, but we also have assumptions
8 that we make are uncertain.

9 What we are doing is, we are using a
10 probabilistic model approach. We use statistical
11 distributions to capture the uncertainty that we know
12 about, again, there's unknown uncertainty in here that
13 we have to consider, and then what we do is, we use
14 what's called the Monte Carlo method, it randomly
15 chooses values from the distribution, so we have
16 distributions going up and down the model, and what we
17 are generating at the end is another distribution,
18 which is an aggregate distribution, a product of all
19 those distributions. So, it's just important to keep
20 this process in line, as to what we are actually
21 doing.

22 So, we repeat this, we choose randomly
23 from each of those distributions, repeat this process
24 thousands of iterations, and we get this huge
25 aggregate distribution at the end for the risk.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Let me just remind people that this is the
2 estimate, these are the distributions we are
3 generating, these are summaries of them, so that's
4 what we are doing, we are actually generating a
5 summary of the distributions that the model generates.

6 All right, so let me just sort of quickly
7 move on. Uncertainty arises from this lack of
8 information. Uncertainty also arises, another point
9 is a model uncertainty, so the model could be highly
10 uncertain, it could be incorrect, so there's uncertain
11 there. Express uncertain outcomes from the model
12 using measures of central tendency, and then the
13 uncertainty with confidence intervals.

14 Then, sort of moving on quickly, we've
15 mentioned sensitivity analysis, and that was mentioned
16 as a question in the previous talk. We actually did
17 do sensitivity analysis. I wanted to explain what
18 that is, so sensitivity analysis determines what
19 factors in the model have the greatest influence, and
20 we actually do that by varying parameters in the model
21 by percentages, for instance, 25 percent, 50 percent,
22 and so on.

23 And then, we observe those - the impact of
24 each of those portions of the model on the risk
25 estimate, so this can be done for multiple outcomes,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so we could do this looking at our ID50s for any of
2 those particular scenarios. We can do it for
3 potential illness if we had a dose response curve, et
4 cetera, and infections as well.

5 And, what it does is, sensitivity analysis
6 identifies factors in the model where additional
7 information would improve the risk assessment, so we
8 know those things are really driving the risk estimate
9 and they are highly uncertain. So, if we get more
10 information and we prove particular aspects of the
11 model, we can improve the final estimates.

12 So again, for the Factor XI risk
13 assessment, we specifically did a sensitivity
14 analysis, two major factors influenced risk. There
15 were certainly more, but the number of variant CJD
16 donations per plasma pool, of large influence on the
17 risk.

18 I wanted to put in, this doesn't
19 necessarily apply to Factor XI in the U.K., but it
20 does apply here, that for the United States the risk
21 reduction measures that we have in place are the donor
22 deferrals that get at this prevalence and try to
23 prevent individuals that are potentially infected with
24 variant CJD from getting into these plasma pools.

25 The second factor that sort of drives

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 risk, second most important, is the log reductions of
2 the variant CJD agents during the manufacture of the
3 product. Again, we have risk reduction measures, and
4 we look at the processing, and then try to predict the
5 levels of reduction, but again, you know, a good risk
6 management strategy, I think that was being alluded to
7 earlier, is that if you can get the reduction even
8 greater than you'll reduce the risk even further. So,
9 we think that this is a very valuable step in reducing
10 risk.

11 So, and I think it's important that these
12 processes be validated so we know, you know, what the
13 level of risk - you know, what level of agent is being
14 reduced and quantify the level of reduction that's
15 occurring during these processes.

16 Let me just sort of quickly move on.
17 There's model validation. I think we were sort of
18 getting to some of these issues about epidemiological
19 data and not having epidemiological data. In emerging
20 situations, and I would consider variant CJD much like
21 that, epidemiological data on outcomes may not be
22 available. Certainly for this new emerging issue of
23 hemophilia infectors and plasma derivatives in risk we
24 don't have any indication of cases coming from that,
25 so it's very hard to sort of estimate those risks.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, we do that using these risk assessments.

2 Now, lacking that data, formal model
3 validation may not be possible, and we sort of
4 acknowledge that up front. But, it's important, I
5 think, to anchor the components of the model with
6 data, so maybe the endpoints we are uncertain of a
7 little bit, but if we can get some of this intervening
8 stuff that are used to predict that risk, then we can
9 have a more certain estimate of the final outcomes,
10 and that's very important.

11 So, for instance, we have - we are gaining
12 more information and at times know the levels of TSE
13 clearance for specific products, we know about
14 utilization, those pieces are incorporated into our
15 model. But again, and this is what gave us sort of
16 the impetus to put in the surveillance data for the
17 variant CJD prevalence, is that empirical data and
18 epidemiological data are much preferred over risk
19 assessment estimates and model estimates.

20 I'd probably get fired for that from the
21 risk assessment group for saying that.

22 So, the objectives of risk assessment, I
23 think it's a useful tool in decision-making. What we
24 are really doing here is, we are determining, is there
25 a risk with this risk assessment, what's the magnitude

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of that risk? So, I think it's a very useful tool for
2 sort of starting, at least, visualizing what that risk
3 is, and then what the impact of risk reduction
4 measures are, and we can get to that in future risk
5 assessments as we develop this risk assessment
6 further.

7 An important part, as people were
8 mentioning, I think, you know, about the amount and
9 quantity in human blood of this agent, you know, to
10 identifying gaps and research priorities, and this can
11 be a useful tool for saying, hey look, if we had this
12 information we would know more about the risk. So,
13 it's very important as a tool for doing that, so we
14 have to really consider that carefully in looking at
15 the results of these risk assessments.

16 So again, the uncertainties, I think
17 everybody on the Committee certainly knows about all
18 of these things, you know, prevalence in the U.K. and
19 the USA, amount in the blood, and plasma, et cetera,
20 so we have a number of data gaps and a number of data
21 needs.

22 Conclusions of the risk assessment, so
23 potential exposure to variant CJD manufactured in the
24 U.K. and used under IND was estimated in the risk
25 assessment that we've done. It's possible that the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 product manufactured from U.K. plasma may have been
2 manufactured from plasma pools, and the model actually
3 predicts that, that it was manufactured from plasma
4 pools that may have contained or did contain plasma
5 donations from an individual that was incubating
6 variant CJD.

7 Again, to date, no recipients of plasma
8 derivatives in the U.K. or elsewhere have been
9 diagnosed, again, but given the potentially prolonged
10 incubation times those cases may be out there, but may
11 yet to be identified.

12 And, I wanted to acknowledge the people
13 that are part of this process. I don't do this
14 process alone. I have a lot of help from other people
15 and the area experts. So, there were a number of
16 people at the Centre, and this is a limited list, a
17 lot of people that aren't listed here also
18 contributed.

19 So, I thank you for your time.

20 CHAIRPERSON PRIOLA: Are there any
21 questions for Doctor Anderson from the Committee?

22 Doctor Salman?

23 DOCTOR SALMAN: Well, I appreciate all the
24 precaution and the explanation of the model. I think
25 it's very well done.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 My question, and it's maybe very general,
2 is, as you explained in the beginning the first step
3 in the risk assessment process is the hazard
4 identification. And, it seemed like, by just looking
5 at that, you came up with a conclusion it's almost
6 there is no hazards. And so, the process after that,
7 and I think you explain it very well, is mainly
8 characteristic or characterizing the exposure, rather
9 than risk assessment per se.

10 My concern is the table you presented,
11 because that really is not characterization of
12 exposure, that merely is you are talking here about
13 risk assessment, okay, and I think we need to be
14 aware, as you said, is the gaps in the data and the
15 assumptions you went with in all the process.

16 Most of the time is, when you have the
17 first step, hazard identification, to lead you to
18 that, there is no risk, then you stop there, but I
19 think it's, and you did it, I would say, very nicely,
20 is you followed that to maybe characterize the
21 exposure, and I think we need to differentiate between
22 the two.

23 The other thing is, the data presented in
24 that table, I believe, is related to the variability
25 rather than the uncertainty.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOCTOR ANDERSON: Well, it's actually
2 probably a little bit of both, so you are correct.

3 DOCTOR SALMAN: The 5 percentile and the 95
4 percentile, that's related to actually the variability
5 within your point estimates, rather than the
6 uncertainty in your point estimates.

7 DOCTOR ANDERSON: I guess what we can do
8 is, it actually captures both, and what we could do
9 is, we could go to another level of modeling, which is
10 to separate variability and uncertainty and really
11 even hone in on what those components are, and how
12 they contribute to those estimates.

13 But, we didn't do that, and, perhaps,
14 later on we will. But, you are correct.

15 CHAIRPERSON PRIOLA: Doctor Gaylor?

16 DOCTOR GAYLOR: Yes. I've gone through the
17 risk assessment each step in great detail, as Doctor
18 Anderson knows, and I agree with the framework that
19 the FDA has used here. It appears that they've
20 included all the important factors and elements, and
21 as has been said over and over, the problem is not the
22 framework of the risk assessment, but the data, the
23 numbers that we plug into it. They are both
24 assumptions and data uncertainties.

25 You had a slide near the end of your talk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 where you had two major factors that influence risk.
2 I would add a third one to that, and that's the ID50
3 per milliliter of plasma is another major factor.

4 And so, I agree with the approach. The
5 bottom line that I come up with at this point, with
6 the estimates that are available, and assuming that
7 the animal ID50 applies to humans, you come up with
8 risk estimates varying from near zero up to as high as
9 50 percent. That's a pretty wide range, and you say,
10 well, how useful is that going to be to the regulators
11 and decision-makers, but that's where we are at. The
12 risk, based on the data, and the assumptions, could be
13 quite high, could be as high as maybe 50 percent, but
14 equally likely as zero percent. So, we have a wide
15 range of uncertainty here.

16 DOCTOR ANDERSON: But, I think, if I can
17 just comment, I think one of the things we can do, in
18 effect, is reduction, and so we can reduce the level
19 of the agent that people are being exposed to, and
20 then we can do further validation studies to see, are
21 we actually affecting, do we ever get down to near
22 zero as far as the amount of agent that's in these
23 products, even if it comes from a contaminated batch
24 or a batch that has a donation or five donations in
25 it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I think one of the areas of focus for
2 us is really to sort of emphasize that. You know, log
3 reductions really can have a potential impact, and I
4 think that's - we don't have control over the
5 prevalence of a product that was manufactured in the
6 U.K., and the other way we control it here is, the
7 donor deferrals. And so, I think the value of the
8 risk assessment is, we can look at those different
9 mitigations and then try to predict what impact
10 they'll have on risk, and then you can determine,
11 well, is that an important benefit or not.

12 And so, I think that that's sort of the
13 value. I agree, we are highly uncertain as to our
14 risk estimate, so -

15 CHAIRPERSON PRIOLA: Doctor Gambetti?

16 DOCTOR GAMBETTI: In want to compliment
17 you, because it looks to me a very complete and
18 clearly presented study.

19 There is one point that I would like to
20 have some clarification. According to your
21 calculation, it looks like you have the likely
22 scenario is five donors were affected by variant CJD
23 in that pool of blood from which Factor XI had been
24 extracted.

25 At the same time, you also made the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 statement that no symptomatic, or no patient with
2 variant CJD, was demonstrated to be one of the donors.
3 So, is that the assumption that we are making here,
4 that all those five potential donors remained
5 asymptomatic?

6 DOCTOR ANDERSON: Potentially, or they
7 could become symptomatic at a later time and not be
8 caught by the system.

9 DOCTOR GAMBETTI: We go from '87 to '99, so
10 the least time here, assuming the incubation time, the
11 common figure for the incubation time for variant CJD,
12 is about ten years, so there would be time, at least
13 for some of those to have become symptomatic.

14 DOCTOR ANDERSON: And, some have, and
15 they've been traced back, but not to Factor XI.

16 DOCTOR GAMBETTI: In beg your pardon?

17 DOCTOR ANDERSON: Some patients have been
18 identified and traced to other products, just not
19 Factor XI, specifically.

20 DOCTOR GAMBETTI: So, there could be less
21 than this five?

22 DOCTOR ANDERSON: Again, I would say that
23 that's an assumption based on the current prevalence
24 estimate that we are using, based on this surveillance
25 data. But again, I don't really know of those five

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 what proportion of those will actually progress to
2 clinical disease.

3 So, it could be that all five of them
4 will, but what I suspect is that, you know, 90 percent
5 of them won't and maybe one will. So, I think there's
6 a large sort of population out there that's
7 potentially infected in the U.K., but they won't ever
8 progress. And so, that's part of this calculation at
9 this point in time, and so I think the U.K. and others
10 are using an estimate of what happens if we get one
11 infected donation per pool, but we are sort of saying,
12 well, that's fine if you are predicting based on the
13 number of clinical cases, but if you want to expand
14 that and include the non-clinical or latent cases then
15 we have to allow for this possibility that there could
16 be more than one infected.

17 DOCTOR GAMBETTI: In agree, I agree, that
18 is correct, but at the same time -

19 DOCTOR ANDERSON: So, we don't know what
20 that estimate really should be, and it could be five,
21 or it could be less, and we acknowledge that there are
22 limitations to the surveillance data.

23 DOCTOR GAMBETTI: No look, my question, I
24 understand all this, my question centers on the fact
25 that probably those hypothetical five are all, or most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of them, remain asymptomatic, so probably come from
2 that pool of patients who never really developed the
3 disease, so it's a special pool of patients that may
4 be different from those who have gone and developed
5 the disease. And, probably with those five we are
6 dealing with the pool of patients who never developed
7 the disease.

8 Is that right? Is that the assumption?

9 DOCTOR ANDERSON: I would say that that's
10 at least my working assumption, so, yeah, that's my
11 thinking on it.

12 CHAIRPERSON PRIOLA: Doctor Allen and then
13 Doctor Hogan.

14 DOCTOR ALLEN: I guess my question is sort
15 of a corollary of Doctor Gambetti's. The Hilton data,
16 obviously, were very important in your establishing
17 your presumed risk up front. Have you, or have our
18 British colleagues, examined the similarities between
19 the population that went into the Hilton data and the
20 population of blood donors in the U.K.?

21 DOCTOR ANDERSON: I think I would leave
22 that to - can either of the -

23 CHAIRPERSON PRIOLA: Would either Doctor
24 Molesworth or Doctor -

25 DOCTOR BIRD: If I could just comment on

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that.

2 CHAIRPERSON PRIOLA: Could you identify
3 yourself, please?

4 DOCTOR BIRD: Sheila Bird, from the Medical
5 Research Council's Biostatistics Unit.

6 The majority of the tissues in the Hilton
7 study were from people aged ten to 30, at the time of
8 operation in 1995 to 1999, and so rather than use a
9 multiplier of the total U.K. population it may be more
10 appropriate to use a multiplier which is closer to
11 either 12 million or 24 million, in respect of that
12 particular age range. And, the problem with the
13 surveillance at present is that we have very limited
14 surveillance data for people over the age of 50. So,
15 that might take down your estimate of five.

16 DOCTOR ANDERSON: Right.

17 DOCTOR BIRD: If you bear in mind that that
18 surveillance was targeted at the high-risk, in terms
19 of clinical cases, age group of 10 to 30.

20 DOCTOR ANDERSON: Right.

21 DOCTOR BIRD: There are also data from John
22 Collinge, who tested, I think, about 2,000 tonsil
23 specimens, and I think there were no positives in
24 that.

25 So, mentally, you might roughly expand

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that to three positives out of about 15,000.

2 DOCTOR SOLDAN: If I could just add to
3 that, that the average age of blood donations, of
4 blood donors in the U.K., is around 40 or over. So,
5 the 20,000 of our donors in the pool -

6 DOCTOR ANDERSON: And then, for plasma
7 donors is it less?

8 DOCTOR SOLDAN: No, well, it was the same
9 donors, so we were the same donors fractioned for
10 plasma, so I think that's a very important point.

11 And also, just to comment on that, that
12 again the use of that three, that two of those samples
13 were of an atypical pattern, which I know you are
14 assuming to represent infection equivalent to the one
15 that was typical.

16 CHAIRPERSON PRIOLA: Doctor Scott, do you
17 have a comment?

18 DOCTOR SCOTT: Yes, and I think that Doctor
19 Soldan is referring to the possibility of false
20 positives, which is referred to in the Hilton paper,
21 because it was an atypical pattern of staining in two
22 out of three of the positives.

23 The other thing I wanted to point out is
24 that, I gather from the paper that the finding of the
25 three positives out of approximately 12,000 was after

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they began only looking at appendectomy samples and
2 maybe a few tonsil samples, of people aged 20 to 29.
3 And so, that's really the group we are looking at,
4 which isn't going to completely overlap or perfectly
5 overlap the population of donors as we've just heard.

6 So, we do agree that that is something
7 that potentially could be adjusted if we could get
8 more information.

9 CHAIRPERSON PRIOLA: Doctor Hogan?

10 DOCTOR HOGAN: This issue comes up all the
11 time when we talk about corneal donors versus
12 infections, and you use the surveillance data and come
13 up with the five in the donor pool, how did you come
14 up with the number of two most likely out of five to
15 donate? That is, you are sort of assuming that five
16 - all or half of these patients will donate. What's
17 the prevalence data in terms of donation in the U.K.,
18 how many individuals out of how many population
19 donate, and could that affect this calculation?

20 Do you understand my question?

21 DOCTOR SOLDAN: Well, I'll refer whether it
22 would affect your data to the risk assessment team,
23 but it's about 7 percent in the U.K. population, it's
24 roughly around 7 percent of the eligible age group
25 population in the U.K. donate blood, but how that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would affect -

2 DOCTOR ANDERSON: Right, but I think the
3 actual distribution, we just look at particular types
4 of distributions when we do this modeling, and
5 actually, whether it was two or whether it was five,
6 there's a wide swath of things coming down around
7 five, actually. So, it happens to go, because that's
8 the average that we've plugged into the model as well,
9 of five, so two ends up being the most likely. We had
10 put that in because we are defining the other
11 distributions by most likely. But, I put in that sort
12 of qualifier of the mean as well, just to clarify that
13 point.

14 DOCTOR HOGAN: My only point is that you
15 can't assume that people with the disease will be
16 donors. I know you have to assume that for your risk
17 model, but there's a lot of people that wouldn't even
18 do it for various reasons, wouldn't even be in the
19 donor pool.

20 DOCTOR ANDERSON: Right, but you would
21 assume there's not some pre-selection, you would
22 assume it's just a random sample of the population,
23 and it may be only 60 percent of the population is
24 qualified to donate, let's say, but you would assume
25 that's a random sample of the population.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON PRIOLA: Doctor Belay?

2 DOCTOR BELAY: Yeah, Mo raised this
3 question, actually, but I didn't have the answer for
4 it. You repeatedly said the ID50 was derived from
5 animal studies, and you selected to use the icID50,
6 and adjusted it for intravenous - the intravenous
7 route.

8 DOCTOR ANDERSON: Right.

9 DOCTOR BELAY: Now, my question is, why did
10 not - why didn't you use the ivID50 directly, is it
11 because the data are -

12 DOCTOR ANDERSON: There aren't a lot of
13 data - a lot of the data aren't generated for - it's
14 generated for icID50, so we had to actually take all
15 that data and convert it to ivID50.

16 DOCTOR BELAY: ivID50 is not available,
17 it's not tested, or not published?

18 DOCTOR ANDERSON: I don't believe there's
19 any data in the published literature where they did,
20 specifically, ivID50, except they were probably trying
21 to get at that with the Houston study that was earlier
22 mentioned.

23 CHAIRPERSON PRIOLA: There are probably
24 some old Kimberlin studies in mice or hamsters that
25 are done, he did a lot of that.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOCTOR ANDERSON: Okay.

2 CHAIRPERSON PRIOLA: There might be some
3 data there for iv, but it would be probably 20 years
4 ago.

5 Doctor Bracey?

6 DOCTOR BRACEY: Yeah, just a point of
7 clarification. In terms of the comment about the ID50
8 and the most likely being ten, and that being based on
9 the data from Brown, somehow I recall that that data
10 related to animals that were symptomatic, and, in
11 fact, that earlier when the animals were tested that
12 were asymptomatic there, in essence, was no
13 transmission. Could you comment on that?

14 DOCTOR ANDERSON: Well, our assumption for
15 the model is that an individual or animal will be -
16 will have agent in their bloodstream throughout the
17 entire incubation period. So, that's a conservative
18 assumption, but we acknowledge that the animal studies
19 actually show, or certain animal studies show that
20 probably for the first half of the incubation period
21 there's probably not infective agent in the blood, but
22 for the second half of the incubation period in
23 animals there is infectivity in the blood. So,
24 several experiments do show that, but again, we don't
25 have the human data, so what our conservative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assumption is for the entire incubation period that
2 agent is in the blood.

3 DOCTOR BRACEY: Right, I think it's a
4 significantly conservative projection, in that, again,
5 when the animals are in the asymptomatic state there
6 hasn't been proof of that transmission.

7 DOCTOR ANDERSON: I think it's important
8 also that what this risk assessment is, is more, you
9 know, a public health tool. So, we weren't
10 specifically targeting this towards clinical
11 predictions, et cetera, but really as a public health
12 tool. So, we do have a tendency to err on the side of
13 sort of conservative estimates.

14 CHAIRPERSON PRIOLA: Okay.

15 I think we'll move on to the final
16 speaker, and that's Doctor Sehulster, who is going to
17 talk about recommendations for surgical instruments
18 used on TSE patients.

19 DOCTOR SEHULSTER: Well, good morning,
20 Committee Members and guests in our audience. Can you
21 hear me now? Okay.

22 In the interest of time, I know we are
23 running very, very late, I'll try to keep my comments
24 very brief, and, basically, much of what I will review
25 this morning is already available on the internet,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 either from the WHO website or from two pages within
2 the CDC website. Much of the material from CDC is
3 already cleared guidance that is either available as
4 a question/answer format in that first page of CDC, or
5 in the guidelines for infection control in dental
6 health care settings, and so they do cover very
7 briefly CJD transmission issues in dentistry.

8 And, basically, what we do in terms of
9 instrument management and developing a strategy for
10 surgical and dental instrument management, basically,
11 can be summarized into three major elements.

12 The first would be patient status, and now
13 we recognize that certainly this is helpful,
14 especially if you know the risk factor history or the
15 medical status of the patient, certainly the decision-
16 making process is easier for the confirmed or
17 suspected patient with CJD. It gets a little tricky
18 when you are dealing with a great deal of unknowns, as
19 we'll see a bit later.

20 The other element to consider is potential
21 tissue infectivity level and certainly from the WHO
22 conference in 1999 the consensus is that we can divide
23 tissues into either high-level infectivity or low-
24 level infectivity, and certainly those that do not
25 fall in those two categories are thought to be little

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 or no infectivity.

2 And finally, the other element of
3 consideration is the instruments used in the
4 procedures, and the potential for those instruments to
5 make contact with tissues, particularly, of the high-
6 level infectivity group.

7 Now, with respect to patients who are
8 confirmed or suspected of having CJD, at least in the
9 U.S. where fortunately for our purposes we are looking
10 primarily at classic CJD, the material from Table 2 in
11 the WHO document, basically, identifies the high-level
12 infectivity tissues to brain, spinal cord and the
13 eyes. Again, this is in the confirmed or suspected
14 patient.

15 Low level infectivity tissues are a bit
16 more broad. We have either spinal fluid, kidneys,
17 liver and lungs, lymph nodes, spleen and placenta, and
18 we do recognize that in dealing with variant CJD other
19 tissues in the lymphoreticular system are certainly of
20 concern.

21 Now, in the U.S. the primary procedure of
22 concern is that of neurosurgery, but not all
23 neurosurgeries are considered a high-risk procedure,
24 and in this regard we focus our attention on persons,
25 especially those who are suspect or confirmed cases of

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CJD to the neurosurgical procedures performed on these
2 persons.

3 If there are neurosurgeries that are
4 performed for the purpose of diagnoses, or to obtain
5 a non-lesionous biopsy, these are also procedures that
6 may present with a high clinical suspicion that
7 there's a potential for CJD transmission.

8 One thing to consider is the demographics
9 of CJD patients, certainly in the U.S., when
10 evaluating the potential for the neurosurgery to pose
11 a risk to subsequent patients if nothing extraordinary
12 is done for the instruments. And certainly, we would
13 say in our estimation that biopsies in neurosurgery
14 performed, for example, on a pediatric patient, say,
15 less than ten years of age, may not really fall into
16 the category of a procedure of concern, whereas, a
17 diagnostic neurosurgery on a person in their 60s or
18 70s may raise the question, should you take
19 precautions when managing the instruments?

20 This table, again, captures the essence of
21 guidance that we have on the CDC website. It's
22 formatted to resemble the table that is in the WHO
23 document, and frankly, to simplify it what I did was,
24 in trying to determine decontamination options Annex
25 III refers back to the WHO document, where they list

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the different methods and the strategies for
2 instrument reprocessing. And, I think what is very
3 obvious is that when you have a confirmed or suspected
4 CJD patient when you are dealing with high infectivity
5 tissues or low infectivity tissues it's prudent to use
6 the procedures outlined in Annex III.

7 After that point, things get a little bit
8 fuzzy, in terms of consensus of opinion, and certainly
9 in reviewing the WHO document we note that for persons
10 who are family relatives of inheritable forms of TSE
11 there is a different sub-opinion, and so this is one
12 of the reasons why I have put Annex III, but listed it
13 as a point of debate because there really is
14 continuing debate on this particular guidance.

15 And then, when you have tissue contact for
16 those organs and tissues with no or little infectivity
17 routine reprocessing procedures are appropriate for
18 that group.

19 Now, in the WHO document, they certainly,
20 as you recall, mention that the absolute safest
21 approach to instrument management is to consider all
22 instruments, particularly, those that are in contact
23 with high-level infectivity tissues as a single use,
24 and to dispose of them by incineration. But, that is
25 not a very practical approach for many facilities, and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 so, consequently, they list a series of methods for
2 decontamination that can serve as alternatives.

3 And, what I've done in this and the next
4 slide is to just remind the group of the operational
5 parameters of these methods. In our estimation, the
6 first three of the methods, and there are about five
7 or six, we deem appropriate for use in the U.S.
8 healthcare system, and so what you see are, basically,
9 a combination of chemical and physical methods to
10 effect prion inactivation.

11 And, this particular method, that that
12 combines sodium hydroxide as an immersion chemical,
13 autoclaving instruments while immerse in sodium
14 hydroxide appears as the first of these methods, and
15 we certainly recognize that this can be a hazardous
16 process, not only for the sterilizer equipment, there
17 are occupational health issues, and there have been
18 concerns about how the instruments come from this
19 process, and what is the effect on the instruments.

20 The second and third methods, again, I'm
21 not going to reiterate the fine details, suffice it to
22 say that method number two is still an immersion type
23 method, the difference being, though, that the
24 instruments are taken from the immersion chemical -
25 excuse me, the items that are put into either sodium

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 hydroxide or sodium hypochlorite, they are transferred
2 then to water, again, immersed in water following that
3 chemical exposure, and put into gravity-displacement
4 autoclave.

5 The third method, I'm a little ahead of
6 myself, the third method is the one where after
7 exposure to chemical the instrument is taken from that
8 chemical, dried, and then put into the autoclave for
9 the time and temperature described.

10 Those were methods that are suitable for
11 heat-resistant instruments. Obviously, we have a
12 large category of heat-sensitive instruments available
13 in healthcare, and so the question becomes, how do we
14 deal with the heat-sensitive methods?

15 The guidance is directed at, again, the
16 confirmed or suspected CJD patient, and the most
17 conservative approach is to discard the instrument.
18 Again, if that is not a feasible option, the other
19 method you can use is to either immerse or to at least
20 flood the surface of the instrument with sodium
21 hydroxide or sodium hypochlorite, let stand for an
22 hour, and then after rinsing and cleaning the
23 instrument use a low-temperature process that you have
24 of choice.

25 One of the things that has come up

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 repeatedly are questions about, again, the effects of
2 these chemical and autoclaving methods on instruments,
3 and we are especially grateful to our colleagues in
4 FDA who have undertaken research to examine these
5 issues, because we get asked these questions quite a
6 lot. The group at FDA looked at different kinds of
7 instruments, the quality of materials involved, and
8 then the effect on these instruments when exposed to
9 either sodium hydroxide, sodium hypochlorite, and
10 again, in conjunction with the physical reprocessing.

11 And, basically, I think in a very simple
12 view the sodium hypochlorite will potentially have
13 greater effect, a greater negative effect, on the
14 instruments compared to that of sodium hydroxide.
15 What they found with sodium hydroxide, primarily, was
16 that you had more of a cosmetic effect, a
17 discoloration, but the overall function of the
18 instrument was less adversely affected compared to
19 that effect for sodium hypochlorite. The consequence
20 is that the combination method with sodium hydroxide
21 and autoclaving can be an effective tool with minimal
22 damage to the instruments.

23 Now, those are our methods and operations
24 that are helpful when you know in advance the status
25 of your patient and can devise your reprocessing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 strategy accordingly. There were, however, a number
2 of episodes that came to our attention over the past
3 few years, where a patient was undergoing a
4 neurosurgical procedure, it was determined after the
5 procedure that the diagnosis was, in fact, CJD, and
6 this dilemma is, now what do you do with the
7 instruments, and how do you manage subsequent
8 exposures and potentially notification to patients?

9 I'm going to limit my comments strictly to
10 the instruments, because notification, as you've seen
11 this morning, is a very, very delicate thing. But, as
12 a result of this the Joint Commission for the
13 Accreditation of Healthcare Organizations determined
14 it was appropriate to issue what they call a sentinel
15 alert, and major points of the sentinel alert were
16 that, be aware that there atypical clinical
17 presentations of patients, and that they don't always
18 fit the mold for the classic symptoms.

19 One of the elements that worked against
20 facilities was a lengthy time between the collection
21 of the biopsy specimen and when the final pathology
22 report was released. This interval is very difficult
23 to be dealing with, and so, consequently, the advice
24 of the Joint Commission was to take whatever measures
25 you can do to shorten that interval to the shortest

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 possible time.

2 And then, this gets back to the process or
3 the practice of quarantining instruments. The Joint
4 Commission Advisory again suggested that neurosurgical
5 instruments should not be reused while the diagnosis
6 is pending.

7 In that regard, the Joint Commission
8 recommended to healthcare facilities that they have
9 policies and procedures in place so that they can
10 determine a strategy ahead of time and act
11 accordingly. And, they also made a formal
12 recommendation that instruments be quarantined as they
13 are waiting a diagnosis coming back.

14 Now, this is where we get more into the
15 practical advice. This is not crystallized into a
16 formal recommendation, but these were just some of the
17 ideas that came out of discussions with healthcare
18 facilities at the time. And, with regard to
19 quarantine, quarantining, basically, is just setting
20 the instruments aside until you have information to
21 take action with. This really is a useful method, but
22 there are some factors that need to be in place in
23 order to make it a very practical approach.

24 The first is, again, this is if that time
25 interval between the surgery and the final diagnosis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 being returned is very short, the shorter the better.

2 Some facilities cannot do a quarantine
3 approach because they lack the inventory, sufficient
4 copies, sufficient duplications of instruments, to
5 allow a set of neurosurgical instruments to be set
6 aside without affecting the flow of the work in the
7 surgical unit. So, if you are going to do a
8 quarantine approach, it's beneficial to have
9 sufficient inventory on hand to allow you that buffer,
10 as it were.

11 More importantly, though, and this comes
12 from research in Europe, particularly, using steel
13 instruments, is if prion infected material is allowed
14 to dry it becomes much harder, much more harder to
15 inactivate. And so, the important factor in
16 quarantining is that the instruments ideally should be
17 kept moist during the entire period of quarantine, so
18 that you can be working with a factor that facilitates
19 and enhances the success of your prion reprocessing.

20 Now, what to do about exposing potential
21 exposures to patients, in the event that the
22 instruments somehow are returned back to central
23 sterile supply, and you are trying to determine a
24 strategy for management in this case. I think ideally
25 most people take the approach, well, we will reprocess

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the instruments at this point, move forward with a
2 prion-specific form of decontamination, so that
3 downstream from this event all the subsequent surgical
4 patients have the benefit of a prion inactivation
5 process on those instruments.

6 One of the things that, perhaps, helps in
7 tracking which instruments were involved in the
8 surgery of concern is to implement an instrument
9 tracking approach, where you identify either the tray
10 or key surgical instruments, so you can focus your
11 risk management and risk assessment process to those
12 patients who are directly affected, instead of all
13 your surgical patients.

14 And finally, one element that appeared in
15 a situation that happened in the past few months is
16 the fact that if you have neurosurgery instruments,
17 you've got your tray of neurosurgical instruments,
18 there's going to be some instruments in that tray
19 which you may have common to other surgeries. It's
20 prudent to restrict those instruments, that in your
21 neurosurgical tray, to that tray, and not spread them
22 all over into other trays for other surgeries. So, we
23 would advise in a practical sense to keep those
24 instruments in the neurosurgery trays and keep them
25 there.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Now, one of the things that we are
2 certainly aware of is the fact that much of the
3 information about prion inactivation comes from an
4 area that doesn't exactly match what we do in central
5 sterile reprocessing in healthcare. And, there are
6 groups in the world today who are starting to take a
7 look at this, in terms of evaluating decontamination
8 processes, cleaning and terminal reprocessing, and the
9 effect on prions, so that you have a closer fit to the
10 practices we have today.

11 There are one or two papers that have
12 started to look at this, as I mentioned. They are
13 taking a look at the effects of different chemicals
14 used as cleaners. The two most common categories
15 would be those of enzymatic cleaners or alkaline
16 cleaners, and the low temperature reprocessing arena
17 has not been represented in previous studies up until
18 now, where groups are starting to look at how prion
19 inactivation can be effective using, say, peracetic
20 acid systems, or hydrogen peroxide gas plasma. The
21 evidence is slowly coming in, and it is very
22 interesting to evaluate.

23 Other areas that we feel bear some
24 interest is to look at the effect of repeated cycles
25 of cleaning and conventional autoclaving and see what

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 effect that has on prion decontamination, and then
2 there are questions that always need answers that may
3 be very difficult to do, and that is to see if there
4 are processes we do in central sterile reprocessing
5 that could inadvertently spread prion contamination to
6 other equipment, and what measures do we need to take
7 to minimize this from happening. So, these are areas
8 where we desperately need research and answers.

9 And finally, with dentistry, the guidance,
10 or I should say, the statements that are in the CDC
11 dental infection control guidelines is interesting,
12 because our Division of Oral Health had looked at case
13 control studies and the studies that tried to look for
14 prion presence in pulp and the facial nerves, and the
15 statements are offered for consideration without
16 recommendation, which means that they feel more
17 information would be beneficial to evaluate before
18 they come out with a hard and fast statement.

19 But, at the moment, what they are
20 suggesting is that, again, if you have single-use
21 items, or items that might be difficult to clean, to
22 consider them disposable and do so accordingly.

23 As with surgical instruments, the idea is
24 to keep instruments moist. Again, these are if you
25 are working with a known or suspected CJD patient, or

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 in the case of Europe, a variant CJD patient, to keep
2 instruments moist until such time as they can be
3 cleaned and decontaminated.

4 Again, because of the lack of evidence
5 that has documented transmission, they suggest the
6 method that is listed in the WHO for autoclaving 18
7 minutes at 134 degrees Centigrade, and they also do
8 not recommend, as some dental practices may do, do not
9 flash sterilize the instruments, and as you know flash
10 sterilization is a process whereby the temperature is
11 higher than the conventional reprocessing, but the
12 cycle time is shortened.

13 And again, this summarizes the current
14 position of the Division of Oral Health. They feel
15 that in dentistry today the risk of transmission for
16 CJD in dental treatment is low, and at this point
17 we've not had documentation of quantities of prions in
18 human oral tissues, and also to date there have been
19 no published reports of an association of CJD
20 transmission with dental treatment. But again, they
21 are continuing to evaluate the literature, and they
22 are leaving the door open for, as what they might call
23 a mid-course correction, as more information comes to
24 the literature.

25 And just finally to close, one of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 areas that I think really does have a fair amount of
2 debate ongoing is the management of the healthy, at-
3 risk patient, and at this point -

4 EXECUTIVE SECRETARY FREAS: Doctor
5 Sehulster, you have run considerably over, if you
6 could just wrap it up we'd appreciate it.

7 DOCTOR SEHULSTER: Okay, this is my last
8 slide.

9 Just to say that, at this point we have a
10 group of interest, again, the blood relatives of
11 familial CJD patients, the groups that have either a
12 risk factor history, such as human growth hormone, or
13 dura procedures, are more problematic to assess,
14 simply because it's been our experience that the
15 patient recall of these elements in their medical
16 history is not as strong as we would like it, and,
17 consequently, it's difficult for these persons in all
18 cases to be identified previous or prior to their
19 surgeries.

20 So, this is something that we are
21 continuing to look at, and as we get a heightened
22 awareness of prion risk factors and the epidemiology
23 of prion transmission, this may be another area where
24 guidance might be modified.

25 That's it.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON PRIOLA: Okay, thank you,
2 Doctor Schulster.

3 I think in the interest of time we are
4 considerably over, we should take a break, we've been
5 sitting here for over three hours, and I think we need
6 about a ten-minute break, and we'll come back to the
7 open public hearing, and then committee discussion on
8 the questions posed, and, hopefully, move on to Topic
9 2.

10 So, everybody back at about 11:35.

11 EXECUTIVE SECRETARY FREAS: If Doctor Coker
12 could come to the front table during the break I'd
13 appreciate it.

14 (Whereupon, at 11:28 a.m., a recess until
15 11:40 a.m.)

16 EXECUTIVE SECRETARY FREAS: If I could ask
17 everybody to take their seats, we are going to go
18 ahead and resume the meeting.

19 CHAIRPERSON PRIOLA: If the Committee
20 Members could take their seats we need to get started
21 again, please.

22 EXECUTIVE SECRETARY FREAS: As part of the
23 open public hearing, or as part of the Public Advisory
24 Committee process, we hold open public hearings so
25 that members of the public who are not on the agenda

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 will have an opportunity to make comments to the
2 Committee.

3 Ms. Chairperson, at this time I've
4 received one written submission, and that written
5 submission is in the red folders on the Committee
6 Members table, and also in the viewing folder on the
7 public table outside the auditorium.

8 I have also received four requests for
9 oral presentations, one request this morning and three
10 in the afternoon. These presentations will be limited
11 to a maximum of five minutes. The presenters are
12 asked to state any financial involvements that they
13 may have with any firms or products they wish to
14 discuss.

15 The first presenter will be Doctor Samuel
16 Coker, Ph.D., Principal Scientists and Technical
17 Director of Pall Medical, and he's going to be
18 discussing studies of the new Pall "smart" filter
19 technology. But, before he does so, our Chair has the
20 standard required announcement for this open public
21 hearing.

22 CHAIRPERSON PRIOLA: Both the Food and Drug
23 Administration and the public believe in a transparent
24 process for information gathering and decision-making.
25 To ensure such transparency at the open public hearing

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 session of the Advisory Committee meeting FDA believes
2 that it is important to understand the context of an
3 individual's presentation. For this reason, FDA
4 encourages you, the open public hearing speaker, at
5 the beginning of your written or oral statement to
6 advise the Committee of any financial relationship
7 that you may have with any company or any group that
8 is likely to be impacted by the topic of this meeting.

9 For example, the financial information may
10 include the company's or a group's payment of your
11 travel, lodging or other expenses in connection with
12 you attendance at the meeting.

13 Likewise, FDA encourages you at the
14 beginning of your statement to advise the Committee if
15 you do not have any such financial relationships. If
16 you choose not to address this issue of financial
17 relationships at the beginning of your statement it
18 will not preclude you from speaking.

19 So, with that, can we have Doctor Coker?

20 DOCTOR COKER: Thank you very much for the
21 opportunity to address the Committee.

22 I am an employee of Pall Corporation, so
23 I have a financial interest in the company.

24 Thank you very much. This presentation is
25 actually in response to the concern that the Committee

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 has regarding the possibility of the second wave of
2 variant CJD, and also for the encouragement for new
3 technologies, as well as approaches they may be taking
4 to address the - to reduce the transmission of vCJD
5 through blood transfusion.

6 So, what I'm going to present to you is
7 the exciting new technology from Pall Corporation that
8 may have reduced the transmission of vCJD through
9 blood.

10 Some of the work that we've done will
11 address specifically the removal of variant CJD. As
12 most of you have heard this morning, the transmission
13 of variant CJD had already been confirmed, at least in
14 two cases in the U.K.

15 There is still a serious concern about the
16 second possibility of a second wave of this serious
17 disease. The approach that was taken at Pall, for -
18 filtration technology, what we had done basic is to
19 use our core technology to develop a "smart" filter
20 that will specifically remove, not only the white cell
21 but also any pathogens, especially the infectious
22 prion. This particular technology is not a ligand-
23 based technology, it's based on the technology that we
24 developed at Pall Corporation.

25 Some of the validation work that I will be

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 sharing some of the information with you, basically,
2 is based on standard validation protocol that have
3 been used in other various validation programs.

4 Most of the work actually will revolve
5 mainly about the low titer, because this morning there
6 was the concern about endogenous infectivity, and
7 already by some of these models, in this particular
8 model what we did, basically, was to use a scrapie
9 infection, infected a lot of hamsters, collect blood
10 from the hamsters that are endogenously infected with
11 this particular scrapie.

12 Once they are infected, we collected the
13 blood from the scrapies and processed them into the
14 red cells. The red cells are then filtered with this
15 new technology, and some of the results that we have
16 are shown here, using the Western Blot to monitor the
17 level of infectivity before and after filtration.

18 As you can see, the level of infectious
19 prion before filtration is actually very, very low,
20 and this is after you've concentrated the blood by
21 about 50 fold. At the end of the filtration process
22 itself, all of the infectious prion had been removed
23 from blood, and this is what happens with the Western
24 Blot.

25 The next part of the studies did take this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 particular blood that had been processed with this
2 filter and inject it directly intracerebrally into a
3 series of hamsters and monitor them over a period of
4 time, and to see whether they develop any scrapie
5 disease at all.

6 And, basically, what we found was that the
7 animals that received the unfiltered material, which
8 is on the right-hand side here, two of them developed
9 clinical signs of the disease.

10 At the end of that, when we look at the
11 brain to see the presence of any infectious prion
12 material, we identified a third one that did not show
13 clinical sign of the disease, but was actually
14 carrying the proteinase K-resistant form.

15 When we look at the hamsters that received
16 the blood that had been processed with a new filter
17 from Pall Corporation, none of them developed clinical
18 signs of the disease, and there was no presence of
19 infectious prion in the brain. So, this is
20 demonstrating that most of the hamsters are adequately
21 protected from developing scrapie.

22 The next part of work is to now take this
23 observation and move straight forward to human variant
24 CJD, to see whether the animal model of scrapie can
25 allow us to extrapolate to what happens in human. So,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we use a mouse-adapted human vCJD material, and,
2 essentially, what happens was, you take this
3 transgenic mice, you inject intracerebrally with human
4 variant CJD, and at the end of that we collect the
5 brain material, extract the human vCJD and spike it
6 into red cells.

7 We repeat the experiment again, we measure
8 the level of infectious material in the blood before
9 filtration and also at the end of the filtration
10 process itself, and, basically, what we found was,
11 before filtration that was the presence of - using the
12 Western Blot, we could see the presence of infection
13 prion. This is the human form, not the scrapie, and
14 at the end of the filtration process all of the
15 infectious prion had been removed from blood.

16 The next part of my study, now will
17 demonstrate that you can remove the infectious prion,
18 the next question is, what is the quality of the red
19 cell at the end of the process? So, I'll be sharing
20 with you some of the work that we have done to
21 demonstrate that the red cell at the end of the
22 processing still maintained all the above physical and
23 biochemical properties, so the process that we've
24 developed has very little on the quality of the cells.

25 We look at the level of white cell removal

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 also with this particular filter, and that's something
2 I need to stress, is that this particular filter, not
3 only removed the infectious prion, but also has the
4 additional benefit of removing all of the white cells.
5 The white cells are removed to the level of -
6 releases, which is the most stringent requirement
7 currently.

8 We look at the level of red cell hemolysis
9 over a period of time, as you can see here, the most
10 stringent requirement from the Council of Europe was
11 a level of about 0.8 percent hemolysis. When we look
12 at the red cell over the study period, the level of
13 hemolysis is well below the required guidelines.

14 We also look at another form of red cell
15 preparation, this is CPDA blood, again, the level of
16 hemolysis at the end of the study period is still well
17 within the required guidelines.

18 We look at what we call in here is about
19 physical measurements of the ability of the cell to
20 deform or to carry out its normal function of oxygen
21 transportation, and again, there were physical
22 properties very well maintained.

23 All of these data suggest that, not only
24 do we remove the infectious prion, but also that the
25 quality of the cells at the end of the processing is

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 very well maintained.

2 So, in summary, what we've demonstrated to
3 you is to use a low titer infectivity study, using
4 endogenous infectivity, and we've been able to
5 demonstrate that we can remove infectious prion from
6 blood below the level of sensitivity of the Western
7 Blot, and when these filter materials were injected
8 into hamsters, the ones that received the filter
9 material did not develop any clinical signs at all of
10 scrapie, and when we look at the brain material there
11 was no presence of infectious prion.

12 We repeated the experiment using human
13 material, and we were also able to demonstrate
14 conclusively that the new filter removed, not only a
15 scrapie, but also human vCJD material.

16 So, in conclusion, using this particular
17 filter may help address the concern that the Committee
18 may have in regards to the transmission of vCJD.

19 Thank you very much for the opportunity.

20 EXECUTIVE SECRETARY FREAS: Thank you for
21 your presentation.

22 Is there anyone else in the audience at
23 this time who would like to address the Committee on
24 issues related to the discussion this morning?

25 Yes, Doctor Cavanaugh.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Please, give your name and state any
2 affiliations.

3 MR. CAVANAUGH: Thank you for the
4 promotion, it's Mr. Cavanaugh.

5 I'm Dave Cavanaugh, Government Relations
6 Staff for the Committee of 10,000.

7 Our bylaws prohibit us to receive any
8 financial backing from any fractionator organization
9 or any other manufacturer of supplies being discussed
10 here.

11 I'm trying to gather some of the earlier
12 and later presentations we've heard this morning.
13 Unfortunately, I'm not an expert on Factor XI, so I
14 can't exactly speak to that.

15 We have one published article about
16 presumed blood-borne transmission yielding a
17 symptomatic case of vCJD in England, another found in
18 the spleen from a non-CJD symptomatic person later
19 last year.

20 From that, the U.K. wrote 6,000 letters to
21 people with hemophilia, warning them that they were at
22 risk, telling them to see their doctors, and tell them
23 that, and their dentists, and not telling them what to
24 do about it, not counseling them about stigma.

25 I don't know if in the U.K. with national

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 health your doctor can fire you, but in the early days
2 of AIDS back here that's what happened, people went to
3 their regular doctor, got a positive test, and were
4 told don't come back. They are experiencing stigma
5 over there, it's a very different situation, I'm not
6 going to draw any parallels, because I'm uninformed on
7 it, but it's a smaller country and they have a larger
8 amount of symptomatic CJD, so it's not an easy time
9 for them.

10 What I take out of that is the need to say
11 here, with some alarm, blood donors get vCJD from
12 eating infected meat. USDA is inspecting 1 percent of
13 the cattle in this country per year, aiming at the
14 most symptomatic. We learned from the U.K. hemophilia
15 experience, if you will, that long-term infections
16 become a factor of experience, or blood experience,
17 that we are talking about an eight, nine, ten-year
18 incubation period. Please, don't be complacent that
19 non-symptomatic humans or animals are not infectious
20 with CJD.

21 Thank you.

22 EXECUTIVE SECRETARY FREAS: Thank you very
23 much for your comments.

24 Is there anyone else in the audience who
25 would like to address the Committee at this time?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Seeing none, Doctor Priola, In turn the
2 meeting over to you.

3 CHAIRPERSON PRIOLA: Okay. This is the part
4 on the schedule where the Committee discusses and
5 votes on the issue presented to them by FDA, and if
6 everyone would look at the end of the Topic 1 handout,
7 that's what FDA has asked us to comment on, and that
8 is, please comment on the FDA variant CJD risk
9 assessment for Factor XI manufactured from U.K. plasma
10 with regard to, (A) the model is applied to Factor XI,
11 and (B) any additional information that is needed to
12 improve risk estimates for this Factor XI product, and
13 there was already quite a bit of discussion during the
14 question period after each of the major speakers this
15 morning, are there any other comments, discussions,
16 things the Committee would like the FDA to know, in
17 regards to this risk assessment model?

18 Doctor DeArmond?

19 DOCTOR DeARMOND: In think the models are
20 fine, and as Steve Anderson pointed out, one of the
21 features that they do help with is identifying
22 parameters that we should be investigating in a more
23 direct way with more empirical type, more real type
24 data.

25 And, I think the risk assessment as a

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 predictor of areas that we should look at is really
2 good, and the conclusions they come to, though, are,
3 as they say, just rough estimates at this stage,
4 because there are numerous assumptions built into
5 them.

6 And, for me, it's still the key issue is
7 that we have to get some data, and the data should be
8 easily obtainable. That's the thing that's so
9 irritating, is that blood samples can be looked at,
10 the techniques are much better, the end products of
11 extracting each of these factors, the coagulation
12 factors, they can all be searched out.

13 In fact, I didn't mention earlier, we have
14 a paper coming out that these new CDI assays are even
15 better than the neuropathology, that is, looking at
16 vacuolation scores, and immunohistochemistry. They are
17 superior to that. We can find no vacuolation in some
18 cases, no immunohistochemical staining, but there's a
19 strong confirmation of dependent immunoassay signal.

20 So, the techniques have improved
21 dramatically in the last couple of years, and we
22 should be able to get these answers.

23 So, my conclusion again is, the model is
24 great, and it's giving us - it's telling us key points
25 in the system where we have to get some data, and we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 can get the data.

2 CHAIRPERSON PRIOLA: Doctor Petteway?

3 DOCTOR PETTEWAY: Thanks.

4 Yeah, I'd just like to agree with Steve
5 and add to that. From the plasma protein companies
6 we've evaluated, we think the model is very good, too,
7 and it makes sense.

8 But, it's the issue of understanding risk
9 based on establishing the initial load and threshold,
10 and I would encourage the Committee to encourage the
11 FDA to see if they can provide some support to get the
12 data that Steve is talking about. There are more
13 sensitive assays available today to investigate human
14 blood, human CJD blood, or vCJD blood, whether it's
15 asymptomatic or symptomatic. And, it seems to me the
16 problem is actually getting the samples and getting
17 those connections made.

18 And, that data, if available, would
19 provide a great deal of clarity, as far as these
20 models and estimating exposure and risk.

21 CHAIRPERSON PRIOLA: Doctor Salman?

22 DOCTOR SALMAN: I think the model is
23 academically and scientifically very reliable. I have
24 no problem with that.

25 I have some concern about labeling the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 model as a risk assessment model. I still think it's
2 an exposure or characteristics of the exposure, and I
3 think as the table, and taking that table to the
4 public and show the variability in the type of risk,
5 that maybe it could come negative on the FDA with
6 their wonderful work, because it will show like there
7 are so much variability, and I think it's that by
8 itself, because of the data collected are not
9 appropriate for this type of risk model.

10 So, I'm in favor of encouraging the FDA to
11 go and seek the more reliable data that can be
12 associated with the model, but I also think the model
13 is a very good prototype for evaluation of any type of
14 risk related to this type of issues.

15 My concern is, to take this model only for
16 Factor XI, I think Factor XI by itself is not a high
17 level of risk as compared to the other things at least
18 what we understand from the U.K. data.

19 CHAIRPERSON PRIOLA: Doctor Allen?

20 DOCTOR ALLEN: I agree with the previous
21 speakers. One, we do need to get additional data to
22 the extent possible, and factor that in to refine the
23 model.

24 The model itself I think has been a
25 elegantly developed as is possible, given the current

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 information. There are a number of assumptions, they
2 are well stated. Some of them we don't necessarily
3 fully agree with, the point I mentioned before about
4 the comparability between the population that goes
5 into the Hilton data and the actual blood donors
6 during the decade of the '90s.

7 And, I think as long as the model is
8 applied to the population that was exposed through
9 Factor XI in the past, I agree, I think the probable
10 risk is very, very small, and that then comes - and we
11 are not talking, as I understand it, about ongoing
12 exposure at the present time, so then the question
13 becomes, I will vote to accept the model, the question
14 is, what's going to be done with the model and how are
15 we - you know, what are the decisions that are going
16 to result afterwards. That's not part of our question
17 here, but, obviously, that to me is of the greatest
18 concern right now.

19 CHAIRPERSON PRIOLA: Doctor DiMichele?

20 DOCTOR DiMICHELE: Once again, I would echo
21 what everyone else has said about the model. It seems
22 very sound.

23 I think the only thing, and this was a
24 little bit of a discussion that was going on at the
25 break, the only thing that could certainly add to this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 model would be some sort of an epidemiological sort of
2 Kaplan-Meier assessment of risk, you know, to the sort
3 of time-based risk out of the U.K., sort of and maybe
4 applying two different models, one looking at those
5 patients who are known to have potentially received
6 contaminated product, and those who received plasma-
7 derived product in the high-risk period but were not
8 known to be contaminated by donations from those
9 individuals who so far have come down with CJD.

10 And, I think if we could have some sort of
11 an epidemiological Kaplan-Meier ongoing risk
12 assessment to then put into this model, not only for
13 this, but for all plasma derivatives which is going to
14 come up, I think it would be very, very helpful.

15 And, I don't know whether, you know, we
16 can have a model that's generated here, or whether
17 maybe something could be generated out of the U.K.

18 CHAIRPERSON PRIOLA: Mr. Bias?

19 MR. BIAS: I think I agree with everyone
20 else that the model looks very good. I'm still alarmed
21 with the number of uncertainties that we have, in
22 terms of what data we put in the model. And, I would
23 encourage the FDA not to allow us to get carried away
24 with the model, now that we have a model, that we
25 really go after the data, that we not alarm patients.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 We will not have the same reaction from a U.S.
2 population that's exposed as the U.K. has had, I can
3 almost guarantee you they won't be stoic in terms of
4 their response.

5 In addition to that, with the way patients
6 are served in this country, with about 70 percent of
7 the bleeding disorder population being in organized
8 hemophilia treatment, I wonder what happens to that 30
9 percent that is outside. And, since we have,
10 virtually, no contact with their primary care
11 physicians, how we would even communicate effectively
12 with them as to what they might tell their patients.
13 It seems that we might be creating a little hysteria,
14 so I would just advise caution to the FDA as they move
15 forward with the model.

16 CHAIRPERSON PRIOLA: Doctor Schonberger.

17 DOCTOR SCHONBERGER: Yes. I'd like to echo
18 what others have said as well. I think Anderson did
19 a tremendous job in putting all this together, and I
20 also appreciate the U.K. colleagues for coming here
21 and sharing what they've done.

22 But, as one who has been impressed with
23 all the unknowns associated with the model to date,
24 I'm very hesitant to take on the negative effects that
25 have been mentioned, both by the speaker from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 public talking discrimination and labeling of a whole
2 group of people based on this kind of model, and your
3 concerns that you just expressed on the Committee, of
4 creating alarm, potentially unnecessary alarm.

5 And, there are three factors that - three
6 observations, I call them epidemiologic observations,
7 that make me wonder whether the 50 percent risk down
8 to zero percent risk, whether the 50 percent risk is
9 really very likely to occur, and that we may be much
10 closer to the zero percent risk that fits this model.

11 And, these are the facts that most persons
12 with hemophilia would be receiving many fold times the
13 exposures that the Factor XI recipients would be
14 exposed to, perhaps, even a couple logs or a couple of
15 orders of magnitude more. And yet, we've had no
16 hemophilia patients with vCJD reported from the U.K.,
17 or for any other country as far as I'm aware.

18 Second, the risk of plasma derivatives, of
19 their transmitting the prion disease, in my mind is
20 still theoretical, because I don't really know of any
21 such transmission that has been convincingly
22 demonstrated.

23 And third, which relates to this business
24 of asymptomatic to symptomatic, you know, could we
25 really be seeing a group of asymptomatic individuals

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 and we're just waiting for a long incubation period?

2 And, for that, I agree that the data is
3 not ideal, but we can look at all the data that are
4 available to us, and to date there's been at least one
5 iatrogenic prion disease from an exposure to a known
6 source of prion disease, that has first appeared
7 within varying times, depending on the route of
8 transmission.

9 So, for a known source where the route of
10 transmission was the central nervous system, the first
11 case showed up about 1.3 years after that exposure.
12 Okay?

13 For the other known type of transmission,
14 which would be intramuscular, like the human growth
15 hormone, or the other gonadotropin hormones, they
16 showed up first in a period of between five and 12
17 years, the first case.

18 Now, their mean incubation period might be
19 longer, but you start seeing the first case, you know,
20 within 1.3 years in a central nervous system, maybe
21 five to 12 years intramuscularly.

22 And, what we are dealing with is something
23 we hadn't seen before, which would be intravenous.
24 So, the question then becomes, how does intravenous
25 transmission relate to IM versus IC, and I think most

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of us would think it's somewhat intermediate.

2 And so, we would think then that enough
3 time, given the relative efficiency of these routes of
4 transmission, has past, that we should have seen a
5 case already in the hemophilia patient if we really
6 had to be concerned about this risk, because what are
7 you dealing with, we are talking about treatments
8 between 1989 and 1997, if my calculation is right that
9 should be about seven and 16 years have past for
10 hemophilia patients that would have been treated at
11 that same time, and in the U.K. hypothesis they were
12 talking about even blood between 1980 and 1987 as
13 being "high risk" at 1 percent level, which adds even
14 more time.

15 So, the other sort of reassuring thing is
16 that I think the longer one has to wait to see that
17 first case, it's probably true that the lower the
18 overall impact that problem is going to have.

19 Another bit of data about what, you know,
20 that would influence our preventative measure, is
21 what's the chances of it spreading through the
22 surgical arena to other patients if we are wrong?
23 And, we don't have all the data we would like, but
24 even there we do know that the normal type of
25 procedures that are used in the United States, the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 routine sterilization procedures, will have an effect
2 on the titers on those equipment. I mean, you know,
3 it may not be perfect, but you are going to get
4 several logs of decline just from your normal, you
5 know, the regular sterilization.

6 And, it may be sufficient for a
7 contamination, for example, of a low-dose contaminated
8 material like blood, if you had surgical equipment
9 contaminated with blood, the routine may actually be
10 sufficient to eliminate even the low risk that might
11 occur or spread.

12 And, there's where we could use some more
13 data, not just the data on the model, but the data on
14 what is the effectiveness of the routine sterilization
15 procedures used in the United States on lowering the
16 infectivity of these instruments. And, my
17 understanding is that, Dave Asher, if he's here, is
18 that we talked about, at some point, trying to look at
19 that issue and get some data on that, which would then
20 affect, you know, these kinds of concerns that are
21 being raised on the negative side of sending out 6,000
22 letters and alerting people, because just the very
23 fact that you are sending out 6,000 letters may convey
24 a higher risk to the recipients of those letters than
25 actually we can document or feel exists. And, that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be a concern that I would have.

2 But, now that the U.K. has done what
3 they've done, I don't see how we cannot inform those
4 50 people, our Factor XI people, about at least what
5 has happened in the U.K., so they are not caught
6 totally off guard that this has happened, and then
7 give them some additional information, more of the
8 type that we've heard here around the table, that at
9 least in the United States at this point stay tuned,
10 we are not as alarmed, and we don't think it's,
11 perhaps, as necessary to be informing all your
12 physicians and so on about the situation, but be
13 informed. And, if you want to, fine, but this is the
14 danger, you can get the kind of discrimination you
15 were talking about, or the alarm, but put it into a
16 context that shows that we are not all that concerned.

17 CHAIRPERSON PRIOLA: Doctor DeArmond?

18 DOCTOR DeARMOND: Well, Larry, my wife was
19 an epidemiologist, who has been angry at me since we
20 got married because she wanted to work at the CDC in
21 Atlanta, and I didn't want to go to Atlanta.

22 But, she says the same thing to me. I
23 always talk about testing, and she says, the
24 epidemiology shows there is nothing there. So, you
25 can't create panic. And, she's always emphasizing,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 don't create panic. That can be as dangerous as not
2 telling the truth about a true infectious process.

3 And so, I don't know what the use of this
4 model is going to be, and how far you are going to
5 push it, and that goes to your question, are you going
6 to really tell the public that there is a danger, when
7 the epidemiology, which is really even more important
8 than detecting the prion protein, because it tells you
9 the final product, does disease actually emerge.

10 If the epidemiology is negative and stays
11 negative, there's - I agree with you, you don't want
12 to create panic, and you have to have a very soft
13 letter to the Factor XI people.

14 CHAIRPERSON PRIOLA: Doctor Johnson.

15 DOCTOR JOHNSON: Sue, I'm not sure what the
16 vote is on.

17 CHAIRPERSON PRIOLA: Well, it's not really
18 a vote, it's more a discussion. So, I think there's
19 a consensus coming around the Committee that the model
20 is basically valid and solid, but that you need more
21 data to be more comfortable with the predictions for
22 exposure, not infection or disease.

23 That's all this is, a discussion, not a
24 vote.

25 DOCTOR JOHNSON: Well, because there's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 really two issues that have come up, and they need to
2 be separated. I think everybody agrees the model is
3 interesting. I think most of us would think it might
4 be over-estimated, but that's all right. I mean, if it
5 needs to be worked with, it needs better data.

6 The second issue is, what should be told
7 to the 50, or all the 10,000 hemophiliacs in the
8 United States, or the 50 who received the British
9 material.

10 CHAIRPERSON PRIOLA: Right, and that's -

11 DOCTOR JOHNSON: And, I think that's a very
12 different question that we should focus on.

13 CHAIRPERSON PRIOLA: - well, it is a very
14 important question, it is very different, and it's
15 also not the purview of this Committee to do, because
16 this Committee advises the FDA, and I believe that's
17 a CDC issue.

18 So, discussion of that issue in this
19 Committee isn't really pertinent to what we are doing.
20 It's an incredibly important question, but it's not
21 one that we have to deal with.

22 That's correct, right, Doctor Epstein?

23 DOCTOR EPSTEIN: Notification?

24 CHAIRPERSON PRIOLA: Yes.

25 DOCTOR EPSTEIN: Yes, we have specifically

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 not brought that question to the Committee today,
2 because we see this as a process taken in stages, and
3 we felt that the first stage should be to do our own
4 risk assessment.

5 The thinking of the Committee will feed
6 into a dialogue among the Public Health Service
7 agencies, where we will consider the questions that
8 you are putting on the table, which are, should
9 patients be notified, what spectrum of patients should
10 be notified, and what are the public health messages?

11 So, all of that, you know, will follow in
12 due course, but the place to start is to understand
13 the assessment of risk and the limitations to our
14 ability to make that assessment. So, we are only at
15 that stage here today.

16 DOCTOR JOHNSON: Well, I assume that the 50
17 people who received it under IND there will be a,
18 basically, ethical mandate that they be informed in
19 some way.

20 DOCTOR EPSTEIN: Well, I think there are
21 many individuals who might share that view, but it's
22 still, there's a process we would have to follow and
23 actually make a decision, you know, whereas treaters
24 may feel, perhaps, ethically obliged, or obliged for
25 whatever reason, they still want to know what's the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 correct public health message.

2 So, it's really not going to happen until
3 we make some decision about the significance of
4 potential risk and what kind of public health strategy
5 is appropriate in our country.

6 So, you know, there may be that desire,
7 and we understand that, but things really won't move
8 forward until, you know, some decisions are made.

9 CHAIRPERSON PRIOLA: Are there any other
10 comments?

11 Doctor Gambetti.

12 DOCTOR GAMBETTI: I tend to agree with
13 Steve and others that although the model is excellent,
14 Steve, there are some information, or it would be
15 highly desirable that additional information be added,
16 so that we can reduce this gap and, therefore, be more
17 useful to the recipients of the Factor XI about the
18 risk, if any, of that transfusion.

19 However, I think - and so I tend to agree
20 with the fact that, perhaps, we should withhold any
21 information before we at least try to improve the risk
22 assessment.

23 I think it would be very useful if we
24 could be more precise, if on improvement, what
25 exactly, what practically, could we do to the current

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 model, or information available on which the model has
2 been built, to improve the risk assessment? Are there
3 practical things that can be done in a reasonable
4 amount of time to have better assessment, or is this
5 a kind of vague wish that the system - the model is
6 improved?

7 In other words, Steve, do you think, do
8 you see practical things that could be done in a short
9 - relatively short amount of time to improve the
10 quality of the assessment?

11 DOCTOR DeARMOND: No. I just don't think
12 so, because you have - the time frame is the order of
13 months to a year, or less, weeks, to months, to a
14 year, and it would be quite a bit to get the other
15 data at this stage.

16 And also, a lot of it has to be through
17 the cooperation of Great Britain, and that seems to be
18 a complicated issue also.

19 DOCTOR GAMBETTI: So, I guess we have to
20 base our judgment on the model that we have right now,
21 and decide what to do in terms of informing the
22 recipients about the risk, based on what we have seen
23 to date.

24 CHAIRPERSON PRIOLA: Doctor Telling?

25 DOCTOR TELLING: Yeah, notwithstanding the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 issues of timing here, but there are, I think,
2 practical things that can be addressed in terms of the
3 animal models that are being used to study these
4 issues. And, I'm thinking in particular about the BSE
5 transmissions that have been performed in the U.K. by
6 Houston and co-workers, and also, more particularly,
7 the similar animal models using non-human primates
8 that Corinne Lasmezas is using to study new variant
9 CJD.

10 However, I think you are right, these are
11 not answers that we are going to get in the space of
12 a few weeks or a few months.

13 CHAIRPERSON PRIOLA: Doctor Gaylor?

14 DOCTOR GAYLOR: The negative epidemiology
15 does not necessarily mean zero risk, as we are all
16 well aware, but I certainly would encourage trying to
17 use the human data to the extent we could to at least
18 maybe get a more realistic upper limit on what that
19 risk might be.

20 CHAIRPERSON PRIOLA: Doctor Belay?

21 DOCTOR BELAY: I think the risk assessment
22 is the best available data that we have now, and there
23 are numbers in that final table that are associated
24 with the output for the model.

25 So, I was wondering if this risk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 assessment, if there is a way of validating the risk
2 assessment, and I don't know if this is feasible or
3 not, or whether or not a risk assessment could be
4 validated. So, the real question is whether or not
5 the numbers given at the final table the last table,
6 whether or not they are close to the truth or they are
7 totally off the chart.

8 What would happen, for example, if we take
9 the model and apply to the hemophilia population?
10 Would it be consistent or at least close to what we've
11 observed in the human population, because the absence
12 of vCJD cases in the hemophilia population, would, for
13 example, the final output be 90 percent, which would
14 be, for me, off the chart? Would it be 30 percent, 40
15 percent?

16 So, are there ways that FDA could use to
17 validate the risk assessment? What would happen, for
18 example, if you apply the model to red blood cell
19 recipients? We've already observed at least two
20 transmissions of vCJD in patients who have received
21 white blood cells.

22 I'm not sure whether or not this is
23 feasible, but I just wanted to suggest it.

24 CHAIRPERSON PRIOLA: Are there any other
25 comments before we move on to Topic 2?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Okay, let's go ahead and move on to Topic
2 2.

3 Oh, I'm sorry.

4 EXECUTIVE SECRETARY FREAS: Before you go
5 on to Topic 2, can I just check to see if there are
6 any other FDA centers here who have any comments about
7 earlier topics?

8 COMMANDER O'LONE: Hi, good afternoon. I
9 am Commander Martha O'Lone, and I have addressed some
10 of you before on behalf of the Center for Devices and
11 Radiological Health. I just wanted to make one
12 comment based on the discussion this morning.

13 I want to thank CDC, especially Doctor
14 Sehulster for coming and talking about the concerns we
15 have with decontamination of medical devices, and I
16 just wanted to reiterate that we are encouraging
17 manufacturers to provide us with both detection and
18 decontamination validation for devices, because we do
19 not have anything at this time that has been cleared
20 or proved for medical devices. So, our hands are
21 still tied without that data.

22 CHAIRPERSON PRIOLA: Okay, thank you,
23 Commander O'Lone.

24 So, I should double check with CBER, FDA,
25 do you have the discussion that you need or hoped to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 get from the Committee on Topic 1? Okay. Apparently,
2 they do, so let's move on to Topic 2, and the first
3 speaker is Doctor Dorothy Scott.

4 DOCTOR SCOTT: I'm going to briefly provide
5 an introduction to the second issue. We seek the
6 Committee's advice on the design and input parameters
7 of a risk assessment model for potential vCJD
8 exposures from products made from U.S. plasma, so this
9 is in contrast to what you initially saw, which was a
10 risk of exposure from a U.K.-plasma manufactured
11 product. And, you'll see when Steve makes his
12 presentation where those differences lie in the
13 assumptions that we try to make.

14 I'll briefly undertake the rationale for
15 vCJD risk assessments for plasma derivatives made from
16 U.S. plasma, and also provide a short overview of TSE
17 clearance and how it is important to these models.

18 Then, Doctor Anderson will brief you on
19 the risk assessment model for products made from U.S.
20 plasma, and that will include the model itself, very
21 similar to what you've already seen for Factor XI, the
22 data and assumptions, the uncertainties, and it's use
23 of ranges and distributions, and the potential for
24 sensitivity analysis.

25 Why should we do a risk assessment for

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 U.S. products? We've already been over this many
2 times. There's been a probable transfusion
3 transmission of vCJD reported in the U.K. Although,
4 as was just mentioned, there are no cases to date of
5 variant CJD in any plasma derivative recipients,
6 including those in the U.K.

7 New information on vCJD prevalence and
8 actual transmission by blood allows a risk assessment
9 to be undertaken, and these risk estimates that we get
10 provide a basis for reexamining the adequacy of
11 current measures to protect blood and plasma-derived
12 products.

13 And, the model also provides a framework
14 to update risk estimates, and it contributes to public
15 health decisions potentially. As we saw in the U.K.,
16 they had a risk assessment for plasma derivatives in
17 the early 2000s by Det Norske Veritas, and they were
18 able to use that framework then when they did C
19 transmissions to try to make - well, to actually make
20 public health decisions. So, we think it's a good
21 thing to have in place, in spite of its current
22 uncertainties.

23 What can it do for us? Well, I think
24 Steve Anderson will keep his job for a while. It
25 provides that framework. It can help us rank product

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 classes that may have greater or lesser margins of
2 safety. It can give us an estimation of the likely
3 best case and worst case risk of exposure to vCJD via
4 products. Again, this will change as we get more
5 information.

6 It helps us think about how to estimate
7 the need for additional risk reduction measures for
8 our products, and it also helps us get at the levels
9 of TSE clearance in manufacturing processes that are
10 likely to be meaningful. And finally, it provides
11 some level of risk communication to the public.

12 There are a lot of things it can't do, and
13 this has a great deal to do with all of the
14 uncertainties you've just heard, so I apologize for
15 any repetitiveness. It won't tell us the actual
16 prevalence of vCJD agents in blood or plasma donors.
17 It won't tell us the timing of the presence of
18 infectivity in blood, of people who have vCJD who are
19 incubating it, how much infectivity is there we won't
20 know from the risk assessment. The effectiveness of
21 blood donor deferrals for geographic risk of exposure
22 to BSE also cannot be provided by a risk assessment,
23 but this is important information, potentially, as
24 you'll see. It can't give us clearance data itself.
25 It can't tell us if there's an effect of cumulative

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 low-level exposure to the vCJD agent, and it won't
2 tell us anything about the susceptibility of
3 recipients to infection.

4 However, the good news is, a lot of this
5 can be learned, and is being studied, and we may have,
6 if not some answers, some better ranges to work with.

7 I just want to mention that there are two
8 published risk assessments from Europe. The first is
9 the Det Norske Veritas risk assessment commissioned by
10 the U.K., and I've provided the websites, and the
11 second one is a French risk assessment.

12 So, the question for the Committee, quite
13 similar to your first set of questions, please comment
14 with regard to the U.S. risk assessment model that
15 Doctor Anderson will present, and please comment about
16 what additional information is needed to improve these
17 risk estimates that might result from the model.

18 I'm going to go on to the second talk.
19 I'm going to preface Doctor Anderson's talk with an
20 overview of TSE-clearance studies in products, because
21 of their importance to the risk assessment.

22 You've seen this morning that for the
23 Factor XI risk assessment the second-most sensitive
24 factor or variable was TSE clearance. This is why I
25 wanted to provide more or less a summary of what we

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 know and what we don't know, and where improvements
2 might be made.

3 Clearance during manufacturing is an
4 important factor in the overall risk estimation, and
5 it can be tested in scaled-down studies, that is, in
6 lab experiments that recapitulate the manufacturing
7 process.

8 And, the viral clearance studies paradigm
9 is applied to these kinds of studies, even though TSEs
10 may not be, or behave exactly the same as viruses.

11 The paradigm, very briefly, involves
12 scaling down the manufacturing process steps, so they
13 can be studied in the lab, and validating the scale
14 down, proving that the lab process is the same, or
15 very close, to the manufacturing process.

16 I'll show you the two models that are now
17 used, but one of them, the most commonly used, is to
18 spike at a manufacturing step with a high titer of the
19 infectious TSE agent. Usually, this is a model agent,
20 often a rodent brain preparation. Reduction factors
21 are determined for each step that is studied, and
22 these may or may not be summed from non-orthogonal
23 processes to give a total log ten reduction value.

24 Typically, the sources of infectivity that
25 are used are brain preparations from experimentally-

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 infected animals, with human or animal TSE agents, or
2 blood from experimentally-infected animals, and this
3 is what is referred to as endogenous experiment, or
4 type of experiment. The forms of the infectious agent
5 will be brain homogenate or subcellular fractions of
6 that, sometimes membrane-free infectious material,
7 which on occasion behaves differently for certain
8 manufacturing steps, or blood and blood fractions.

9 It also needs to be considered that the
10 form of the infectious agent might be altered during
11 manufacturing, and this is known as conditioning, and
12 this has to be taken into account when undertaking or
13 planning these studies.

14 The outcome measures of these can be in
15 vivo infectivity, that is, typically, considered the
16 gold standard, but it is laborious. You can use up a
17 lot of rodents this way. It's expensive, because of
18 the time and the number of animals. It's also long
19 term, because these have an incubation period,
20 especially when you are looking at low titers of
21 infectivity, it can be quite long. But, they are
22 considered very relevant.

23 In vitro, various ways of measuring the
24 abnormal prion protein, are used. These need to be
25 linked somehow to in vivo infectivity, so that we know

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this recapitulates that.

2 This is a spiking model that's commonly
3 used. Here you take the TSE preparation, it will have
4 a high titer of the infectious agent, on the order of
5 10^7 or 10^8 infectious doses or ID50s rather.

6 This is just an example to remind people
7 have these are done. In this case, we are looking
8 very upstream in plasma processing, where the spike
9 may be put into plasma at a 10 percent or less
10 concentration, and then the manufacturing step is
11 undertaken. In this case, you end up with
12 cryoprecipitate, which becomes Factor VIII, and the
13 supernatant which may become other products.

14 This is called the exogenous model. You
15 can use a high titer, which means you can measure
16 large amounts of clearance here. The problem is,
17 nobody is really certain how much this kind of
18 infectivity, or what physical chemical similarity it
19 has to the infectivity that is contained in blood.
20 That is one of the major caveats with this model.

21 The endogenous infection or clearance
22 model is difference, because here you use plasma or a
23 blood fraction from a TSE-infected animal, and that's
24 your starting material, not a spike material. It
25 undergoes the manufacturing step. You can only study

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 low titers of starting material, because, of course,
2 the titers of infectivity in blood are low. It's
3 deemed by many to be highly relevant, because it is,
4 actually, the form that is expected to be contained in
5 human blood or plasma. Limited by the fact that you
6 have such a low titer here, you can't measure all the
7 clearance that might be occurring in any given
8 manufacturing step.

9 There are many published TSE clearance
10 studies, and many still to come. The steps that have
11 been studied and found to show some clearance in many
12 people's hand include certain alcohol precipitations,
13 but it depends a lot on the pH ionic strength and the
14 amount of alcohol, as well as the starting matrix, how
15 much clearance you get. PEG precipitation, salt
16 precipitation, depth filtration, nanofiltration,
17 column chromatography, under some circumstances have
18 all been reported to result in clearance in these kind
19 of experimental studies.

20 In all of these cases, the clearance
21 relies on partitioning, and there's always been a
22 question, certainly in viral studies, how robust a
23 step that is. In other words, you are not
24 inactivating the agent, you are partitioning it out.
25 You are getting it away from your final product.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 The additiveness of different steps needs
2 to be demonstrated. Scale down again is very
3 important, and the relevance of the model is something
4 that's under heated discussion.

5 Again, I want to point out, as I have
6 before, that manufacturing processes for any given set
7 of products are highly individual, and rigorous
8 demonstrations of TSE clearance have to be based on
9 the specific manufacturing process.

10 This is an example, I've shown it before,
11 but it just demonstrates that you can do depth
12 filtration for example, but the amount of reduction
13 that you get, or TSE clearance that you get, differs
14 depending on the starting material, or the matrix as
15 we call it, as well as the type of depth filter,
16 perhaps, and you see you get anywhere from no
17 clearance to a very high level of clearance, so you
18 cannot say depth filtration will give you four, it
19 might give you one, it might give you five. It all
20 depends on the specifics, and this is just simply the
21 same case over here.

22 This Committee in February of 2003
23 endorsed our consideration of labeling claims for TSE
24 clearance in plasma derivatives, based on
25 demonstration of removal during manufacturing in

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 scale-down studies. And, we encourage that these
2 submissions be made to us. We have received some such
3 submissions, evaluations are in progress, and we have
4 approved a labeling claim based on TSE clearance
5 studies.

6 This, of course, is voluntary. We ask
7 that the best current methods are used, but the
8 problem is we have a lot of science and evolution, so
9 the best current method when you start your study
10 might not be the best method when you finished your
11 study.

12 The model selection is not restricted at
13 this point, but it has to be justified. Certainly,
14 three logs of clearance is something that we think is
15 probably meaningful for non-robust steps. By that I
16 mean partitioning steps, but there is some discussion
17 about whether a whole series of processes can be
18 considered that results in a high level of clearance
19 even if any one step does not.

20 So, in summary, TSE clearance is a
21 critical variable that's considered in risk
22 assessments for variant CJD, and it can be tested, at
23 least, on a laboratory scale with the caveats,
24 especially those concerning the relevance of the
25 spike. And, improvements in ways of studying this

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 would be very useful.

2 We can get data for the risk assessments
3 from this information, and that is especially if
4 there's been a specific study of the product that's
5 under discussion for the risk assessment.

6 I just want to remind people that there
7 are a lot of studies that have been done on TSE
8 clearance. I found 16, I apologize if I've missed any,
9 but I think that things continue to move forward and
10 we are very grateful for all the work that's already
11 been done.

12 And so, now I'll pass on the podium to
13 Doctor Anderson again.

14 DOCTOR ANDERSON: Okay.

15 I'm actually aware that I'm between you
16 and lunch, so what I'm going to do is actually, I
17 think I've taken my longer period of time to explain
18 the basics of risk assessment, and I've indoctrinated
19 you, now you are all experts, so I'm going to move
20 quickly through the slides, because there are a lot of
21 similarities in what I'm presenting with what I just
22 previously presented for Factor XI, and I'll point out
23 the differences and walk you through those mostly.

24 And, what we are doing here is, we are
25 doing a preliminary risk assessment, and this is more

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 of a concept model for U.S. plasma derivatives and
2 variant CJD risk.

3 You've seen this structure before. I'm
4 going to follow it in explaining to you what our
5 concept model and plan is for doing a risk assessment.
6 Again, here's the question, It looks very similar. We
7 have the sort of preliminary part of the question, but
8 our question that we want to focus on is, what is the
9 risk of potential exposure to variant CJD agent in the
10 U.S. populations. So, what we've done is, we've moved
11 over and we are talking about U.S. risk, specifically,
12 in this talk, for individuals that have received U.S.-
13 manufactured human plasma derivative products.

14 What we have underway are several risk
15 assessments, actually. We have a risk assessment
16 underway for Factor VIII, Factor IX, immunoglobulins
17 and serum albumin. Now, I'm providing sort of an
18 overview of our concept model and assumptions for the
19 risk assessment, but I think it's important to say
20 that we haven't really completed the risk assessments.
21 So, please don't ask for results, because we don't
22 have any at this point in time.

23 Again, the hazard identification step is
24 really what I just presented in the previous talk.
25 I'm going to walk through that, just sort of walk by

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that very quickly. Again, the dose response issues
2 are the same as in the previous talk as well.

3 Human data not available, animal data are
4 very limited. Again, predicting the probability of
5 illness is extremely uncertain in these models that we
6 are going to generate.

7 Now, what I wanted to do was actually -
8 we've actually divided this model up, so before for
9 Factor XI we had a three-part model, what we've done
10 is added an additional component, and what we are
11 doing is, we are not only looking at variant CJD
12 prevalence - potential prevalence in the United
13 States, but we are also looking closer at plasma
14 donation, and I think we were getting at that issue
15 earlier with the U.K. risk assessment. We didn't have
16 that information for the U.K., but we do have some of
17 that information for the United States, and we are
18 integrating that into our model to improve the
19 predictive capabilities of the model.

20 So, let me just go back and explain, again
21 we've added a component where we are looking at vCJD
22 in the U.S. population, or potential vCJD in the U.S.
23 population, and the potential for plasma donations
24 that may contain the variant CJD agent. Again, we are
25 looking at probability and quantity. We are looking

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 for plasma donations, what are the characteristics of
2 plasma donors, individuals that have variant CJD, we
3 want to look specifically at what we had talked about
4 earlier, age specificity of variant CJD, age-specific
5 characteristics of blood donors, because that has a
6 direct bearing on risk. Again, reduction, and then
7 the dose that people receive of these products.

8 All right. So, there's a model. Again,
9 our outputs are, we are looking at annual exposure to
10 variant CJD agent. This is very similar to the model
11 that we've seen before.

12 Now, I'm going to walk through more slowly
13 module A and module B, because those are sort of new
14 and probably the most important components, and sort
15 of just breeze by the last two components of the
16 model, concept model at this point.

17 So, for predicting potential variant CJD
18 cases in the United States, we are looking at variant
19 CJD risk in the U.S. plasma donors, specifically, and
20 we think that the sources may - I'm sorry, that there
21 may be two potential sources of exposure to BSE agent
22 and that may lead to variant CJD infection and
23 illness.

24 The first one is dietary exposure to the
25 BSE agent from U.S. domestic beef consumption. The

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 second one is dietary exposure to the BSE agent during
2 extended travel to the U.K. and Europe.

3 What we've done is, essentially, we've
4 eliminated number one, and it's nearly zero for our
5 purposes of this model. We did a number of worst-case
6 evaluations of the risk, so dietary exposure to the
7 BSE agent from U.S. domestic beef consumption, we
8 evaluated USDA BSE surveillance data in cattle, and
9 then what we did was, we estimated that the risk, the
10 potential number of vCJD cases coming from that
11 particular route of exposure at this point, given the
12 information that we have we assumed it was negligible
13 based on our analyses. So, what we did was, we just
14 assumed that zero cases would potentially come from
15 this source.

16 Now we move on to the, perhaps, greater
17 potential source or vCJD cases in the United States,
18 and that would be through dietary exposure to the BSE
19 agent during extended travel to the United Kingdom and
20 Europe.

21 Our approach, first of all, was the model
22 estimates variant CJD prevalence in the United Kingdom
23 population, then what we go on to do is look at a
24 concept called relative risk of exposure to the BSE
25 agent, and what happens is, we are pegging everything,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all of our analyses, to that variant CJF prevalence
2 for the U.K., and we're saying that that's,
3 essentially, the maximum risk, where we assign that a
4 value of one. And then what you do for relative risk
5 is, the relative risk for France and Europe, I'm going
6 to talk more about this in a minute, but are
7 considerably less. It's estimated that France has a
8 relative - the U.K. has a relative risk of one, France
9 has a relative risk of .05, Europe has a relative risk
10 even lower, of .015.

11 Then what we do is, we calculate variant
12 CJD risk for the United States plasma donors, using
13 this information on extended travel to U.K., France
14 and Europe. I'm going to show you how that's done in
15 a moment.

16 The calculation of U.S. donor variant CJD
17 risk is based on prevalence of variant CJD in the
18 U.K., relative risk for U.K., France, Europe for BSE
19 and variant CJD. The percentage of donors with the
20 travel history, so the percentage of U.S. donors that
21 have actually traveled to the U.K. for extended
22 periods of time, and then to France or Europe for
23 extended periods of time.

24 Then, we are also interested in this
25 component of the duration of U.S. traveler stay, how

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 long did they stay. Presumably, people that stayed
2 for very long periods of time in the United Kingdom,
3 for, you know, more than three months, a year, would
4 presumably have more risk than somebody that spent
5 three days there.

6 What we do is, we actually just add - we
7 calculate this for each country that a percentage of
8 the donor population in the U.S. may have visited.
9 So, if we know that a donor visited the U.K., what we
10 do is, we have a calculation where we calculate the
11 prevalence of variant CJD, so whatever that prevalence
12 is times the relative risk, which for the U.K. is one,
13 the percentage of donors in the U.S. that actually
14 traveled or were in the U.K., and subject to potential
15 exposure to the BSE agent, and then the duration.
16 And, we prorate the duration of exposure based on the
17 amount of time they spent in the United Kingdom.

18 We go ahead and do this for several
19 populations. The first line is for people that
20 visited the United Kingdom, second line is for France,
21 third line is for Europe. And, we've got another set
22 of calculations for military populations that may have
23 been posted to the U.K., France and Europe as well.
24 So, I just wanted to give you a basic flavor for the
25 types of things we are considering in the model with

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 this equation.

2 Again, the prevalence you've seen, this is
3 our assumed prevalence based on the Hilton study of
4 one in 4,225 individuals that may have variant CJD in
5 the United Kingdom. The periods of time that we're
6 interested in for establishing relative risk of U.K.,
7 France and Europe, are the periods of a three-month
8 stay in the U.K. from the period 1980 to 1996, just to
9 note in 1996 we are not as concerned after that point
10 because food chain controls were put in place and
11 high-risk tissues are thought to have not entered the
12 food supply after that point.

13 In France and Europe, we are looking at a
14 stay, if a person stayed in Europe or France for more
15 than five years from the period of 1980 to present.
16 This correlates with our blood donor deferral policy.
17 We are linking our model to our current blood donor
18 deferral policy.

19 The model, again, uses this concept of
20 relative risk, and we evaluate all travel in relation
21 to the U.K. U.K. again, is one or 100 percent, and
22 everybody else's risk is calculated in relation to the
23 relative risk for the United Kingdom.

24 So, I actually should have presented this
25 slide a little bit earlier, after having gone through

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 all this. The relative risk for U.K., France and
2 Europe for BSE and variant CJD is a soon to be one for
3 U.S. citizens, specifically, anybody that stayed in
4 the U.K. for a period of over five years, a traveler,
5 et cetera, would have a relative risk of one. That's
6 an assumption we made in the model so far.

7 And then, anybody that stayed for a period
8 of less than five years from 1980 to 1996, we did a
9 proration of this risk, so if they stayed in for four
10 years it was 80 percent of this risk, three years 60
11 percent, et cetera, on down to three months. So, we
12 apportioned the risk equally in the years between --
13 17 years between 1980 and 1996 for the U.K.

14 For France and Europe, it was a little bit
15 easier, anybody that stayed in France for a period of
16 greater than five years had a relative risk of .05.
17 Anybody in Europe had a risk of .05.

18 Now, this concept of relative risk is just
19 based on exposure, the number of variant CJD cases
20 that have been observed in France, and then also, I
21 believe, France received approximately 5 percent of
22 its beef supply from the U.K. during the times of the
23 BSE epidemic. So, that's how we are getting this
24 relative risk of .05 or 5 percent.

25 And, it's much lower because Europeans

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701