

1 questions for - yes, Doctor Bracey.

2 DOCTOR BRACEY: Can you give us an update
3 on the status of the U.S. blood supply? In recent
4 years, we have experienced shortfalls. Anecdotally,
5 those of us in practice noticed that there have been
6 shortfalls. What is the most recent status of the
7 blood supply?

8 DOCTOR WILLIAMS: I can only give an
9 observation and, perhaps, defer to Jerry Holmberg or
10 others who might have more information.

11 It seems that we got through the holiday
12 period this year without a national appeal. That had
13 not been true for the several years previous,
14 particularly, last year, as a very tough December and
15 January for blood supply, probably due to the low
16 level of the flu infection this year, combined with
17 some of the warmer weather, perhaps, the impact this
18 year was not great in keeping donors home.

19 But, I think I would be one of the first
20 to say that the blood supply overall tends to be
21 marginal and fragile, so that I think any policy that
22 we consider is carefully balanced against loss of
23 blood and loss of donors.

24 As far as actual measurement, this is now
25 undertaken by Jerry Holmberg's shop at HHS, and he's

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 going to comment further on that.

2 DOCTOR HOLMBERG: Yeah, just -

3 CHAIRPERSON PRIOLA: State your name for
4 the reporter?

5 DOCTOR HOLMBERG: Is this on? Can you hear
6 me?

7 My name is Jerry Holmberg.

8 As far as the shortages over the last
9 year, I agree with what Alan has said. We have
10 sporadic shortages, for instance, New York is
11 currently experiencing a shortage, and has gone out on
12 appeal, I think, last week? Just for O-negs, however,
13 there is a shortage currently.

14 And also, the New England area most
15 recently experience some shortages because of the
16 snowfall, but overall the country is pretty well
17 supplied. We are talking about a three to five-day
18 supply.

19 DOCTOR BRACEY: Is there any estimation in
20 terms of the extent of donor loss that the system
21 would be able to endure?

22 DOCTOR HOLMBERG: Well, I just did a quick
23 calculation based on what Alan was projecting there,
24 if we talk about three per 10,000, of course, that's
25 not calculating in the people that received Euroblood

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 during that period of time, and we're talking 2,700
2 donors. So, I don't have a direct answer for you, but
3 I think that you have to calculate in also the fact
4 that, you know, what about those recipients of the
5 Euroblood?

6 CHAIRPERSON PRIOLA: Mr. Fitzpatrick, did
7 you have a comment?

8 MR. FITZPATRICK: Mike Fitzpatrick from
9 America's Blood Centers, just for perspective, anybody
10 that wants to can go to our website, you can make a
11 comparison between the status of our inventories now
12 and two years ago.

13 Our members are seeing about a 20 percent
14 difference. They have about a 20 percent greater
15 inventory now than they did two years ago, and we
16 attribute that to recovery from 9/11, the vCJD
17 deferrals all coming at the same time, and some very
18 vigorous recruitment efforts.

19 But, to say that raising ourselves from a
20 one to three-day supply, to a three to five-day
21 supply, provides an adequate supply for the country,
22 I think is a false statement. I think we had
23 recommended previously that we need a seven-day supply
24 in the country, increasing to a seven-day supply is an
25 arduous task, and to go from a period of drought that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 we have been in to a period of adequacy, if I can use
2 that word, and I'm not sure that's the right word,
3 sends a message that we are succeeding, but we
4 certainly are not in what anyone would call an ample
5 inventory method. There are shortages of Os
6 throughout the country, and we've seen requests for Os
7 throughout the country. There are surpluses of As and
8 Bs, and we made it through the holiday period in a
9 much better situation than we've had in the past, and
10 we haven't seen cancellation of elective surgeries.
11 But, in looking at the trend line in those inventories
12 just today, it's starting to dip down a little bit.
13 So, it may be a momentary improvement that we have to
14 sustain.

15 CHAIRPERSON PRIOLA: Doctor Johnson?

16 DOCTOR JOHNSON: Yeah, I have two further
17 questions about the Euroblood.

18 First of all, what countries primarily did
19 it come from? Was it randomly through Europe, more
20 Eastern Europe? My feeling is a lot of it was German
21 blood, right?

22 DOCTOR WILLIAMS: I'm sorry, I should have
23 mentioned that.

24 It was sourced from Germany, Switzerland
25 and the Netherlands, exclusively.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOCTOR JOHNSON: So that, at no time when
2 Euroblood was being given have there been recorded
3 cases of variant CJD in any of those countries, is
4 that correct?

5 DOCTOR WILLIAMS: It sounds correct, yes.

6 DOCTOR JOHNSON: The second thing is, do
7 people know if they've gotten Euroblood? I mean, the
8 question is going to be, did you ever get transfused
9 in New York City, and you are going to eliminate
10 everybody, right? I mean, if some guy got knifed in
11 the lower East Side, or upper West Side, whatever, and
12 you said did you get Euroblood or did you get American
13 blood, he's not going to know, is he?

14 DOCTOR WILLIAMS: I think it's very
15 unlikely a patient would know that they received
16 Euroblood. I think one other characteristic that
17 probably is important to know is, it was likely Group
18 O red cells that comprised Euroblood, but in terms of
19 specifically notifying a patient that that unit of
20 blood was collected in a U.S.-licensed New York Blood
21 Center facility in Europe, no, I don't think that was
22 transmitted.

23 DOCTOR JOHNSON: It seems to me that with
24 no cases of CJD - variant CJD in the donor countries
25 that we ought to take Euroblood off the table and talk

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

1 about France, as a personal opinion.

2 CHAIRPERSON PRIOLA: Other questions for
3 Doctor Williams?

4 We have another comment?

5 DOCTOR HOLMBERG: Yes. Alan, just a point
6 of clarification, on the deferral that the Netherlands
7 put into place, was that for people that had been
8 transfused in France, or was that overall transfusions
9 in their country?

10 DOCTOR WILLIAMS: Transfusion ever
11 anywhere.

12 DOCTOR HOLMBERG: Including the United
13 States?

14 DOCTOR WILLIAMS: Yeah, I believe so.

15 CHAIRPERSON PRIOLA: Doctor Bird?

16 DOCTOR WILLIAMS: I'm sorry?

17 DOCTOR JOHNSON: What are the Netherlands
18 using for blood?

19 DOCTOR WILLIAMS: I can't answer that.

20 DOCTOR JOHNSON: It's donors who have never
21 been - so, they can take donors, but they can't have
22 been transfused.

23 DOCTOR WILLIAMS: Right, that would cost,
24 by their estimate, 8 percent of the donor supply, but,
25 you know, far and away the majority of donors have not

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 been transfused, so they would still be eligible for
2 donation.

3 MR. FITZPATRICK: Mike Fitzpatrick. We had
4 some - I'm sorry.

5 CHAIRPERSON PRIOLA: In was going to ask
6 Doctor Bird to go first.

7 DOCTOR BIRD: Just a point on Switzerland,
8 although they have not had any variant CJD donors,
9 Switzerland has been concerned about an increase in
10 its numbers of sporadic Creutzfeldt-Jakob disease, and
11 some work by the Medical Research Council's Prion Unit
12 suggests that when you put BSE into mice there can be
13 a bifurcation as to whether it materializes as variant
14 CJD-like disease or a Type 2 sporadic CJD. So,
15 there's a little bit of sort of basic science there
16 that we are just a little bit concerned as to whether
17 variant CJD is the only manifestation of BSE in
18 humans.

19 CHAIRPERSON PRIOLA: Thank you.

20 Go ahead, Mr. Fitzpatrick.

21 MR. FITZPATRICK: Mike Fitzpatrick. We
22 corresponded with Doctor van der Poel from the
23 Netherlands prior to the meeting about this topic.
24 They have a very robust blood program in the
25 Netherlands, and when they put in the deferral for all

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 transfusion recipients they anticipated about a 10
2 percent loss. By his correspondence with us he says
3 they have been able to absorb that and meet their
4 needs.

5 CHAIRPERSON PRIOLA: Okay.

6 If there are no other questions from the
7 Committee for Doctor Williams, I would suggest we take
8 a brief break of about ten minutes, and then come back
9 for the open - five to ten minutes, come back for the
10 open public hearing, and then the final discussion and
11 vote.

12 (Whereupon, at 4:34 p.m., a recess until
13 4:44 p.m.)

14 EXECUTIVE SECRETARY FREAS: If you would
15 take your seats, please. There are many Committee
16 members that need to catch flights tonight, so I
17 really would appreciate your cooperation in getting to
18 your seat

19 CHAIRPERSON PRIOLA: If we could have all
20 the Committee members take their seats, please, so
21 that we can start the open hearing portion of this
22 afternoon's session.

23 EXECUTIVE SECRETARY FREAS: Okay.

24 For this afternoon's open public hearing,
25 we have three speakers lined up, and before we begin

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 these three presentations, that will be limited to a
2 maximum of five minutes, the Chair has a statement
3 that has to be read, if I could please ask everybody
4 to take their seats, we'd appreciate it.

5 CHAIRPERSON PRIOLA: Okay.

6 Both the Food and Drug Administration, FDA
7 and the public believe in a transparent process for
8 information gathering and decision making. To ensure
9 such transparency at the open public hearing session
10 of the Advisory Committee meeting FDA believes that it
11 is important to understand the context of an
12 individual's presentation.

13 For this reason, FDA encourages you, the
14 open public hearing speaker, at the beginning of your
15 written or oral statement to advise the Committee of
16 any financial relationship that you may have with any
17 company or any group that is likely to be impacted by
18 the topic of this meeting.

19 For example, the financial information may
20 include the company's or any group's payment of your
21 travel, lodging or other expenses in connection with
22 your attendance at the meeting.

23 Likewise, FDA encourages you at the
24 beginning of your statement to advise the Committee if
25 you do not have any such financial relationships. If

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you choose not to address this issue of financial
2 relationships at the beginning of your statement it
3 will not preclude you from speaking.

4 EXECUTIVE SECRETARY FREAS: The first
5 request we have to speak in the afternoon is Allene
6 Carr-Greer, Deputy Director, AABB, Regulatory Office.
7 She's going to make a statement on the potential
8 deferral of blood and plasma donors for history of
9 transfusion in European countries.

10 MS. CARR-GREER: I am an employee of AABB,
11 and AABB is an international association dedicated to
12 advancing transfusion and cellular therapies
13 worldwide. For those who don't know, our members
14 include more than 1,800 hospital and community blood
15 centers and transfusion and transplantation services,
16 as well as approximately 8,000 individuals involved in
17 activities related to transfusion, cellular therapies
18 and transplantation medicine.

19 For over 50 years, AABB has established
20 voluntary standards for and to credit institutions
21 involved in these activities. AABB has a focus on
22 improving health through the advancement of science
23 and the practice of transfusion medicine and related
24 biological therapies, developing and delivering
25 programs and services to optimize patient and donor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 care and safety.

2 AABB believes that deferring donors who
3 were transfused in France or other European countries
4 would not significantly affect the blood supply.
5 However, we are concerned about the increasing number
6 of reasons for donor deferrals, some of them a very
7 low risk benefit ratio. And, a few minutes ago Alan
8 touched on some of the complexities involved in donor
9 questioning and predictive value on negatively and
10 positively the predictive values of questioning donors
11 about history and trying to recall memory.

12 Continual addition of new questions
13 distracts donor attention from the more significant
14 risk questions, and decreases the likelihood that
15 donor questioning will elicit important and
16 significant information. Although each added deferral
17 in and of itself may not impact supply, the additive
18 effect will almost certainly adversely affect supply,
19 so that the risk benefit must be carefully considered.
20 And, today's discussions I think have been really
21 thoughtful consideration of models for risk
22 assessment, a great deal of discussion, of course,
23 about some of the assumptions that went into that, and
24 I must say I was struck by some of the descriptives
25 that the speakers themselves were using in presenting

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 their material, when talking about risk assessment and
2 uncertainties. And, after a while, I jotted down a
3 couple of the statements, and we were hearing such
4 things as, using a huge number of assumptions, extreme
5 uncertainties, unknown uncertainties, and most
6 recently I heard vast uncertainty.

7 It would be useful for FDA to examine all
8 of the existing donor suitability criteria to
9 determine whether each of these criteria is still
10 necessary in light of current scientific knowledge and
11 testing capabilities.

12 We believe FDA should consider whether
13 it's essential for the blood banking community to
14 focus efforts on this particular deferral issue, or
15 whether there might be other concerns that would yield
16 more benefit, and would greatly and positively impact
17 donor and patient safety.

18 Thank you.

19 EXECUTIVE SECRETARY FREAS: Thank you very
20 much for your statement.

21 The next requester is Doctor Michael
22 Fitzpatrick, Chief Operating Officer, America Blood
23 Centers.

24 MR. FITZPATRICK: Good afternoon, and I am
25 gainfully employed by America's Blood Centers.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I'll make a departure from the written
2 statement on occasion, but you have it before you.
3 The first paragraph tells you about us, we are the
4 network of the not-for-profit community blood centers
5 in the United States, 76 members, including Hema-
6 Quebec in Canada, and these centers serve more than
7 150 million people providing over 7 million
8 collections annually.

9 When the FDA announced deferral of the
10 criteria to reduce the risk of transfusion-associated
11 vCJD in 1999, based on the application of a
12 precautionary principle and the hypothesis that the
13 prion responsible for vCJD could be transmitted by
14 transfusion, the Committee reviewed the two cases of
15 vCJD that had been associated with transfusion from
16 individuals who later died from vCJD and did not
17 recommend additional deferral actions, and that was
18 October of last year.

19 Today, FDA asked the Committee to review
20 the issue again, and asked whether individuals who
21 received blood transfusion in Europe should be
22 deferred as blood donors. The rationale for the
23 timing of this discussion is not clear to us as we
24 prepared the remarks. It has been made clear
25 throughout the day, but as Doctor Williams stated

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 himself, there have been only a few changes to what
2 has occurred.

3 The incident of vCJD has declined since
4 the peak in 1999, total number of cases around the
5 world about 160, it remains very small, only four new
6 cases of vCJD were diagnosed in the U.K. in 2004. We
7 do have a new one in Japan, but that remains to be
8 evaluated, down from a peak of 29 in 1999.

9 The most telling fact this morning to me,
10 as has always been, that no recipient of any plasma-
11 derived coagulation factor in the U.K. has developed
12 variant CJD, despite the fact that most of them have
13 been exposed to thousands of donors who were at risk
14 during the most peak periods of the epidemic.

15 If we review the model used by FDA to
16 decide on the scope of the geographic deferrals for
17 people that lived in the U.K. and the rest of Europe,
18 it was predicated on balancing risk reduction with
19 donor loss, and predicted a statistically-derived 90
20 percent reduction of the risk while deferring about 2
21 to 3 percent of the individuals who were already
22 donating.

23 The recommendation to defer all European
24 transfusion recipients, in order to decrease the
25 almost unquantifiable residual risk, and from the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 modeling today I think we can see that it is difficult
2 to quantify, provides what amounts to an immeasurable
3 contribution that would be statistically
4 insignificant.

5 While most centers could absorb the
6 minimal donor loss associated with deferral for
7 transfusion in the rest of Europe, we are concerned
8 about standing at the top of that slippery slope that
9 is always discussed.

10 Broader deferrals for a history of
11 transfusion will cost us as many as 5 to 10 percent of
12 our donors. This is an unnecessary donor loss and
13 unreasonable stigmatization of volunteer blood donors.
14 It also sends recipients a very mixed message.

15 ABC, the Red Cross, AABB and FDA have
16 frequently said over the past few years that the blood
17 supply is safer than ever. Yet, now we are
18 considering sending the message to recipients that the
19 act of accepting the lifesaving, safe transfusion
20 would defer them from ever passing on the gift by
21 becoming a regular blood donor, but recipients have
22 become of the best donor recruiters in the Nation and
23 regularly donate themselves, as you saw from Alan's
24 statistics.

25 If deferred for no known or real risk,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 they will have survived their illness through
2 transfusion to now live under a cloud of doubt,
3 wondering if the blood they received was safe, and
4 when and if they will begin to show signs of variant
5 CJD or some other unknown disease, that's preventing
6 them from being a donor. We feel that's an
7 unwarranted stigmatization of a recipient.

8 I want to note a specific comment about
9 geographic deferrals made in the briefing documents.
10 We talked about post-donation information related to
11 geographic exposures continues to comprise a high
12 proportion of biological product deviation reports
13 submitted to FDA, indicating that improvements in
14 donor eligibility determinations are still needed.

15 In our opinion, and as we have stated in
16 the past, post-donation calls are a sign of success of
17 the system, not a failure, and a success of the
18 screening process. Donors go home, think about the
19 questions, or think about the question at their next
20 donation, look at their passports, talk to their
21 wives, and call back with more precise information.
22 They want to tell us if they should be deferred.

23 Immense resources have been used to update
24 deferral questions, screen and defer donors, and
25 respond to questions from the deferred donors and

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 their friends. Adding a new layer of deferral will
2 only require more resources, which possibly could be
3 utilized in CGMP compliance and developing new
4 screening techniques.

5 Deferral of transfusion recipients will
6 not reduce the presumptive risk of transmission of CJD
7 by transfusion in a measurable way.

8 And again we ask, as we did last time,
9 that FDA initiate discussions of what could constitute
10 an exit strategy. I was extremely impressed by the
11 modeling that we saw today, and the thought, and the
12 process that has gone into that modeling, and to me
13 that modeling could be used as a first step, because
14 the modeling is predicated on the assumption that
15 there is a risk that is high, and we need to do
16 something to prevent the risk.

17 Now that we have that modeling, perhaps,
18 we can reverse that thinking, or use both lines of
19 thought. Perhaps, there is not a risk, and, perhaps,
20 we can use this modeling to show that there isn't a
21 risk, and at some point have a strategy that says we
22 have dealt with the epidemic, the peak has passed, and
23 now it's time to move on, and you have models to show
24 that it is not being transmitted in the rates and
25 proportions that we thought.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 So, I would suggest that we use those
2 models and begin discussions with FDA on how we can
3 assist to use those models to determine how to
4 implement recognized safety measures, how to decide
5 when and if people can donate again, and discuss the
6 possibility of removing European countries which have
7 had no human cases of variant CJD, all but France and
8 Italy, from the deferral criteria.

9 The U.K. presentation was very telling,
10 because we saw their use of modeling in advance to
11 determine what actions they would take if something
12 happened. I think we've seen that same emphasis from
13 FDA today, to look at what to do if something occurs.
14 We've seen that we have very little data to go on to
15 support those models, but we would love to assist and
16 work with FDA to use those models to determine both
17 what should you do if the data changes and you should
18 change the deferral criteria, and what should you do
19 if the data does not change and we should remove the
20 deferral criteria.

21 Thank you for the opportunity.

22 EXECUTIVE SECRETARY FREAS: Thank you very
23 much, Doctor Fitzpatrick.

24 The third requester for this afternoon is
25 Doctor Richard Davey. He's Chief Medical Officer, New

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 York Blood Centers.

2 DOCTOR DAVEY: I'm Doctor Davey, and I'm
3 employed by the Blood Center, actually a former member
4 of the Committee, and I do appreciate the opportunity
5 to speak to the Committee today on behalf of the New
6 York Blood Center.

7 The New York Blood Center is the largest
8 independent blood center in the country. We collect
9 over 450,000 units of whole blood and 50,000 apheresis
10 platelets annually, which is about 5 percent of the
11 Nation's blood supply.

12 As you've heard for over 30 years, the New
13 York Blood Center imported blood from three European
14 countries, Switzerland, Germany and the Netherlands,
15 under our Euroblood Program. This blood was collected
16 entirely from volunteer donors under the New York
17 Blood Center's FDA license.

18 During the period from 1980 until the
19 termination of the program in 2002, we imported about
20 4,700,000 units of red cells from these European blood
21 centers. The data from '80 to '84 I had to estimate,
22 but I think that number of 4,700,000 is pretty
23 accurate.

24 In 2001, as you know, this Committee
25 recommended the extension of the prohibition of blood

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 donation in the United States from those who had
2 resided in the United Kingdom to other European
3 countries. This resulted in the termination of the
4 Euroblood Program in New York. We are encouraged, as
5 Mike has also said, that since that time the number of
6 vCJD cases has remained small, and the incidence of
7 both BSE and vCJD has declined, and there has not
8 been, as you've heard, not a single case of vCJD
9 identified within the three countries that
10 participated in the Euroblood Program, again, Germany,
11 Switzerland and the Netherlands.

12 Very briefly, I'd just like to touch on a
13 couple consequences of the extension in 2001 to the
14 donation restrictions beyond the U.K.

15 As you know now, because of out of over
16 300 million Europeans, and tens of thousands of
17 Americans who have lived or traveled in Europe, are
18 now prohibited from blood donation in the U.S.

19 Another couple points. Actually, the
20 viral marker rates, the blood we imported from
21 Switzerland in 1999, and this is typical, was - this
22 is for HIV, HCV and HBsAg, was 0.15 percent, the
23 average marker rate in the U.S. is about 0.5 percent.
24 So, when you look at that, it's not clear sometimes,
25 and to my mind they are replacing blood drawn from

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 altruistic volunteer donors in Europe with blood drawn
2 in the U.S. has really added a lot to blood safety.

3 Very briefly, I think as Alan mentioned,
4 the blood that we did import from Europe was very rich
5 in Group O, since we don't have that anymore it's
6 thrown the ABO balance, at least in New York, somewhat
7 out of balance, and now we are chronically short of O,
8 and have too much A.

9 The cost of collecting blood in New York
10 is much higher than blood collected and imported from
11 Europe. We've had to pass those costs on to our
12 hospitals and, of course, to third-party payers.

13 Just another point, there are some
14 differences in the way these restrictions have been
15 applied in the U.S. For instance, I lived in Geneva
16 for a year when I was detailed from the NIH to the
17 World Health Organization in 1990-'91, so I can donate
18 blood in New York, but I can't here in Washington. I
19 can donate blood in Seattle, I can't donate in Los
20 Angeles. I can donate in Houston, I can't donate in
21 Atlanta. It puzzles me why my risk of vCJD seems to
22 fluctuate as I move around the country.

23 I raise these points just as an
24 illustration that extensions of the precautionary
25 principle, while they are made in good faith, might

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have far-reaching and unintended consequences.

2 Now, a little bit more about Euroblood.
3 If we prohibit donations from those who have received
4 blood transfusions in Europe, we will by abstention
5 implicate recipients of Euroblood in the United
6 States.

7 If we assume a transfusion episode of
8 about four units, which I think is accurate, about a
9 million people, maybe slightly over a million people,
10 were transfused with blood from European sources in
11 the U.S., between 1980 and 2001, almost all of the New
12 York Metropolitan Area. Most of these recipients are
13 now deceased, because of the diseases they were
14 transfused for, and also actuarial loss of life.

15 Living recipients are aware that they are
16 receiving life-sustaining transfusions, but to the
17 point of one of the speakers earlier, they don't know,
18 as any blood transfusion recipient doesn't know, or
19 shouldn't know, whether the blood came from Manhattan,
20 from New Jersey, from Geneva, or from Amsterdam.

21 Actually, I did do a couple quick stats,
22 if I could take one moment. If we look at, perhaps, a
23 million recipients of Euroblood in the time period we
24 are looking at, due to death fewer than 100,000, maybe
25 far fewer, are still alive. If we look at New York,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 about 3 or 4 percent of people donate blood, let's say
2 5 percent, there are maybe 5,000 living recipients of
3 Euroblood that are donating blood. Again, these are
4 recipients of blood from the Netherlands, Germany,
5 Switzerland, their risks are unknown, vague and low,
6 at very best.

7 So, also as Alan Williams pointed out, if
8 we did take the route of identifying and notifying the
9 recipients of the millions of units of European
10 donors, and subsequent requirement for our hospitals
11 to trace and notify those recipients, that would be an
12 exercise in actually mind-boggling complexity, scope
13 and expense. This is in addition to the chilling
14 message for these recipients, that while there's no
15 established figure to determine risk they may be
16 harboring a fatal neurologic disease. Of course, they
17 can no longer be blood donors. Counseling these
18 individuals would also fall to the hospitals, and
19 those are really ill-equipped institutions to perform
20 these tasks.

21 I think personally that the bright line
22 around the United Kingdom, which this Committee
23 established years ago with this unfortunate experiment
24 of prion disease and transmission is unfolding,
25 remains a reasonable basis for the application of the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 precautionary principle. Extension of these
2 restrictions to donors and recipients from other
3 countries opens up a slippery slope of extensions to
4 increasing numbers of countries donors and recipients.

5 We must be mindful of evolving data for
6 sure, as well as the consequences of actions based on
7 limited or absent data.

8 In conclusion, blood safety remains a
9 compelling priority for all of us. We must consider,
10 however, that not having enough blood, or burdening
11 health organizations with unmanageable issues of
12 notification and deferral, also has major and
13 compelling implications for the safety of the American
14 blood supply.

15 Thank you.

16 EXECUTIVE SECRETARY FREAS: Thank you very
17 much, Doctor Davey.

18 Is there anyone else in the audience now
19 that would like to make comments to the Committee
20 related to this issue before the Committee?

21 Seeing none, Doctor Priola, In turn the
22 meeting over to you.

23 CHAIRPERSON PRIOLA: Okay.

24 So, it's now time for the Committee open
25 discussion and vote on the two questions posed to us

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 by the FDA.

2 The first question is, based upon the
3 available scientific information does the Committee
4 recommend deferral of blood donors transfused since
5 1980 in France or in other countries of Europe? So,
6 this question precludes the Euroblood issue, and I
7 think from comments that Doctor Johnson made, and
8 Doctor Hogan, and, perhaps, others around the table,
9 that there is some hesitancy in even considering
10 Euroblood in these deferrals because of the
11 implications, is that right? Does anyone have any
12 comments on that?

13 Doctor Bracey?

14 DOCTOR BRACEY: I'd just like to comment in
15 terms of the Committee's moving toward this
16 discussion.

17 I think one of the things that happened at
18 the last meeting is that the discussion of this item
19 came up toward the end of the day. There was lots of
20 information, and there was some uncertainty. We've
21 heard lots of information today which for me
22 alleviates the concern. I think there's, as has been
23 stated by many others, minimal gain to be had by
24 extending the range of deferrals, and in my mind I
25 think we've had a thorough discussion, and I think

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 that, in fact, the safety to be gained from adding
2 other countries would be minimal.

3 CHAIRPERSON PRIOLA: Doctor Johnson?

4 DOCTOR JOHNSON: Yeah, was it correct that
5 the French have eliminated donors in their own country
6 who have had transfusions? So, we would be - I find
7 it very convincing that we could look pretty silly if
8 the catastrophe occurred, that someone got blood that
9 even the French wouldn't use on their own.

10 DOCTOR BRACEY: Well, that's a point, but
11 I think if we look at all of the issues, as far as
12 donor management, we see that there's been a very
13 cautionary approach taken by a number of countries,
14 particularly, the European countries.

15 If we were to ask the question, well, why
16 did we pick three months for the U.K.? We picked
17 three months because we recognized that that would
18 eliminate a certain number of donors.

19 If we ask the question, well, what if we
20 went to two months instead of three months, would we
21 - you know, what would be the incremental gain? What
22 is that incremental gain, as opposed to adding France
23 to the mix?

24 So, I think if we really were to sort of
25 look at the added safety, that in the final analysis

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 I think it would be quite minimal, and I think that
2 even though in France the donors, in essence, are not
3 allowed to donate after - if they've been transfused,
4 there really is no basis to support that.

5 CHAIRPERSON PRIOLA: Doctor Allen?

6 DOCTOR ALLEN: These are very difficult
7 questions. I would absolutely concur that we were not
8 asked about Euroblood, In think there's compelling
9 reasons not even to consider the Euroblood program.
10 So, you know, as I said, we are not asked that
11 question, so that's good.

12 With regard to France and the deferral of
13 their own donors in France, I would venture to say
14 that if you go back historically that decision
15 probably emanates from the failure to act rapidly in
16 the HIV era, and as you may or may not know there were
17 some French blood banking officials that rightly or
18 wrongly were actually jailed because of that HIV
19 incident.

20 So, you know, there are probably lots of
21 decisions, or lots of reasons that go into some of
22 these decisions.

23 The evidence, as far as I can -
24 nonetheless, I agree with you that if France defers
25 and the United States doesn't, and something happens,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 it creates a very uncomfortable environment here. It
2 seems to me that the risk is minimal. It's not zero,
3 it clearly is minimal.

4 Making this additional deferral for France
5 or for other BSE countries in Europe, complicates the
6 selection of donors, and that tends to discourage
7 people from coming. Doctor Williams presented
8 information about inaccurate data that are collected
9 when you are trying to ask donors and they are trying
10 to recall. It does create additional regulatory
11 burden, because the person - the donor that answers
12 one way this time, and a different way three months or
13 four months later, whenever they come back in to
14 donate, then creates the need to go back and notify
15 the FDA if the blood has already been released and
16 transfused, and, you know, we do create additional
17 problems for ourselves with these kinds of things.

18 You know, the issue of adding France
19 alone, if the addition of France can be rolled into
20 the question of, did you ever receive a donation in
21 Great Britain, may not be difficult. I think the
22 addition of other BSE countries in Europe makes it
23 very complex.

24 One might ask the question then, should
25 you just defer anybody that's received a transfusion

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 anywhere in Europe? And, that simplifies things from
2 one perspective, it complicates it in others.

3 I personally am not sure exactly, I want
4 to hear the rest of the discussion, where I'm going to
5 come down. I probably am leaning slightly towards the
6 inclusion of France only, but it isn't clear to me
7 that we are really gaining a lot in terms of reducing
8 risk, and there are clearly going to be some
9 significant tradeoffs on this one.

10 CHAIRPERSON PRIOLA: Doctor DeArmond?

11 DOCTOR DeARMOND: Well, it seems like
12 there's probably just a small - relatively small
13 population of people who have received a transfusion
14 in France.

15 The thing that kind of bothered me a
16 little bit in the presentations today was - the
17 presentation by Doctor Bird, of the sort of unknown
18 cases of variant CJD - of BSE in France and, perhaps,
19 the kind of extended period of variant CJD cases
20 occurring, kind of not in a cluster, but just
21 sporadic, suggesting that there's an underlying
22 problem there.

23 If we can understand that, and, in fact,
24 I'd like to hear more comments about her data, does it
25 say that France does have some sort of special problem

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 with BSE and variant CJD?

2 And, I don't know, the rest of Europe
3 didn't seem to be a problem, especially the Euro
4 donors group.

5 So, I would kind of go along with the
6 comments you made, Richard, about considering France
7 and not considering the other countries, for the two
8 reasons, they don't accept their own donors, their own
9 transfused patients, and there seems to be a problem
10 with BSE and variant CJD there still.

11 CHAIRPERSON PRIOLA: In think with respect
12 to Doctor Bird's presentation, maybe she can clarify
13 this, the overall point was that there's
14 underestimation if you just base it on clinical cases,
15 so not to just single out France, but any country
16 where there's BSE or variant CJD surveillance there
17 will be under-representation.

18 DOCTOR DeARMOND: Could you, I would like
19 to know, I got a different perspective.

20 DOCTOR BIRD: No, I think the important
21 thing, from my point of view in terms of France, is
22 that the French team - that the majority of the
23 exposure of the French population came from exports of
24 bovine carcasses from the United Kingdom to France,
25 and that 60 percent of our export of bovine carcasses

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 went to France. So, there's a very clear reason as to
2 why France would be next in line.

3 There hasn't been much discussion here
4 about Ireland, what we think of as the Republic of
5 Ireland, which is not part of the United Kingdom, it's
6 a separate country, and which has two countries - two
7 variant CJD cases, and had a very high rate also of
8 BSE in its cattle.

9 DOCTOR DeARMOND: So, you didn't implicate
10 France, that France has a special problem, except in
11 the case of importation from Great Britain.

12 DOCTOR BIRD: Primarily, in respect to
13 importation from the United Kingdom, yes.

14 CHAIRPERSON PRIOLA: Doctor Nemo, did you
15 have a comment?

16 DOCTOR NEMO: Yes, just from the
17 availability standpoint, if you look at the history of
18 transfusion in France, it's 1.4 per 10,000. If you
19 look at, say, an average blood center that collects
20 200,000 units a year, that only comes out, I think, to
21 a couple, two to three, donors a month that you'd
22 lose. So, it's not - I think if my back-of-the-
23 envelope calculations are correct - so, it's not a
24 major loss as far as France is concerned.

25 CHAIRPERSON PRIOLA: Doctor DiMichele?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOCTOR DiMICHELE: Well, I just wanted to
2 say, you know, AABB, we do have our statement where we
3 think we can absorb, you know, a loss from deferral
4 due to France, but I would say that, you know, a donor
5 lost is more than a donation lost. You know, anyone
6 whose feelings are hurt when they are rejected, you
7 begin to lose their neighbors, their friends, their
8 family, their work site, and, hopefully, they were
9 more than a once-in-a-lifetime donor to begin with.
10 So, it is a greater loss than just, you know, a couple
11 of units a month.

12 Thank you.

13 MS. CARR-GREER: I was just wondering if
14 the U.K. was deferring individuals who had been
15 transfused in France?

16 DOCTOR BIRD: The U.K. defers any donor who
17 has received a transfusion.

18 DOCTOR DiMICHELE: In the U.K.?

19 DOCTOR BIRD: The U.K. would not accept a
20 blood donation from anybody who had received a
21 transfusion, period, is the answer now.

22 DOCTOR DeARMOND: Is that ever and in any
23 coun - from any country in Europe?

24 DOCTOR BIRD: That's correct, but, of
25 course, we've only done this as of 2004, whereas,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 France had made its decision in 1998, and part of our
2 reason for delaying, it obviously had been a
3 consideration in the U.K., was concern about the
4 impact on the blood supply, but then confronted with
5 blood-borne vCJD the action was then taken pretty
6 quickly.

7 DOCTOR SALMAN: Is this transfusion in
8 Europe? What if there was a donor who was transfused
9 in the United States, for example?

10 DOCTOR BIRD: I think I am correct in
11 saying that the U.K. would not accept as a donor
12 somebody who had received blood transfusion, full
13 stop.

14 DOCTOR SOLDAN: Well, perhaps, we have to
15 clarify this for the Committee afterwards, because I'm
16 not convinced, and we need to just check that for you
17 to be absolutely certain. That's a key point, because
18 my recall is that it may only be U.K. transfusions,
19 but we'd like to check that for you.

20 CHAIRPERSON PRIOLA: That would be
21 fantastic, thank you.

22 Doctor Bracey?

23 DOCTOR BRACEY: One of the issues that I
24 think we need to get somewhat concerned about is this
25 issue of taking that first step, because, clearly, if

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 you ask the question, you know, what is the minimal
2 biological risk, of course, the minimal biological
3 risk is not to receive - is to receive the blood of a
4 patient who has never been transfused.

5 As we begin to take these small
6 incremental steps and compare our policies to the
7 policies of other nations, I'm just afraid that this
8 may just be the first step, and then, well, then comes
9 the next step. That's my concern.

10 And again, if we do this, in essence,
11 because it's been done in France for, in essence,
12 trying to develop some sort of uniformity, I'm just
13 concerned about taking that first step and then,
14 perhaps, there's another step that follows.

15 CHAIRPERSON PRIOLA: Doctor Hogan, did you
16 have something you wanted to say? No?

17 Okay, Doctor Salman?

18 DOCTOR SALMAN: Well, my concern, if we
19 start with France now, somebody will say, what about
20 the Netherlands, and tomorrow another country in
21 Europe decide to do the same thing as France, when we
22 will stop. Either we have some risk assessment
23 process that is going on and have from that some
24 outcome before we can decide, or maybe we - like with
25 all the day we spend on reviewing risk assessment

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 models, and now we are saying is, we go with this and
2 exclude France or exclude other countries, so what's
3 the purpose of risk assessment modeling we are
4 reviewing?

5 CHAIRPERSON PRIOLA: Doctor DiMichele?

6 DOCTOR DiMICHELE: I'm going to play the
7 devil's advocate again.

8 I think that, you know, when we are
9 talking about deferring blood donors, I think the
10 situation is a little bit different than what we've
11 been talking about with respect to plasma derivatives.
12 I think the plasma derivative issue is a very
13 different kettle of fish, with respect to risk
14 assessment.

15 I think we have evidence that - or
16 certainly some evidence, I mean, maybe no
17 incontrovertible evidence, but some evidence that this
18 organism can be transmitted through a regular blood
19 donation.

20 I would have to say that there's some
21 people who are breathing a lot easier with our having
22 deferred people transfused in the United Kingdom from
23 our donor base, you know, now having, you know, found
24 out quite later, after having made this decision, that
25 there have been a few cases of transfusion transmitted

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 diseases. And, although I understand that this is an
2 ongoing risk assessment, and I would actually second
3 Mike Fitzpatrick's statement that there has to be an
4 ongoing risk assessment as we make these decisions, so
5 that we can reverse them should the time come, I would
6 agree with those individuals who have said that in
7 light of the fact that we know that this organism,
8 this prion can be transmitted, or like I said, have
9 fairly good evidence that it can be transmitted
10 through blood transfusion, and given that there is the
11 likelihood or the possibility that this situation
12 could evolve in France as it has in the U.K., and
13 given that France does defer its own transfused
14 individuals from donating blood, I don't really see
15 how we could not defer France, and people who have
16 been transfused in France, at least as a precautionary
17 measure.

18 CHAIRPERSON PRIOLA: Doctor Epstein, do you
19 have a comment?

20 DOCTOR EPSTEIN: Yeah, I just wanted to
21 comment that FDA's thinking in posing the question
22 specific to France was not based on the policy in
23 France to defer transfusion recipients, it was based
24 on the epidemiology of BSE exposure based on
25 importation, primarily, of U.K. beef, and it was based

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 on the relative proportion of vCJD cases, about 5
2 percent of that in the U.K., and that does make France
3 stand out among non-U.K. European countries.

4 So, I just wanted to clarify that we
5 didn't really see the driver here as the French policy
6 decision, though it's certainly a matter that the
7 Committee can discuss.

8 DOCTOR DiMICHELE: Yeah, but on the other
9 hand I would have to say that the French saw their
10 epidemiology as being a little bit of a risk factor,
11 and that's probably why they made their decision.

12 So, I mean, in essence, it does come back
13 down to the epidemiology.

14 DOCTOR EPSTEIN: Right, but the question is
15 whether a policy decision should be based on the
16 scientific risk assessment or looking at European
17 policies per se, and I'm just trying to get us
18 refocused on thinking it through from the standpoint
19 of risk.

20 DOCTOR DiMICHELE: Well, what I would then
21 say is that I would agree with you then that the
22 epidemiology is the issue, and that the deferral in
23 France of their own transfusion recipients, I think,
24 is just confirmation that, you know, there is some
25 concern about that epidemiology, and some concern that

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should be taken here in the United States. I guess
2 I'll reframe it that way.

3 DOCTOR EPSTEIN: I think we'd be more
4 comfortable hearing it framed that way.

5 CHAIRPERSON PRIOLA: Doctor Salman?

6 DOCTOR SALMAN: Well, if we try to make it
7 on the epidemiology of what happened with BSE in
8 France, I think again I would emphasize, this is
9 really unfair because there are plenty of countries
10 now in which they have BSE cases, whether detected or
11 not that's another issue.

12 Furthermore, when you look on the
13 Eurostat, which is the most important statistical-
14 based figures from Europe, there are plenty of
15 countries in which they received U.K. meat, received
16 MBM, meat and bonemeals, they have more cases if we go
17 with that type of prediction.

18 So, I don't think we can pinpoint only on
19 France, whether the French decided to go with that
20 issue, I agree with Doctor Allen, is mainly because of
21 their previous experience with the HIV, that's their
22 reason to go that way.

23 So, if we go with the issue about
24 epidemiology of BSE, I think there are plenty of
25 other countries I can pinpoint in which like they

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 should be included with France.

2 CHAIRPERSON PRIOLA: Doctor Belay?

3 DOCTOR BELAY: But clearly, the French
4 question is different because they have more vCJD,
5 period, and that reflects what people have been saying
6 in terms of the BSE exposure in France, whether it's
7 from within France, BSE within France, or it's foreign
8 material, imported material from the U.K. is higher
9 than other European countries, and that's what the
10 vCJD situation is telling us.

11 CHAIRPERSON PRIOLA: Although I suppose
12 you could extend Doctor Salman's argument and say in
13 those countries where there is poor BSE surveillance
14 there's probably not much better vCJD surveillance.

15 DOCTOR BELAY: That's correct.

16 CHAIRPERSON PRIOLA: Doctor Bracey?

17 DOCTOR BRACEY: Perhaps, back to the issue
18 of the Japanese case. Here's a case where a person was
19 not in the U.K. for three months, they acquired the
20 infection, so again, when we look at reducing risk are
21 we really treating other countries in a fair manner,
22 you know, when we decide for the U.K. that it is three
23 months, because we want to maintain our donor base
24 with a loss of 2 to 3 percent? I mean, where's the
25 science in that decision? That was the decision,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 based upon loss of donors. Should we go to a month
2 for the U.K.? Would that decrease the risk relative
3 to France?

4 CHAIRPERSON PRIOLA: Doctor DiMichele?

5 DOCTOR DiMICHELE: In think you bring up an
6 excellent point, and what I was going to say is,
7 that's not an issue that's on the table right now, but
8 I would have to say that, you know, this case in Japan
9 is going to have to be watched very carefully, and if
10 there is further evidence, yes, I would agree with a
11 deferral, a stricter deferral.

12 I mean, and it's going to be difficult,
13 but I'm not sure what else we can do until we, you
14 know, begin to understand how to test for this, you
15 know, how to nip it in the bud as we have for other
16 infectious diseases.

17 You know, fortunately, this case is not
18 like West Nile, which, you know, we were able to get
19 control of very quickly, but, you know, I don't know
20 what else to do, I mean, the population still has - I
21 mean, this is a terrible disease.

22 CHAIRPERSON PRIOLA: Mr. Bias?

23 MR. BIAS: Well, I guess - boy, hard
24 decision when you open up this door, it's a very
25 difficult decision. But, I guess what I'm - the

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 reason I'm sitting in this seat, and wasn't sitting
2 here years ago in a seat like this, was because the
3 Committee needs a human face. I've been independent
4 on the blood supply my entire life, and I probably
5 have everything that's been through the blood supply
6 as a result of that.

7 So, before we make this decision, very few
8 decisions can be made based on science alone, when we
9 are talking about infusing it into people's arms. So,
10 I would ask you all to keep that in mind as we make
11 this decision.

12 I wouldn't make a decision that France is
13 not willing to make themselves, and if they are
14 deferring then I think we have to hold that line.

15 I think we got a model of a risk
16 assessment tool, and I think we ought to use it, at
17 least try to use it, or try to get information so that
18 we can use it, before we start opening up the doors.

19 And then, I guess my final comment would
20 be, is to think about that person on the end if we are
21 wrong, and what we tell them, or what we have done to
22 protect them. Are the blood banks talking about
23 labeling blood or notifying that patient that you are
24 getting European blood, or are they simply saying we
25 are going to give you blood, and you are not going to

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS

1323 RHODE ISLAND AVE., N.W.

WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 know?

2 And, I think that regardless of whether we
3 get to make that decision at this Committee that has
4 to be part of our thoughts, because once we make this
5 decision it will be very difficult to reverse.

6 This is my fourth or fifth meeting, and at
7 every one of these meetings I've heard the appeal from
8 the blood collection industry to reduce the deferrals,
9 to open up the gates because of the lack of cases, and
10 every time I've heard the science say to us over and
11 over again that we have one, two, three, four
12 uncertainties that we're all concerned about, that we
13 just don't know the answer to yet.

14 So, I would actually consider that as we
15 make this vote, not taking one side or the other, but
16 there are people here to be considered, and I would
17 ask the Committee to consider that.

18 CHAIRPERSON PRIOLA: Doctor Rohwer, do you
19 have a comment?

20 DOCTOR ROHWER: Yeah, thanks.

21 I would like to have this opportunity to
22 stir this up a little bit more.

23 I think the science behind deferring
24 transfusion recipients is just about the smartest
25 thing you can do scientifically, and let me give you

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 the arguments.

2 Let me remind you that we have iatrogenic
3 transmissions of this disease, at least 250 of them
4 are known from human growth hormone and dura mater, so
5 that's a fact, it does happen. And, the largest
6 exposure that we have to human-derived tissues is
7 probably through the blood supply and the use of
8 blood.

9 This use of human-derived tissues is as
10 close as we come to an analogous situation to what
11 happened to BSE in cows. There we had a disease that
12 was being recycled in that population for probably a
13 decade at least before the first case was recognized.
14 It's now estimated that there were probably somewhere
15 like 100,000 animals that were already infected by
16 1985, that then showed up in that peak that we saw
17 six, seven years later.

18 And so, the risk from these diseases is
19 really the risk - the public health risk from them,
20 and I'm talking about a population risk more than an
21 individual risk, from the silent propagation of an
22 infection with a very long incubation time, that
23 doesn't reveal itself in symptoms until the last
24 moments of the disease.

25 We also have heard today, or just since -

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 during this last year, that the prevalence of this
2 disease may be much higher than we had ever expected
3 from the tonsil/appendix survey, and something that
4 hasn't come up today, but that has concerned me
5 considerably about that study, is we have no idea what
6 the ascertainment rate is for those tissues. It can't
7 possibly be 100 percent, and we know that it's
8 imperfect because the second transfusion case had
9 neither an appendix or a tonsil signal, they found it
10 in the spleen.

11 So, we are not getting them all that way,
12 and we have no idea whether we are getting 1 percent,
13 10 percent, or whatever.

14 We also have all this new data about
15 infectivity in muscles, which is extremely alarming to
16 me personally, because it suggests that we may have
17 completely underestimated the exposure. It may have
18 been much greater than we actually thought. It didn't
19 come, necessarily, just from SBOs and MRM and things
20 like that.

21 So, and finally, we have these two
22 transfusion transmissions that have already occurred,
23 and this is giving us a warning that we may be on the
24 same path to iatrogenic transmission that we saw with,
25 you know, in 1985 there was one case of human growth

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

(202) 234-4433

www.nealrgross.com

1 hormone transmission as well. Now, we've got 150 or
2 something like that on the books.

3 Finally, let's consider what has worked in
4 terms of the management of these diseases. We have a
5 proven management method in the feed ban that was
6 implemented in Great Britain. It took an epidemic
7 that was expanding exponentially and stopped it in its
8 tracks over about a four or five-year period. We are
9 down, we heard down, down to 80 or something cases a
10 year in Great Britain, from a high of 1,000 cases a
11 week in 1993, I think, 1992, and that's amazingly
12 effective.

13 The only analogous tool that we have right
14 now for doing the same thing with these transfusion -
15 with a potential for transfusion transmitted
16 infections, is to defer transfusion recipients. It
17 doesn't prevent a person from getting the disease from
18 having been in the United Kingdom, or Europe, or
19 something like that, but it does prevent the
20 propagation of the disease, if, in fact, that's an
21 issue, and we just don't know.

22 So, it is precautionary in that sense, but
23 in terms of the science it seems to me, and always has
24 seemed to me, that this is absolutely the most
25 effective thing you could do to prevent a - to stop an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 incipient epidemic that may be incubating in our midst
2 from going anywhere.

3 CHAIRPERSON PRIOLA: Thank you, Doctor
4 Rohwer.

5 Any other comments from the Committee?

6 I'm not sensing consensus in the Committee
7 either way, so should we take a vote and see how
8 people stand on this issue?

9 DOCTOR DeARMOND: An unofficial vote.

10 DOCTOR JOHNSON: How about a motion to
11 vote?

12 CHAIRPERSON PRIOLA: Well, I don't know if
13 - well, yeah, we can have a motion to vote.

14 DOCTOR JOHNSON: So moved.

15 EXECUTIVE SECRETARY FREAS: We don't need
16 a motion.

17 CHAIRPERSON PRIOLA: Yeah, I guess my
18 comment would be that if you vote and then you have to
19 reverse, then you are not sure enough to vote yet
20 about how you want to do this, you know, whether you
21 want to vote for deferral or not for deferral in
22 France and/or other European countries.

23 So, should we take a vote? Let's go
24 around and vote.

25 EXECUTIVE SECRETARY FREAS: This will be an

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 official vote, and there are 16 voting members at the
2 table, and, of course, at the end we'll ask the
3 industry for their recommendation.

4 I'm going to start with you, Doctor
5 Schonberger.

6 DOCTOR SCHONBERGER: In agree with the
7 argument that Doctor DiMichele put forward, and I vote
8 for the deferment of people from France for donations
9 of blood.

10 EXECUTIVE SECRETARY FREAS: Next, Doctor
11 Hogan?

12 DOCTOR HOGAN: I'm going to vote for it,
13 but I'm concerned about how much everyone said, how
14 much really we are significantly decreasing the risk.
15 However, I'm compelled by Doctor Rohwer's argument,
16 and that's that we have to be extremely cautious.

17 EXECUTIVE SECRETARY FREAS: Doctor Bracey?

18 DOCTOR BRACEY: I'll vote against the
19 exclusion.

20 EXECUTIVE SECRETARY FREAS: Doctor Jenny?

21 DOCTOR JENNY: I'll vote for it, but I
22 still have concerns about that we don't have enough
23 information. I would have been - the person from
24 France that was to be here, I think, could have helped
25 this discussion a lot.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 EXECUTIVE SECRETARY FREAS: Doctor Gaylor?

2 DOCTOR GAYLOR: May I abstain?

3 EXECUTIVE SECRETARY FREAS: Yes, you may
4 abstain, yes.

5 Doctor Nemo?

6 DOCTOR NEMO: In vote for.

7 EXECUTIVE SECRETARY FREAS: Doctor Johnson?

8 DOCTOR JOHNSON: Aye.

9 EXECUTIVE SECRETARY FREAS: Doctor Allen?

10 DOCTOR ALLEN: Yes, but not strongly.

11 EXECUTIVE SECRETARY FREAS: Doctor Priola?

12 CHAIRPERSON PRIOLA: In vote no.

13 EXECUTIVE SECRETARY FREAS: Doctor Telling?

14 DOCTOR TELLING: I have no concern for
15 voting for the deferment.

16 EXECUTIVE SECRETARY FREAS: Mr. Bias?

17 MR. BIAS: I vote for.

18 AUDIENCE: What was your vote?

19 DOCTOR TELLING: I voted for the deferment
20 with no concerns.

21 MR. BIAS: I vote for the deferment.

22 EXECUTIVE SECRETARY FREAS: Doctor
23 Creekmore?

24 DOCTOR CREEKMORE: In vote for.

25 EXECUTIVE SECRETARY FREAS: Doctor

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DeArmond?

2 DOCTOR DeARMOND: We're talking about a
3 small number of people that would be affected. France
4 defers them, and I like Val Bias' argument that we
5 have to consider the patient receiving transfusions,
6 and they are the second largest number, they have the
7 second largest number of vCJD cases, so I vote for.

8 EXECUTIVE SECRETARY FREAS: Doctor Belay?

9 DOCTOR BELAY: I vote yes for the
10 deferment.

11 EXECUTIVE SECRETARY FREAS: Doctor Salman?

12 DOCTOR SALMAN: I vote against it.

13 EXECUTIVE SECRETARY FREAS: Doctor
14 DiMichele?

15 DOCTOR DiMICHELE: In vote for it, and
16 continue to urge, though, that we continue to evaluate
17 every decision.

18 EXECUTIVE SECRETARY FREAS: May we get the
19 industry opinion?

20 DOCTOR PETTEWAY: In think the decision in
21 October is still the correct one, I vote no.

22 EXECUTIVE SECRETARY FREAS: Okay, that was
23 an opinion, not a vote, for point of clarification.

24 So, we have two no votes, one abstention
25 and the rest were for the deferral.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Oops, my math is not that good, it's three
2 no votes, one abstention, and that should leave 12 yes
3 votes.

4 CHAIRPERSON PRIOLA: Let's move on to the
5 second part of the question, should we recommend
6 deferral of blood donors transfused since 1980 in
7 other countries of Europe?

8 So, let's go around and vote on the second
9 part of the question then.

10 EXECUTIVE SECRETARY FREAS: Going around
11 the table the same way, Doctor Schonberger?

12 DOCTOR SCHONBERGER: No.

13 EXECUTIVE SECRETARY FREAS: Doctor Hogan?

14 DOCTOR HOGAN: No deferral.

15 EXECUTIVE SECRETARY FREAS: Doctor Bracey?

16 DOCTOR BRACEY: No.

17 EXECUTIVE SECRETARY FREAS: Doctor Jenny?

18 DOCTOR JENNY: No.

19 EXECUTIVE SECRETARY FREAS: Doctor Gaylor?

20 DOCTOR GAYLOR: No.

21 EXECUTIVE SECRETARY FREAS: Doctor Nemo?

22 DOCTOR NEMO: No.

23 EXECUTIVE SECRETARY FREAS: Doctor Johnson?

24 DOCTOR JOHNSON: No.

25 EXECUTIVE SECRETARY FREAS: Doctor Allen?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOCTOR ALLEN: No.

2 EXECUTIVE SECRETARY FREAS: Doctor Priola?

3 CHAIRPERSON PRIOLA: No.

4 EXECUTIVE SECRETARY FREAS: Doctor Telling?

5 DOCTOR TELLING: I vote no, but with the
6 caveat that I'm concerned about the increased rise in
7 cases in Switzerland, which has the second highest
8 incidence of BSE in Europe, and the fact that new
9 variant CJD may manifest in more than one molecular
10 form.

11 EXECUTIVE SECRETARY FREAS: Mr. Bias?

12 MR. BIAS: I'm going to abstain.

13 EXECUTIVE SECRETARY FREAS: Doctor
14 Creekmore?

15 DOCTOR CREEKMORE: No, with the comment,
16 though, that I think we should remain vigilant, we
17 should be continuing to investigate that situation,
18 and consider using this risk analysis tool for both
19 continuing to look at these other countries and also,
20 as has been mentioned before, as we get down the road
21 making decisions about changing policies we've already
22 made.

23 EXECUTIVE SECRETARY FREAS: Doctor
24 DeArmond?

25 DOCTOR DeARMOND: No, but with the same

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 caveats that Glenn and Lynne had.

2 EXECUTIVE SECRETARY FREAS: Doctor Belay?

3 DOCTOR BELAY: No.

4 EXECUTIVE SECRETARY FREAS: Doctor Salman?

5 DOCTOR SALMAN: No.

6 EXECUTIVE SECRETARY FREAS: Doctor
7 DiMichele?

8 DOCTOR DiMICHELE: No, with - and I concur
9 with careful reevaluation.

10 EXECUTIVE SECRETARY FREAS: And, industry's
11 recommendation?

12 DOCTOR PETTEWAY: No.

13 EXECUTIVE SECRETARY FREAS: Okay.

14 We have one person abstained, and then it
15 was unanimous no votes with caveats.

16 CHAIRPERSON PRIOLA: Okay.

17 So, we can move on to the second question,
18 which is, based upon the available scientific
19 information, does the Committee recommend deferral of
20 source plasma donors transfused since 1980 in France
21 or in other countries of Europe, and I guess this is
22 with the caveat that there is probably some degree of
23 TSE clearance in sourced plasma products.

24 Discussion from the Committee, comments?

25 Doctor DeArmond?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOCTOR DeARMOND: As I recall, or I can't
2 remember the details, of where plasma byproducts are
3 obtained. Are they mostly from industry in Europe, or
4 do we have a large industry here? As I recall, it's
5 mostly European, but I don't remember.

6 CHAIRPERSON PRIOLA: Doctor Petteway, do
7 you want to comment?

8 DOCTOR PETTEWAY: By byproducts, you mean
9 where is the plasma obtained for U.S. products? It's
10 U.S. plasma.

11 DOCTOR DeARMOND: And, where is it
12 processed into the sub-fractions?

13 DOCTOR PETTEWAY: It depends on the
14 company, yes.

15 CHAIRPERSON PRIOLA: But, the source of it
16 all is U.S. blood.

17 Doctor Allen?

18 DOCTOR ALLEN: I'm concerned by the degree
19 to which it's believed that the prion infectivity is
20 in plasma, I think the figure that was mentioned was
21 about 50 percent.

22 On the other hand, the processing seems to
23 clear, and if one looks at the epidemiological data
24 that has been cited several times, particularly,
25 within England and looking at the absence of any

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 apparent cases in people who have received regular
2 infusions of clotting factor and other products, you
3 know, I'm convinced that it seems as though the risk
4 is within acceptable limits at the present time, and
5 I'm assuming the FDA will, of course, continue to
6 monitor the situation carefully.

7 CHAIRPERSON PRIOLA: Doctor DiMichele?

8 DOCTOR DiMICHELE: In would just like to
9 ask a question for clarification.

10 I think I understand that all of the
11 deferrals so far for transfusion and for other reasons
12 for the blood product industry also applies to source
13 plasma, except for the clarification of greater than
14 or equal to five years residence, travel in Europe,
15 applying only to France for source plasma, is that
16 correct?

17 CHAIRPERSON PRIOLA: In believe so. Would
18 someone from - Doctor Williams will answer that.

19 DOCTOR WILLIAMS: Yes, that is correct.

20 DOCTOR DiMICHELE: That is correct?

21 DOCTOR WILLIAMS: That is correct.

22 DOCTOR DiMICHELE: Okay.

23 So, there's already a special precaution
24 for France in source plasma that doesn't apply to,
25 from what I'm understanding, I mean, there's already

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 a special exclusion, or in the absence of exclusion of
2 Europe for blood donors there is an exclusion - no,
3 wait a second - there is - what I want to say is, is
4 that France is out for source plasma anyway, with
5 respect to five years of residence and travel, I
6 think, from what I'm understanding here then.

7 So, there has already been sort of a
8 caution relating to France already.

9 Am I reading this correctly?

10 AUDIENCE: Yes.

11 DOCTOR DiMICHELE: Yes, okay.

12 So, I would have to say that, you know,
13 this is a tough question, because I think that the
14 epidemiology of it isn't quite clear. In one way we
15 are being told that certainly source plasma donors are
16 the younger donors, they are the ones we have to worry
17 about with new variant CJD by and large, rather than
18 the older donors. We know that 58 percent of the CJD
19 is in plasma, so we can't say that plasma is not a
20 source of concern.

21 By the same token, most of it is going
22 into plasma derivatives, you know, and, you know, we
23 are a little less concerned about plasma derivatives.

24 On the other hand, I would have to say
25 that there's already a caution for France, so I would

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 have to say that this would have to be considered
2 seriously.

3 CHAIRPERSON PRIOLA: Other comments from
4 Committee members?

5 If there are no other comments or
6 questions, should we take a vote on this second issue
7 then? Okay, let's do that.

8 EXECUTIVE SECRETARY FREAS: Same order?

9 CHAIRPERSON PRIOLA: Let's reverse the
10 order, so that Steve doesn't have to wait so long.

11 DOCTOR PETTEWAY: Appreciate that, I vote
12 no for both A and B.

13 EXECUTIVE SECRETARY FREAS: Okay.

14 Doctor DiMichele?

15 DOCTOR DiMICHELE: In don't think I quite
16 answered my own question yet. Oh, gee, I don't know
17 that I'm ready for this vote, if I have to -

18 EXECUTIVE SECRETARY FREAS: You may pass
19 and we'll come back and get you.

20 DOCTOR DiMICHELE: Okay, well, yeah, I
21 think I have to abstain, because I'm just not sure.

22 EXECUTIVE SECRETARY FREAS: Doctor Salman?

23 DOCTOR SALMAN: No.

24 EXECUTIVE SECRETARY FREAS: Doctor Belay?

25 DOCTOR BELAY: I vote yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 EXECUTIVE SECRETARY FREAS: Doctor
2 DeArmond?

3 DOCTOR DeARMOND: Well, based on the
4 epidemiology I'd have to say no.

5 EXECUTIVE SECRETARY FREAS: Doctor
6 Creekmore?

7 DOCTOR CREEKMORE: I'd like to pass.

8 EXECUTIVE SECRETARY FREAS: That's an
9 abstain.

10 DOCTOR CREEKMORE: Or abstain.

11 EXECUTIVE SECRETARY FREAS: Okay. If it
12 was a pass we'd come back and get you.

13 Mr. Bias?

14 MR. BIAS: Can you state the question one
15 more time?

16 CHAIRPERSON PRIOLA: It's, based upon the
17 available scientific information does the Committee
18 recommend deferral of source plasma donors transfused
19 since 1980 in France?

20 MR. BIAS: Yes.

21 EXECUTIVE SECRETARY FREAS: Doctor Telling?

22 DOCTOR TELLING: Yes.

23 EXECUTIVE SECRETARY FREAS: I'm sorry, Mr.
24 Bias, your answer was?

25 MR. BIAS: Yes.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 EXECUTIVE SECRETARY FREAS: Okay.

2 And, Doctor Telling, yours was yes, too.

3 Doctor Priola?

4 CHAIRPERSON PRIOLA: No.

5 EXECUTIVE SECRETARY FREAS: Doctor Allen?

6 DOCTOR ALLEN: No.

7 EXECUTIVE SECRETARY FREAS: Doctor Johnson?

8 DOCTOR JOHNSON: Yes.

9 EXECUTIVE SECRETARY FREAS: Doctor Nemo?

10 DOCTOR NEMO: No.

11 EXECUTIVE SECRETARY FREAS: Doctor Gaylor?

12 DOCTOR GAYLOR: In abstain.

13 EXECUTIVE SECRETARY FREAS: Doctor Jenny?

14 DOCTOR JENNY: Abstain.

15 EXECUTIVE SECRETARY FREAS: Doctor Bracey?

16 DOCTOR BRACEY: No.

17 EXECUTIVE SECRETARY FREAS: Doctor Hogan?

18 DOCTOR HOGAN: No.

19 EXECUTIVE SECRETARY FREAS: Doctor

20 Schonberger?

21 DOCTOR SCHONBERGER: Yes.

22 EXECUTIVE SECRETARY FREAS: My count, five

23 yes votes, four abstain, and the rest would be no

24 votes.

25 The yes votes were Doctor Schonberger,

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 Doctor Johnson, Doctor Telling, Mr. Bias and Doctor
2 Belay.

3 There are 16 people voting, so it's 5-7-4.

4 CHAIRPERSON PRIOLA: Yes, so seven no.

5 EXECUTIVE SECRETARY FREAS: Seven no.

6 CHAIRPERSON PRIOLA: Five yes, seven no,
7 four abstain.

8 As to the second part of the question,
9 deferral for source plasma donors transfused since
10 1980 in other countries of Europe.

11 DOCTOR PETTEWAY: No.

12 Oh, Doctor Petteway already voted.

13 EXECUTIVE SECRETARY FREAS: Okay.

14 DOCTOR DiMICHELE: No.

15 EXECUTIVE SECRETARY FREAS: Doctor Salman?

16 DOCTOR SALMAN: No.

17 EXECUTIVE SECRETARY FREAS: Mr. Belay -
18 Doctor Belay?

19 DOCTOR BELAY: No.

20 EXECUTIVE SECRETARY FREAS: Doctor
21 DeArmond?

22 DOCTOR DeARMOND: Using Doctor
23 Schonberger's epidemiology argument, I still say no.

24 EXECUTIVE SECRETARY FREAS: Doctor
25 Creekmore?

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 DOCTOR CREEKMORE: No.
2 EXECUTIVE SECRETARY FREAS: Mr. Bias?
3 MR. BIAS: No.
4 EXECUTIVE SECRETARY FREAS: Doctor Telling?
5 DOCTOR TELLING: No.
6 EXECUTIVE SECRETARY FREAS: Doctor Priola?
7 CHAIRPERSON PRIOLA: No.
8 EXECUTIVE SECRETARY FREAS: Doctor Allen?
9 DOCTOR ALLEN: No.
10 EXECUTIVE SECRETARY FREAS: Doctor Johnson?
11 DOCTOR JOHNSON: No.
12 EXECUTIVE SECRETARY FREAS: Doctor Nemo?
13 DOCTOR NEMO: No.
14 EXECUTIVE SECRETARY FREAS: Doctor Gaylor?
15 DOCTOR GAYLOR: No.
16 EXECUTIVE SECRETARY FREAS: Doctor Jenny?
17 DOCTOR JENNY: No.
18 EXECUTIVE SECRETARY FREAS: Doctor Bracey?
19 DOCTOR BRACEY: No.
20 EXECUTIVE SECRETARY FREAS: Doctor Hogan?
21 DOCTOR HOGAN: No.
22 EXECUTIVE SECRETARY FREAS: Doctor
23 Schonberger?
24 DOCTOR SCHONBERGER: No.
25 EXECUTIVE SECRETARY FREAS: Unanimous no.

NEAL R. GROSS

COURT REPORTERS AND TRANSCRIBERS
1323 RHODE ISLAND AVE., N.W.
WASHINGTON, D.C. 20005-3701

1 CHAIRPERSON PRIOLA: Okay.

2 With that, that concludes the meeting. I
3 appreciate everybody's efforts. These were not easy
4 questions.

5 Thank you all for being here, and I'd like
6 to thank all our speakers, especially the speakers
7 from the U.K. Their input was greatly appreciated.

8 (Whereupon, the above-entitled matter was
9 concluded at 5:49 p.m.)

10

11

12

13

14

15

16

17

18

19

20

21

22

23

24

25

CERTIFICATE

This is to certify that the foregoing transcript in the
matter of: TSE Advisory Committee Meeting

Before: DHHS/FDA/CBER

Date: February 8, 2005

Place: Silver Spring, Maryland

represents the full and complete proceedings of the
aforementioned matter, as reported and reduced to
typewriting.


