

sgg

AT

1

DEPARTMENT OF HEALTH AND HUMAN SERVICES
FOOD AND DRUG ADMINISTRATION
CENTER FOR BIOLOGICS EVALUATION AND RESEARCH

TRANSMISSIBLE SPONGIFORM ENCEPHALOPATHIES
ADVISORY COMMITTEE

This transcript has not been edited or corrected, but appears as received from the commercial transcribing service. Accordingly the Food and Drug Administration makes no representation as to its accuracy.

Thursday, January 18, 2001

8:30 a.m.

Holiday Inn Bethesda
Versailles I and II
8120 Wisconsin Avenue
Bethesda, Maryland

MILLER REPORTING COMPANY, INC.
735 C Street, S.E.
Washington, D.C. 20003-2802
(202) 546-6666

PARTICIPANTS

Paul W. Brown, M.D., Chairperson
William*Freas, Ph.D., Executive Secretary

VOTING MEMBERS

Ermias D. Belay, M.D.
David C. Bolton, Ph.D.
Donald S. Burke, M.D.
Dean O. Cliver, Ph.D.
Bruce M. Ewenstein, M.D.', Ph.D.
Peter G. Lurie, M.D.
Pedro Piccardo, M.D.
Stanley B. Prusiner, M.D.
Raymond P. Roos, M.D.
Elizabeth S. Williams, D.V.M., Ph.D.

VOTING CONSULTANTS

Linda A. Detwiler, D.V.M.
Barbara Loe Fisher (Consumer Representative)
David Gaylor, Ph.D.
Paul R. McCurdy, M.D.
Kenrad E. Nelson, M.D.

NONVOTING CONSULTANT

Susan Leitman, M.D.

GUESTS

Richard Davey, M.D.
Louis Katz, M.D.

C O N T E N T S

Administrative Remarks, W. Freas, Ph.D.	5
Presentation of Awards for Committee Service, B. Schwetz, D.V.M., Ph.D.	11
Topic 1: Reconsideration of FDA policies on suitability of blood donors who have lived or traveled in France and other European countries based on recent information concerning new-variant Creutzfeldt-Jakob disease and bovine spongiform encephalopathy:	
Introduction, Charge and Questions, D. Asher, M.D.	13
Updates on vCJD and Estimated Human Exposure on the BSE in the United Kingdom, France and Other BSE Countries:	
United Kingdom, Paul Brown, M.D.	22
France, J-P Deslys, M.D., Ph.D.	33
Canadian Assessments and Policies Concerning Deferral of Blood Donors Who Resided or Traveled in Countries with BSE and vCJD, Antonio Giulivi, M.D., FRCPC	55
Potential Dietary Exposures of US Service Personnel and Dependents to BSE Agent:	
Col. S.R. Severin, D.V.M.	64
Col. M. Fitzpatrick, Ph.D.	75
Possible Effects of Recent Changes in FDA Blood-Donor Deferral: Policies on US Blood Supply:	
P. McCurdy, M.D.	80
Allan William, Ph.D.	83
Open Public Hearing:	
Robert Jones, M.D., NYBC	99
Mr. C. Healey, ABRA	102
M. Sayers, M.D., ABC	105
J. Frederick, The American Red Cross	107
D. Cavanaugh, Committee of Ten Thousand	110
Committee Discussion	112

CONTENTS (Continued)

Topic 2: The risks of Creutzfeld-Jakob disease and vCJD transmission by human cells, tissues and cellular and tissue-based products intended for implantation, transplantation, infusion, or transfer that are currently or proposed to be regulated by FDA, and the possible deferral of donors who have resided in the United Kingdom:	
Background on Current and Proposed Policies for Blood, Human Tissue and Dura Mater Regarding CJD and vCJD, R. Solomon, M.D.	213
Issue Distribution of Infectivity in Human TSEs D. Asher, M.D.	221
Issue Distribution of Infectivity in Animal TSEs, S. Priola, Ph.D.	226
CJD Transmission by Corneal Transplantation, R.N. Hogan, Ph.D., M.D.	237
CJD Risk Among Cornea Donors, R.H. Kennedy, M.D.	247
Comments:	
R. Taffs, Ph.D.	257
L. Schonberger, M.D. (By E. Belay, M.D.)	261
Legislative Consent: Safety and Supply of Corneal Transplants, David Glasser, M.D.	269
The Risk of nvCJD in Recipients of Hematopoietic Stem Cell Transplants and the Impact of Deferring Donors from the U.K., D. Confer, M.D.	277
Tissue and Organ Standards Process in Canada, Dubord, M.D.	289
Donor History Questionnaire/Rates of Donor Deferral, Robert Rigney	299
Open Public Hearing:	
J. Requard	311
J. Cole	314
T. Wiegmann	319
B. Beliveaux	321

P R O C E E D I N G S

1
2 DR. BROWN: Bill Freas, would you call the meeting
3 to order, please?

4 DR. FREAS: Mr. Chairman, members of the
5 committee, invited guests and public participants, I would
6 like to welcome all of you to this, our eighth meeting of
7 the Transmissible Spongiform Encephalopathies Advisory
a Committee. I am Bill Freas, the executive secretary for the
9 committee. Both days of this meeting are open to the public.

10 At this time, I would like to introduce to the
11 public members of this committee, seated at the head table.
12 I would like to start on the right side of the room, the
13 audience's right, and would the members please raise their
14 hand as the name is called so that people in the audience
15 can see who you are.

16 In the first chair, at the corner of the table, is
17 Dr. Raymond Roos, Chairman, Department of Neurology,
18 University of Chicago. Next to Dr. Roos is a temporary
19 voting member for this meeting, Dr. Linda Detwiler, Senior
20 Staff Veterinarian, U.S. Department of Agriculture. Next is
21 a standing committee member, Dr. Bruce Ewenstein, Clinical
22 Director, Hematology Division, Brigham and Women's Hospital.
23 Next is a standing committee member, Dr. Donald Burke,
24 Director, Center for Immunization Research, Johns Hopkins
25 University.

1 Next is a temporary voting member for today and
2 our consumer representative, Barbara Loe Fisher, Co-Founder
3 and President, National Vaccine Information Center, Vienna,
4 Virginia. Next is a temporary voting member, Dr. Paul
5 McCurdy, consultant to the National Heart, Lung and Blood
6 Institute, NIH. Next is a standing committee member, Dr.
7 Pedro Piccardo, Assistant Professor, Indiana University
8 Hospital.

9 In front of the podium is a temporary voting
10 member, Dr. David Gaylor, statistician and consultant from
11 Little Rock, Arkansas. Next is a temporary voting member and
12 also the Chairman of FDA's Blood Products Advisory
13 Committee, Dr. Kenrad Nelson, Professor, Department of
14 Epidemiology, Johns Hopkins University School of Hygiene and
15 Public Health. Next is a standing committee member, Dr.
16 David Bolton, Head, Laboratory of Molecular Structure and
17 Function, New York State Institute for Basic Research.

18 Next is the Chairman of this committee, Dr. Paul
19 Brown, Medical Director, Laboratory of Central Nervous
20 System Studies, National Institute of Neurological Disorders
21 and Strokes. At the corner of the table is a standing
22 committee member, Dr. Ermias Belay, Medical Epidemiologist,
23 Centers for Disease Control and Prevention. Around the
24 corner is a standing committee member, Dr. Dean Cliver,
25 Professor, School of Veterinary Medicine, University of

1 California, Davis.

2 The empty seat will shortly be filled by Dr. Peter
3 Lurie, who is a medical researcher for Public Citizen's
4 Health Research Group, Washington, DC. The next individual
5 is a standing committee member, Dr. Elizabeth Williams,
6 Professor, Department of Veterinary Service, University of
7 Wyoming. In the next chair is a standing committee member,
8 Dr. Stan Prusiner, Professor of Neurology, University of
9 California Institute for Neurodegenerative Diseases. Next is
10 a non-voting consultant for today's meeting, Dr. Susan
11 Leitman, Chief of Blood Services Section, Department of
12 Transfusion Medicine, NIH.

13 Next are two guests of the committee, Dr. Richard
14 Davey, who is here today as a representative from the Public
15 Health Service Blood Safety and Availability Advisory
16 Committee. Next is Dr. Louis Katz, Vice President for
17 Medical Affairs and Medical Director for the Mississippi
18 Valley Regional Blood Center, Davenport, Iowa. Drs. Lisa
19 Ferguson and Jeffrey McCullough, standing members of this
20 committee, will not be with us today.

21 I would like to thank everyone for coming. I now
22 would like to read the conflict of interest statement into
23 the official record.

24 The following announcement is made part of the
25 public record to preclude even the appearance of a conflict

1 of interest at this meeting. Pursuant to the authority
2 granted under the Committee Charter, the Director, Center
3 for Biologic Evaluation and Research, has appointed Drs.
4 Linda Detwiler, David Gaylor, Paul McCurdy, Kenrad Nelson
5 and Ms. Barbara Loe Fisher as temporary voting members.
6 Based on the agenda made available, it has been determined
7 that the agenda addresses general matters only. General
8 matters waivers have been approved by the agency for all
9 members and consultants of the TSE Advisory Committee. The
10 general nature of the matters to be discussed by the
11 committee will not have a unique and distinct effect on any
12 of the members' personal imputed financial interests.

13 In regards to FDA's invited guests, the agency has
14 determined that the services of these guests are essential.
15 The following reported interests are being made public to
16 allow meeting participants to objectively evaluate any
17 presentation and/or comments made by the participants. Dr.
18 Richard Davey is a former chief medical officer of the
19 American Red Cross. Dr. Dennis Confer is employed at the
20 National Marrow Donor Program in Minneapolis. Dr. Jean-
21 Philippe Deslys -- his employer, CEA in France, is involved
22 in the development of a diagnostic kit for BSE. Dr. David
23 Glasser is Chief of Ophthalmology at the Patuxent Medical
24 Group. He was a paid consultant to the Lions Eye and Tissue
25 Bank and Research Foundation. Dr. Louis Katz is employed by

1 the Mississippi Valley Regional Blood Center. Dr. Michael
2 Miller's employer, the Colorado Division of Wildlife, has
3 regulatory authority of some deer and elk ranches in
4 Colorado. Dr. Alan Williams is currently employed by the
5 American Red Cross, J.H. Holland Laboratory. He is also
6 scientific advisor to the American Association of Blood
7 Banks and the Canadian Blood Service. Dr. Glen Zebarth is
8 the owner of an elk ranch. In addition, he provides medical
9 care for elk at his veterinary clinic.

10 In addition, Dr. Paul Brown has recused himself
11 from any votes involving corneal transplant risk during the
12 discussion of such risks because he is an unpaid consultant
13 and co-author of the EBBA Risk Assessment Report.

14 In the event that discussions involve more
15 specific products or specific firms for which FDA's
16 participants have a financial interest, the participants are
17 aware of the need to exclude themselves from such discussion
18 and their exclusion will be noted in the public record.

19 A copy of the waivers will be available, upon
20 written request, under the Freedom of Information Act. With
21 respect to all other meeting participants, we ask in the
22 interest of fairness that they address any current or
23 previous financial involvement with any firm whose products
24 they may wish to comment on. So ends the reading of the
25 conflict of interest statement. Dr. Brown, I turn the

1 meeting over to you.

2 DR. BROWN: Thank you very much, Bill. Welcome,
3 everyone, to what will be for many members on the committee,
4 myself included, our last meeting. We take it, I think, as a
5 compliment from the FDA that they have loaded our plate
6 today and tomorrow with every conceivable question they
7 might have in the coming year. Therefore, we are operating
a on a short schedule and I brought this grotesque toy as a
9 defense against prolixity of presentation --

10 [Laughter]

11 -- I never want to hear it again but, as a last
12 resort, I will operate it if long-windedness gets out of
13 hand. I think we should now start. I should tell the
14 audience, in case they did not know, that this morning the
15 topic will be a reconsideration of the same topic that we
16 have considered several times in the past, namely, risk of
17 acquiring CJD through exposure to a bovine spongiform
18 encephalopathy. So, this is old territory, reevaluated.

19 This afternoon we will extend these considerations
20 into new territory, namely, similar risk considerations to
21 cell and tissue products. Tomorrow we will also address some
22 new territory in the form of any potential risks for humans
23 and specifically human donors, recipients of blood from
24 people who might conceivably have come in contact with
2 5 chronic wasting disease focused in northern Colorado to

1 southern Montana and, finally, a consideration at the end of
2 the day tomorrow of any potential risks inherent in the
3 consumption of nutritional supplements.

4 DR. FREAS: Dr. Brown, there is one official
5 announcement, the Acting Deputy Commissioner for Food and
6 Drugs has announcement that he has to make at this time,
7 with your permission.

8 **Presentation of Awards for Committee Service**

9 DR. SCHWETZ: Thank you, Bill. Thank you, Dr.
10 Brown. I will be brief to not incur the wrath of what you
11 have sitting in front of you as your tool.

12 I just want to comment on advisory committees
13 within the FDA. In the spirit of bringing experts in to
14 advise us and in the spirit of transparency of the process
15 of accumulating information for decisions and the decision-
16 making process, the agency has a large number of advisory
17 committees. I can assure you that this advisory committee
18 for TSE -- I don't know of any of the other advisory
19 committees that have a responsibility that is greater than
20 yours. I don't know of one where the recommendations that
21 you have made through the years are discussed in our
22 meetings more often than the recommendations that have come
23 out of this advisory committee. When you think of the effect
24 on the health of people; when you think of the effect on the
25 health of the economy, this is a major advisory committee

1 and the recommendations that you provide for us are
2 extremely important.

3 In that spirit, it is a particular pleasure for me
4 to bring special attention to three members who are coming
5 off your advisory committee at this time. If the three of
6 you would come and join me up here just for a second, Dr.
7 Brown, Dr. Prusiner and Dr. Roos?

8 These three people have made major contributions
9 to this field, obviously through a long period of time. They
10 have had many, many awards that have been given to them, and
11 it is a particular pleasure for me to be able to recognize
12 the help and the years of service that you have given to us.
13 The TSE had its first meeting in '97 and prior to that the
14 committee was known as the Ad Hoc Special Advisory Committee
15 on Creutzfeldt-Jakob Disease. These three scientists are the
16 three remaining members of that original TSE committee. So,
17 we are particularly happy that you have worked with us for
18 all of this time and provided the helpful advice that you
19 have given us, and we have a plaque for you and a letter
20 from the Commissioner thanking you for your help.

21 [Applause]

22 I have asked Paul to stay on just for a second
23 longer because of the special role that he has played in
24 chairing this effort. Your skill in running meetings; your
25 skill in being able to draw people out; and the skill of

1 allowing everybody to have an opportunity to speak and to be
2 fair in getting information into the record, giving
3 everybody the opportunity to express their opinions; and I
4 link, importantly, pulling things together in the form of
5 recommendations that not only came to us but recommendations
6 that have stood up under a lot of fire through a number of
7 years -- I think that is a particular tribute to your skill
8 and your knowledge of the whole field and your ability to
9 manage an advisory committee of this kind.

10 So, in special recognition we have another plaque
11 to go with that. Thank you very much, Paul.

12 [Applause]

13 DR. BROWN: I thought perhaps I was going to get a
14 titanium gavel but I still have wood. Now we have Dr. Asher
15 who will charge us for this morning's topic. Dr. Asher is
16 from the CBER, which is in the FDA, and you are well
17 familiar with him because he gives us our charge twice a
18 year.

19 **Introduction, Charge and Questions**

20 DR. ASHER: Thank you, Paul. Good morning.

21 [Slide]

22 This session will address once again a now
23 familiar and troubling topic, the suitability of blood and
24 plasma donors who traveled or lived in BSE countries, and
25 let me begin by reviewing briefly part of the history of

1 this issue.

2 [Slide]

3 For several years the FDA has recommended deferral
4 of blood and plasma donors at increased risk of getting CJD
5 and that blood and blood components, including plasma, from
6 donors recognized to be at increased risk who actually get
7 CJD be withdrawn.

8 Until 1998 FDA also recommended withdrawal of
9 plasma derivatives, however, there is no demonstrated risk
10 to recipients of CJD-implicated plasma derivatives.
11 Processing greatly reduced infectivity, if not eliminates
12 it, from Fractions IV and V, and CJD withdrawals do not
13 substantially reduce the theoretical risk because at least
14 25 percent of the plasma pools used to produce derivatives
15 are likely to contain a contribution from a donor who will
16 ultimately get sporadic CJD and, of course, no screening
17 question can defer; no laboratory test can detect those
18 donors. Furthermore, withdrawal have failed to retrieve most
19 CJD-implicated products and contributed significantly to
20 shortages of some plasma derivatives.

21 [S l i d e]

22 Recognizing those facts, in September of 1998 the
23 FDA revised its policy recommending continued deferral with
24 CJD or increased risk of CJD, continued quarantine of blood
25 and components, including plasma, from donors with CJD or at

1 increased risk of CJD but no withdrawal of plasma
2 derivatives prepared from pools to which donors with
3 classical CJD or at increased risk of classical CJD had
4 contributed.

5 However, the FDA continues to recommend withdrawal
6 of plasma derivatives and quarantine of intermediates
7 prepared from pools to which any donor who develops new vCJD
8 contributed which, fortunately, has never occurred.

9 [Slide]

10 But there remains a concern about donors who were
11 potentially exposed to the BSE agent and who might be
12 incubating new vCJD. The reasons for that increased concern
13 or that new vCJD is an emerging infection not found in the
14 U.S.A. Less is known about its pathogenesis than of sporadic
15 CJD and the two different diseases may differ. For example,
16 lymphoid tissues in new vCJD contain detectable protease-
17 resistant prion protein while those in sporadic CJD do not.

18 In 1998, U.K. authorities decided not to source
19 plasma for fractionation from U.K. donors, which implied
20 some lack of confidence in the safety of the plasma on the
21 part of another regulatory authority.

22 [Slide]

23 Aided by advice from this committee in December,
24 1998 and June, 1999, the FDA announced revised measures.
25 Deferral of donors who had resided in the U.K. for at least

1 six months cumulative between the first of January, 1980,
2 when the BSE epidemic is thought to have most likely have
3 begun or slightly thereafter, and December 31, 1996, a time
4 after which the U.K. was thought to be in good compliance
5 with several measures to reduce opportunities for human
6 exposures to the BSE agent, that is, the ban on use of wheat
7 and bone in ruminant feeds specified risk materials removal
8 and the 30-month slaughter scheme. This deferral was
9 estimated to reduce the number of donor days of exposure in
10 the U.K. by almost 87 percent while losing a predicted 2.2
11 percent of donors.

12 The FDA also recommended deferral of donors who
13 received injected U.K. bovine insulin, but no withdrawal of
14 plasma derivatives for U.K. residents or exposure to
15 injectable bovine products from BSE countries. The FDA made
16 a commitment to monitor effects of this revised policy on
17 the blood supply and to reevaluate its policy frequently,
18 and the TSE Advisory Committee meeting of last June in this
19 session were organized in partial fulfillment of that
20 commitment.

21 In June of last year the committee was asked to
22 reevaluate the new donor deferral policy and to consider
23 whether potential exposure to the BSE agent in France and
24 other BSE countries justified recommending deferral of some
25 donors resident there as well as the U.K. The committee

1 concluded that BSE was much less prevalent in other BSE
2 countries compared with the U.K., at least at peak levels in
3 the U.K. and that, while U.K. beef products had been
4 consumed in some European countries, especially France and
5 the Netherlands, that consumption was less than it had been
6 in the U.K. In France both the fraction of beef products
7 thought to have been from the U.K. and the number of new
8 vCJD cases relative to those in the U.K. were about 5
9 percent by rough estimate. The exposure to BSE agent in
10 French beef was considered small compared to that of U.K.
11 beef.

12 [Slide]

13 The committee was concerned that the new policy
14 for residents in the U.K. had just come into effect about
15 six weeks earlier and that further deferrals might
16 jeopardize supplies of blood and plasma. So, the members
17 advised the FDA to make no change in donor deferral policy
18 until effects of the new policy became apparent.

19 Since June of last year, of course, much has
20 happened. Diagnosed cases of BSE in Britain, which peaked at
21 more than 3000 a month in early 1993, have continued to fall
22 and only about 100 a month recorded last year is still a
23 substantial number. BSE cases may have peaked in Switzerland
24 as well, but the situation is different in other European
25 countries.

1 [Slide]

2 That came as no surprise to our Department of
3 Agriculture which had become sufficiently concerned about
4 the possible spread of BSE in European cattle to issue an
5 interim regulation in December of 1997, prohibiting
6 importation of live ruminants and most ruminant products
7 from all countries of Europe, due to potential risk of BSE.
a The Scientific Steering Committee of the European
9 Commission, in a report on geographic BSE risk published
10 last year, also concluded that a number of European
11 countries that have not recognized BSE in native cattle,
12 nonetheless, probably had infected animals in their national
13 herds.

14 [Slide]

15 Recently, concerns about BSE and new vCJD have
16 increased. It has been recognized that substantial exports
17 of U.K. cattle, beef and beef products, as well as meat and
18 bone meal, to several European countries continued during
19 high BSE years -- more about that later in the morning.

20 Rates of new diagnoses and deaths from new vCJD
21 increased in the United Kingdom. Fortunately, that has not
22 been found in France. Diagnosed BSE cases have increased in
23 several European countries -- France, Belgium and new
24 countries have recognized disease, most recently Germany,
25 Italy and Austria.

1 These and other issues concerning Europe will be
2 reviewed by two speakers. Robert Will is unable to attend
3 due to family illness, but he will be represented in
4 absentia by our Chairman, Paul Brown, who will present
5 information about U.K. and other countries of Europe. Jean-
6 Philippe Deslys will present information about France and
7 other data of interest concerning BSE, and both will comment
8 on the situation elsewhere in Europe as well. Johannes
9 Loewer was to have reviewed TSE in Germany but the recent
10 BSE related reorganization of their ministries of health and
11 agriculture has prompted a reevaluation of biologics
12 regulation and research in Germany requiring his urgent
13 presence there.

14 Other information of concern, a preliminary report
15 of TSE transmitted by transfusion of blood drawn during the
16 asymptomatic incubation period of sheep experimentally
17 infected with BSE agent to healthy sheep obtained from a
18 TSE-free source -- if that finding reflects a higher level
19 or more consistent infectivity in blood of animals with BSE
20 than is found with other TSEs and if that property is also
21 associated with blood-in new vCJD, the unfavorable
22 implications for the safety of blood of persons incubating
23 vCJD are obvious.

24 Health Canada has issued a precautionary directive
25 for deferral of blood and plasma donors who spent extended

1 periods of time in France, and Tony Giulivi has kindly
2 agreed to review the basis for that decision for us today.

3 The U.S. Department of Agriculture recognized that
4 some U.S. military personnel and dependents in Europe
5 consumed beef products obtained from the U.K., and Col. Mike
6 Fitzpatrick and Col. Scott Severin will share information
7 about that potential exposure with us.

8 [Slide]

9 Finally, Paul McCurdy will report on the current
10 supply of blood in the U.S.A., and Allan Williams will
11 attempt to estimate possible effects on supply to be
12 expected if additional donors are deferred for residence in
13 France and other BSE countries.

14 [Slide]

15 Let me close now by reading the charge and
16 questions for the TSE Advisory Committee today. Please
17 evaluate new information concerning new vCJD in the U.K. and
18 France, and BSE in the U.K., France and other European
19 countries where the disease has infected or may have
20 infected cattle. Address the risk that donors resident in
21 various countries, including overseas U.S. military
22 personnel and dependents, might have been exposed to and
23 infected with the BSE agent, and consider implications for
24 the safety of the blood supply.

25 [Slide]

1 In the context of a risk-benefit estimate, please
2 consider effects that FDA blood-donor policies may have
3 already had on the blood supply in the U.S., as well as
4 effects to be expected if additional deferrals of blood
5 donors are recommended.

6 [Slide]

7 The questions -- are recent data on rates of new
8 vCJD in the U.K. or the potential risk of transmitting vCJD
9 by human blood or plasma sufficient to warrant a change in
10 current FDA policies concerning deferrals of blood and
11 plasma donors based on a history of travel or residence in
12 the U.K.? Please comment.

13 Have recommendations of FDA concerning donor
14 deferral for residence in the U.K. had an adverse effect on
15 the blood supply sufficient to consider a change? Please
16 comment.

17 [Slide]

18 Should the FDA recommend deferral of blood or
19 plasma donations by persons with a history of travel or
20 residence in France for an aggregate period of ten years or
21 more after 1980? If not, which years and aggregate duration
22 of residence, if any, should be of concern?

23 [Slide]

24 Should the FDA recommend deferral of blood or
25 plasma donations by persons with a history of travel or

1 residence in other countries identified by the USDA as
2 having BSE in cattle for an aggregate period of ten years or
3 more after 1980? If not, which years and aggregate duration
4 of residence, if **any**, should be of concern?

5 [Slide]

6 Should the FDA recommend deferral of blood or
7 plasma donations based on a donor's history of travel or
8 residence in more than one country identified by the USDA as
9 having BSE in cattle for some combined aggregate period or
10 time? If so, which years and aggregate duration of residence
11 should be of concern?

12 [Slide]

13 Finally, should the FDA recommend deferral of
14 blood or plasma donations based on a donor's history of
15 potential exposure to beef or beef products from the U.K.
16 while serving in the U.S. military or as a military
17 dependent?

18 Those are the questions. We appreciate your
19 deliberations. Thank you very much.

20 [Applause]

21 **Updates on vCJD and Estimated Human Exposure to the BSE**

22 **In the United Kingdom, France and Other BSE Countries**

23 **United Kingdom**

24 DR. BROWN: Thank you, Dr. Asher. We are all
25 disappointed and I am particularly disappointed that Bob

1 Will was unable to come at the last moment. His father
2 became acutely ill and there was no question about a choice
3 of coming or staying. He did, however, send to me a massive
4 number of overheads which I have culled, and will present to
5 you and, I hope, in a manner which he would approve.

6 [Slide]

7 This is the same chart that you saw from Dr.
8 Asher, extended up through most of the year 2000. It is a
9 classic epidemic. This is BSE in the United Kingdom. If it
10 has not already entered textbooks of epidemiology as a model
11 epidemic, it certainly will in years to come.

12 The epidemic in the U.K. was turned around
13 basically by the feed ban which was introduced in 1988. The
14 anticipation is that this will continue to trail off down to
15 zero in the foreseeable future.

16 [Slide]

17 These are forecasts made by two different
18 organizations. In 1999, the observed number of cases of BSE
19 in the U.K. was close to 2000. The estimate was also close
20 to 2000; slightly greater here.

21 In the year '2000, the estimate was 1114. There
22 actually were close to 1300, I believe but, again, the
23 prediction based on modeling was quite good. In the year
24 2001, there is predicted to be a substantial reduction and
25 further than that I have no information, but it is not

1 anticipated that this disease will continue to affect
2 cattle.

3 [Slide]

4 A different type of predication, based on the
5 previous models, is the number of BSE-infected cattle that
6 might enter the human food chain under the age of 30 months
7 -- that is, cattle under the age of 30 months during the
8 last year of the BSE incubation period. Cattle infected
9 earlier in life typically do not develop clinical BSE until
10 about 36 months of age. So in that period of the year before
11 they become ill, it is estimated that in 1998 there were
12 about six animals that may, indeed, have entered still the
13 human food chain in the United Kingdom. In 1999 it was
14 halved. In the year 2000 it was down to about 1 and in 2001
15 about the same. But, again, it is going down. So this year,
16 the prediction is that eight-tenths of a cow may yet enter
17 the human food chain.

18 [Slide]

19 This is the human consequence. In 1994 the first
20 case of vCJD occurred in the United Kingdom. These are years
21 of onset of disease. As you see, over the past six years
22 there has been a clear trend upward, nothing like you see in
23 BSE which exploded but still a clear trend upward. These are
24 unverified but almost certain cases, awaiting
25 neuropathology. There will certainly be many more cases

1 reported in the year 2000. No one can predict exactly how
2 many but it is certainly not going to be up on the ceiling;
3 it is going to be in this range.

4 [Slide]

5 This is the quarterly onset, that is to say the
6 number of cases with onsets on a quarterly basis, starting
7 in 1984 and proceeding on up through probably -- well, this
8 is 2000. They scatter around an average line which is
9 significantly upward moving, and these are the confidence
10 limits in dots. So, this is the picture at the moment, both
11 BSE and variant Creutzfeldt-Jakob disease in the United
12 Kingdom. As you know, neither disease is limited to the
13 United Kingdom.

14 [Slide]

15 Here is not quite up to date because the numbers
16 change every day but this BSE in Europe compared to BSE in
17 the U.K. These are all U.K. You start in Continental Europe
18 with Austria. So U.K., over 180,000 cases since 1987. I have
19 highlighted the four countries which more cases of BSE have
20 occurred than in any other country to date in Europe, and
21 they are France with 243; Ireland with nearly 600; Portugal
22 with nearly 500; and Switzerland with 365.

23 [Slide]

24 This overhead shows you examples of the yearly
25 incidence of BSE in four of these countries. In Switzerland,

1 which has had an active surveillance program for some time,
2 you can see that there appears to be a plateau, possibly
3 even a decrease, over the last several years.

4 [Slide]

5 In Portugal -- we don't know what happens in 2000
6 yet but there was a clear increase in Portugal in the last
7 few years of the decade.

8 [Slide]

9 In Ireland, similarly, there was an explosion in
10 1996 and that has continued to increase until the present
11 time.

12 [Slide]

13 Then, finally France, in which there was very
14 little recognized BSE in the early years, in the '90's, and
15 now a very large increase in recognized cases in part, and
16 perhaps a major part, by virtue of active surveillance.

17 In many countries in Europe BSE has not really
18 been looked for, not really, and when it really is looked
19 for with the support of immunocytochemical staining and a
20 search for the prion protein, cases are being found and that
21 is probably largely responsible for the apparent increase
22 but not necessarily so. It is certainly contributing, and it
23 is also contributing to those countries that did not earlier
24 recognize BSE and now, in the past several weeks or months,
25 have been reporting their first cases.

1 [Slide]

2 So, how did that happen? Well, it happened
3 presumably because Britain exported contaminated material,
4 and they did this in three different ways. They exported
5 **what** are called flours, meals, meat offal and grieves, and
6 grieves is approximately the same 'as meat and bone meal --
7 not quite but approximately. Bob split these into two half
8 decades, '80 through '84 and then '85 through '90. Belgium
9 and Luxembourg imported a substantial amount in both
10 periods, that is throughout that decade. They must have had
11 a fantastic salesman in France because is jumped from 2600
12 tons to almost 35,000 tons in the late 1980's, a period of
13 greatest concern for BSE contamination peaking. The Irish
14 Republic imported, as would be expected, a considerable
15 amount and in the Netherlands, as in France, there was a
16 very large increase in the importation of meat and bone
17 meal. The significance of meat and bone meal, of course, is
18 that this is fed as a nutritional supplement to cattle in
19 these countries. So, presumably, a good deal of this
20 material was going into cattle in the countries into which
21 it was imported.

22 In this slide and the next slide there is an
23 important caveat or two, and one thing that everybody who
24 has ever dealt with international trade knows is that when a
25 country says they exported X amount of things to another

1 country, the other country will tell you that they imported
2 different figure. So, it is not known whether or not all
3 these -- well, I think we can say with certainty that all
4 these exports did not go to these countries and, if they
5 did, some of them left and went to another country.
6 Switzerland may have, for example, gotten meat and bone meal
7 from Yugoslavia, which got it from Italy, which got it from
8 the Netherlands, which got it from the United Kingdom.
9 International trade in this kind of material is hopelessly
10 untraceable.

11 [Slide]

12 The U.K. also sent live cattle elsewhere. Here
13 again, France is the champion importer of live bovines from
14 the United Kingdom; the Irish Republic somewhat less but
15 still a very important number; Italy, of course, a lot in
16 1980-84. The Netherlands again, like France, imported a
17 great many live cattle.

18 So, live cattle are, in some cases and perhaps
19 many cases, slaughtered in the countries to which they have
20 been exported, slaughtered and, therefore, able to be
21 rendered in those countries and being rendered would then go
22 into the nutritional supplements made in those particular
23 countries. Hence, there would be a risk for BSE to develop
24 apparently endogenously but, in fact, secondarily to their
25 own recycling of material.

1 [Slide]

2 This is mainly for human use. Once again, France
3 imported substantially more offals that would include such
4 things as brain, thymus, spleen, liver, kidneys and
5 intestines. Most of this material was destined for the human
6 food chain, not for animals, although spleens sometimes find
7 their way into animal feed an in particular pet feed.

8 [Slide]

9 This is a slide which I thought would be of
10 particular interest. I got some extra information from Bob
11 when I saw the slide. In the U.K. there have been some
12 identified patients who subsequently died from vCJD, who had
13 at some point, in the previous 10-15 years, donated blood.
14 The number reported by the relatives was 12, of whom 7 were
15 able to be traced through the National Blood Association.
16 The number of recipients of blood from the above these 7
17 traced cases was 20. So, there were 20 people in the United
18 Kingdom, as we speak, who received blood or a blood product
19 from a patient that subsequently died from Creutzfeldt-Jakob
20 disease, from variant disease. One of these died while
21 asymptomatic but it is not known at the moment which one.

22 You can see the years of receipt, and they range
23 from 1981 through 1999. They also include not only labile
24 blood components, typically packed red cells, whole blood,
25 plasma and in one case cryoprecipitate. Most of this plasma

1 is fresh-frozen plasma. As they also point out, or I would
2 point out, 8 donors later developed vCJD and their plasma
3 was used for plasma product manufacture. The products and
4 recipients have not been identified. I doubt if they ever
5 will because we are talking about thousands of donations in
6 a given pool and, therefore, hundreds if not thousands of
7 recipients. So it is a mixed bag of recipients but at least
8 those who receive labile components are under surveillance,
9 and obviously this will be a major point of interest as to
10 what happens to these people. At the moment, all remain
11 healthy.

12 [Slide]

13 Finally, these are the projections for the
14 eventual total number of variant cases in the United
15 Kingdom. If the mean incubation period -- and this is all
16 mathematical modeling that appeared in Nature this past year
17 -- if the mean incubation period, that is the lag period
18 between the point of infection and the beginning of symptoms
19 of vCJD is assumed to be less than 20 years -- and these
20 columns don't differ in a great way, and if the number of
21 cases last year were 10-14, 15-19 or 20, these are the
22 predicted ranges of numbers of cases that will occur
23 forever, total, finished. You see they range from somewhat
24 less than 100 to somewhat less than 3000.

25 One of these two columns will probably in fact

1 turn out to be correct. My own view is that this incubation
2 period will probably turn out to be correct. If it doesn't
3 and if the mean incubation period extends to 20-30 years,
4 the numbers go up somewhat -- I am sorry, I misread the
5 previous one. It is under 100 to just a few hundred, 630. If
6 the incubation period is somewhat longer, the low end of the
7 scale is modeled to be not too much different but the upper
8 limits would be closer to 3000 cases. These are similar
9 figures over here..

10 I didn't highlight these because I think this is
11 really quite unrealistic. I cannot imagine an average
12 incubation period being greater than 30 years in this
13 disease; certainly not greater than 60 years. What you want
14 to notice particularly is that it is only if the incubation
15 period is modeled as greater than 60 years on average that
16 you get those horrendous figures that were and continue to
17 be quoted, that is the upper limit of over 100,000 cases.
18 Even if it is just 60 years in this grouping, the maximum
19 predicted number of variant cases in the United Kingdom will
20 not exceed 6000. That 6000 is not something that we would
21 look forward to but it certainly beats 100,000 or 200,000
22 which are the upper limits that were being calculated until
23 very recently.

24 So, I would think personally that we are probably
25 talking about a maximum 20-30 year average incubation period

1 **and**, therefore, predicting that there will not be more than
2 a few thousand, 3000 cases in the United Kingdom for all
3 time. I think that is the substance of what Bob would have
4 said. He would also have pointed out that the British BSE
5 Inquiry, which was published a couple of months ago, pointed
6 out that a popular misconception is that the British
7 government really didn't do anything until their backs were
8 against the wall in the mid-1990's after vCJD had been
9 recognized, and that is a misconception. Very significant
10 measures were taken well before anybody knew that BSE was,
11 in fact, going to be transmitted to humans. That included
12 measures both to break the cycle of infection in animals and
13 to prevent contaminated material from entering the human
14 food chain. They commended all of the scientists and
15 agencies in Great Britain for doing that, and they also
16 pointed out that there were some oversights and there was
17 some perhaps unacceptable lag time between when the measures
18 were first thought about and when they were put into
19 practice. Thank you.

20 [Applause]

21 Returning to my function as chairman, we will now
22 have an update on the BSE vCJD situation in France, given by
23 Jean-Philippe Deslys. I did not mention something that most
24 people in the room know, I think, that there are 91 cases of
25 vCJD currently identified in the United Kingdom, one case in

1 Ireland in a patient who had lived in England for several
2 years so, in a sense, doesn't count as an indigenous case.
3 But, there are three cases in France in patients who never
4 visited Great Britain. Dr. Deslys?

5 France

6 DR. DESLYS: Thank you very much.

7 [Slide]

8 Just to present the situation, unfortunately, as I
9 am the last scientist who was able to reach this meeting due
10 to different circumstances, Dr. Asher asked me to put this
11 in perspective.

12 So, the problem in Europe is that an important
13 number of BSE-contaminated cattle which are supposed to have
14 entered into the food chain, about one million originated
15 from United Kingdom and so many went in the United Kingdom
16 food chain, a number of cases after the ban and that is the
17 problem of the crisis that we are now in, in Europe because
18 with the measures which were taken we were supposed to have
19 no more cases. The fact that the BSE agent is transmissible
20 to sheep and that sheep have been fed with the same
21 contaminated meals, and that sheep have been exported in
22 many countries, not only in Europe of course, and the fact
23 **that** -- these numbers are wrong now because it is an old
24 transparency, but the fact that BSE is transmissible to man.

25 [Slide]

1 This one is in French, but just to remind you that
2 it is the same agent which contaminated cattle and which
3 contaminated man. In fact, this is just to show **that in** man
4 and the macaque model we have exactly the same signature and
5 in France we have the same signature in the first new
6 variant cases as in the cases that are seen in the U.K., and
7 that with the lesion profile done in mice, in France we have
8 exactly the same thing with BSE as what is seen in the U.K.

9 [Slide]

10 So, the same agent contaminated the cattle, all
11 the cattle in Europe and man in the U.K. and in France.
12 These are the results we obtained with the patients in
13 France. This is a tonsil from a patient. In the previous
14 slide you saw patient number 1 with a cerebral biopsy, and
15 here is a tonsil in patient number 2, and here is a tonsil
16 from patient number 3, who is still alive in France.

17 That is the main problem with new vCJD. New vCJD
18 in man is detectable in peripheral tissues and in all
19 reticular endothelial systems. Here you see it in tonsils
20 but you can detect it in spleen, in Peyer's patches, in
21 lymph nodes, while with the usual strength of CJD and with
22 sporadic CJD you don't detect anything in peripheral
23 tissues.

24 [Slide]

25 Here is a theoretical view which comes from work

1 from Kimerline showing that in scrapie, after peripheral
2 contamination, you will first have replication of the agent
3 in reticular endothelial system, and then very delayed you
4 have neuron invasion until the death of the host. In the
5 blood, in an experimental model, you can detect it. Much
6 work, not including Paul Brown's work, really showed it.
7 And, the level of infectivity in blood certainly is related
8 to the level of replication in peripheral tissues. Everybody
9 will understand easily that if this agent replicates in all
10 the lymphoid tissues, then blood can be contaminated at a
11 level which cannot be predicted easily because, in fact, you
12 have very few infectivities in blood.

13 [Slide]

14 I can't give you details on that work which is
15 still ongoing, which will be published in PNAs, but just to
16 say that the intravenous route in primates -- and we used
17 macaques here -- is very efficient. So, the general idea
18 that the difference of efficiency between the intravenous
19 route and direct intracerebral route is around 10 is
20 certainly true for BSE, and perhaps it is more efficient.

21 [Slide]

22 To try to detect new variant in blood -- we are
23 all hoping that new tests are going to be efficient. You
24 have heard about tests developed by MaryJo Schmerr with
25 capillary electrophoresis. Jerry Safar also developed a

1 beautiful test. James Hope has another technique. Prionics
2 is developing a new one. We have a new test which is in
3 development too. But for the moment none of these tests have
4 been able, to my knowledge, to detect anything in blood from
5 man. For the moment, I have not heard of other groups than
6 the one of MaryJo Schmerr being able to detect it in blood
7 in different models. So, for the moment, unfortunately, we
8 have no tool to detect simply with a biochemical test new
9 variant infection in blood.

10 [Slide]

11 This is to try to explain what is happening in
12 Europe and more particularly in France. When a BSE case is
13 detected in France, all the herd is killed. These cases were
14 reported in October, and I know you know that we have more
15 cases. But when you represent them depending on the date of
16 birth, you see here the first peak which corresponds to the
17 infections when meat and bone meal contaminated from the
18 United Kingdom were massively imported to France. After that
19 you have a drop when there is a ban on this meat and bone
20 meal, and then a new increase here, more important than what
21 we observed previously. And that is a problem, what happened
22 exactly here. In fact, certainly things came into the
23 alimentation of bovines. It is true that here there were
24 holes in the epidemiological detection. You can see here
25 that when you present the data depending on the year of

1 preparation -- here you have a hole, we were lacking some
2 cases. But you see an increase here. You have something more
3 or less exponential.

4 What is true too is that this phenomenon is
5 increased by the fact that tests are being used. People are
6 looking more carefully and, so, you are detecting cases that
7 were not detected before. That is true. However, we are
8 speaking of a very limited amount of cases compared to U.K.
9 and I will show you that on further transparencies.

10 The other problem here is that you see abnormally
11 young cases in bovines, here less than four years old, which
12 is abnormal with cattle which is supposed to have been
13 contaminated with low doses with the infectious agent.

14 [Slide]

15 Here is the latest data, I obtained yesterday,
16 with the cases during last year. During last year we
17 obtained more cases than during all previous years. One -
18 third of them are linked to the active surveillance but one
19 part of them in the passive surveillance is also linked to
20 my point of view and to the point of view of other
21 scientists, that people were more careful. They knew that
22 there was an active surveillance, and the same phenomenon
23 occurred also in Switzerland. When the program of active
24 surveillance began a number of cases detected by passive
25 surveillance increased too.

1 [Slide]

2 But, in fact, the main interest here is to know
3 the exposure of man to the BSE agent. I have put on the same
4 graphic cases from the U.K. and cases from France. As you
5 can see, you don't see the cases from France because the
6 number of cases from the U.K. are so important that the
7 cases from other countries are completely ridiculous.

8 So, concerning the exposure of man in France, I
9 have tried to make some calculations. About 10 percent of
10 the human consumption of beef products in France were linked
11 to beef imported from U.K. So, if you take these cases and
12 you divide them by 10, you still see that here the problem
13 comes from the U.K. From here, there was an embargo on
14 cattle from U.K. and, second, you can't compare here this
15 phenomenon with what is happening here because there is an
16 enormous difference, especially for specified offals and
17 notably on brain and on spinal cord which was used before in
18 human food. It is not because people were not eating brain
19 that they have not eaten these contaminated offals. They
20 were using many things in sausages, in many sauces, in many
21 things. They were banned in France but they were still used,
22 for example, in other countries like Germany and it is a big
23 problem now in Germany because they are discovering that
24 there are now 14 cases and they think that they are going to
25 find many cases with systematic screening.

1 The other problem is the increase in the exports
2 rom the U.K. of offals, and these increased exports were
3 efore the ban on offals. So, we suppose that a greater
4 mount of contaminated brain and spinal cord could come into
5 rance here.

6 So, we could separate these data into three parts.
7 ere, before '96 and before the ban on offals and now where
8 here are efficient measures, and now the systematic testing
9 on all bovines over 30 months. So, now in Europe you have
10 two possibilities. Young bovines are tested or they do not
11 enter into the food chain. This was a measure which was
12 taken in U.K. since '96 concerning the ban on bovines over
13 0 months.

14 [Slide]

15 Concerning the tests which are used to evaluate
16 bovines which can enter the food chain, you know that four
17 ests were evaluated and three tests were selected by the
18 European Union.

19 [Slide]

20 This one was eliminated. It was not sensitive
21 enough and there was misdiagnosis of positive cases, and
22 also false-positive for negative. But it has been corrected
23 and now it will be reevaluated with the new corrections. It
24 was the English test.

25 Here is the Prionics test and the test we

sgg

1 developed. This was the most sensitive one, 300 times more
2 sensitive than the first one and 30 times more sensitive
3 than the Western Blot. The more important thing is that this
4 test, here, was as sensitive as the mouse bioassay.

5 [Slide]

6 I can't give details on that because it is work
7 which is still undergoing and which will be published next
8 week in Nature. So, you can consider it only as a
9 hypothetical thing based on previous data that I gave you on
10 the sensitivity versus bioassays.

11 The principle of this analysis is to say it is
12 true that we don't know which is the minimal infectious dose,
13 for mice, but what we know is that mice inoculated directly
14 by the intracerebral route are more sensitive than bovine
15 contaminated by the oral route. We know that the mouse model
16 is then 100 times more sensitive than bovine contaminated by
17 the oral route. It means that with one gram of brain
18 titrating 10^3 infectious units per gram you are able to kill
19 1000 mice or ten cows. And we know that cows contaminated by
20 the oral route are, we suppose, less sensitive than man
21 contaminated by the oral route because you have a species
22 barrier. Then, if with a sensitive test you are able to
23 eliminate all that is dangerous for mice, then you will
24 protect man.

25 [Slide]

1 It is possible to confirm these kinds of results
2 with Western Blot because you have a purification step.

3 [Slide]

4 We will not discuss this because we have not
5 published it, but just to tell you that it works very well
6 in scrapie, as I presented in September.

7 [Slide]

8 Even if we don't know the exact nature of the
9 agent, you know that many people think that it is protein
10 but, whatever, with the level of sensitivity we have now,
11 from my point of view, we are able to protect people from
12 contamination in food but we are not, unfortunately, able to
13 say that there are not healthy carriers and that the blood
14 is safe. Thank you for your attention.

15 [Applause]

16 DR. BROWN: Thank you very much, Jean-Philippe. I
17 think, you know, we have heard a fair amount already and
18 possibly there might be questions that committee members
19 would want to ask at this point. Yes?

20 DR. LURIE: Dr. Deslys, you had that striking
21 slide of the trends and the number of **cases** in France
22 compared to in the U.K., but do you have any comparable
23 information where you have corrected for the number of cows
24 in those countries? In other words, what is the rate of
25 detection of cow cases in Britain compared to France, not

1 just the numbers?

2 DR. DESLYS: No, I do not have many details. What
3 we know for the moment is that the cases observed in France
4 clearly come from the U.K., from contaminated cattle from
5 the U.K. That is in evidence in France. We have only three
6 cases for the moment versus 88, if I understood well the
7 last numbers from Bob Will. So, the estimations done by
8 Anica Perovich were that if we have a maximum of 3000 cases
9 in the U.K. we would have a maximum of 300 in France, but
10 these are very rough estimations. In fact, when we discussed
11 with Bob Will he said I prefer to say that we don't know.
12 So, here is a very imprecise point of view. I admit it. But
13 I am not a specialist of modelization.

14 DR. BROWN: Your question actually had to do with
15 cows.

16 DR. DESLYS: Oh, sorry.

17 DR. BROWN: That is okay. Is it not true that
18 France actually has more cattle than Great Britain even
19 before BSE?

20 DR. DESLYS: Of course.

21 DR. BROWN: The number of cattle in France exceeds
22 by a significant amount the number of cattle in the United
23 Kingdom.

24 DR. DESLYS: Sorry, I omitted --

25 DR. BROWN: That is okay.

1 DR. DESLYS: Yes, the cattle of France -- I don't
2 know if it is three times more than in the U.K. Let me see,
3 we have 20 million of cattle, I think, in France --

4 DR. BROWN: I think that is right. I think France
5 has about twice the number of cattle that the U.K. had
6 before BSE. Yes, you had a question?

7 DR. CLIVER: Another frame of reference thing, I
8 am assuming that the U.K. is still experiencing sporadic CJD
9 at a one in one million rate approximately. I am too lazy to
10 look up their population but by way of frame of reference,
11 compared to the new vCJD, how many classic CJD cases are
12 there?

13 DR. BROWN: Yes, the population of Great Britain
14 is approximately 60 million.

15 DR. CLIVER: So, they should have about 60 per
16 year.

17 DR. BROWN: And they have about 60 per year.

18 DR. CLIVER: Okay. So, we are looking at something
19 approaching but nowhere near yet the sporadic CJD --

20 DR. BROWN: That is correct. What we are looking
21 at now is something approaching a third of the sporadic
22 incidence. Stan?

23 DR. PRUSINER: Two things, I wonder if we can get
24 copies of the overheads that have been shown in the first
25 two presentations? Unless they are in here and I can't find

1 them. It would be very useful.

2 DR. BROWN: I will have to ask Bob. I don't think
3 any of it is classified. It is certainly not classified
4 anymore.

5 DR. PRUSINER: That is what I mean. I have a
6 comment on the second presentation. I presume you were at
7 this meeting -- in honesty, I can't remember; there were a
8 lot of people there in November.

9 DR. DESLYS: No, I was not there.

10 DR. PRUSINER: Okay. It is now very clear, by
11 three different methods, that the R3 mice underestimate the
12 titer of BSE prions by a factor of 1000 to 10,000.

13 DR. DESLYS: Yes.

14 DR. PRUSINER: So, I think to stand there and say
15 that that is the standard on which you then relate your
16 immunoassays really is not informative at this point because
17 we know in cattle and titration done in Great Britain, we
18 now know in bovinized, meaning transgenic mice expressing
19 bovine PRP genes where the mouse PRP gene is knocked out,
20 both from Martin Groshup in Germany and our own data, that
21 the titers are, as I said, between 1000 and 10,000 times
22 greater than with R3 mice. I think it is a very important
23 point that needs to be made and I don't think that the R3
24 mice are a good standard on which you then compare your
25 immunoassays.

1 DR. DESLYS: You are perfectly correct concerning
2 the sensitivity. Cattle inoculated by intracerebral route
3 are about 1000 times more sensitive than R3 mice. We all
4 hope that it will be confirmed that transgenic mice will be
5 at least as sensitive as cattle contaminated by R3 mice.

6 But the point was not this one. The point was if
7 you take the new mice, transgenic mice, then my
8 demonstration will be not a difference from 100 between R3
9 mice and cattle contaminated by the oral route, but 100,000
10 between transgenic mice and cattle contaminated by the oral
11 route, but it will not change the demonstration. Do you see
12 what I mean? Am I clear enough?

13 DR. PRUSINER: No, I don't understand.

14 DR. DESLYS: Oh, sorry.

15 DR. BROWN: You know, Philippe, this is an
16 interesting point and I tend to side, unusually, with Stan
17 on this issue but it is really not too relevant to the focus
18 of the committee, that is, the diagnostics of BSE in cattle,
19 the details, and what tests are best and what tests aren't
20 is a little peripheral to what the committee wants to
21 address. So, I think I will snuff this discussion.

22 Laura, you may have had a question. This is Laura
23 Manuelidis. Laura, you are going to have to use the mike.

24 DR. MANUELIDIS: I think one of my concerns about
25 the tests and also about perhaps some of what may be low

1 estimates, Paul, **as** far as I am concerned about potential
2 human cases is the fact that most of these tests are done on
3 brain at end-stage of disease and we really have no idea of
4 any test, at a preclinical stage, how sensitive it is. so,
5 really products from animals that are preclinical are going
6 back into the food chain and also people's own times of
7 materials are going back possibly through instrument
a contamination, etc. So, in fact, that might lead to an
9 increased incidence of some of the things that you have been
10 proposing. That is a concern that I think we have to address
11 unless there is some kind of preclinical test that really
12 can be done.

13 DR. BROWN: I think Jean-Philippe makes this point
14 in his article actually. Nobody yet knows whether any test
15 currently available is sensitive enough to make the
16 diagnosis of BSE at the preclinical stage, but this is work
17 in progress, isn't it, Jean-Philippe?

18 DR. DESLYS: Yes. I am going to try to respond
19 without saying things which are under embargo. We know
20 different things from literature. First, I am sorry but it
21 will be once more with the R3 model because it is the
22 reference one for the moment. What we know from BSE is that
23 we don't find anything outside the central nervous system in
24 naturally contaminated cattle. You only find something in
25 Peyer's patches in the ilium when you contaminate cattle

1 with heavy amounts of contaminated brain, 100 grams of
2 brains. It doesn't mean that it is not infectious; it means
3 that it is not within the limits of detection. I agree with
4 you.

5 Now, concerning the preclinical samples, we know
6' also from a pathogenesis study from Gerald Weiss that always
7 with this model of mice, conventional models, they're able
8 to detect it from 32 months, and that is why there is a
9 limit of 30 months for the elimination of cattle. So, that
10 is a point for new invasion but I have a small correction.
11 In this study, unfortunately, there were not enough animals
12 at each point; only one at point 26. So, I am not so sure
13 that 30 months is perfect. To give you an example, it seems
14 that in Germany they have just found with our test cattle
15 naturally contaminated which was 28 months old, and
16 confirmed by Western Blot.

17 DR. MAFJUELIDIS: That is fine but that is a brain
18 after the animal has died so there is not an effective
19 preventive measure, and that is the problem. You can't stop
20 it going into the food chain --

21 DR. DESLYS: Concerning the preventive measures,
22 you ask for elimination of specified offals. You know that
23 the intestine is eliminated, the spleen and many peripheral
24 tissues. Second, I was putting my finger on this level of
25 sensitivity of mice versus man because if you are not able

1 to detect anything in mice outside the central nervous
2 system in naturally contaminated cattle, then it implies
3 that the infectivity is at a low level. I agree with you
4 that there is infectivity but at a low level and so not
5 dangerous for man, as it is not dangerous for mice. But we
6 are dealing not only by the fact that there is no infectious
7 agent but that you are under the limit that is dangerous for
8 man. And, if you go further in this way of thinking you can
9 see that scrapie is very dangerous for man because scrapie,
10 when inoculated to primates by the intracerebral route, will
11 kill the animal but in the natural way of life we don't
12 inoculate contaminated brain of sheep in man's brain and,
13 so, by the oral route there has been no problem for
14 centuries, or I would say not a detectable problem.

15 DR. BROWN: Dr. Belay?

16 DR. BELAY: Dr. Deslys, I have heard reports that
17 beef from BSE-infected animals have actually ended up in the
18 grocery stores in France. Were you able to determine or
19 assess how often this actually occurs or was this an
20 isolated incident?

21 DR. BROWN: This grocery store incident, animals
22 from a herd that got into the food chain --

23 DR. DESLYS: Yes --

24 DR. BROWN: -- about three months ago.

25 DR. DESLYS: Yes, that is the beginning of the

1 crisis in fact.

2 DR. BELAY: The question is whether or not this
3 was an isolated incident or were you able to determine how
4 often this actually occurred?

5 DR. DESLYS: To my knowledge, it was the first
6 time that it occurred and that is 'why it got such publicity.
7 But you are dealing with the fact that in France we are
8 eliminating systematically the whole herd when we find one
9 contaminated animal, even if we know perfectly well that we
10 will not find anything else in this herd because there are a
11 very limited number of cases per herd.

12 DR. BROWN: In that situation, Jean-Philippe, was
13 the animal that was diagnosed, did it die? Was the animal
14 sick? Was it a clinical case of BSE?

15 DR. DESLYS: Yes, in fact the details of the story
16 are that at the slaughter house they received an animal
17 which was not well clinically so the veterinarian blocked
18 it. They diagnosed the disease and then they understood that
19 there was a problem because this animal was coming from a
20 herd which had been sent to the slaughter house one week or
21 two weeks before. It was an agriculturist responsible for
22 the sale who took off the diseased animal officially to
23 allow it to have feed him better, but he went to jail.

24 DR. BROWN: So, the answer is without a good
25 veterinarian there wouldn't have been any detection; there

1 wouldn't have been any publicity. It would have been a non-
2 event. So, these things have happened and may happen again.
3 We have a long-waiting question or comment. All right, Jay.

4 DR. EPSTEIN: Jay Epstein, FDA. Dr. Deslys, I know
5 that you didn't want to comment about the human epidemiology
6 vCJD in France but I would like to press you on the point
7 with the following observation. It strikes me as odd that
8 the first two cases in France were reported very early on in
9 recognition of the human epidemic, around '96, early '97.

10 DR. BROWN: The first case.

11 DR. EPSTEIN: The first case.

12 DR. BROWN: Not the first two; the first.

13 DR. EPSTEIN: Do you actually know the dates of
14 the cases? Because the question I want to ask is whether the
15 apparent lack of any increase is notable, and how that might
16 correlate with estimates of the time period during which
17 there were intensive infectious exposures in France. In
18 other words, have you looked at the question of how long and
19 in what magnitude there were potentially infectious meat
20 products coming from U.K., and at what level has been the
21 apparent persistence in France and does that correlate in
22 any way with the apparent lack of a rising epidemic curve in
23 France?

24 Also, I would like to focus on the apparent third
25 case in France. It seems as if that individual has survived

1 a particularly long time. Is that true? And, does that, you
2 know, negate that that is a real case, and is there any
3 other supporting evidence, such as from MRI or tonsil biopsy
4 or anything else, to establish that that is a case? And, are
5 you reporting probable cases the way the U.K. is? In other
6 words, do we have three but do we have some additional
7 number surviving now who are probable that should be added
8 to the total?

9 So, if you could just clarify a little bit better
10 what we think is going on with human surveillance and
11 whether there is any correlation with intensity of BSE
12 risk in France?

13 DR. BROWN: Did you get all that? Even I can't
14 remember all, that but fundamentally he wants to know is
15 there any correlation between BSE exposure and the frequency
16 with which CJD occurs -- impossible answer because you only
17 have three cases.

18 The second is about the diagnosis of disease in
19 the third case, who is living a long time, and probable
20 cases.

21 DR. DESLYS: I am going to try to respond to all
22 of these points. First, the first case occurred in France --
23 I was personally anxious because it occurred in the region
24 of Lyons which is very well known for cooking of brain and
25 spinal cord. If we had had a guess for a case it would have

1 been there because of the culinary tradition. But, in fact,
2 with only a few cases you can't do any statistics and it is
3 only a gamble.

4 Second, concerning the apparent absence of
5 correlation between the occurrence of cases and the
6 explosion of BSE, in fact, even with the well-known reported
7 situation'with human growth hormone in France we have seen
8 variations. So we have to know that with this disease there
9 are variations that we don't know how to explain.

10 Third, concerning the diagnosis, we are the
11 reference laboratory to make a diagnosis by Western Blot in
12 France. So, we have studied all the samples. To my
13 knowledge, there is no other suspect case but perhaps we
14 will be wrong in one week. I don't know. For the moment,
15 there is no notion that another case is occurring.

16 Concerning the length of the disease, it is a
17 common pattern with what we have observed with growth
18 hormone but you have to note that people are abnormally
19 young and so more resistant; second, they go back to their
20 family and they are nursed very carefully. So, I think that
21 is the interpretation that pediatrics gave me but we think
22 that it goes through a longer evolution but, in fact, we
23 don't know.

24 DR. BROWN: Jay, to expound on that, a diagnosis
25 is a lock once the biopsy is positive and, two, all over

1 Europe, not just France and the U.K., cases are being
2 referred as possible vCJD and 90-odd cases that exist now in
3 Europe are culled from over 600 patients in Europe over the
4 past few years that have been referred as possible vCJD. The
5 European surveillance system is a beautiful thing.

6 DR. EPSTEIN: (Not at microphone; inaudible) . . . in
7 France.

8 DR. BROWN: The notion is that exposure to BSE in
9 France is probably less than a twentieth of what it would be
10 in the U.K. The numbers, in simple-minded arithmetic, aren't
11 bad when the U.K. had 60 cases, France had 3. That is about
12 a twentieth. And, the exposure in other parts of Europe is
13 at least in order of magnitude less than it was in France,
14 judging by imported materials, and so forth, and so it is no
15 surprise that even one case of vCJD hasn't turned up
16 elsewhere yet. It may but they haven't checked.

17 Jean-Philippe, thank you very much. I think we
18 will conclude the questions now. Ray, you have one and then
19 we have to move on. All right?

20 DR. ROOS: One quick one, Paul. On one of Bob
21 Will's slides you had the transfusion history of the vCJD
22 patients. Was that figure high? In other words, were there a
23 surprisingly large number of individuals who had received
24 blood? It went by quickly.

25 DR. BROWN: No, probably low -- well, perhaps

1 neither low nor high. Perhaps one of the blood people here
2 can tell you, but 7 donors, 20 recipients. That is to say,
3 there were 7 donors who provided blood and some of the
4 recipients got packed cells. If supplies were not thrown
5 away that could be fresh-frozen plasma given to somebody
6 else. So, the same donor could donate blood that would go
7 into two labile components, or the plasma could be used for
8 plasma protein production.

9 DR. LEITMAN: Can I clarify? None of those
10 patients had ever received transfusions. They had been
11 healthy enough to be blood donors in the past. So, 7 were
12 known donors, of which 10 recipients had been transfused. Is
13 that correct?

14 DR. BROWN: Twenty.

15 DR. LEITMAN: I am sorry, 20 recipients.

16 DR. BROWN: Those donors subsequently died from
17 vCJD but it has nothing to do with whether they themselves
18 had received blood. The answer to your question is -- and I
19 admitted it from the slide because I thought it was
20 confusing -- of the 91 patients in the U.K. that have died
21 from vCJD, only one had ever received blood in his life --
22 not surprising in view of the youth of the patients. Usually
23 you would expect, you know, ten or so.

24 The next presentation is going to be made by Tony
25 Giulivi, from Canada, and he is going to give us the

1 Canadian viewpoint. Tony?

2 **Canadian Assessments and Policies Concerning Deferral of**
3 **Blood Donors who Resided or Traveled in Countries**
4 **with BSE and vCJD**

5 DR. GIULIVI: Thank you, Paul. Thank you for
6 inviting me and I thank also the FDA.

7 [Slide]

8 What I want to do is to review what we have done
9 in the policies, and we started to look at this question
10 since 1998, post Kreever, and then realized that we had to
11 change completely our structures in Health Canada and with
12 hospitals and with blood systems because this is just one
13 part of problems that we are going to hit in the blood
14 system all the time and, therefore, we changed the way we
15 worked. I want to explain that because the way that we work
16 now is how we developed the policies.

17 [Slide]

18 So, what we did is develop in the blood-borne
19 pathogens -- Health Canada is divided really in two in this
20 area. One is the regulatory field and the other one is a
21 public health risk assessment field, and we worked together
22 to give information to our regulators so they could do
23 policies. So, my division has centered everything on risk
24 assessment.

25 So, what we have done in the last two years, we

1 had received funding, and so on, to develop these types of
2 outreach into the hospitals and into the public health field
3 to look at different populations and to get information so
4 that way, when we put in a policy, we know what is
5 happening. This is what is important here because now we
6 have a central site in Canada so when we put in a policy for
7 CJD we know what is happening to the patients; we know what
8 is happening with the blood supply, plus, we get information
9 from our two blood suppliers, which are CBS and HemaQuebec.
10 That is important, the blood supply and what is happening at
11 the level of the hospitals.

12 [Slide]

13 We also have developed in the last three years,
14 and work together with the European centralized system for
15 CJD surveillance. With that we connect with our food
16 regulation people and we have a risk assessment group there.
17 Then we have centralized labs, and so on, to do autopsies
18 and genetics. And, we work very closely with the blood
19 system on that.

20 [Slide]

21 What else we have done is we have made a division
22 to work as a risk assessment for CJD and for other blood
23 problems. This division will get information from different
24 areas within government and outside. So, this works as a
25 centralized risk assessment center to help to give

1 nformation to the regulators. It also works as an early
2 warning system.

3 [Slide]

4 We knew that we had to look at the question of CJD
5 in other countries. We focused on France but, in the
6 meantime, we did risk assessments for other countries that
7 had BSE. When we did this we looked at different models and
8 different ways of doing it, but it is a total risk
9 assessment so we looked at the internal risk, ourselves, how
10 much we imported meats; how much byproduct meats we got from
11 different countries. We looked at what is happening in
12 different countries, in U.K. and France in that respect, and
13 we made connections with these through the surveillance
14 group, the CJD surveillance group, and they got the
15 information for us.

16 Then we looked at external risks of us importing
17 meats from other countries that could have gone from U.K. to
18 France, to France, to Belgium, to Canada, and we got that
19 type of information. It is very unconfirmed information
20 because it is very hard to trace. But because we have a
21 close relationship with United States, most of our imports
22 come from the United States. We are 90 percent self-
23 sufficient; 90 percent we get our meats from Canada, and the
24 rest, 9.9 percent comes from the United States and 0.1 comes
25 from elsewhere.

1 [Slide]

2 Then when we do a policy we always have to look at
3 he blood supply risk, and we work with the blood people,
4 he blood suppliers. The regulators will tell them to do a
5 onor assessment, which they have done in the last three
6 ears and they are still continuing to do that. Both
7 emaQuebec and CBS are always looking at whom they're
8 ecruting, where they come from, and so on, and they are
9 eveloping a nice system there. We get that information
10 hrough our regulators. They ask for the information from
11 he suppliers and we get it through the regulators.

12 [Slide]

13 When we looked at how to do analysis and modeling,
14 ve came out with four or five different models and when we
15 applied it to France and then to the U.K., the model on that
16 Bob Will's slide, the model that Paul showed you, is the
17 model that we preferred -- not preferred but we did a model
18 that said let's look at proxies. Let's look at the number of
19 cases of BSE and the number of cases of cases of vCJD and
20 use that as a proxy; ignore the incubation period and come
21 out with numbers.

22 The numbers we got for the U.K., and that is where
23 we came with the six-months policy, they were between 200-
24 something to about 10,000. The number that we got for France
25 is about 50-300 people who have come down, total, with vCJD.

1 We are going to be publishing this data. A lot of journals
2 have asked us to publish this so we are just thinking of
3 which journal. But that is the model. And, we looked at two
4 important models, one, case history-1, the proxy system, and
5 that is how we developed the options for the regulators.

6 [Slide]

7 The background for the regulators -- apart from
8 the fact that we do something and we give it to the
9 regulators, they do their own background, their own
10 information. So, for CJD is the theoretical risk. We had
11 done precautionary measurements in the U.K. in August, 1999.
12 Donor deferral, withdrawal of components and derivatives
13 because of that policy.

14 [Slide]

15 The donor deferral basis was the basis of
16 residence in the U.K. -- this is our first policy -- in the
17 period of time between 1980 and 1996, and then cumulative
18 resistance for six months or longer and this was done by
19 modeling that we gave to TTP of the number of people that
20 will come down with the disease with time. Just a model.

21 [Slide]

22 What we knew at that time was that in France there
23 were three cases, and we used that information and with our
24 first model we predicted, at that time, that we should see
25 about three to five cases in France. That was last year. Now

1 we should have seen about five to six cases. But there are
2 only three cases in France, as far as I know.

3 So, we are reevaluating the models again but that
4 does not change the policy. It is just the science part
5 where we are reevaluating the models and because of our lack
6 of knowledge of the pathology and 'the lack of knowledge of
7 the disease itself.

8 [Slide]

9 We are considering now occurrence of BSE,
10 consumption of U.K. beef, occurrence of vCJD for countries
11 of Europe, and what is important is this, these two factors:
12 When we did the risk analysis for France the occurrence of
13 BSE in that country, and if you project with our models to
14 the number of vCJD it came to almost 0.001 cases. And, with
15 the models that we saw in U.K., we related that back to the
16 U.K. and the U.K. had 1000 cases per month, and going down,
17 and that is how we did the model.

18 So, now we are relying on saying that the numbers
19 of BSE, if they are small in that country and if the
20 surveillance system is excellent -- we watch it very closely
21 but we don't change the policy yet. We wait until this
22 happens or there is a probability of this happening in that
23 country.

24 [Slide]

25 Option of risk is the withdrawal of products based

1 on ten years. So, when we did the model it was either based
2 on ten years because of that "20 percent factor." The other
3 option was to reduce the U.K. further down to the
4 corresponding period of France and the "20 factor" that we
5 came out with, or reducing aggressively U.K. from six months
6 down and not even touching France.' So, those are the options
7 we had at that time.

8 [Slide]

9 What we did at that time -- there is a slide
10 missing -- we had data from the blood services, and knowing
11 that this was a theoretical risk that we were dealing with,
12 we wanted to know what we were going to introduce as a true
13 risk -- blood supply, introduction of new viruses or other
14 viruses in the system. With that data, we did an analysis
15 and came out saying, fine, the cut-off point of a new risk
16 versus theoretical risk in our model was 2 percent loss of
17 donors. When we got the information back from CBS and
18 HemaQuebec, that six-month deferral corresponded to two-
19 three percent of loss of donors. So, that made sense for us;
20 maybe we should just extend that policy.

21 [Slide]

22 The other thing we had to do is consider another
23 major problem because we get immunoglobulins -- 50 percent
24 of immunoglobulins come from elsewhere, mostly from the
25 United States. We are self-sufficient in other things like

1 albumin which comes from Canadian plasma which is
2 manufacture in the States. Most of our Factor deficiency is
3 all recombinant even though there might be an albumin
4 portion to it but Factor VII, Factor IX is 100 percent
5 recombinant in Canada. So, our problem was with
6 immunoglobulins. What do we do with immunoglobulins if we
7 import and have a policy that is not existent in another
8 country like the United States or France? What are we going
9 to do with our product?

10 The true risk of not giving that product to a
11 patient versus the risk of spreading that disease was
12 outweighed and that is why we said that Canada applies the
13 same deferral for fresh components, but will not mandate,
14 you know, the people in the United States that they follow
15 our deferral. We prefer it but we don't mandate that.

16 [Slide]

17 This is because of this 70 percent -- it is really
18 50 but at that time it was 70 percent. What we have done
19 though is make a recommendation to the regulators and to the
20 blood services to look and go for plasma sufficiency and now
21 they are coming up with plans for that.

22 [Slide]

23 So, in conclusion, for us it is still a
24 theoretical risk even though some animal studies have shown
25 the true risk is the blood supply availability. So, we have

1 o weigh other relative risk with the true risk. It has to
2 e balanced. And, how do we manage this hypothetical risk?
3 f we put a policy in, what are we going to do about it?
4 hat is why we have these central sites in the hospitals now
5 o assess what type of medical changes are going on if there
6 s a reduction of blood.

7 [Slide]

8 This is just an overview of what is happening.
9 ike I said, there is now this unit that not only works for
10 :JD risk but for other blood problems. They get information
11 irom all our central sites, communities, public health
12 sites. We have a surveillance system for the hemophiliacs,
13 or the bone marrow transplants, and we have an active
14 surveillance system for new viruses. We have about a
15 :housand samples of unknown viruses from transfusions that
16 ve analyzing now with history. So, that is all put in
17 eutrally. That is going to help us to see what is going to
18 appen with CJD, and also will help us see what is happening
19 at the level of the hospital blood supply. One thing is
20 supply that CBS and HemaQuebec know they have, but what is
21 nappening at the hospital level and in the ordinary
22 practices. Thank you.

23 [Applause]

24 DR. BROWN: Does the committee have any questions
25 for Tony?

1 DR. EWENSTEIN: I was wondering if you have
2 considered some of the data on fractionation of TSE activity
3 in Fractions IV and V? You are worried about albumin a
4 little bit and more about immunoglobulins where infectivity
5 seems to partition away from that.

6 DR. GIULIVI: That is right, yes. We had data from
7 different companies on this and when we looked at the data
8 on TSE, the amount that is there, going through all the
9 fractions, albumin came to be number one, but because of the
10 problem that we see in Canada, that 90 or 100 percent of
11 albumin comes from Canada, we didn't have to worry about it.
12 It is not from another country. Since we put a policy in, it
13 is our albumin. So, we were fortunate in that way. That is
14 why we had to focus on the issue of immunoglobulins.

15 DR. EWENSTEIN: What I meant was that the
16 immunoglobulin fraction also appears to benefit from the
17 purification --

18 DR. GIULIVI: Yes, that is right. That is why we
19 said we did not impose anything for manufacturing to comply
20 with our policies, you know, from outside.

21 DR. BROWN: There was a question over here. Go
22 ahead.

23 DR. LURIE: I would just like to ask you to
24 enlarge a little bit more on what the thinking was in Canada
25 when you extended the ban to amount certain amount of

1 residence in France. There is so much talk about that. Tell
2 us what you thought about it; what the elements were; why
3 you came down the way you did.

4 DR. GIULIVI: Yes. Remember, in Canada it is post-
5 treever. Okay? So, that is one thing. The other thing is the
6 precautionary principle that has been used for the U.K. So,
7 we had that policy already, an official policy. So, when TTP
8 looked at it, you know, they said they had a policy for one
9 country; what are they going to do with another country?
10 That is why they asked us to do the risk assessment and get
11 the information. Our risk assessment pointed the probability
12 of a person going to France and coming back and carrying
13 that disease came, I think, to 0.01 of a Canadian traveling
14 to U.K. coming back. Then we calculated the time and saw
15 that if you spread that out in time it came to three years
16 before you would have a person coming back, carrying that
17 disease.

18 Now, because there was a policy already and
19 because the policy stated that in countries with vCJD the
20 TTP acted. Given the fact that the true problem would be
21 blood supply and if the suppliers were able to supply blood
22 in Canada, given the fact that the theoretical risk is so
23 low, we went ahead with the policy. That is the thinking
24 there and that is what happened. Right now, even though when
25 we put the U.K. policy in we predicted a 4 percent decrease,

1 there was a 1.4 percent decrease. A lot of people self-
2 deferred. But CBS and HemaQuebec did an aggressive campaign
3 of bringing back donors. They did an excellent job. Then,
4 when we thought about France the same thing happened. There
5 was a little dip down and then the aggressive campaign
6 brought it up.

7 DR. BROWN: We have two final questions. Ray has a
8 question.

9 DR. ROOS: If I read some documents correctly, you
10 separate residents in France from residents in U.K.

11 DR. GIULIVI: Yes, we don't combine them.

12 DR. ROOS: And, I wondered whether you would
13 comment as to your rationale for that.

14 DR. GIULIVI: Yes, the rationale was simple. It
15 was a logistic nightmare in the sense of how could you do
16 four months plus two months in different countries? How
17 would you get that information to the donors? There was a
18 problem with the system, blood supply system, since the risk
19 is the blood supply. That is number one.

20 Number two, the risk in U.K. is much, much higher
21 than the risk in France. So, the policies don't add up. They
22 are different. There is six months in one country or six
23 months in another country.

24 DR. BROWN: Remember, Ray, that Tony earlier in
25 his talk said that they were only reevaluating the science.

1 It would have no effect on the policy.

2 DR. GIULIVI: That is right, yes.

3 DR. BROWN: A question here?

4 DR. NELSON: I am not clear what the policy is.

5 Exclusion in Canada for donors is six months either in
6 France or U.K.?

7 DR. GIULIVI: Yes.

8 DR. BROWN: And a final question, Dr. Belay?

9 DR. BELAY: If you isolate HemaQuebec, what was
10 the impact on the blood supply in Quebec of adding residence
11 in France as part of the donor deferral policy? And, were
12 you able to compensate?

13 DR. GIULIVI: Yes, HemaQuebec compensated very
14 fast. HemaQuebec did their analysis. When they did their
15 risk assessments for six months, three months, one month and
16 so on, and looked at six months, they saw they would lose
17 about 3.2 percent donors. The TTP, not us but the TTP asked
18 what is your plan in place to recover those donors? And,
19 they came out with a plan by which they have recovered -- in
20 three weeks they recovered, right away.

21 DR. BROWN: Thank you. This is the first session
22 of the morning. We are running a little bit behind so we are
23 going to have a stretch break of ten minutes and then we
24 will reconvene. Ten minutes.

25 [Brief recess]

1 DR. BROWN: We have two topics before the public
2 hearing and the committee subject. The first subject is a
3 very interesting one that has been brought up before the
4 committee before and will continue, I think, to be of major
5 interest. That is the potential dietary exposures of U.S.
6 service personnel and dependents to the BSE agent. For this
7 topic we have two colonels, Col. Severin from the Department
8 of Defense, Vet Service Activity. Following him will be Col.
9 Fitzpatrick from the Armed Services Blood Program Office.
10 Col. Severin?

11 **Potential Dietary Exposures of U.S. Service Personnel**
12 **and Dependents to BSE Agent**

13 COL. SEVERIN: Thank you.

14 [Slide]

15 Following the initial blood donor deferral policy
16 for individuals who had spent six months or more in the
17 U.K., DOD asked the FDA if they had considered service
18 members and their families who had been in Europe during the
19 same time period. We were aware that beef procurement
20 contracts had included purchase of U.K. beef with delivery
21 to Continental Europe. The FDA requested further information
22 which was provided by the Army Surgeon General on 23
23 October, 2000. This memorandum is the basis for today's
24 briefing.

25 [Slide]

1 Service members had four sources of beef while
2 stationed in Europe. Obviously, the military dining
3 facilities is one source; the commissary stores, which are
4 DOD's version of a grocery store; the exchange outlets which
5 would include convenience stores, snack bars, concession
6 operations and cafeterias; and then, obviously, eating on
7 the local economy. Since eating on the local economy is an
a individual choice, we have no information on the source of
9 beef they bought for personal use or the frequency of the
10 consumption of this type of beef item.

11 The contracting agencies were contacted for their
12 procurement data, and this was compiled by the Office of the
13 Army Surgeon General. Based upon the dollar value of these
14 contracts, those records were kept from one to five years
15 and then destroyed. Since we had to look back twenty years,
16 the agencies had to provide us estimates instead of actual
17 hard data numbers for the pounds of beef procured during
18 this time frame. For carcass beef and box beef the
19 procurement specification did require that beef shall be
20 free of portions of spinal cord. However, this does not mean
21 that if a spinal cord. is present the carcass would be
22 rejected. All it means is that it would be considered as
23 part of the veterinary inspection procedure for that offered
24 lot by the meat packer and, depending upon how frequently
25 this occurred, there may have been a price modification on

1 the contract but the carcass would not have been rejected.

2 [Slide]

3 Obviously, troop feeding, soldiers eating in the
4 military dining facilities, were eating beef from the United
5 States. The same is true for operational rations which would
6 have included your MREs, your tray packs which are a
7 hermetically sealed, institutional-packed type meal, or the
8 hot meals that would have been prepared in the field.

9 [Slide]

10 The Commissary Agency does not do its own
11 contracting. The Defense Logistics Agency provided contract
12 support for all European procurement. During the 1980-1989
13 time frame beef procurement averaged 2.5 million pounds a
14 month, and 35 percent of this amount came from the U.K. and
15 65 percent came from other European countries, which would
16 primarily be Germany, Hungary, Yugoslavia, Denmark and
17 Italy. Of the U.K. product, approximately 300,000 lbs
18 monthly was delivered to commissary stores north of the Alps
19 and 575,000 lbs went to the stores south of the Alps. These
20 contracts were written on a monthly basis. Thus, the source
21 of supply to a specific store could change monthly. The 112
22 commissary stores would distribute between 21 delivery
23 routes, and contracts were bid as routes, not as individual
24 stores. These contracts were for carcass beef which was
25 split into forequarters and hindquarters at the packing

1 house, and further processed into retail cuts at the meat
2 markets of the commissary stores.

3 [Slide]

4 In 1990 the Beef to Europe Program was initiated
5 for commissary stores north of the Alps. This program
6 entailed shipment of box beef of U.S. origin to Europe. This
7 was a congressionally mandated program, not related to the
8 issue of BSE. On the occasion of supply failure emergency
9 purchase was done within Europe and 99 percent of this
10 product came from German meat packers. All commissary stores
11 within the U.K. participated in the Beef to Europe Program
12 with the exception of the Edsel Commissary in Scotland.
13 Shipments to the Edsel Commissary and to areas south of the
14 Alps continued to be U.K. carcass beef up until 1994. In
15 1994 this was converted over to box beef and the annual
16 amounts of beef shipped south of the Alps is shown on this
17 slide.

18 [Slide]

19 AAFES, the Army and Air Force Exchange Service,
20 was not able to provide estimates of total pounds of beef
21 procured. They did use similar carcass meat cuts and
22 distribution patterns as were described for the Commissary
23 Agency. Records of beef purchase from the U.K. for 1980-1995
24 are not available. There are no records of U.K. carcass beef
25 purchases after 1995. However, they did purchase primal and

1 sub-primal cuts through March of 1996 from the U.K. European
2 beef was used by AAFES food service outlets and
3 approximately 20 percent of this did come from the U.K.

4 Prior to the reduction of troop strength in Europe
5 there were 54 hamburger franchises which operated as
6 concessions. These operations used preformed patties which
7 came from the U.K. through 1989, and in 1990 this was
8 switched to either beef from the U.S. or beef that was
9 ground in an AAFES operation in Germany which used a
10 combination of U.S. and non-U.K. beef product.

11 This information answers the basic questions USDA
12 posed back to the Office of the Army Surgeon General. I
13 would like to point out, however, that the possibility
14 exists that U.K. beef could have been consumed in areas
15 outside of Europe. For example, it may have been purchased
16 by naval ships resupplying in the Mediterranean Sea, or
17 could have been provided to service members in southwest
18 Asia at the time frame following Operations Desert Shield
19 and Desert Storm.

20 Thank you. Col. Fitzpatrick will be doing
21 presentations on the blood and dependent populations.

22 DR. BROWN: Col. Severin, I have a question.

23 COL. SEVERIN: Yes?

24 DR. BROWN: What kind of proportions would have
25 been beef products rather than beef itself? Because beef

1 But any cooked meat product can be assumed to have been
2 possibly contaminated by mechanically removed meat, which
3 would have included nervous tissue.

4 COL. SEVERIN: From that standpoint, canned meat
5 type products that we would have been purchasing would have
6 been the same products that would have been shipped to the
7 J.S. directly for importation, but I have no actual numbers
8 for that.

9 DR. BROWN: Yes, Dave?

10 DR. BOLTON: I would like to ask do you have any
11 idea of what other components, other than ground beef, would
12 have been in the preformed patties from the U.K.?

13 COL. SEVERIN: I have no idea.

14 DR. BROWN: Laura?

15 DR. MANUELIDIS: I would just like to make a
16 clarification or correction, as far as I understand it. When
17 I was in England in 1989, we were informed that beef patties
18 were 10 percent grain by weight up to the period of 1989.
19 That was one of our discussion points. So, in fact, uncooked
20 beef patties did have significant amounts of contamination.

21 DR. BROWN: Unfortunately, we don't have with us
22 Ray Bradley or other experts because that is flat out in
23 contradiction to what he has publicly said on numerous
24 occasions. I don't know which of you is right. But I don't
25 think we are in a massive government conspiracy mode here,

1 and I really don't know which is correct. It could be that
2 you are wrong and it could be that Ray is wrong. In any
3 case, at the least, in cooked beef products there would have
4 been a high likelihood of spinal cord and ganglia included
5 in it. That is, shall we say, a minimum level of risk.

6 Now, Col. Fitzpatrick?

7 COL. FITZPATRICK: Thank you, Dr. Brown. 'I am the
8 Director of the Armed Services Blood Program Office and I
9 will be providing you data on the numbers of active duty and
10 dependents or family personnel stationed in Europe from 1980
11 to 1996. These numbers were provided by the Military
12 Manpower Center at the Pentagon and do not include
13 reservists who may have been stationed on active duty for
14 training or extended active duty for training in Europe.
15 They do not include government employees, in other words
16 civil service employees of the United States Government
17 stationed in Europe, or contractors to the Department of
18 Defense stationed in Europe. I also need to point out that
19 the reservists activated in support of Desert Shield/Desert
20 Storm who were deployed to Europe, many to the United
21 Kingdom and to Germany, to Italy and to Turkey are not
22 included in these figures either.

23 I will be providing a very gross, rough estimate
24 on the number of personnel dependents that may be affected
25 if the committee accepts the suggestion published yesterday

1 by the American Red Cross and expands the donor deferral to
2 the present and includes all of Europe.

3 [Slide]

4 In 1980 to 1989 -- and the figures are broken down
5 this way to correspond to what Col. Severin has just told
6 you about beef procurement in Europe, and we have also
7 broken it down into numbers north of the Alps and south of
8 the Alps so that you can see the differentiation given that
9 he has provided you figures on the amount of beef available
10 for consumption in those two areas.

11 During this time period, you can see that there
12 was a total of a little over three million individuals who
13 were stationed in Europe from 1980-'89; 1,400,000 were the
14 active duty service members and 1,776,000 were their family
15 members.

16 [Slide]

17 If we go to the next time frame where the area
18 south of the Alps was receiving U.K. beef and the area north
19 of the Alps was receiving the beef from the U.S. program,
20 you can see that the numbers change drastically. The Cold
21 War was over and we were reducing our numbers in Europe.
22 There is about 125,000 affected from the active duty
23 population, with 719,000 family members, for a total of
24 1,245,000.

25 [Slide]

1 So by combining those figures, we can see that
2 there is a total of 4.4 million people who may be affected
3 by a deferral policy involving the consumption of beef from
4 the U.K. in these areas during these time frames.

5 [Slide]

6 I have broken that down and we asked the Military
7 Manpower Center how many of these people are still actually
8 on active duty because that is my major interest as the head
9 of the Armed Services Blood Program. We currently operate 21
10 FDA-licensed blood donor centers to collect about 110,000
11 units of blood annually, or about 1 percent of the blood
12 collected in the United States. We collect primarily from
13 the active duty population so really the 215,000 figure here
14 is the one I have used. We don't recruit heavily from the
15 family member population.

16 [Slide]

17 Just so that the civilian collection agencies
18 would have some numbers to work with, the numbers that are
19 no longer on active duty or are no longer family members of
20 active duty personnel that were stationed in Europe during
21 that time frame of 1980-1996 total about 3.9 million
22 individuals.

23 [Slide]

24 So the impact on our program would be that out of
25 the current active duty population of 1,400,000, about

1 15,000 would be ineligible because of an expanded deferral.
2 We have already deferred individuals who lived six months or
3 longer in the U.K. when the FDA guidance was established
4 that we should make that deferral. Using a gross estimate
5 that Allan can refine, that is 15.3 percent of the entire
6 active duty population. We know that the entire population
7 of 1.4 million is not eligible to donate for other reasons
8 and I have not adjusted those figures to make allowances for
9 that. So, 15 percent of the active duty population will
10 become ineligible should this deferral be expanded. And, the
11 percentages work out about the same for the dependent
12 population. That turns out to be about 16 percent.

13 [Slide]

14 We currently recruit about 130,000 donors annually
15 in order to collect that 110,000 units of blood. Another
16 rough estimate, that means we are recruiting about 9.2
17 percent of our total population. That is a little high. It
18 doesn't account for repeat donors and it doesn't account for
19 the civilians that donate to our program. A rough correction
20 factor would probably reduce that to about 7 percent but we
21 are still recruiting at a higher percentage rate than the 3-
22 5 percent reported by civilian blood collection agencies.

23 If we have a ban enacted that denies us that extra
24 15 percent we will, of course, have to increase this
25 recruitment number. That is probably doable within our

1 rganization. If, on the other hand, as the American Red
2 ross suggested, we defer everyone who has been in western
3 urope for over six months, again by gross, rough estimates
4 hat could make as much as 47 percent of the active duty
5 opulation ineligible to donate.

6 A very optimistic estimate which assumes that all
7 hose individuals who are left would be able to donate says
8 hat we would have to increase our recruitment to 17
9 ercent. Using a rough calculation that Dr. Epstein has
10 entioned in the past that about 30 percent of the
11 opulation is eligible to donate, and adjusting for that
12 his 17 percent would have to increase to almost 57 percent
13 of the available population. So, the impact of a deferral
14 such as suggested by the Red Cross would be significant to
15 our program. And, during Desert Shield/Desert Storm the
16 nilitary collected about 80 percent of the blood that was
17 shipped to southwest Asia and we relied on civilian
18 collection agencies for the other 20 percent.

19 So, my goal here was to make the committee aware
20 of the impact of their decisions on our program and ask that
21 they weigh the scientific and the hypothetical risk values
22 accordingly and make a balance decision and we will, of
23 course, comply with the recommendations and guidance of the
24 FDA regarding collection of blood from individuals who had
25 been stationed in Europe. Thank you.

1 [Applause]

2 DR. BROWN: Thank you, Col. Fitzpatrick. On the
3 same topic, I think we will proceed directly to two brief
4 comments, one by Dr. McCurdy and one by Dr. Williams, both
5 on the topic of the possible effects of recent changes in
6 the FDA blood-donor deferral policies on the U.S. blood
7 supply. Dr. McCurdy?

8 **Possible Effects of Recent Changes in FDA Blood-Donor**
9 **Deferral Policies on U.S. Blood Supply**

10 [Slide]

11 DR. MCCURDY: When the decision was in the process
12 of being made to defer blood donors who had spent six or
13 more months in the U.K., one of the requests that was made
14 from the Office of the Assistant Secretary for Health was
15 that we make an attempt to monitor the blood supply and see
16 what effect this deferral rate would have on the
17 availability of blood. The National Heart, Lung and Blood
18 Institute began to do this as promptly as we could.

19 [Slide]

20 To refresh your memory, what we did was start out
21 with a sample of blood centers. A sample of blood centers
22 was selected from data available to the National Blood
23 Resource Data Center of the AABB and was selected to be
24 fairly representative of blood centers in the United States.
25 We selected 27. There was a little bit of weighting to the

1 [Applause]

2 DR. BROWN: Thank you, Col. Fitzpatrick. On the
3 same topic, I think we will proceed directly to two brief
4 comments, one by Dr. McCurdy and one by Dr. Williams, both
5 on the topic of the possible effects of recent changes in
6 the FDA blood-donor deferral policies on the U.S. blood
7 supply. Dr. McCurdy?

8 **Possible Effects of Recent Changes in FDA Blood-Donor**
9 **Deferral Policies on U.S. Blood Supply**

10 [Slide]

11 DR. MCCURDY: When the decision was in the process
12 of being made to defer blood donors who had spent six or
13 more months in the U.K., one of the requests that was made
14 from the Office of the Assistant Secretary for Health was
15 that we make an attempt to monitor the blood supply and see
16 what effect this deferral rate would have on the
17 availability of blood. The National Heart, Lung and Blood
18 Institute began to do this as promptly as we could.

19 [Slide]

20 To refresh your memory, what we did was start out
21 with a sample of blood centers. A sample of blood centers
22 was selected from data available to the National Blood
23 Resource Data Center of the AABB and was selected to be
24 fairly representative of blood centers in the United States.
25 We selected 27. There was a little bit of weighting to the

1 Larger cities because we wanted to be a bit more sensitive
2 to shortage than a truly random sample. We had one late
3 dropout. There were six substitutes for dropouts, and the
4 final sample was 26.

5 [Slide]

6 You will recall from a previous presentation that
7 the various different centers took a while to get on line
a and, indeed, we didn't have a complete sample, I believe,
9 until sometime in the summer or fall of the year 2000.

10 [Slide]

11 We, therefore, felt it necessary in making a time
12 series comparison to correct the data for missing centers.
13 We started out by doing a simple number correction, that is,
14 dividing the data supplied by N centers and multiplying by
15 the total sample. That is, if we got 20 centers we would
16 divide by 20 and multiply by 26.

17 We also had data from previous surveys of the
18 National Blood Data Resource Center that gave us the percent
19 contribution to the total supply of individual centers, and
20 after a while we began to do what I think is a bit more
21 sophisticated correction but it, nevertheless, is a
22 correction and one can ask questions as to whether that was
23 reasonable or not.

24 [Slide]

25 We also decided to look at the blood released

1 rather than collected because we wanted to have information
2 on what was available for distribution. On this slide we
3 have the sample, the corrected sample actually. This is the
4 percent correction so it is a bit more sophisticated than
5 our initial one. These are the dates. We got 19 centers in
6 January. We did get some data for the preceding three months
7 retrospectively collected, but there were too few centers
a and I got the impression after looking at this with that
9 information, that they probably weren't very reliable.

10 You can see the number of centers down here. We
11 had data for October and November and those had a complete
12 sample. The rest of them required some correction. This is,
13 as you can see, an absolutely flat curve. The U.K. deferral
14 had to be brought into play by all because centers by April.
15 Some implemented it before that, but at least all of them
16 had it implemented by April and there was no discernable
17 change in the amount of blood released for distribution.

18 [Slide]

19 This shows the inventory during the same period of
20 time. We collected inventory information on the first and
21 third Wednesdays of each month, and there is some
22 fluctuation here. Obviously, this is a regression line, a
23 calculated regression line. As you can see, the slope is not
24 significantly different from zero.

25 Again, here is the number of centers that

1 participated. We had a complete sample beginning with the
2 first Wednesday in September, and have had a complete sample
3 since that time.

4 I do want to point out that these data here do not
5 speak to the issue of whether there is or is not a shortage.
6 This is only the supply side. We had planned from the very
7 beginning, and are still planning in the near future, to
8 begin collecting data on the utilization of blood and
9 transfusion services. That, of course, will give us the
10 other side of the coin. Thank you.

11 [Applause]

12 DR. BROWN: Thank you, Paul. Now Dr. Allan
13 Williams, who comes to us from the American Red Cross.

14 DR. WILLIAMS: Good morning.

15 [Slide]

16 I was asked to address three topics this morning
17 related to donor related to U.K. travel. The first is the
18 impact of the travel deferral from the perspective of
19 documented deferrals observed to date. Second, based on the
20 donor travel survey conducted in early 1999 to predict donor
21 loss in relation to potentially expanded deferral criteria.
22 Third, to address, to the extent possible, special
23 populations of donors such a military dependents, tissue and
24 cell donors and individuals who may have had exposure to
25 animals that could potentially host TSEs.

1 Two preliminary notes, there are copies of my talk
2 distributed to the committee. Anyone else who would like a
3 copy is welcome to see me. I understand it will also be on
4 the CBER web site. There is a handout which provides a
5 conversion for the many percentages that you will hear in my
6 talk to actual numbers of altruistic blood donors. I think
7 it is important to remind ourselves that when we talk about
8 a very low percentages we are talking about hundreds and
9 thousands of good people who donate blood for the good of
10 others, and. I think it is good to keep that in mind.

11 Because of time constraints, when there is
12 information presented that has been presented at a prior
13 meeting, I will go through that very rapidly.

14 [Slide]

15 Just a very brief overview of the survey which
16 will also support some of the data which will be presented
17 today.

18 [Slide]

19 The survey was conducted on a random sample of
20 December, '98 or January '99 donors at 12 blood center
21 sites. These included the five Reds sites plus three
22 additional, extension REDS sites used for other surveys,
23 plus the Red Cross ARCNET program. The total distribution
24 was 19,000 optically read surveys with a single mailing and
25 a cover letter from which we got 9500 responses, for about a

1 50 percent response rate.

2 Some of the other data collected was details about
3 donor travel to the U.K. and Europe and some demographics,
4 including sex, age, first time repeat donor status, and
5 education.

6 [Slide]

7 Specific to the U.K., the question was, did you
a live in the United Kingdom or the Republic of Ireland bet
9 1980-1989 or, a separate question, 1990-1996. In fact, we
10 ended up pooling these data and using the entire 16-year
11 period. The intervals that we used to describe travel are
12 shown here, and I think most of you are familiar with these.

13 [Slide]

14 Summarizing the data related to U.K. travel,
15 cravel by donors any time between 1980 to 1996, 22.8 percent
16 of the donors and there was a wide range by blood centers,
17 from 10.2 percent to 31.7 percent, particularly higher on
18 the coastal areas, as you might expect. Travel was higher in
19 relation to higher education, older age and repeat donor
20 status.

21 I think one comment in relating the survey
22 estimates to actual experience, we know we had higher survey
23 return rates from repeat donors. That was corrected in the
24 original estimate. We also recognize that there were more
25 returns from older donors and from more educated donors. We

1 couldn't make that correction because not all of the centers
2 had the demographics available for the sampling frame. In
3 addition, these groups also donate more frequently so you
4 don't really know how to make that correction, Per year
5 travel to the U.K., 1.3 percent.

6 [Slide]

7 This, you will recall, is a comparison of donor
8 loss for different periods of U.K. travel to the amount of
9 person days in the U.K. that would be eliminated, and the
10 figure ultimately chosen was a six-month deferral
11 eliminating 2.2 percent of donors, with elimination of
12 approximately 86 percent of the person day theoretical risk.

13 [Slide]

14 As far as what has happened since implementation
15 of the deferral, we have some observations but there are a
16 couple of points I want to make before showing those
17 numbers.

18 [Slide]

19 Deferral occurs at several different levels, and
20 we use the concept of self-deferral of the donor being aware
21 through education of something that makes the donor
22 ineligible for donation. This occurs before a blood drive
23 and I think, particularly in the case of this travel
24 deferral, there was a lot of immediate attention about the
25 deferral. Some blood centers sent letters to their entire

1 donor base. I know Canada did and several Red Cross centers
2 did. Several blood centers at the time of recruitment asked
3 the question about travel so as to prevent these folks from
4 coming in, and there were numerous telephone inquiries to
5 the blood centers. Self-deferral can also occur at the blood
6 drive prior to registration, based on donor educational
7 material and, in some cases, the questionnaire itself is
8 self-administered to the donor.

9 Then there is interview-based deferral. This is
10 where the questionnaire is actually reviewed and/or
11 administered by an individual. If that deferral then
12 results, that is recorded as a U.K. travel deferral.
13 Finally, a tough quantity to get at are individuals who may
14 fail to defer appropriately. This could be not paying
15 attention to the information, misunderstanding of the
16 information, not heeding the travel deferral. These would be
17 false-negative responses and we know from post-donation
18 information, error and accident reports to the FDA these are
19 fairly high for this particular deferral. We haven't
20 examined specifically the causes behind that yet.

21 [Slide]

22 I say this as a preamble to the data that actually
23 resulted from the on-site deferrals. The numbers are really
24 very low compared to the estimate. For this deferral, within
25 the American Red Cross system deferral is 3.1 percent. And,

1 61 percent of those donors deferred are repeat donors. From
2 data shared by Marian Sullivan, National Blood Data Resource
3 Center, based on the same 26-center sample described by Dr.
4 McCurdy, deferral is 0.33 percent. This is probably the most
5 representative estimate for the country because that is a
6 good representative sample. Of that group, 75 percent were
7 repeat donors. The difference between these two, I would
8 guess, is probably due to the fact that the Red Cross has
9 fewer coastal areas represented and they use, for the most
10 part, a self-deferral interview process which may facilitate
11 donors leaving before they actually meet up with an
12 interviewer. Some of the coastal sites that were high in the
13 survey -- New York Blood Center has experienced 0.6 percent;
14 blood centers of the Pacific and San Francisco, 1 percent.

15 An interesting comparison is with Canadian Blood
16 Services deferrals. They ran a survey before ours and
17 actually reached very similar deferral data, around 2, 2.3
18 percent. Their on-site deferral is 0.22 percent countrywide,
19 but they also track data related to pre-site deferrals that
20 had been administered through telephone interviews or
21 recruitment prescreens by their blood centers and that added
22 another 0.6 percent to the observed data. So, you can see
23 there is some validation to the fact that there is pre-
24 interview deferral happening.

25 [Slide]

1 I would like to now cover some of the data that we
2 have relating to travel to France and other countries in
3 Europe. The questions on the survey that dealt with this --
4 the first one is did you travel or live elsewhere in Europe
5 between the period 1980-1996 with the same time intervals
6 concerned? This is important because this provides the
7 cumulative time interval spent in Europe, similar to what we
8 had for the U.K.

9 A more limited question, because of space on the
10 survey instrument itself, is individual travel to countries
11 within Europe, particularly the BSE countries. For that, we
12 asked, please indicate if you traveled to or have lived in
13 any of the countries listed below. While we can't tie this
14 specifically to intervals, it does provide prevalence of any
15 visits to a BSE country during that time period.

16 [Slide]

17 So, data for this particular question related to
18 the U.K. overall travel, travel to BSE countries other than
19 the U.K., 29.2 percent overall and any BSE country at all,
20 35.5 percent -- again, a large range among blood centers up
21 to the highest range of 47.7 percent for travel to any BSE
22 country at a single blood center. There is overlap in this
23 figure which is why they are not additive.

24 [Slide]

25 Now, using these data to predict what the impact

1 of a France deferral would be, one needs to make an
2 assumption that there is similar duration of travel within
3 countries, given the overall prevalence of travel to a
4 country. So, the observation made for France is that 15.6
5 percent of the donors had been in France ever within that
6 time period. This is compared to 12.8 percent in the U.K.
7 Therefore, relating that to 2.2 percent U.K. deferral for
8 six months, one would estimate a 1.5 percentage for six-
9 month deferral to France or 0.7 as a factor to convert
10 between those two.

11 In fact, Canadian Blood Services collected those
12 data, once again, and actually experienced a 1.7 increase in
13 deferrals for the addition of the independent six-month
14 cumulative France deferral.

15 [Slide]

16 Shown here are the actual data from Canadian Blood
17 Services. Figures are per 10,000 so 35 per 10,000 would be
18 0.35 percent that they experienced at the start of the U.K.
19 deferral. You see this downward trend, a little lower in the
20 summer time when the demographics change, and then back up
21 to 1.8 percent in October. Between October and November they
22 implemented the France deferral and the rate went up to 3.2,
23 almost exactly a 1.7-fold increase in deferrals. I think
24 that validates to a certain extent that estimate.

25 [Slide]

1 Shown here, without going through them
2 specifically, is the prevalence of any travel to countries
3 that had experienced BSE at the time we ran the survey, and
4 these conversion factors could be used to compare them to
5 the U.K. travel estimates that are more specific.

6 [Slide]

7 Shown here also for reference are bar graphs
8 representing travel to the U.K., to Europe exclusive of the
9 U.K. and any BSE country between 1980 and 1996. Two-thirds
10 of this graph was shown to you at a previous meeting. What
11 was added in was the graph for travel to Europe not
12 including the U.K., and these numbers are included for your
13 reference. Eurotravel not including the U.K. runs from a
14 high, it looks like, 29.2 down to a low of 0.7.

15 [Slide]

16 This is a similar graph. This was actually
17 presented at a prior meeting and it included a U.K./France
18 figure. I would actually prefer that you use the conversion
19 that I introduced a couple of slides ago because this
20 actually, I believe, uses the figure for travel only to
21 France plus Britain and I think the other one is probably
22 more accurate.

23 [Slide]

24 What I did was follow up some of the analysis that
25 we had pursued in the first discussion of this talking about

1 risk in terms of person days. So, among travel survey
2 respondents -- and this gets a little theoretical so shout
3 out if you don't understand it -- total U.K. BSE exposure,
4 the travel to the U.K. experienced by survey respondents,
5 252,000 person days. Travel to non-U.K. Europe, a total of
6 516,000 person days.

7 Now, we used semi-arbitrarily a factor of one-
8 tenth the risk in other parts of Europe -- and I should say
9 that France is included here -- related to U.K. exposure. So
10 I cut the non-U.K. BSE exposure to 51,602 person days, for
11 total BSE exposure of 304,000.

12 [Slide]

13 Now, looking at the U.K. deferral of six months
14 already in place, the U.K. person days of theoretical risk
15 removed 217,000 over the 252,000, the 86 percent figure that
16 you have seen before. Total person days of theoretical risk
17 removed -- this is U.K. plus the rest of Europe, 217,411
18 over 304,000 or 71 percent. The residual total risk not
19 removed, given these assumptions, is about 87,000 against
20 the donor loss of 2.2 percent. Just to create an index here
21 for comparison, I am using percent person days removed over
22 percent donor loss, and the figure for this calculation is
23 32.5.

24 [Slide]

25 Doing the same thing, but here considering that we

1 have a six-month deferral already in place, what would
2 happen if the U.K. deferral is reduced to three months? The
3 residual person days removed would be 21.2 percent. The
4 total person days removed would be 77.5 percent; additional
5 honor loss, 1.2 percent, and the index here 17.6 percent, so
6 a little lower efficiency for increasing that deferral.

7 [Slide]

8 The same thing for one month. I won't go through
9 all the numbers but you can see the index is 7.8, again
10 continuing to go down.

11 [Slide]

12 Now, to look at it a little differently, I am
13 using here travel to Europe. Those of you who are holding
14 printouts of the talk, please either change the numbers or
15 cross out the next three slides because I made an error in
16 the numbers that are there. The numbers shown on the screen
17 here were corrected.

18 so, for consideration of deferral for a period in
19 Europe of over five years, and this includes France, on top
20 of the U.K. deferral of six months the residual person days
21 removed is 2414. The error that I think I made was removing
22 specific risk person days instead of overall person days. I
23 think right now this is correct. You actually have less
24 efficiency than I had originally calculated. So, 2400 person
25 days removed, 2.7 percent residual removed, and overall an

1 index of 3.9 for Europe greater than five years.

2 [Slide]

3 Following the same train, a lower figure, three to
4 f'ive years -- think in terms of the mid-point -- 2.4.

5 [Slide]

6 Finally, Europe one to two years, 1.7. So, in
7 summary, the numbers for these indices -- what was gained by
8 the original six months deferral had an index of 32.5; three
9 months U.K., 17.6; and one month, 7.8. The numbers for
10 Europe are considerably lower, so just as a factor of
11 efficiency.

12 [Slide]

13 Special donor populations.

14 [Slide]

15 I was asked to consider the 4.4 million dependent
16 military donors who had been on bases and possibly exposed
17 to U.K. beef, and asked to convert these two likely current
18 donors in the nation's blood supply. Based on U.S. census
19 data, the typical family is 3.1 individuals. Trying to get a
20 reduction in this figure for underage individuals who would
21 not be potential donors, we were able to reduce this to 3.7
22 million. Based on national health interview survey data, the
23 percentage of all adults in the country who donate, i.e.,
24 6.4 percent per year. So, estimated current donors, 236,800
25 or about 3 percent of U.S. donors per year. That assumes

1 equal donation rates by military versus general population,
2 and there are no data but I suspect they may actually be
3 higher in that population.

4 [Slide]

5 This is data presented before that I won't go
6 rough in detail. In the general donor 1198 REDS survey we
7 had a question about ingestion of mammalian brain in foods.
8 This was stimulated by a couple of Lancet letters talking
9 about squirrel brain ingestion and CJD. So, we asked the
10 question. In summary, about 8.7 percent had eaten knowingly
11 mammalian brain at some point, and it boils down to 3.7
12 percent beef, 2 percent pig, 0.8 percent lamb, 0.3 percent
13 squirrel and the rest of the numbers are lower.

14 [Slide]

15 Hunting of deer and elk was also presented
16 previously, 13.3 percent of our donors are hunters; 6.8
17 percent overall have field-dressed an animal; 62.6 percent
18 have known that they ate deer or elk, 40 percent of that
19 killed in the wild; 5 percent don't know; and 0.2 percent
20 know that they ate brain or spinal cord from the animal.

21 [Slide]

22 Tissue and cell donors -- it is very tough to
23 estimate deferrals for these populations. There is certainly
24 no travel information readily available. Surveys would be
25 different to conduct. I think probably the only way that we

1 can attack this is to look at the populations, trying to get
2 some basic demographic characterizations of them and
3 otherwise assume that a screened tissue donor may resemble a
4 screened blood donor if you correct for the demographics. So
5 what I am going to put in for the record is just the
6 demographics of the donors that we had traveling in the
7 survey.

8 [Slide]

9 Distribution by sex was fairly even. We found that
10 the females tended to travel a little more as they got
11 older, and we have done regression on these which were
12 represented at the first meeting. So, you can actually see
13 the corrected values for these demographics. These are the
14 univariate analyses.

15 First time versus repeat donors, 13.8 percent of
16 first time donors traveled to the U.K. -- these are all U.K.
17 data; 23 percent of the repeat donors, for an overall of
18 22.8 percent. A major difference there.

19 [Slide]

20 Age -- you also see a substantial difference, from
21 16.4 percent in the youngest age group up to a high of 30.8
22 percent in the greater than 65 group.

23 [Slide]

24 Education -- even a more remarked change, with the
25 under high school level generally under 1 percent; high

1 school graduates 5 percent; college and college graduates up
2 in the 30-35 percent range. So, clearly, this is correctable
3 if you know educational data for the population of interest.

4 [Slide]

5 I was also asked to briefly address what sort of
6 data systems would be appropriate to readily make data such
7 as this available for future policy considerations; As most
8 of you know, we have a program called the Retrovirus
9 Epidemiology Donor Study that is sponsored by the National
10 Heart, Lung and Blood Institute. We have a somewhat similar
11 program, ARCNET, within the Red Cross, and we have the
12 National Blood Data Resource Center, also funded partially
13 by the Heart, Lung and Blood Institute. All of these have
14 established systems to collect research and/or blood
15 adequacy data and all of them do the job very well. But for
16 an integrated rapid response network we need a little larger
17 representation than is provided by REDS. We need capable
18 data systems at each of the participating centers so that we
19 can do things like define highly representative sampling
20 frames. We need a rapid survey capability, which means ad
21 hoc staffing availability, IRBs available and, most
22 importantly, the ability to not lose ten months to a year by
23 having OMB review of federally funded surveys that are
24 deemed to be of great importance. Such a network could also
25 participate in the blood adequacy measurements in the

1 future.

2 So, I just wanted to put that on the table. We
3 were able to conduct a travel survey. It was only by
4 tremendous cooperation by our colleagues and a fortuitous
5 circumstance of being able to use some systems that were
6 already in place that we were able to collect those data.

7 [Slide]

8 Limitations of survey data -- survey risk
9 estimates are reproducible. That has been our experience.
10 But they are based upon self-report and the accuracy has not
11 been validated by other independent measures.

12 [Slide]

13 I would really like to acknowledge everyone who
14 has helped with all of the presentations to this committee.
15 I won't list all the prior ones but, particular to this
16 talk, Dr. Joanne Chiavetta from CBS, Marian Sullivan
17 representing the National Blood Data Resource Center, Debbie
18 Kessler from New York Blood Center, BaOguang Want and Steve
19 Schweinfurth from Westat and Ed Notari from our ARCNET
20 program who is our data manager and cruncher, and Mike Busch
21 from Blood Centers of the Pacific.

22 I am sorry if I have exceeded my time but thank
23 you very much.

24 DR. BROWN: Thank you very much, Dr. Williams.

25 [Applause]

1 Blood Center. I am here to express some serious concern
2 about possible recommendations regarding the risk of
3 transmission of spongiform encephalopathies via blood
4 transfusion. We strongly support FDA's vigorous and
5 continuing efforts to reduce all risks associated with
6 transfusions. As such, it is my obligation to inform you of
7 the serious medical impact of any further reduction in
8 availability of red blood cells for transfusion in the New
9 York Metropolitan area.

10 We are a major supplier of blood products for the
11 entire New York-New Jersey metropolitan area, serving 200
12 hospitals and major academic medical centers. We distribute
13 nearly one million components a year, which is remarkably
14 high due to the transfusion needs of our tertiary care
15 centers that provide care to patients from all over the
16 world. Our most precious and scarce component is packed red
17 blood cells, derived from volunteer whole blood donations.
18 Of 600,000 RBC units distributed annually in our area,
19 420,000 units come from donations made at NYBC; 30,000 units
20 are purchased from U.S. blood programs as surplus; and over
21 150,000 units, or 25 percent, are imported under our
22 Euroblood program.

23 Last April, we experienced immediate drops in our
24 collections when we introduced the U.K. deferral. We
25 currently, as previously mentioned, defer up to one percent