

1 just for the record say that there has been no transmission,  
2 no documented transmission of hepatitis B virus by a plasma  
3 derivative since 1987 and that the inactivation removal  
4 steps at the present time are pretty good.

5 DR. KOERPER: No, I agree, but there have been  
6 episodes of slip-ups in GMP, so that is where my concern  
7 comes from. I agree that the present methods are  
8 satisfactory as long as they are applied appropriately and  
9 there aren't any slip-ups.

10 DR. BOYLE: I would like to respond to that prior  
11 comment. Based upon your statement, would you agree that we  
12 could take off hepatitis B questions from the donor  
13 screener, since part of the issue before us is why do we  
14 have donor screener questions if we have got the treatment  
15 methods that have made it a very safe and--

16 DR. TABOR: I think you are getting into a very  
17 complex field when we talk about that question, and there is  
18 a lot that could be discussed about that question. We  
19 probably should put it off until another meeting.

20 DR. NELSON: There have been no outbreaks  
21 certainly of hepatitis B, but I just wonder if we can be  
22 sure that there has never been a transmission. There has  
23 been no recognized. You know, proving a negative is  
24 difficult, I guess.

25 DR. BIANCO: Celso Bianco, America's Blood

1 Centers.

2 In my statement during the public session, I  
3 suggested that we vote against two separate standards,  
4 however, after hearing a lot of arguments particularly  
5 during our break, I see some logistic advantages at this  
6 time in having two separate standards, like facilitating the  
7 introduction of these more sensitive tests for the whole  
8 blood while the plasma industry, that has set up already  
9 large pooling schemes for the application of HBV NAT,  
10 already having, at least in their system, a control for that  
11 load of virus that is added to a pool that is going to later  
12 be inactivated, that is not going to exceed a certain limit.

13 So, I think that in the short term, while the  
14 ultimate objective that I think that we all want, is to have  
15 a single standard that is the highest possible standard. I  
16 think that I would modify that position to say that at this  
17 point, the two standards would be appropriate.

18 DR. SCHMIDT: It is not only the question of donor  
19 screening questions, but if the manufacture were done  
20 properly, you wouldn't have to do any testing at all.

21 [Laughter.]

22 DR. SCHMIDT: So, you either do the best testing  
23 or none at all, and that is kind of an open and shut, I  
24 think.

25 DR. BUSCH: I think that we need to be cautious

1 because, as indicated, there actually haven't been B  
2 transmissions despite the fact that the surface antigen  
3 tests have improved, and obviously, we haven't done NAT,  
4 and, in fact, the plasma industry doesn't even do anticore,  
5 and there are some prospective follow-up studies of  
6 hemophiliacs, et cetera, that have not failed to document B  
7 transmissions.

8           The reality is the plasma industry has introduced  
9 HBV NAT, and by virtue of their methodologies, which  
10 involved concentrating, once they build these pools, which  
11 may be 500 or 1,000, they then pellet the virus from  
12 anywhere from 3 to 5 mL's, and then they do very sensitive  
13 analytic PCR on those pellets.

14           So, the factors we saw is that the plasma industry  
15 is head to head comparable in sensitivity. They are  
16 achieving sensitivity on a per-donation level in the range  
17 of 500 to 1,000 genomes equivalents per mL, so the truth is  
18 what they have put in place today, and is being used in  
19 every plasma components, is an extraordinary sensitive  
20 system.

21           My concern is not that we don't have two levels,  
22 but rather that we not set the whole blood level lower than  
23 that because we can't achieve it. Instead, I would say, if  
24 anything, if you want two levels, the probability is that  
25 you could set the plasma industry level much higher than

1 they are actually achieving, which to me seems ludicrous.

2           So, I don't see a rationale for two levels because  
3 the whole blood side, I don't think can actually do it.

4           DR. EPSTEIN: I think the real implication of the  
5 question has to do with logistics and implementation. What  
6 is being said here is correct, that if we were to establish  
7 a lower standard than that which is represented by the  
8 assays that you saw, it won't be achieved, at least in the  
9 short run, for whole blood. It may not be necessary for  
10 source plasma, but it certainly won't be achieved for whole  
11 blood.

12           Therefore, the implication of that is that there  
13 will not be an era of minipool NAT for hepatitis B, at least  
14 until there are more sensitive systems, and those may not  
15 come about with minipool testing. That may delay this  
16 implementation of NAT until there is single unit testing.

17           On the other hand, if we were to establish a  
18 comparable standard consistent with the capabilities of  
19 current assays, then, we will create an era in which we can  
20 contemplate HBsAg testing roughly equivalent to minipool  
21 NAT.

22           In that scenario, what we would like to be able to  
23 do is say either one is acceptable, but before we draw that  
24 conclusion we are going to have to look very, very carefully  
25 at what happens in the chronic infections where you have a

1 big amplification due to excess of antigen and where the HBV  
2 NAT may be falsely negative because of very, very low levels  
3 of Dane particles, and we don't right now know whether those  
4 units are infectious or not.

5 So, we could end up with the situation where if we  
6 accept the current standard, we end up arguing that we need  
7 to implement both tests, that we will have minipool NAT and  
8 antigen with roughly comparable sensitivity and everybody  
9 has to do both.

10 I think what FDA is trying to get at is maybe that  
11 is undesirable.

12 DR. NELSON: So, are you arguing for or against  
13 the question?

14 [Laughter.]

15 DR. EPSTEIN: Okay. Well, I am trying to be  
16 neutral because I am trying to get advised by the committee.  
17 I think that if the committee feels that the minipool NAT at  
18 the sensitivities that are seen would be reasonable to be  
19 implemented for whole blood screening, then, I think you  
20 should argue that we keep what is de facto a current  
21 achievable standard. We haven't set a standard, but we  
22 would set it consistent with the technology you are seeing.

23 The implication of that is that we would have to  
24 try to minimize the impact on the system, because it could  
25 end up causing implementation of NAT, as well as a new

1 generation of antigen, with not clearly an additive benefit.

2           If, on the other hand, we say no, we don't really  
3 want NAT implemented until there is a more sensitive NAT, we  
4 will be delaying the implementation of NAT in whole blood.

5           Now, what Dr. Dodd was pointing out is that there  
6 are pressures to implement NAT anyway. These are coming  
7 from Japan and from Europe where there are regulatory bodies  
8 that are considering requiring that there be NAT-negative  
9 tests of plasma used for fractionation.

10           If that situation obtains, then, recovered plasma,  
11 which comes from whole blood, if it is to be sold for  
12 fractionation, would have to also comply with NAT testing  
13 even though the NAT testing being done might not offer any  
14 detection advantage over HBsAg.

15           Now, I am saying that based on the estimates of  
16 comparable sensitivity although I have to point out that in  
17 Sue Stramer's data, there was additive benefit of the two  
18 assays, in other words, there were antigen positive DNA  
19 negatives, but there were DNA positive antigen negatives  
20 roughly in equal measure compared to current rates of  
21 detection.

22           So, I am really not trying to argue this one way  
23 or the other. I am just trying to make clearer to the  
24 committee what is at stake. What is at stake is that we may  
25 have an era of implementing minipool NAT offering no safety

1 advantage over HBsAg.

2           That may be desirable because it keeps the market  
3 open for recovered plasma, but we shouldn't kid ourselves if  
4 it is not a real safety advantage, and it comes at a price.  
5 I mean it is a whole other technology being implemented now.

6           Now, one could argue that maybe that is a good  
7 transition because it will make the next transition to  
8 better NAT easier, so maybe that is worth it, but I am just  
9 saying that there are a lot of practical implications of the  
10 answer to the question that may not be apparent.

11           DR. NELSON: But the committee has already voted  
12 that as the technology, you know, as it is licensed, et  
13 cetera, that either/or, or both, could be implemented at a  
14 better sensitivity. It doesn't say that it has to be either  
15 surface antigen or NAT.

16           DR. EPSTEIN: Well, actually Question 1 was only  
17 concerning HBsAg. We have not set a sensitivity standard  
18 for NAT. I think your remark is well founded, that FDA  
19 should consider setting the sensitivity standard for  
20 licensed NAT at essentially the same equivalent level, at  
21 least in seroconversions, as antigen.

22           I consider that rational and we would presumably  
23 seek to do that, however, it is, in fact, an open question  
24 at the moment where we should set the sensitivity for  
25 minipool NAT.

1 DR. NELSON: I guess that is right because the  
2 current standard applies to HBsAg.

3 DR. EPSTEIN: Only.

4 DR. NELSON: You could interpret this, that if  
5 there is an equivalent with NAT, that that could be applied  
6 like in plasma, in another--

7 DR. EPSTEIN: Let me remark parenthetically that  
8 current regulations require the HBsAg test, however, the FDA  
9 proposed a regulation in August 1999 concerned with donor  
10 testing which would have changed the paradigm from  
11 identifying required tests to identifying the agents for  
12 which one must test.

13 Under that regulation, which we hope will become  
14 final fairly soon, there would be a requirement to test for  
15 hepatitis B, but the agency could, through guidance,  
16 indicate which tests were deemed appropriate at any point in  
17 time.

18 So, we do think that in sort of the same time  
19 window during which NAT may become an approved licensed  
20 test, we will acquire the authority to become technology  
21 neutral. Right now we are not. The regs require HBsAg. Of  
22 course, we can always do variances to the regulation.

23 But I think once again what is at issue here is  
24 whether to create an approval standard for HBV NAT  
25 consistent with the data that you have seen for the current

1 generation of assays and thereby permitted to be an  
2 alternative to HBsAg at the sensitivity level.

3           Whether they could be used exclusive of each other  
4 is still an open question because we haven't quite focused  
5 on what happens in the chronic phase of the carrier. You  
6 know, we think we pick up the carriers with the anticore,  
7 but we would really have to sort this out.

8           DR. KOERPER: I am sorry, I don't quite understand  
9 the relationship between this question and what you were  
10 saying about setting NAT levels of detection. I interpret  
11 this question to say, you know, do we have the same standard  
12 of NAT for both whole blood and source plasma, or do we have  
13 different levels of detection. So, I need a little help  
14 with clarification.

15           DR. EPSTEIN: Once again, what you have seen is  
16 that the current assays for source plasma and whole blood  
17 have comparable sensitivity. So, if we take the current  
18 state of the art and set a standard that recognizes that  
19 level of sensitivity, then, the screening tests as they will  
20 be approved would become available for screening whole  
21 blood, and that would be true at a level where they are not  
22 clearly better than screening for antigen with tests  
23 available in the pipeline that presumably at some point also  
24 may become approved.

25           So, is that what we want to happen? It is really

1 that simple, is that what we want to happen. It has the  
2 virtue that it would enable the whole blood system to comply  
3 with external requirements that may necessitate testing by  
4 NAT. It has the detriment that it may cause an era where  
5 you implement NAT testing without--you know, you have dual  
6 testing for antigen and NAT without any real safety  
7 advantage of doing so.

8 DR. FITZPATRICK: Under the new proposed rule, the  
9 plasma industry could make the case, because they are  
10 already doing minipool HBV NAT, that they don't have to do  
11 surface antigen.

12 DR. EPSTEIN: Yes, they could. Since they are not  
13 doing anticore, that issue would be moot.

14 DR. FITZPATRICK: So, that is a practical  
15 application on both sides. For whole blood, there is the  
16 practical application that we would not have to institute  
17 minipool NAT because there is a comparable sensitivity  
18 surface antigen test available, and on the other side, they  
19 would possibly not have to change to the more sensitive  
20 HBsAg because they are already doing minipool NAT.

21 DR. EPSTEIN: But I am trying to be very careful  
22 and not prejudge that question because we know that for the  
23 seroconverters that the equivalence looks very good, but we  
24 are not so sure about the chronic carriers.

25 DR. FITZPATRICK: You are into the core question

1 then.

2 DR. EPSTEIN: Right, and you are not doing core on  
3 source plasma. In whole blood, you might be able to argue  
4 that you capture them all with anticore, and hopefully, as  
5 Harvey Alter pointed out, we really need to find out. For  
6 source plasma, where you are not doing anticore, would you  
7 be missing infectious units because you are not doing  
8 antigen and you have a false negative rate with minipool  
9 NAT.

10 DR. FITZPATRICK: Right.

11 DR. EPSTEIN: Because again, there is a major  
12 amplification factor in the chronic phase with antigen  
13 excess, many, many logs antigen excess.

14 DR. FITZPATRICK: On the other practical side for  
15 GMPs, though, now you are placing a GMP burden on both  
16 industries. If we say we can have two sets of sensitivity  
17 levels, we have manufacturers under consent decrees because  
18 of GMP problems, so now we have manufacturers manufacturing  
19 tests with two different sensitivity levels and having users  
20 needing to make sure that they are getting the right tests  
21 with the right sensitivity level to do the screening on  
22 their donor, and that complicates the GMP issue also.

23 DR. EPSTEIN: That is a down side of dual  
24 standards. I mean generally speaking, dual standards are  
25 anathema, but I am only pointing out that if we have one

1 standard, we are really talking about minipool NAT fairly  
2 soon when it is not clearly better than the emerging antigen  
3 tests. That is just a practical implication.

4 DR. SIMON: Let me see if I understand the  
5 practical implications correctly. If we vote no to this  
6 question, it could mean that the source plasma industry  
7 would have go to smaller pools than they are now using, and  
8 whole blood would have to institute minipool NAT, is that  
9 the implication?

10 DR. EPSTEIN: I am sort of looking at it the other  
11 way around. I would say that we would set the standard  
12 consistent with the current state of the art, in other  
13 words, a less sensitive than desirable minipool would be  
14 used in whole blood.

15 DR. SIMON: I see.

16 DR. EPSTEIN: Because we couldn't realistically  
17 set a standard for what doesn't exist in the pipeline unless  
18 we just want to put off the whole era of NAT, you know, some  
19 indefinite number of years.

20 DR. SIMON: And if we voted yes to the question,  
21 and you followed that advice, then, you could set two  
22 standards based on your assessment.

23 DR. EPSTEIN: Yes, and then the implication of  
24 that scenario would be that we would be leaving the system  
25 in place for source plasma and sort of putting on hold

1 ruling out minipool NAT to whole blood.

2 DR. CHAMBERLAND: Jay, unless I misunderstood  
3 something, whole blood, recovered plasma, Red Cross, et  
4 cetera, they are going to want to sell that to entities that  
5 require minipool testing, correct, folks in Japan,  
6 potentially European Union, so, in essence, external forces  
7 are dictating, if you will, a point in time where we are  
8 likely to have both minipool and antigen testing occurring.

9 Please tell me if I am not understanding this  
10 correctly.

11 DR. EPSTEIN: I think the industry should answer,  
12 but the implication would be they would have to find some  
13 other way to--

14 [Laughter.]

15 DR. STRONCEK: Mr. Chairman, isn't this time for  
16 the committee to have discussion, and not for the industry  
17 to discuss? There are still questions from the floor from  
18 committee members.

19 DR. NELSON: Yes. Okay. Do you have a question?

20 DR. STRONCEK: Yes. It is easy to say that it is  
21 safer just to vote one standard, but in this case, I am  
22 going to vote that for this question that we have two  
23 standards. I think that, first of all, NAT testing is  
24 clearly in transition. We don't know where--well, we know  
25 where we are at, and it is not where we want to be a few

1 years from now.

2           So, if we can have the regulations flexible, so we  
3 can move to where we really should be, the best position,  
4 then, I think that is going to be of benefit for everybody  
5 and everything.

6           Second, these are really different products, fresh  
7 frozen plasma and whole blood, and we really do have  
8 different standards for other biologicals. We don't treat  
9 bone marrow and blood the same way, and we don't have to  
10 necessarily treat plasma and whole blood collections the  
11 same way. There are quite a few differences.

12           Third, sometimes I think if we are flexible, we  
13 actually increase safety rather than decrease safety because  
14 it gives the industry, the plasma manufacturers and the  
15 whole blood manufacturers more flexibility to streamline  
16 their operations and do what is best to make their products  
17 the safest.

18           DR. MITCHELL: I had a couple of points. One is  
19 that if we vote for the question, that there could be two  
20 standards. That doesn't mean that the standards don't have  
21 to be the same. To me, it gives the FDA flexibility of  
22 saying we can have one standard for all of them or we can  
23 have two standards that are the same or different.

24           So, it gives them the flexibility of having  
25 different standards or having the same standards, you know,

1 depending on what they deem appropriate. I agree that it is  
2 clear that we are not where we think that we will be in five  
3 years and that there needs to be the flexibility to move  
4 toward that standard in the future.

5 My question was that previously, you said that  
6 there has been no hepatitis B transmitted through plasma,  
7 but the question was whether there has been hepatitis B  
8 transmitted through whole blood in recent years.

9 DR. NELSON: Yes. The estimate is 5.1 per  
10 100,000.

11 DR. MITCHELL: I just wanted to make sure.

12 DR. NELSON: There is still an issue of how much  
13 of that would be prevented with these tests.

14 DR. MITCHELL: Right. I just wanted to make sure  
15 that was on the record.

16 MR. RICE: Just to basically echo Mark's remarks,  
17 as well as Mary's, I think both of those issues were tied  
18 into a question that I had, which was on the recovered  
19 plasma side, obviously, you are going to want to bring that  
20 into the fractionation process.

21 Is there really a difference that we need to  
22 address in order for that to occur even in this country, not  
23 so much in having them sell the recovered plasma even in  
24 other countries?

25 The other question or just statement was that we

1 have always had some differences between whole blood and  
2 source plasma. For instance, the same screening  
3 questionnaire, history of hepatitis, has been applied  
4 differently to those who are donating for whole blood and  
5 those who are donating for plasma, which I think in  
6 retrospect I feel was a mistake not to have the same  
7 screening question apply equally, but I see this as just  
8 another place where there may need to be two standards, and  
9 how you reconcile the recovered plasma and the source plasma  
10 ending up in fractionated products.

11 DR. BOYLE: I am confused. I think I am confused  
12 by the preamble to the question. The preamble to the  
13 question leads me to believe that what we are talking about  
14 is a lower standard, if you will, for the plasma because it  
15 is better protected, but what I am hearing is it is quite  
16 the contrary, that to impose the same level of DNA testing  
17 on whole blood would be an unreasonable burden.

18 Am I wrong in that?

19 DR. EPSTEIN: No, you got it right the first time.  
20 What we are saying is that if we were to set two standards,  
21 we would set a higher standard for whole blood, in other  
22 words, we would leave plasma for fractionation as is with  
23 the current state of the art, but we would hold out for a  
24 higher standard for whole blood, which would mean postponing  
25 its implementation for whole blood, because it is not there

1 now. I mean what you saw is that the current standard isn't  
2 better than what could be achieved by existing antigen.

3 Now, the other point of view would be the FDA  
4 should be technology neutral, and if we can achieve a  
5 comparable sensitivity by NAT and antigen, just approve it  
6 now at a comparable sensitivity, and that is, of course, a  
7 very logical thing to do, you know, personally, I like it.  
8 But there is a practical implication, which is that you are  
9 going to have a whole era of minipool testing which is not  
10 clearly better than current technology.

11 I mean you are talking about new instruments, new  
12 pooling systems, and so forth, that will permit recovered  
13 plasma to be sold for fractionation, but really won't make  
14 transfusions safer than antigen alone, the added burden of  
15 another test. So, what is the better part of valor here, is  
16 it just to be technology neutral right now and say that what  
17 has been developed is okay at the state of the art, or to  
18 say that we can go ahead and approve it for source plasma,  
19 but let's hold out for better for whole blood?

20 DR. BOYLE: But there are two pieces. One piece  
21 is the higher standard for whole blood, but the second piece  
22 is the delayed implementation until it is possible.

23 DR. EPSTEIN: Well, the reason those are linked is  
24 that you have heard it stated that we are not there yet for  
25 whole blood, and I accept that as true. That doesn't mean

1 we couldn't have another iteration of the product  
2 development.

3 I do think we need to hear what the industry says  
4 would happen with recovered plasma, because I don't know the  
5 answer. I think it is worth hearing.

6 DR. NELSON: There is somebody that has been  
7 standing for a while.

8 MR. BULT: I have been standing all the time, and  
9 I am very patient. I am Jan Bult. I am the president of  
10 PPTA. I think it is important that we should not forget  
11 that the whole discussion about NAT started in '95, and this  
12 industry is working in a global environment, so we have to  
13 listen to the advisory committees in the states, we have to  
14 listen to Japan, we have to listen in Europe, and this  
15 industry has made a commitment to introduce NAT for the  
16 three viruses.

17 We made that announcement. In addition to that,  
18 we have started a certification program where, with the help  
19 of independent inspectors, companies are inspected to see  
20 that they have really implemented this. We will continue in  
21 doing that.

22 Now, when we talk about recovered plasma, that is  
23 one of the questions that came on the table, we will also  
24 use the same criteria for a certification program, which  
25 means that it has to be NAT tested for hepatitis B.

1 I do believe that this industry has made a very  
2 strong point that we will manufacture by the single  
3 standard, we cannot allow it to have dual standards, we will  
4 continue doing that, but having said that, I think in this  
5 particular case it is very worthwhile to listen carefully to  
6 the transfusion specialists in this regard, so we are not  
7 going to come up with a recommendation, but I just want to  
8 reconfirm the commitment that we have made to manufacture  
9 with one single standard that includes the introduction and  
10 implementation of NAT for hepatitis B in all parts of the  
11 world.

12 DR. STRAMER: Just to address the recovered plasma  
13 issue, I think to be consistent with everything that is  
14 said, we need to reach one standard that assures safety.  
15 That standard can be achieved by NAT or HBsAg at the current  
16 level of technology. That is the point, doing two tests may  
17 have some additional incremental value, but certainly there  
18 are other down sides that may introduce errors because of  
19 all the other implementation issues relating to NAT.

20 I think the argument can be made for recovered  
21 plasma if there is a standard required, and that standard is  
22 one by NAT, we would have to make the same argument, that we  
23 can achieve equivalence through HBsAg testing, and the final  
24 product that they receive will have a reduced viral load,  
25 whether that reduced viral load was achieved through removal

1 of HBsAg positives or DNA positives, it shouldn't matter,  
2 the final product will be DNA negative and the recovered  
3 plasma versus source plasma will be equivalent post-  
4 inactivation, et cetera.

5 DR. BUSCH: I have a problem with the two-standard  
6 concept if, as Jay alludes to, the idea would be to set a  
7 current standard for the plasma industry at the currently  
8 achieved levels, which might be something around 1,000  
9 genome equivalent, and a lower standard for the whole blood  
10 side, higher standard, but let's say 100 copies or something  
11 that could only be achievable with dramatic enhancement of  
12 sensitivity, probably transitioning essentially to single  
13 donation NAT.

14 I have a problem for two reasons. One is I think  
15 implicitly it says that we are not doing something that  
16 should be done, that we should be screening with whole blood  
17 with an assay that has 100 copy sensitivity, but we can't do  
18 it, and I just think that puts out a bad message to the  
19 public. If the FDA stipulates that here is the standard for  
20 whole blood screening, and we can't do it, that to me is not  
21 a good situation to be in.

22 In addition, it drives the industry to single  
23 donation NAT, which I think may evolve and may be justified,  
24 but I think we should be very clear that we are making  
25 that decision based on an increment of HBV window closure

1 that, to me, buys very little in the big picture, and we  
2 need to be very clear that we are setting that standard with  
3 that implication.

4 In terms of the recovered plasma side, to me, what  
5 I hope the committee can address is whether they believe  
6 that with current technology, HBV minipool NAT should be  
7 implemented for whole blood product release because that is  
8 really the crux of the short-term issue, is if we have to  
9 add HBV minipool NAT, buying us very little benefit over  
10 good surface antigen, and add it into the system in on-line  
11 screening mode, that means every product needs to be NAT  
12 negative before it is released, and that is a huge burden on  
13 the whole blood industry.

14 It actually brings us back to an earlier committee  
15 decision that HBV should be viewed as a product release  
16 virus, whereas, you have recommended that hepatitis A and B-  
17 19 can be process control tested in the context of NAT, and  
18 to me, I think surface antigen buys us close to equivalent  
19 sensitivity to minipool NAT for component release and that  
20 one option would be to view HBV NAT for recovered plasma  
21 more in the context of process control, such as HAV and B-  
22 19.

23 In that context, the HBV NAT that could be done  
24 which would meet the European plasma, whoever's  
25 requirements, could be done in a different strategy, such as

1 Sue was alluding to. We could do it on large pools  
2 downstream or we could test the anticore reactives to make  
3 sure that the bDNA positives from those were not coming in.

4 But again, to me, a question that I hope the  
5 committee can speak to is whether the data justifies HBV NAT  
6 for whole blood release in and of itself, and then  
7 secondarily, you know, how we as an industry deal with the  
8 interface with the recovered plasma side. I think there are  
9 options that if it is not justified for blood products  
10 release, that there are options that could be worked around  
11 in terms of the recovered plasma.

12 DR. KLEINMAN: Steve Kleinman from the REDDS  
13 study. It is a question for Jay. This Question No. 2 here,  
14 to me, when Jay was discussing the difference between plasma  
15 and blood, implies that FDA will set a standard for minipool  
16 NAT in source plasma screening, not that the industry will  
17 do it. We know the industry is doing it, but FDA will make  
18 it a requirement.

19 Is that the case, Jay, because they are not doing  
20 anticore testing, is that a given?

21 DR. EPSTEIN: If we are going to approve any HBV  
22 NAT system, we would have to do it against a standard, there  
23 has to be a standard. We have already decided that we would  
24 regard HBV NAT as a donor screen, and so our concept is that  
25 there should be lot release control and therefore some

1 minimum sensitivity standard, yes.

2 DR. KLEINMAN: But my question is rather than set  
3 a standard that says there is a detectability level that is  
4 required, meaning you can achieve it with a more sensitive  
5 surface antigen or with HBV minipool NAT for the plasma  
6 industry, what I am gathering from this question is you are  
7 going to say we are going to set a standard for HBV NAT, but  
8 are we going to require the plasma industry to use it.

9 Could they not achieve--I know they are all doing  
10 it, but it is sort of a conceptual issue of mandating that  
11 NAT move forward for HBV in any situation now, given the  
12 fact that surface antigen tests seem to be comparable. That  
13 is the data we heard today.

14 So, I am a little mystified about why you are  
15 going to sort of impose a requirement on the plasma industry  
16 for HBV minipool NAT, when you could equally as well say you  
17 have to detect so many copies per mL and you could achieve  
18 it through surface antigen, which is I thought what the data  
19 was showing to begin with.

20 So, that is my confusion, because I think we are  
21 debating this question based on the fact that you will set a  
22 standard for plasma, and a lot of your clarifications were  
23 what is the impact of that on the whole blood sector, but I  
24 am not sure why we are setting the standard for plasma.

25 DR. EPSTEIN: What you are distinguishing is

1 whether we would recommend or require, based on  
2 interpretation of regulation, HBV NAT as opposed to would we  
3 set a standard for approved tests.

4 DR. KLEINMAN: Right.

5 DR. EPSTEIN: We weren't having that discussion  
6 today. What you are really doing is begging the question of  
7 whether we ought to be recommending or requiring HBV NAT to  
8 begin with, but nonetheless, there are candidate assays that  
9 will seek approval and we have to have a standard if we are  
10 going to approve them, so it is a separable question.

11 To the extent that industry is doing it anyway, we  
12 probably would decide that it's GMP as a voluntary industry  
13 standard, but it is an open question, and I guess we are not  
14 prejudging it, we are just assuming the world is moving that  
15 way and we want to be able to approve products.

16 DR. NELSON: Okay. Each has their own question  
17 that they are voting on here. We will all have to write an  
18 essay explaining our vote. I thought this was a simple  
19 question.

20 [Laughter.]

21 DR. NELSON: Are we ready to vote or do you want  
22 to have more discussion?

23 Robin, do you want to read the question again.

24 DR. BISWAS: Inasmuch as products from pooled  
25 plasma undergo validated viral inactivation/removal steps

1 during their manufacture, whereas whole blood and components  
2 are not subject to such steps, should FDA set two separate  
3 standards for the lower limits of detectability of HBV DNA  
4 in individual donations: one standard for plasma for  
5 further manufacture and a different standard for whole blood  
6 and components?

7 DR. NELSON: This one does use the word HBV DNA,  
8 so that is not surface antigen, right? Okay.

9 So, if you vote yes, you are voting for two  
10 separate standards, and if you vote no, you are voting one  
11 standard, and if you abstain, I don't know what you are  
12 voting for.

13 Do you want to vote now?

14 DR. MITCHELL: No, I had a comment. It would make  
15 it clearer to me if FDA should have the ability to set  
16 separate standards, because again, I see this as evolving,  
17 and I think that the FDA should have the ability, but that  
18 they shouldn't necessarily set separate standards for whole  
19 blood and inactivated products.

20 DR. NELSON: Then, you should vote yes, I guess.

21 DR. MITCHELL: I was suggesting that we change the  
22 language.

23 DR. NELSON: Would you put that they should have  
24 the ability?

25 DR. MITCHELL: Yes, FDA should have the ability to

1 set two separate standards for the lower limits of  
2 detectability.

3 DR. NELSON: I see what you mean. I think it  
4 doesn't modify the question too much. Paul?

5 DR. McCURDY: It seems to me, listening to the  
6 discussion, that what is going on in other parts of the  
7 world kind of mucks this up, and it certainly would be  
8 desirable from my perspective anyhow that there be  
9 harmonization with what is going on at least in the  
10 developed countries around the world.

11 We don't have that. We certainly should have  
12 that, but my feeling is that we ought to vote this on its  
13 merits or demerits for the U.S., and recognizing that the  
14 market may drive from other parts of the world things a bit  
15 differently.

16 DR. NELSON: Are we ready to vote?

17 How many will vote yes to this question, should  
18 there be two separate standards? You wanted to  
19 differentiate between whether or not we definitively ask the  
20 FDA to set two separate standards or whether we would give  
21 them permission to do so, I guess, is that it?

22 DR. MITCHELL: Right, and I wanted to hear from  
23 the other members as to whether we thought we should make  
24 that distinction.

25 DR. NELSON: Jay.

1 DR. EPSTEIN: I appreciate Dr. Mitchell's remark,  
2 however, we have the authority to do either, and the  
3 question is not our authority, it is what are you advising  
4 us to do in this case.

5 DR. MITCHELL: I understand that.

6 DR. NELSON: We are advisory, and sometimes not,  
7 but--

8 DR. MITCHELL: I understood that, I was just  
9 trying to make a nuance, so that if our advice is that there  
10 should be versus our advice is that there can be, I think  
11 are two different things.

12 DR. NELSON: All right. I would think we could  
13 vote on the question the way it is and recognize that  
14 technology and other things may drive the FDA's decision.

15 So, how many would vote yes to this question?

16 [Show of hands.]

17 DR. NELSON: And "no"?

18 [Show of hands.]

19 DR. NELSON: And the undeclared or abstentions?

20 [No response.]

21 DR. NELSON: Industry?

22 DR. SIMON: I would vote yes.

23 DR. NELSON: And consumer?

24 MS. KNOWLES: Yes.

25 DR. SMALLWOOD: The results of voting on Question

1 2, there were 7 "yes" votes, there were 7 "no" votes.  
2 Voting strength is 14. There were no abstentions. The  
3 consumer representative agreed with the "yes" vote, the  
4 industry representative agreed with the "yes" vote.

5 DR. NELSON: With that mandate that was almost as  
6 clear as the last presidential election, I think we will  
7 break for lunch.

8 [Laughter.]

9 DR. NELSON: We will return at 1:30.

10 [Whereupon, at 12:20 p.m., the proceedings were  
11 recessed, to be resumed at 1:30 p.m.]

A F T E R N O O N P R O C E E D I N G S

[1:30 p.m.]

**II. Implementation of NAT for HCV and HIV****Testing Algorithms for Donor and Product Management**

DR. NELSON: The first issue for the committee to consider is implementation of NAT for hepatitis C and HIV, testing algorithms for donor and product management, in other words, considering what to do when there is internal inconsistencies.

Dr. Andrew Dayton from the FDA is going to give an introduction and a background for the issues to be discussed.

**Introduction and Background****Andrew Dayton, M.D., Ph.D., DETTD, OBRR**

DR. DAYTON: Thank you.

[Slide.]

We are going to be going over some algorithms primarily involving with test resolution today for HIV, HCV. The most complicated issues are the test resolution issues which have immediate implications for product management in particular, which is where most of us will be today.

[Slide.]

The algorithm that is going to appear on this screen here is going to be very hard for those of you to read from very far away. What I recommend is that committee

1 members refer to the algorithms that they were given in  
2 their packets. This will just show you where we are from  
3 the algorithm. For those of you for whom it is harder to  
4 read, this is going to be the first algorithm we will  
5 discuss.

6           The FDA is developing draft algorithms for the  
7 implementation of NAT screening of blood and plasma for HCV  
8 and HIV in anticipation of eventual licensure of these  
9 methods. Today, we are going to focus on portions of the  
10 algorithms dealing with test resolution, as I mentioned.

11           At a later date, we will bring the topic of  
12 reentry issues to the BPAC, and I should emphasize now that  
13 the recommendations we are discussing today are intended for  
14 eventual implementation in the post-IND phase after  
15 issuance, first, presumably as draft guidance, so we are not  
16 making these recommendations for immediate implementation,  
17 the recommendations we will make will be published in draft  
18 form and will be subject to comment and further  
19 modification.

20           At the present time, most, but not all of NAT  
21 screening done under IND is being done on pooled donor  
22 samples because the current NAT methods are so labor  
23 intensive. To resolve a reactive pool into reactive and  
24 non-reactive individual donations necessarily leads to at  
25 least two layers, if you will, of testing the master pool

1 and either individual donations or subpools as you go down  
2 from a positive master pool and try to figure out which are  
3 the reactive individual donations.

4           Generally, we consider several approaches to  
5 resolving discrepancies between the layers of testing. Now,  
6 of course, this means you have got a positive master pool  
7 and at some point you lose the trail as you go through  
8 deconstructions.

9           There are about six major points that I want to  
10 address from a global perspective before I go into the  
11 details of the algorithms. These are basically choices  
12 which come up time and time again as you get to various  
13 points in various algorithms, and ask, well, what shall we  
14 do at this point.

15           One possibility, again in general terms, when you  
16 have got a master pool that is positive and then as you  
17 deconstruct it, somewhere you lose the trail, one  
18 possibility is to retest the positive pool, the positive  
19 master pool or subpool in replicate.

20           Now, the premise for this approach is that the  
21 false positive result is most likely to have come from  
22 contamination during the assay. Although this approach  
23 would not result, a false positive result due to  
24 contamination that occurred during pooling, a negative  
25 result must be construed as justifying release of all units

1 in a pool. This will be a major question for the committee  
2 is are you willing or, if ever, to accept this.

3 The problem that remains with these effects,  
4 however, you can get Poisson effects at low viral load, so,  
5 for instance, you might just get lucky on your master pool  
6 and just detect true positives that you are only going to  
7 detect 1 out of 10 times because you are at the borderline  
8 for detectability of the assay.

9 One way around this, of course, is when you do the  
10 replicate testing, to do multiple replicates, but, of  
11 course, this begs the question of how many retests should be  
12 performed if you go this route and what do you do if one or  
13 more of them is reactive.

14 Now, the second global point that I want to  
15 discuss, again, a point that comes up time and time again in  
16 resolving discrepancies in the testing, you can do repooling  
17 and retesting of the positive pool.

18 So, if you have a master pool which is positive,  
19 and then you lose the trail as you deconstruct, you might  
20 say, well, let's go back and really test this master pool  
21 carefully, we will repool it in case contamination occurred  
22 during pooling, and if we get a negative result, then, we  
23 will take that as evidence that everything is okay and that  
24 it is really a false positive.

25 Now, this approach has the same drawbacks as

1 simply retesting a positive pool, the first point I  
2 discussed except that it expected to resolve false positive  
3 contaminations that result during the pooling process, and  
4 not just to contaminations that occur during the performance  
5 of the assay.

6           A third possibility that routinely comes up in  
7 trying to make decisions on how to resolve discrepancies is  
8 the possibility of considering, well, the individual unit  
9 testing is the gold standard, and this approach would allow  
10 non-reactive results, possibly even in replicate, from  
11 individual units testing to outweigh any reactive results  
12 encountered during deconstruction.

13           So, you might get a master pool that is positive,  
14 you may even get a subpool that is positive. Then, you get  
15 down to the individual donations and whoa, they are all  
16 negative, what do you do?

17           Well, we may be able to make a recommendation--we  
18 may not be--we may be able to make a recommendation that,  
19 well, the individual test is the gold standard.

20           Now, two other possibilities that I think are  
21 problematic, but they always come up, and I feel we should  
22 discuss them. One is the often discussed possibility of  
23 retesting the negative layer using a different NAT method  
24 for the same virus.

25           This certainly has an appeal for patient

1 management, for individual counseling, but in terms of  
2 protecting the blood supply, it is problematic, because of  
3 the following logic. If the initial test is picking up a  
4 true positive sample in the master pool, then, it clearly is  
5 using the primers and probes capable of detecting the  
6 culprit virus, the infecting virus.

7           So, if you now switch away from those primers and  
8 probes, which is basically what you are doing in an  
9 alternate NAT, you really are statistically biasing yourself  
10 away from positive results.

11           For that reason, we generally feel that an  
12 alternate NAT is not a very good way of doing things except  
13 at certain points in the algorithm down at the level of  
14 discriminatory testing and fairly down the road.

15           Now, another often discussed way to resolve  
16 discrepancies, again, one that we don't feel comfortable  
17 with, but it always comes up and it certainly merits  
18 discussion, is to test diluted individual donations or  
19 subpools using the same NAT method.

20           The rationale behind this is in the master pool,  
21 individual samples are very highly diluted, let's say, down  
22 at the individual donation level, individual samples are not  
23 highly diluted, there is the theoretical possibility that  
24 there is some kind of contaminant in the individual donation  
25 that at high concentrations that you run into when you are

1 doing individual donation tests inhibit the assay, but if  
2 the dilutions of the master pool or subpools, the inhibitor  
3 is diluted up and doesn't inhibit the assay, allowing a true  
4 positive result.

5 Well, we haven't seen any evidence that this  
6 happens. I would be very interested if today's speakers do  
7 have any evidence that that happens, but also there is a  
8 very good theoretical reason why that shouldn't happen and  
9 that all NAT tests have an internal control, and if there is  
10 a failure of the assay to amplify and give a readout, the  
11 internal control is designed to pick that up, and I am told  
12 that it is quite efficient at doing so.

13 So, we don't, in general, feel that it is a good  
14 idea to expect to be able to resolve problems by going the  
15 dilution route, although if we see evidence to the contrary,  
16 we certainly will rethink the matter.

17 Finally, the last of the global points, there is  
18 the possibility of simply accepting a negative result from  
19 the lowest level of deconstruction and releasing all the  
20 individual units on that basis, the idea being that most of  
21 these contaminations, let's say, most of these false  
22 positives, let's say the master pool, are actually due to  
23 assay contamination, and if you get down to the subpool  
24 levels and you get a good negative read, there is reason to  
25 believe, I am not saying we should accept this, but you can

1 make a strong argument that that says, well, this was really  
2 a false positive.

3           One of the questions here today will be, well, are  
4 we willing to consider situations like that as documentation  
5 of false positivity, allowing us to release the results.

6           These various global points will come up time and  
7 again at the various points of the algorithms, and I think  
8 it is very possible that we may have different answers at  
9 different point in the algorithms for some of these  
10 questions, maybe, maybe not, but I think at this point we  
11 should now go to the algorithms, the specific algorithms.

12           Let's start with the one that is present on both  
13 screens. I will have to take this one down in a little  
14 while on the easy-to-see screen, so I can put up some of the  
15 questions.

16           The first algorithm goes directly from testing the  
17 master pool to testing individual donations, so we expect  
18 this to be more applicable to the whole blood screening  
19 industry than to screening source plasma, although it could  
20 be used for either at the discretion of the blood  
21 establishment.

22           Obviously, this is something in which the master  
23 pools, this is a situation in which the master pool size is  
24 fairly small and it is not considered terribly burdensome to  
25 immediately go to individual donations.

1 [Slide.]

2 Now, I am going to take this algorithm off and I  
3 am going put up what we intend to be the first question for  
4 the committee, and all of these questions are wedded to  
5 specific algorithms. I will try to organize the discussions  
6 of the algorithms around the questions. When we actually  
7 get to the voting on the questions, I will try to keep the  
8 same organization.

9 [Slide.]

10 Just to run through an easy process, what is going  
11 to happen when we come down this side of the algorithm, and  
12 this we feel is fairly noncontroversial, but I think it is a  
13 good way to start out discussing the algorithms.

14 In this case, you start out with a positive master  
15 pool. I should say that in all these cases that we are  
16 discussing, all the donations in the master pool are  
17 seronegative, so what we are discussing today is when you  
18 are flying blind by serology and all you have got are the  
19 NAT results.

20 So, the master pool is positive. In this case,  
21 elected to go directly to testing individual donations using  
22 the same NAT method. Well, over here on the left, again you  
23 can't read it on the slide up there on the screen, but you  
24 can see it in your individual handouts, you see this  
25 possibility here is you get some of the individual donations

1 are reactive donations and some are non-reactive donations,  
2 and this is exactly what you would expect if everything is  
3 working normally.

4 In this case, you would go to a release of the  
5 negative donations because presumably, you have tracked down  
6 the positive donations, and then coming down that orange  
7 arrow, you would go to discarding the unit and associated  
8 product management and discriminatory testing, et cetera, et  
9 cetera. So, that is what happens when things are simple.

10 [Slide.]

11 What happens when we come down the other side of  
12 this algorithm? Here is where we get a problem. In this  
13 case, you have had a positive master pool, but all of the  
14 individual donations are non-reactive. So, what do you do?

15 The questions are going to be in this case, well,  
16 should a single negative test on the individual donations be  
17 sufficient for release, in this case, can the individual  
18 donations are considered a gold standard, have you ruled out  
19 positivity, in which case you would be releasing all of the  
20 samples without ever tracking down the culprit.

21 We are going to be asking whether there are other  
22 possibilities in this scenario. For instance, if it is not  
23 sufficient just to release all the individual donations,  
24 should you go to additional testing, and if so, is it  
25 sufficient to retest the master pool in replicate, in other

1 words, do you just go back to the master pool and retest it,  
2 and this time if it is negative, do you assume that it was a  
3 contamination the first time, and then release everything on  
4 that basis, or should you go back and retest the individual  
5 donations, not diluted now, but just retest the individual  
6 donations with the same NAT, in other words, replicate  
7 testing of the individual donations.

8           The way we are going to phrase or propose these  
9 questions is that they are not going to be mutually  
10 exclusive, so, for instance, you--of course, you could  
11 always change the questions--but as we have them designed  
12 now, you could say yes, it is sufficient to retest the  
13 master pool in replicate and go ahead, or you could say it  
14 is also sufficient to retest the individual donations and go  
15 ahead, but the two don't have to be mutually exclusive, and  
16 if you do this, you are basically giving the option to the  
17 establishment of what to do.

18           The third and fourth sub-options here, is it  
19 sufficient to dilute the individual donations and retest  
20 using the same NAT method? This is the dilution phenomenon  
21 that I mentioned early on. Another possibility is would it  
22 be sufficient to retest the individual donations with an  
23 alternate NAT method using a different technology or  
24 different set of primers, again, one of the global issues  
25 that we discussed.

1 [Slide.]

2 So, we have just discussed here, and another  
3 animation.

4 [Slide.]

5 We have also come down here.

6 [Slide.]

7 The next one. This is basically a rehash of the  
8 questions I just went through here.

9 [Slide.]

10 Now, let's move to the second major algorithm

11 [Slide.]

12 In the second algorithm, which also contains a  
13 separate sub-algorithm, over here on the right, uses tests  
14 of subpools to resolve discrepant result. This obviously is  
15 applicable to people or would be preferred by people who do  
16 large master pools, 500 and 1,000, and which it is very  
17 burdensome to go directly to individual testing of 500 or  
18 1,000 samples.

19 The desire, of course, is to go from a master pool  
20 to various levels of subpools and the various different  
21 scenarios, and presumably to resolve it at that level.

22 [Slide.]

23 The first difficult issue here arises when all  
24 subpools test non-reactive after the master pool has tested  
25 reactive. Now, this takes us to a sub-algorithm.

1 [Slide.]

2 Again, this arrow here on your figure 2 of the  
3 algorithms, really just leads you into this page 3 sub-  
4 algorithm here, in which all subpools are non-reactive.  
5 With respect to this, I would like to put up the relevant  
6 eventual questions for the committee.

7 [Slide.]

8 Again, just to remind you where we are, the master  
9 pool positive, and then all of the subpools are non-  
10 reactive, so now you have got a discrepancy. Of course, you  
11 run into very much the same set of questions that we just  
12 ran into.

13 Should all units be released is going to be the  
14 first question. In other words, have you gone through a  
15 retested the subpools, are you happy now that they are all  
16 negative, that that means that everything can be released.

17 If not, if you are not happy with that, again, we  
18 suggest a similarly structured set of questions which are  
19 not mutually exclusive and which are largely what you just  
20 ran into. In this case, is it sufficient to retest the  
21 master pool in replicate or possibly after repooling? Is it  
22 sufficient to test individual donations using the same NAT  
23 method, of course, releasing those that test negative, in  
24 other words, is the individual donation the gold standard?

25 Or 3 and 4, should you dilute the subpools looking

1 for a dilution effect and test with the same NAT method, or  
2 should you check the subpools with an alternate NAT method  
3 using a different technology or a different set of primers?

4 I have noted the objections to alternate NAT and  
5 the dilution retesting, so I would submit that the real  
6 choice seems to be release versus testing individual  
7 donations or testing the master pool.

8 Obviously, establishments that use pool sizes,  
9 such as 512 and 1,200 are going to be very reluctant to  
10 retest an entire master pool using individual donations.

11 Let's go back to the main algorithm, which is  
12 figure 2 in your handouts.

13 [Slide.]

14 That is this one here. Now, you get a different  
15 situation, although analogous. If one or more of the  
16 subpools has tested reactive, now, the last one we just  
17 looked at, master pool positive, all subpools negative.

18 In this case, master pool positive, one or more of  
19 the subpools is reactive, again, this arrow just shows what  
20 happens if everything works normally. Even I can't read  
21 anything on that screen. That arrow just shows when you  
22 come down this portion of the algorithm, and you test  
23 subpools, some are reactive, some are unreactive, but these  
24 reactive ones, you test the individual donations using the  
25 same NAT method.

1           If you then get reactives and non-reactives, which  
2 is the normal situation, what you would expect, you go  
3 through a fairly normal process.

4           Joe, give me the other animation. What happens  
5 when you test the individual donations and you come around  
6 to here, and all the individual donations are non-reactive?

7           Now, you might say that you have now had a history  
8 of two reactives, the master pool and a sub-pool. Now you  
9 have gotten to individual donations that are non-reactive,  
10 but you might say, well, you have got two reactive results.  
11 This sets up alarm bells. The extent to which one believes  
12 that the history of two reactive testing results implies a  
13 reproducible reactivity largely determines one's commitment  
14 to retesting in this situation.

15           However, most of these situations arise when  
16 subpools have been contaminated during assay runs, so that  
17 the history of two reactive results carries less weight than  
18 it otherwise might.

19           Obviously, you never get to testing a subpool  
20 until you have had a reactive master pool, but still you get  
21 false positive from contamination during the assay run from  
22 true positives, so it is not that unlikely a situation and  
23 the history of two reactive results may not carry as much  
24 weight as you otherwise would think it would.

25           [Slide.]

1           So, the questions that will come up with respect  
2 to this portion of the algorithm are largely as follows.  
3 So, again, master pool positive, subpools positive, but no  
4 individual donations are positive. In that case, should all  
5 units be released? Basically, the same set of questions.

6           Do those reactive individual donations tell you,  
7 you are safe, or should you go on to additional testing? I  
8 would point that again we have some similar questions as the  
9 last one. It is more complicated because we have elected to  
10 take into account the belief the two positive results maybe  
11 sets up alarm bells, and that is why these questions, they  
12 are basically the same as the ones for the other points in  
13 the algorithms, but we have taken this possibility into  
14 consideration, and that suggests some other possibilities  
15 which we have suggested here.

16           Again, the first possibility, if you decide to go  
17 to additional testing, is it sufficient to retest the master  
18 pool or subpool, positive subpool, in replicate? That is  
19 one possibility.

20           Another possibility is again these are not  
21 mutually exclusive. Is it sufficient to retest the  
22 individual donations, that is, without dilution, with the  
23 same NAT and release accordingly?

24           So, in other words, again, you have already had  
25 one set of individual donations that tested all negative.

1 Should you go back and repeat that to make sure they are all  
2 negative?

3 Now, in 3 here, we get into the question of  
4 whether or not this subpooling was an independent event from  
5 the construction of the master pool. What do I mean by  
6 that? Well, the logic is as follows. Let's say the  
7 contamination actually occurs during pooling, so you have  
8 sequential pooling, you make a subpool and then you put them  
9 all together to make a master pool.

10 If you contaminate that subpool, well, it is not  
11 unreasonable for the contamination to be carried into the  
12 master pool. So, when you then do the two independent  
13 tests, master pool and subpool, they really aren't  
14 independent.

15 So, one possible approach for this is to say,  
16 well, when this is a possibility, we should recommend a  
17 repooling under the idea that a repooling is unlikely to  
18 cause another contamination event during the pooling  
19 process.

20 So, that logic gives rise to the structure in 3  
21 and 4 here. If the subpool was an archived pool from the  
22 construction of the original master pool, in other words, it  
23 is not an independent repooling, it was just made on the  
24 way, in that case, is it sufficient to test a freshly made  
25 subpool with the same NAT, repool and retest, proceeding

1 with further testing only if the fresh subpool is reactive  
2 and releasing all units at the fresh subpool is non-  
3 reactive, so should you do a repooling event, and if it is  
4 negative, then, can you let things go?

5           Again, is it sufficient to do that? Mind you,  
6 this is not mutually exclusive from any of the other first  
7 two possibilities. Then, again, we have the possibilities  
8 of dilute and retest individual donations or test individual  
9 donations using an alternate NAT.

10           Now, the other possibility, 4 here, in distinction  
11 from 3, if the subpool is freshly made, if the subpool was  
12 independent from the master pool, now, that means that you  
13 really did have two independent positive events unless the  
14 contamination occurred during the assay runs.

15           In this case, is it sufficient to dilute and  
16 retest or is it sufficient to test individual donations  
17 using an alternate NAT?

18           One other consideration that we might want to take  
19 into account, too, as we consider these, a lot of these  
20 events we have been discussing are fairly common, and a lot  
21 of them are fairly rare. We would like to have a perfect  
22 answer for every possible event that comes down the pike,  
23 but we can also survive if the extremely rare events aren't  
24 totally nailed down as for what to do.

25           So, we should remember when we are discussing this

1 that if an event happens once a year in the entire blood  
2 industry, we may be able to discuss it when it happens.

3 That is all I wanted to present.

4 What do we do next, do we go to questions or the  
5 next presentation?

6 DR. NELSON: I think we have questions at this  
7 point. I had one that came up, and that is--and maybe Dr.  
8 Simon could help me with this, too--my understanding was  
9 that in the source plasma industry, it is common to wait,  
10 and in people who are donating frequently, to wait and hold  
11 a lot until a subsequent negative sample on that same  
12 person, one that is donating weekly or many times weekly.

13 It seems to me that under that circumstance, you  
14 might have some additional data, in other words, if  
15 everybody who was in that pool had subsequently tested  
16 negative, or one had tested positive, you might have your  
17 answer as to whether or not the initial pooled positive was  
18 likely to be a contamination at the time of pooling or  
19 whether, in fact, it was a person that really was  
20 seroconverting.

21 Now, is that true or would there be times when you  
22 wouldn't have these data?

23 DR. SIMON: Well, this is the old discussion.  
24 There is an inventory hold, in other words, if a person  
25 happens not to come back in the 60-day inventory hold, it

1 would still be released, however, obviously, the vast  
2 majority of the donors would be donating once or twice a  
3 week, and you would have subsequent samples, but it is  
4 possible that there would be a donor you wouldn't.

5 DR. NELSON: You could have a scenario where you  
6 had subsequent negative on all of the people in a pool, or  
7 you had subsequent testing, one or more of whom the donor  
8 was subsequently positive, and that obviously would answer  
9 the question.

10 DR. DAYTON: I guess the real question you are  
11 asking--and correct me if I am wrong, and I don't know the  
12 answer, and I hope industry can provide this--has industry  
13 ever shown that a so-called false positive, which was  
14 possibly resolved by various means we have suggested in the  
15 algorithms, has it ever turned out to be real positive as  
16 determined by a donor who seroconverted by the next time he  
17 came in or whose seroconversion was detected by the next  
18 time he came in.

19 I think that is really what you are getting at,  
20 isn't it?

21 DR. NELSON: It could be a seroconversion or  
22 subsequent NAT--

23 DR. DAYTON: I mean NAT conversion.

24 DR. SIMON: I believe the answer is no, but I  
25 would like to defer to--is there someone from the industry,

1 perhaps the Red Cross, I think he is asking about plasma  
2 donors who donate multiple times a week, because I think the  
3 answer is no, but I obviously didn't look at the data before  
4 I came. I have never heard of such a case.

5 DR. DAYTON: Obviously, we are looking for this  
6 kind of data, if anybody has it now, of course, we want to  
7 see it, but if it comes out during the comment period of  
8 subsequently, that is helpful, too.

9 DR. NELSON: Are there other questions for Dr.  
10 Dayton? Everybody got all those algorithms in their head  
11 now?

12 MR. HEALEY: I am Chris Healey with ABRA. I am  
13 sorry, but we don't have data on that today. As Dr. Simon  
14 said, I don't think there has been an occurrence, but we can  
15 certainly look into that.

16 DR. DAYTON: I would appreciate that. Thank you.

17 DR. NELSON: Next is Dr. Stramer, and she warned  
18 me that she has got a huge amount of data which she is going  
19 to present in a very short period of time, but if you need  
20 to take a little more time to make it clear, that is okay.

21 **Susan Stramer, Ph.D.**

22 DR. STRAMER: Thank you. I hope to add clarity to  
23 what we have just heard by going through the algorithms  
24 again and presenting some data supporting the fact that we  
25 don't have inhibitors, the false positives are just that,

1 false positives.

2 First, I want to address the issue of flying blind  
3 or losing the trail. Hopefully, in the whole blood  
4 industry, and I am sure the case for the source plasma, we  
5 have not done that. In an implementation of NAT, that has  
6 been clearly our goal not to.

7 [Slide.]

8 So, when we first introduced the concept of NAT or  
9 NAT loomed on the horizon, what the industry did was got  
10 together under a number of different groups. The first  
11 group formed to ensure that we had standardization in the  
12 industry was the AABB Interorganizational Task Force on NAT,  
13 so that the entire industry could get together and come up  
14 with standardized and unified concepts prior to any testing  
15 occurring.

16 Some of the issues that we dealt with in these  
17 sessions were defining the risks and impact of NAT for these  
18 agents, understanding the technology, that is, the test  
19 performance, how we should do our pooling algorithms, what  
20 our options were, the FDA perspective from a regulatory  
21 standpoint, and how do we validate, and then we brought the  
22 source plasma industry in to hear their experience and we  
23 could learn what already had been done.

24 Following the AABB group, there is another group  
25 that Mike Busch chairs and helps keep us together, and that

1 is the Nat Study Group which was referenced this morning,  
2 and that really deals with the next phases of all of the  
3 issues above - post-implementation prior to any test getting  
4 an FDA license.

5 [Slide.]

6 I wanted to acknowledge all of the members on the  
7 AABB Task Force for NAT implementation, and you can see by  
8 looking at the names and their associations, that we pretty  
9 much had everyone in the industry or every organization in  
10 the industry covered including Canadian Blood Services,  
11 College of American Pathologists, et cetera.

12 [Slide.]

13 This slide was shown earlier this morning, again  
14 by Mike and it represents the NAT Working Group that we are  
15 all continuing to work with. It includes the blood centers,  
16 government agencies, the different test kit manufacturers  
17 including source plasma manufacturers, and the source plasma  
18 industry.

19 [Slide.]

20 I want to say that there are two major INDs that  
21 are occurring for whole blood in the United States. One  
22 falls under Roche Molecular Systems, that is the test that  
23 is used, the test is used in pools of 24, it is polymerase  
24 chain reaction or PCR, and there are two separate tests, one  
25 of HIV and one for HCV.

1           So, after pooling is done and samples are  
2 extracted, they go into separate test systems to test for  
3 each virus. There are 13 centers who test by the Roche  
4 system, and you can see them listed, and the total volume  
5 covered is 4.5 million donations annually.

6           We have all been doing this--I probably will say  
7 this again--but from March to June of 1999 is when all of  
8 these programs kicked in, so we are close to celebrating our  
9 two year anniversary of doing this testing and having met  
10 with FDA to determine what the best algorithms for testing  
11 were. Hopefully, those are the ones we have implemented.

12           [Slide.]

13           This is the other program that is going on, the  
14 Gen-Probe test that is distributed by Chiron. We test pools  
15 of 16 using transcription mediated amplification. This is  
16 not two different independents tests as in the Roche system,  
17 but it is what is called a multiplex or a combination test.  
18 So, when we do screening, we screen both for HIV and HCV.

19           Now, the part that Andrew alluded to with  
20 discriminatory, only occurs in this test because we test it  
21 as a combination initially as part of screening, so we have  
22 a screen reactive. The next phase obviously has to be  
23 discrimination into HIV reactivity or HCV reactivity.

24           There are five major groups using the Roche test  
25 including the Red Cross, BSL, and two centers in Florida,

1 and Blood Center South East Wisconsin. The Gen-Probe users  
2 test about 8 million donations annually.

3 I also want to note this does not include 100  
4 percent of the whole blood industry. There are other INDS  
5 that I am aware of, and also there are some hospital blood  
6 banks that currently do not do NAT testing.

7 [Slide.]

8 In the October issue of Transfusion, there was an  
9 article by--and I forgot to acknowledge my collaborators on  
10 the first slide--the other principal investigators for all  
11 the NAT programs and I got together and we summed up our  
12 first year of testing experience for North America.

13 You can see from the different programs here the  
14 number of donations screened. This was our yield for HIV  
15 and our yield for HCV.

16 In the next slide, I have really summarized that,  
17 so you don't have to add them all up, although there is one  
18 discrepancy.

19 [Slide.]

20 For HCV, we have had 62 in over 16 million for the  
21 first year. That is a yield of about 1 in 250 to 1 in  
22 300,000. For HIV, if you look at just NAT in the absence of  
23 p24 antigen, the yield has been 4 or 1 in 3,150,000. There  
24 were also two p24 antigen samples detected, but they were  
25 also detected by NAT, so the combined yield of p24 antigen

1 and NAT is just over 1 in 2 million.

2 [Slide.]

3 All of the issues that Andrew talked about really  
4 have one cause, and that is false positivity, so actually  
5 the majority of my talk now covers false positivity, the  
6 sources of false positivity, how we deal with it, and data  
7 demonstrating that there is false positivity.

8 If you look at the same programs out of that same  
9 Transfusion article, number of donations tested, and look at  
10 the number of false positives we have based on deferred  
11 donors, there is some variability, but generally, it runs at  
12 about 1 in 25,000 even though the sum here was 1 in 15,000.  
13 Through the learning curve and us getting more comfortable  
14 with the assay, the false positive rates have decreased and  
15 now they are about 1 in 25,000.

16 [Slide.]

17 One very important issue that I want you to all  
18 understand is NAT is different than serology. The cause of  
19 false positive results is very different than in serology.

20 In serology, we deal with specific biological  
21 false positives, that is, where the sample and the test  
22 components interact, and we know that from persistent p24's  
23 on HIV-1 western blot, from nonviral bands on HIV western  
24 blots that we have talked about at this meeting, and other  
25 causes of biological false positivity.

1           In NAT, we are really dealing with a first  
2 generation technology in which the technicians must become  
3 proficient, so there is a learning curve associated with  
4 doing this that results in false positives.

5           These are amplification technologies that are very  
6 sensitive, so we can have aerosolization or splashing that  
7 occurs from test well to test well, or it may be random.  
8 This is intra-assay contamination, that these contamination  
9 events lead to false positives.

10           These techniques have many manual pipetting steps.  
11 They have steps where you remove cover seals after  
12 vortexing, which may cause aerosolization. There are  
13 manipulations of samples on different arrays of the samples  
14 in open systems. So, there are very many opportunities for  
15 contamination, and it also should be noted that we test the  
16 EIA reactivities, so we get products out as quickly as  
17 possible. The EIA reactivities, which are frequently NAT-  
18 reactive, are also tested in the same pools and runs, and  
19 these are actually the source of our false positives.

20           [Slide.]

21           Just to look at the issue of false positivity,  
22 these are data from Blood Systems Laboratory, and if you  
23 look at the position of a false positive relative to a true  
24 seropositive, NAT-reactive seropositive, 44 percent occur  
25 right adjacent to, side by side. Another 16 occur either

1 from or back, behind or in front of the false positive in  
2 the run. Twenty-six percent occur within the same test  
3 unit, the same configuration of tubes that the reactive test  
4 is contained in, and only 14 percent are random.

5 [Slide.]

6 To show that the technique is very technician  
7 dependent and very user dependent, you can see again data  
8 from BSL looking across 16 different technicians, the number  
9 of false positives that occur from technician to technician  
10 vary. So, it is a very user dependent assay.

11 [Slide.]

12 Relative to the learning curve, these are more  
13 data from BSL showing the post-implementation of the assay,  
14 then, bringing on a lot of new technicians and having one  
15 major process change, you can see that the number of false  
16 positives were high, and then over time they decreased.

17 The manufacturer made a substantial change in the  
18 wash system here or the method of washing, which certainly  
19 decreased false positive rates, but you can see overall the  
20 trend here, and the users have to become experienced and  
21 really gain knowledge with this assay.

22 [Slide.]

23 What do we do to prevent contamination? I just  
24 want to read our list. We have extensive training by the  
25 manufacturers and then we have retraining on-site. We have

1 a unidirectional work flow. We have separate air handling  
2 and separate rooms for sample accessioning, pooling,  
3 amplification, and detection. These may vary by site.

4 We used disposable, single-use equipment. We  
5 decontaminate the laboratory between every shift. All the  
6 technicians are fully equipped with PPE, labcoats, booties,  
7 face shields, gloves, which are worn at all times, and we  
8 are very persnickety about changing gloves between each and  
9 every step.

10 [Slide.]

11 As Andrew mentioned, we run an internal control in  
12 every test by both manufacturers. The internal control  
13 again is included in every test. It detects the omission of  
14 a reagent or if you improperly perform the assay, such as  
15 improper vortexing, if you discard your DNA pellet. A  
16 negative result in an assay may not be released without a  
17 valid internal control.

18 The S to CO, at least in the Gen-Probe system, is  
19 set at a very low level to be a very sensitive indicator of  
20 sample or assay validity, such that the issue we have talked  
21 about before, do samples have inhibitors, well, if a sample  
22 had an inhibitor, we wouldn't generate a valid result  
23 because you wouldn't have a valid internal control.

24 [Slide.]

25 This shows you for about 20,000 data points, and I

1 don't know why that is off, but these are four different  
2 master lots of the Gen-Probe reagent, and you can see this  
3 is an S to CO of 2, how reproducibly the internal control  
4 runs. I don't know why on the computer that this happens.  
5 Hopefully, it won't be a reproducible event.

6 [Slide.]

7 We have all operated in the industry using some  
8 unifying concepts for which to manage our testing and our  
9 algorithms, knowing that we are a very diverse industry. We  
10 have developed resolution algorithms to ensure recipient  
11 safety.

12 We define a confirmed positive or a yield sample,  
13 that is, the seronegative sample that is NAT-reactive, based  
14 on one of three criteria - either that the sample confirms  
15 by an independent NAT assay, which we refer to as  
16 "supplemental NAT," and for example, the TMA users use PCR  
17 to confirm their reactivity.

18 We confirm using an independent sample, and when  
19 we get this independent sample, which is frequently a plasma  
20 unit, we repeat the NAT, we repeat alternate NAT, the  
21 supplemental NAT, and we repeat serology to make sure the  
22 results are accurate.

23 We also enroll all NAT-reactive donors into  
24 follow-up studies, and for HIV, depending on the IND, they  
25 range from 3 to 6 month follow-up, or HCV, 6 to 12, until

1 the donor seroconverts.

2 Recipient tracing of prior collections is  
3 generally based on a confirmed positive result. A false  
4 positive pool is one that does not resolve to a single  
5 reactive subpool or individual sample, and again this is  
6 caused by intra-assay contamination, and these are the  
7 issues that Andrew has addressed in the questions.

8 We believe that the undiluted sample is the  
9 absolute gold standard. That is basically what we have been  
10 serology since the seventies on, so we know that what is in  
11 the true sample is what is reality.

12 A nondiscriminated result, at least in the TMA  
13 assay, are also false positives, and again are caused by  
14 intra-assay contamination. What a non-discriminated result  
15 is, is in the Gen-Probe system where we have the multiplex  
16 test, we do have the opportunity to have a multiplex  
17 reactive result and then neither of the two discriminatory  
18 tests test reactive.

19 [Slide.]

20 I am not going to go through the algorithms, you  
21 have had enough of that, but on the red side here, what  
22 happens if something is reactive. Let me just summarize to  
23 say the products are destroyed and donors deferred.

24 The question is what happens if you have a  
25 reactive that doesn't resolve to individual donation level

1 or if you have a nondiscriminated reactive result, multiplex  
2 reactive, but neither discriminatory test is reactive.

3 [Slide.]

4 Let's skip this.

5 [Slide.]

6 The same in the Roche algorithm. The previous  
7 algorithm I showed you was the TMA algorithm for pools of  
8 16. The Roche algorithm actually goes through a subpool  
9 step, so they have two opportunities where a pool may be  
10 reactive, but all subpools test negative, and then is  
11 additional testing required, which they currently do in  
12 their IND, but then if you have two pools reactive, the  
13 master pool and the subpool, what happens then if all  
14 donations within that subpool are all now negative, and  
15 there is additional testing that currently occurs under the  
16 Roche algorithm, but I will show you some data to address  
17 whether these are real or not.

18 [Slide.]

19 Let's skip that one.

20 [Slide.]

21 In the Roche algorithm, which deals with testing  
22 pools of 24, 24 donations are pooled into one pool, and that  
23 pool is tested. At the same time, an archive plate is  
24 prepared, so that all resolution can occur from independent  
25 samples pipetted at the time that the pools were initially

1 pipetted.

2 [Slide.]

3 So, if there is a reactive pool, you go back to  
4 your archive plate and create four, six-member pools and  
5 test those four, six-member pools. The way the algorithm is  
6 supposed to work is then you have a reactive six-member pool  
7 which then resolves into a single reactive donation, and the  
8 products are discarded and donors are deferred.

9 [Slide.]

10 But what happens now if you have a reactive pool  
11 and all your subpools are negative, they all test negative?  
12 In the Roche algorithm, you retest the master pool twice  
13 more, and when you pipetted the master pool initially, there  
14 were two other master pools that were pipetted and just held  
15 in reserve, if you will. Well, these two are tested,  
16 and if they test negative, then, product is released.

17 Data that I got from Puget Sound for the period of  
18 4-99 to 12-00, through the end of last year, show 18 pools  
19 that have this kind of reactivity, where the master pool is  
20 reactive, but no subpools were reactive. Of those, when  
21 they retested the master pool times two, all 18 were  
22 negative and product was released.

23 [Slide.]

24 This is the next level of that algorithm. Let's  
25 say you have a reactive master pool now, and you also have a

1 reactive subpool, but all six of these individual donations  
2 test negative. Well, these are retested, and if they are  
3 negative, even so, the products are discarded because they  
4 had two reactives, and the donors are put into surveillance.  
5 But from data that I also got from Puget Sound, there were  
6 two instances during this year and a half period of time  
7 where this phenomenon occurred, and of those two pools, that  
8 comprise 12 donors who were in surveillance, 9 of the 12  
9 donors did come back to donate again and all were  
10 subsequently seronegative and NAT-negative showing that  
11 there were false positive.

12 [Slide.]

13 Now, these are data from BSL showing, in the pools  
14 of 16, what is the meaning of a pool that does not resolve.  
15 In testing of close to 60,000 pools, there were about 2.6  
16 pools that were reactive, but all of these pools resolved to  
17 single donations, so we are not going to talk about those,  
18 but there were 155 pools that did not resolve to single  
19 donation, and the BSL algorithm at the time, they did two  
20 things simultaneously.

21 They repeated the pool in duplicate and they  
22 tested all 24 donations individually. So, of those 155, 149  
23 tested negative when the duplicate pools were retested, and  
24 all 24 donations were NAT-negative. There were 6, however,  
25 that showed some reactivity in one of the two retests,

1 however, no individual sample was reactive, and all pools  
2 were negative when repooled and retested whether they were  
3 combined with the 24 members together or just diluted 1 to  
4 24 in negative plasma.

5 [Slide.]

6 Those data are shown on this slide. In yellow  
7 here, you can see the results of the initial retesting.  
8 Then, new pools were created and 5 out of 6 were negative.  
9 When all the constituent 24 individual donations were  
10 tested, there were not reactives. When each of the 24  
11 constituent donations were then pooled, 1 to 24, and tested  
12 again, all reps were negative except there were 2 replicates  
13 here that were reactive by the multiplex test, however, they  
14 were false positive as neither was reactive by the  
15 discriminatory tests.

16 [Slide.]

17 We have similar data from Blood Center of South  
18 East Wisconsin. In their algorithm, they had 10 reactive  
19 pools. You can see relatively low S to CO values, and when  
20 retested in duplicate, all of the pools tested negative.

21 [Slide.]

22 Looking at Red Cross data, hopefully, this will be  
23 in large enough data set to put this issue to rest, over the  
24 period of time from 9-8-99 to 2-25 of this year, we had over  
25 4,000 reactive pools. The pink bars here show you those

1 pools that resolve to single donation tests and had a NAT-  
2 reactive individual donation that was either a true positive  
3 being sero-reactive or a NAT yield sample.

4 The S to CO mean of these samples was 9.84. You  
5 can see for those pools that didn't resolve here, that the S  
6 to CO's were lower. If we take a closer look at what those  
7 low S to CO values of those 1,212 pools were, the data are  
8 on the next slide.

9 [Slide.]

10 Of the 1,212 pools, this included 19,392  
11 donations, that is, 16 times 1,212. 17,232 donations were  
12 from Red Cross regions, so we could do further  
13 investigation, and the further investigation was to see how  
14 many of these donors we then accept, came back and if in  
15 subsequent bleeds, they showed any seroreactivity or any NAT  
16 reactivity, to answer the question I think that Ken asked  
17 earlier.

18 Well, we had 7,666 donors who did return at a  
19 median time of 87 days with a range of 3 through 457 days.  
20 All 7,666 donors were NAT-negative and none confirmed  
21 positive by serology. I will say that we had some false  
22 positives, as you would expect. There were 3 donations, two  
23 of which tested repeat reactive by p24 antigen, 1 tested  
24 repeat reactive by antibody, but none confirmed, so those  
25 were false positives.

1           We had 28 of these 7,666 that did test reactive  
2 again in a pool of 16, but then when tested individually,  
3 were all negative. Eight of those 28 have subsequently come  
4 back and re-donated and have been negative even in pools.

5           So, we believe that these data do say that NAT  
6 unresolved pools do not contain samples from HIV- or HCV-  
7 infected individuals.

8           [Slide.]

9           Now I want to deal with the issue of what does an  
10 undiscriminated or nondiscriminated result mean, and I will  
11 start by using the Red Cross data to demonstrate this.

12           In our program of testing pools of 16, and this  
13 data go to January 14th, we have had 437 donations that were  
14 NAT-reactive at the individual donation level; 32 of these  
15 were real, 30 were HCV positives, 2 were HIV positives, but  
16 405 of these were false positives.

17           [Slide.]

18           As I mentioned earlier, we enroll in follow-up  
19 studies and in the follow-up studies we retest the EIA's,  
20 TMA, and PCR. We also retrieve the plasma unit, and we  
21 repeat all testing on the plasma unit. That is the index  
22 plasma unit.

23           Other centers do the same thing. They may not  
24 have the plasma unit, but they will retest the index tubes  
25 that they have again for EIA and TMA.

1 [Slide.]

2 This slide shows the resolution of some of these  
3 437 into those that resolved to HCV based on discriminatory  
4 testing and those that were HIV-reactive on discriminatory  
5 testing, and these are the yield samples, but what this  
6 shows you, and I don't want to belabor this, is we have  
7 false positives in that type of testing scenario, as well.

8 [Slide.]

9 We also have the scenario where we don't have  
10 enough sample to complete discriminatory testing, but what I  
11 want to focus on are the 279 discriminatory non-reactive  
12 samples and are they positive or negative.

13 265, we had supplemental information, supplemental  
14 NAT. They were negative. 181 also tested negative in the  
15 index plasma unit by all testing I showed and in follow-up  
16 testing.

17 [Slide.]

18 This slide gives you those details for the 265 in  
19 total. For 84, we only had one result, 66 were NAT-negative  
20 on the index donation, 12 were negative in plasma, and 5 in  
21 follow up. So, here we had independent samples confirming  
22 NAT negativity.

23 For the 181 listed down here that were multiplex,  
24 they had multiple tests. We had 161 here whose index  
25 donation tested supplemental NAT-negative. Of those, we had

1 an addition 72 we confirmed negative in plasma, an  
2 additional 22 who we confirmed negative in follow up, and  
3 lastly, an additional 62 who we confirmed negative in plasma  
4 and follow up.

5 For 20 of these donors we didn't have supplemental  
6 NAT results on index, but we had plasma and we had follow  
7 up, again showing that none of these, or if we combined all  
8 of these that we had independent results on, 198 were  
9 confirmed false positives.

10 [Slide.]

11 We have the same data from the ABC sites, BSL, and  
12 Blood Center of South East Wisconsin combined. Here, they  
13 had 155 samples that, on follow up, 154 tested negative, 1  
14 again repeated with a nondiscriminated result, but on the  
15 second follow up was negative. So, here, we add another 155  
16 false positives.

17 [Slide.]

18 If you believe nondiscriminated results are false  
19 positive, you have to know that the discriminatory test and  
20 the multiplex test have the same sensitivities. So, this  
21 just shows you that you can have a multiplex reactive and  
22 discriminatory tests all have the same level of sensitivity,  
23 and this is about 50 percent cutoff at 8 copies per mL.

24 [Slide.]

25 Lastly, you see the same thing for HCV, so it is

1 not a sensitivity difference that we would see a multiplex  
2 reactive and a discriminatory test negative because all the  
3 tests have equivalent sensitivity.

4 [Slide.]

5 So, I am happy to say in conclusion, let me read  
6 it directly, and I only have one page of conclusions, NAT  
7 implementation in the U.S. has followed an  
8 interorganizational approach so that the best interest of  
9 donors and recipients could be achieved.

10 The major issue with NAT is contamination through  
11 intra-assay contamination events.

12 The IND process has provided a mechanism to  
13 collect ample data to support rational policies.

14 Pools that do not resolve to individual donation  
15 are false positive and products are safe for transfusion  
16 based on retesting and follow-up data. I have shown you 20  
17 from Roche, 154 from BSL, 10 from the Blood Center of South  
18 East Wisconsin, and the 7,666 that came from the 1,212  
19 reactive pools at the Red Cross.

20 Nondiscriminated reactive samples that are  
21 multiplex reactive, discriminatory HIV and HCV non-reactive  
22 are false positive, and these donors should not be deferred.

23 Thank you very much.

24 DR. NELSON: Thank you for efficiently presenting  
25 quite a lot of data.

1 Are there questions for Dr. Stramer? Yes, Jeanne.

2 DR. LINDEN: Could you please elaborate on how the  
3 internal control would detect inhibitors, is this a test  
4 sample that is spiked with a known positive? Can you just  
5 explain that a little better?

6 DR. STRAMER: The internal control, at least I can  
7 in the Gen-Probe assay, it is another sequence of HIV that  
8 is not the same sequence. It has a different primer pair  
9 than the target sequence we are looking for in the assay, so  
10 it is an independent sequence of HIV, it is added at the  
11 same time we add the reagents for the assay, so everything,  
12 sample, target capture, and internal control are all added  
13 at the same time.

14 So, if this HIV sequence doesn't amplify, then, we  
15 know there was some inhibitory event that occurred during  
16 amplification. Interestingly enough, if we have had an  
17 inhibited sample and we have rested it, we have never had an  
18 inhibited sample repeat as inhibited, so it has really  
19 proven to us that we have never seen anything like an  
20 inhibitory substance.

21 DR. FITZPATRICK: In the pooling process, the  
22 instrument that pools has an error rate in sampling or not  
23 sampling the right tube, how is that handled?

24 DR. STRAMER: There are two different instruments  
25 that are used in the different programs for pooling. We

1 believe sample errors due to the pipettors are very, very  
2 rare events, but what we do, and the Gen-Probe users, we  
3 weigh all of our pools, and if the pool weight is outside  
4 that, a fraction of one sample not being pipetted, the pool  
5 is invalid. We just had one last week that was less than  
6 one-third of one sample, one sample weight of 0.3 grams, and  
7 that was an invalid pool. I mean we have them infrequently,  
8 but we do, and that indicates that something potentially is  
9 wrong with the pipetter, so we do have a QC check to ensure  
10 that every sample has been pipetted.

11 DR. FITZPATRICK: Is there any chance that a  
12 sample could be sampled twice and a sample not sampled?

13 DR. STRAMER: Sure, and there is the same error  
14 that when we run a CV antibody test or HIV antibody test in  
15 a screening lab, that the same pipettors could have easily  
16 missed those samples, as well.

17 DR. FITZPATRICK: Okay. Just one other. On the  
18 last two slides or almost last two, where it is analytical  
19 sensitivity of HIV and HCV, in the legend, the  
20 discriminatory is labeled HIV on both?

21 DR. STRAMER: Well, if so, then, there is a  
22 typographical error. Yes. These are not my slides, but  
23 anyway, I proofed them 5 million times, and I should have  
24 picked that up, so shame on me. Yes, the legend is  
25 incorrect, and for HIV discriminatory lot A and HIV

1 discriminatory lot B, those should be HCV. Please, TGMP,  
2 correct your copy.

3 DR. FITZPATRICK: Thanks.

4 DR. STRAMER: Thank you for pointing that error  
5 out.

6 DR. NELSON: Any other? Yes.

7 DR. SIMON: Is anybody holding first donations  
8 anymore, first time donors, holding them until you get  
9 serological results, or are those all going in right away?

10 DR. STRAMER: All testing occurs simultaneously.  
11 First time donors, repeat donors, I mean we don't know, the  
12 testing labs don't know that. All tubes are the same and  
13 handled the same.

14 DR. SIMON: Because initially, a few people were  
15 holding them.

16 DR. STRAMER: Oh, I see what you mean, in the  
17 algorithms, yes, BSL was doing that, where first time donors  
18 went into a different pool than repeat donors, similar to  
19 the way the source plasma, that is no longer done.

20 DR. SIMON: So, the contamination issue,  
21 presumably most of it comes from first time donors.

22 DR. STRAMER: Well, it could come from a repeat  
23 donor who is positive, as well. I mean of our yield  
24 samples, exactly one-half of them have been repeat donors,  
25 and those are the ones who are pretty high titer.

1 DR. NELSON: Thank you. Yes, Andrew.

2 DR. DAYTON: I appreciate you pointing out that  
3 the vast majority of false positives or contamination, but I  
4 want to make sure. Have you ever seen, even once since  
5 1977, an individual donation which reproducibly tests  
6 reactive, and it wasn't due to contamination of that sample,  
7 was there ever a specific false positive result in NAT?

8 DR. STRAMER: You mean a biological false  
9 positive?

10 DR. DAYTON: Yes, the way you get in the serology.  
11 I mean you have never seen that.

12 DR. STRAMER: Never once, even once since 1997.

13 [Laughter.]

14 DR. NELSON: We are a big behind, in fact, about  
15 an hour, but this is sort of a complex issue, and I think we  
16 really need to discuss it.

17 The next speaker is Dr. Chuck Heldebrant from  
18 Alpha Therapeutics. I would ask the subsequent speakers, if  
19 they can be brief, or if something is already covered, to be  
20 brief.

21 **Charles Heldebrant, Ph.D.**

22 DR. HELDEBRANT: We can go straight to the next  
23 slide and get going here.

24 [Slide.]

25 The plasma industry, through their QPP and Qseal

1 initiatives, have been working to increase safety including  
2 donor and inventory management issues with qualified donors,  
3 inventory hold, the viral marker standards, and a donor  
4 deferral database, and programs which have been going on  
5 since 1997 for the NAT testing of three viruses.

6 [Slide.]

7 All of these industry safety initiatives  
8 contribute to a continuous reduction in the risk to patients  
9 throughout the entire chain of plasma production, product  
10 production, and patient treatment.

11 [Slide.]

12 Our NAT experience began in 1997. We have tested  
13 well over 20 million donations. We have well-established  
14 algorithms for donor and donation management, and call it  
15 prozones, call it inhibitors, or the like, we haven't seen  
16 them. We don't know if they exist.

17 [Slide.]

18 There are some basic principles we feel that  
19 should be built into any algorithm that you use. The first  
20 is that only single donation positive results should be  
21 communicated to a donor. A positive result communicated to  
22 a donor, even in the context of we need to do further  
23 investigation, is a life-changing event and should be done  
24 with extreme caution.

25 On the other hand, only NAT-negative donations

1 that are qualified should be used. In simple terms, the  
2 donor is innocent until proven guilty, the unit is guilty  
3 until proven innocent.

4 All positive results must be resolved to a  
5 donation or otherwise accounted for by an SOP, and the SOPs  
6 that you use should be tailored to the specific test system  
7 that you are using. As Dr. Stramer so eloquently pointed  
8 out, there is no one way to do this, and all of us in the  
9 plasma industry have as many different ways as you do in the  
10 blood industry.

11 Again, it is important that no donations be  
12 released until discrepancies are resolved.

13 [Slide.]

14 We would propose a slightly simplified algorithm.  
15 Again, for just the sake of argument, anything here on the  
16 left side in red and green is exactly what Dr. Dayton  
17 presented. When it works the way it should and you account  
18 for all your positives, you are fine, but the first question  
19 you need to ask when you go and do your testing at a subpool  
20 level, at every level you ask a first question, are all my  
21 positive signals accounted for.

22 If the answer is yes, then, go ahead and proceed  
23 down your algorithm as you normally would. Once you get a  
24 no and you have not accounted for all your positive signals,  
25 then, you need to go and resolve it by your SOP.

1           Again, in this algorithm, we will go down and test  
2 subpools, we will move to positive individual donations.

3           [Slide.]

4           The second half of the algorithm which is we test  
5 the suspect positive individual donations and again we ask  
6 the question are all the expected positive signals accounted  
7 for. If yes, we go on down, we take the reactive donations,  
8 and we do the appropriate things.

9           If a test is used that is a combination test, you  
10 must do discriminatory NAT prior to notifying the donor.  
11 You have to quarantine the reactive donation. You have to  
12 defer the donor and refer them for appropriate medical  
13 follow up. You have to quarantine any prior and subsequent  
14 collections and notify any consignees.

15           Once again, if you fail to get the number of  
16 positives accounted for, you must go to a resolution SOP,  
17 and not release anything until you complete it.

18           [Slide.]

19           Individual donor testing is not always necessary  
20 to resolve a discrepancy. When you have a test on a pool  
21 that is adequately sensitive, where the pool is small  
22 enough, that will be adequate.

23           In one study by one of our members of NAT-negative  
24 subpools, and these are small subpools, associated with a  
25 larger, NAT-positive pool, when all of the small subpools

1 test negative, they went through and tested in these cases  
2 over 7,000 individual samples from these subpools, they  
3 tested them all, and each and every one of them was negative  
4 on individual testing. You will hear a little bit more  
5 about that later from the specific individuals involved.

6 [Slide.]

7 Another study shows us that NAT results are  
8 definitive. In one study, we had 301 HCV suspect positive  
9 donors that were pointed to by a positive master pool and  
10 the intersection of positive primary pools.

11 These 301 donations were individually tested and  
12 found negative. We followed all of the greater than 2,900  
13 subsequent donations from these individuals, and in each and  
14 every case when the donor was called negative based on the  
15 individual PCR test of the index unit, every single  
16 subsequent unit was HCV negative all the way through.

17 [Slide.]

18 With respect to the questions that Dr. Dayton  
19 answered, with respect to figure 2, if the master pool is  
20 NAT-reactive but all subpools are non-reactive, then you go  
21 off to figure 3, which is that sub thing.

22 Option A was there should all units be released,  
23 and our answer is based on our experience, no, we believe  
24 that resolution according to a user-specific and appropriate  
25 SOP is required.

1 [Slide.]

2 If not--this is the second part of the question--  
3 there is Option B and Option C, and the like. Again, I will  
4 go back to our simple answer, which is there is no obvious  
5 "one size fits all" way to resolve a discrepancy. We should  
6 hold all the donations involved until the discrepancies are  
7 released after resolution according to the user-appropriate  
8 SOP.

9 [Slide.]

10 Now, the resolution of test results--and please  
11 appreciate that people in the source plasma industry have an  
12 advantage that people in the whole blood industry do not  
13 have in terms of the ability to have time series testing of  
14 donors in a time window that is appropriate to do donor  
15 history evaluations and the like--but, nonetheless, given  
16 your particular resolution algorithm, your algorithm  
17 elements may include, as appropriate, donor history  
18 evaluations, additional testing, review of sample handling,  
19 and evaluation of contamination in the sampling pooling  
20 extraction and testing part of the systems.

21 [Slide.]

22 In summary, we believe that a simplified source  
23 plasma NAT testing algorithm provides a more comprehensive  
24 approach to the diverse implementations of NAT tests that we  
25 will see in the next years.

1 NAT testing of source plasma, in conjunction with  
2 the QPP and Qseal voluntary standards, assure the highest  
3 level of source plasma safety that we have ever been able to  
4 achieve, and we look to make it better yet.

5 Thank you.

6 DR. NELSON: Thank you very much. Are there  
7 questions for Dr. Heldebrant? Yes, Mary.

8 DR. CHAMBERLAND: Just referring your summary  
9 point then, the simplified approach, so each individual  
10 licensee, either source plasma, whole blood industry, under  
11 your recommendation here, would have to then submit, if you  
12 will, their own algorithm? Is that what you are proposing?

13 DR. HELDEBRANT: Yes, I believe that is  
14 appropriate given the fact that while you may buy the test  
15 kit with its particular package insert instructions,  
16 reagents, and the like, the test kit manufacturer, by and  
17 large, does not control your sampling, does not control your  
18 pooling, does not control significant portions of the system  
19 which can lead to the high incidence of contamination, as  
20 Dr. Stramer showed in her presentation, for example, due to  
21 the learning curve.

22 So, I feel it is appropriate for any responsible  
23 user, who is moving up from the level of sophistication of  
24 serology to the level of sophistication of PCR, to bring the  
25 level of their own internal quality systems up to the point

1 where they can do appropriate investigations themselves.

2 DR. SIMON: I hope I can get clarification, so I  
3 am trying to combine Dr. Stramer's presentation with yours.  
4 It would seem to me that on these Option A questions, Dr.  
5 Stramer is answering yes, all units could be released, am I  
6 understanding that correctly, and you are saying no, but is  
7 it fair to say that you are not saying that that is not an  
8 acceptable algorithm, it is just that it should be  
9 individually evaluated with each submission?

10 DR. HELDEBRANT: That is absolutely right. I  
11 don't believe we are at the point yet where we know enough  
12 about the systems and have enough experience to give a  
13 blanket answer yes, go ahead and do it. I think it is  
14 appropriate to be a little more reasoned in our approach to  
15 handling discrepancies.

16 DR. BOYLE: On the four elements you had for the  
17 standard operating procedure, are you saying that a standard  
18 operating procedure should incorporate all four elements or  
19 at least one of those four elements?

20 DR. HELDEBRANT: It could incorporate those four  
21 and others as appropriate to your system. Some of them may  
22 not be appropriate, for example, a donor history evaluation  
23 looking at contemporaneous donations in a whole blood  
24 setting is largely useless to determine if there was window  
25 period infection.

1           Again, you need to consider how you are using it  
2 and the kinds of donors you are using and how you operate.

3           DR. BOYLE: But you wouldn't be proposing that  
4 just one element, for instance, the donor history, would be  
5 sufficient?

6           DR. HELDEBRANT: No, I believe there is a  
7 coordinated approach that must be followed.

8           DR. FITZPATRICK: In that four, when you say  
9 additional tests, are you suggesting just serologies or an  
10 alternate NAT?

11           DR. HELDEBRANT: I believe in general we look at  
12 these situations in terms of the potential for  
13 contamination, but there is also the potential for labeling  
14 errors and other things that may occur.

15           I believe that you should stay with, if you will,  
16 go with the girl who took you to the dance, and stay with  
17 the NAT that got you in trouble, and resolve your problem  
18 there, don't go looking for another NAT to get you into a  
19 second set of problems.

20           I think appropriate serology does help you. If  
21 you are in a situation where let's say, for example, your  
22 robotic pipetter went out to lunch and it happened to be a  
23 high-titered window period sample, serology will be of no  
24 help, but you need to find that out yourself.

25           It is most appropriate when you investigate these

1 things as you do the testing. You will learn where the  
2 failure modes are, and you will make your systems better,  
3 and that is what we really want them to do.

4 DR. NELSON: Thank you.

5 DR. EPSTEIN: I just want to comment that from a  
6 regulatory point of view, there is an advantage in  
7 standardization, and the challenge here is to figure out  
8 whether we can have general scheme which is broadly  
9 applicable.

10 I am willing to entertain the notion that because  
11 of the different logistics involved with large pools, and  
12 therefore breaking down to medium-sized subpools, that there  
13 may be some specifics in the recommendations that should  
14 address that situation different from whole blood where you  
15 basically start with a small pool, not unlike a subpool.

16 So, that said, I think there is some room for  
17 difference. On the other hand, I think many of the issues  
18 that you are raising really have to do with proficiency and  
19 integrity of the operation.

20 FDA recognizes, and has recognized for years, the  
21 need to consider invalidation of results when there are  
22 identifiable errors, whether they are errors with the  
23 reagents or with the handling or any other aspect of the  
24 assay and the SOP.

25 But I think that that is really a fundamentally

1 different question, in other words, what do you do when  
2 mistakes have been made or reagents have failed can be  
3 distinguished from what do you do when you have got reactive  
4 results and there is no apparent system failure or reagent  
5 failure, and it is the latter that we are really discussing  
6 here because we know that there is some inherent false  
7 positive rate with these assays, just like any other assays.  
8 Sure, they probably have underlying causes, but those causes  
9 are not always discernible, so what we are looking for to  
10 the extent possible is a standardized approach to what to do  
11 with those reactivities.

12 DR. HELDEBRANT: I appreciate that. I think  
13 unfortunately, there are commonly assignable cause scenarios  
14 that will lead you to a reactive master pool or reactive  
15 primary pool in a negative individual sample, which through  
16 an appropriate SOP-based resolution are resolvable and  
17 assignable, and these are errors that are--they randomly  
18 occur, they will happen.

19 I share your goal of trying to have an algorithm  
20 that is standard and suitable. I am just not sure that at  
21 this early stage of bringing this new technology in and  
22 beginning to hand it out to a tremendously wide variety of  
23 people who are going to implement it, let's face it, with a  
24 history of having implemented serology assays successfully,  
25 I think there is another level of sophistication they need

1 to be aware of, and I don't think it would necessarily be a  
2 bad thing to take it in a two-step approach, to go ahead and  
3 put it out there, but ask people to be a little bit more  
4 circumspect and a little bit more thoughtful until we do  
5 gather a substantial amount of data.

6 I think the way to really resolve this, Jay, would  
7 be at some point, perhaps a year after these are in general  
8 use, is to have the FDA convene a workshop and then come  
9 back together and talk about the experience in a rational  
10 way and try to develop some way to generate this.

11 I think it is just too early for us to be all  
12 knowing enough to be able to get it down on paper right now.

13 DR. STRAMER: Not to disagree, but most IND  
14 studies, as we have all been doing, test a finite number of  
15 samples, 10,000, and we find our sensitivity, we find out  
16 specificity, and we find all of the policy issues associated  
17 with managing that new test - lookback, deferral,  
18 everything.

19 We have now had, and you have had a lot more  
20 experience than we have had, but in the whole blood  
21 industry, we have had two years of testing now. We have  
22 tested nearly 25 million donations in this industry, and  
23 that excludes source plasma. I am not sure we are going to  
24 know a whole lot more with another 12 or another 25 million  
25 donations than we know right now.

1           Anyway, that is my comment. Now, my question.  
2 Just for my clarification to the question Toby asked,  
3 because I was not paying attention and I said yes, for  
4 Question 1(a), should a single negative test on the  
5 individual donation be sufficient for release?

6           In the NGI algorithm, which I am sure we will see,  
7 the cube, if you have a 512 pool that is reactive when you  
8 test the layers and the X, Y, and Z rows, layers, and  
9 columns, if they test all negative, those 24 tests, you  
10 consider those 512 donations and product for release, is  
11 that correct?

12           DR. HELDEBRANT: No. What we consider is we have  
13 failed to account for the number of positive signals, and we  
14 go to an investigation and a resolution algorithm.

15           DR. STRAMER: And what does that involve?

16           DR. HELDEBRANT: Basically, you take them as  
17 individual cases. You begin by going back and retesting and  
18 then you follow the trail wherever it leads.

19           DR. STRAMER: But through all the trains, not to  
20 go back the Andrew, the lost trails, have any of those been  
21 found trails that would help educate us on what the causes  
22 are?

23           DR. HELDEBRANT: I think so. I think everybody in  
24 the industry has them, and I think a workshop is probably  
25 the best place to do that, where we can sit down and talk

1 about our experience with anomalous result resolutions.

2 DR. STRAMER: Thank you.

3 DR. SIMON: Is the inherent problem here or is  
4 there an inherent problem in the difference between the  
5 plasma and whole blood with regard to the size of the pools  
6 and what that involves, does that account for it, between  
7 the two of you, the size of the pools?

8 DR. HELDEBRANT: I don't think it is the size of  
9 the pools necessarily. I think many of the things that  
10 happen will happen whether you use a big pool or a small  
11 pool. If they are pre-test events that are involved in  
12 generating contamination, if it is a bad practice for a  
13 small pool, it is a bad practice for a big pool, you are  
14 going to get in the same kind of trouble.

15 DR. SIMON: But in terms of whether a single  
16 agent, these questions, in other words, are these questions  
17 different for a large pool or a small pool?

18 DR. HELDEBRANT: Well, not for the question of is  
19 a single unit test definitive. I mean we consider the  
20 individual test of a sample to be definitive.

21 DR. FITZPATRICK: I think, Toby, both industries  
22 are doing similar things, are calling it something  
23 different. I don't think the Red Cross is going to release  
24 a unit without resolving that that unit is safe, and that  
25 unit is a single lot. The plasma industry is going to

1 resolve their lot before they release it, and so they want  
2 an answer before they release that lot as to what caused  
3 that false positive, and I think what we are hearing is just  
4 an application of GMP to release that lot, be it a unit of  
5 blood or a lot of plasma product.

6           So, they have incorporated into their algorithm  
7 the requirement for a GMP review prior to lot release as a  
8 resolution of the positive, and what they are suggesting is  
9 that we require that same sort of GMP review of the results  
10 and procedures before that unit of blood is released, and I  
11 think both organizations do that, it is just a matter of  
12 making it a principle and a policy.

13           DR. BUSCH: I think we saw data that Susan  
14 summarized from the whole blood programs that supported, to  
15 my mind, the firm conclusion that a reactive pool that does  
16 not yield either individual or subpool reactivity represents  
17 false positive results.

18           In the current program, certainly the Gen-Probe  
19 program releases all the donations from a reactive pool if  
20 you do not get individual donation reactivity, and I think  
21 what we heard from Chuck is that their programs add a layer  
22 of further investigation, trail following.

23           You know, to me the data that Susan summarized  
24 during the early phases of the programs, and still in the  
25 Roche programs, there has been further testing. We did

1 retesting of the pool, repooling, dilutions, as has Roche  
2 continued to do retesting. None of those efforts have  
3 identified any basis in terms of true infection for such  
4 nonresolvable pools.

5           Then, in our program and Susan's, we actually  
6 reverted to a system based on that experience that actually  
7 released those products and, very importantly, we have  
8 continued to track the subsequent donation status of donors  
9 who were implicated in an unresolved pool, and have zero  
10 cases where an infected donor was ever identified downstream  
11 after being implicated in an unresolved pool.

12           Were we to have taken the position early on that  
13 all those needed to be worked up and you could never shift  
14 while under IND to a perhaps more liberal program, we never  
15 would have gotten back to the point of feeling comfortable  
16 releasing. We never would have gotten the kind of follow up  
17 enrolling people that we were able to achieve by simply not  
18 deferring those donors and allowing those people to come  
19 back and donate again, and track that data.

20           So, much better than waiting two years and going  
21 more conservative, I would argue let's allow the programs to  
22 operate as they have, and perhaps enforce a continued  
23 prospective tracking of donors who are in those pools to  
24 further generate more data to prove that these are false  
25 positives.

1 DR. EPSTEIN: Well, putting the question another  
2 way, in the experience of the plasma industry, has there  
3 been an instance in which you have had a reactive master  
4 pool, negative subpools, and have identified a positive  
5 individual unit, and if so, can you give us a numerator and  
6 a denominator?

7 I think the compelling argument that we have heard  
8 from Sue Stramer is the numerator was zero and the  
9 denominator was large, and so I am asking you the same  
10 question. This was Andy's question. Have you had the  
11 experience of a positive individual unit in the face of all  
12 "negative" deconstruction subpools, X, Y, Z pools?

13 DR. HELDEBRANT: No, not to my knowledge.

14 DR. EPSTEIN: Then, what is the basis for thinking  
15 that further testing remains necessary? I don't have a  
16 problem with the argument that there should be a GMP  
17 investigation about why did you have this reactivity.  
18 Certainly, we want it to go away, and we are never going to  
19 learn that if we don't investigate it.

20 But the question at hand is are the units safe to  
21 release, and your data--you didn't actually give us numbers,  
22 though, and I would like to hear the numbers--but your data,  
23 then, do agree with Sue's data?

24 DR. HELDEBRANT: Yes.

25 DR. EPSTEIN: You found no individual positive

1 units in the face of negative deconstruction subpools?

2 DR. HELDEBRANT: Right. We have had negative  
3 deconstruction subpools which, when we retest them, come up  
4 positive because of very low levels of virus, and we do find  
5 the individual positives, which is the strength of doing a  
6 GMP type investigation. I agree with you, additional  
7 testing is not always required, but I do believe that as you  
8 bring on a new test that is highly sophisticated, that has  
9 great sensitivity and has a lot of potential error areas in  
10 it, you need to apply some extra care to it.

11 DR. EPSTEIN: So, let me just see if I understood  
12 your answer correctly. What you stated is that further  
13 retesting of deconstruction subpools--and we haven't talked  
14 about under what method or scenario--has yielded reactive  
15 results even though the initial test of deconstruction  
16 subpool was negative.

17 DR. HELDEBRANT: Yes.

18 DR. EPSTEIN: And in that instance, you found  
19 individual positives. So, that would speak to the question  
20 of a one-time test on--I presume it was an archived  
21 deconstruction?

22 DR. HELDEBRANT: Yes.

23 DR. EPSTEIN: Was not adequate.

24 DR. HELDEBRANT: There have been cases where we  
25 have had a low level of positivity, and you don't pick it up

1 the first time, you test it again, you get it, you  
2 investigate, and you find it.

3 DR. EPSTEIN: Well, I think that is a very  
4 important piece of information which we had not previously  
5 heard.

6 DR. MITCHELL: To follow up, was that retesting  
7 using the same test?

8 DR. HELDEBRANT: Yes.

9 DR. MITCHELL: Thank you.

10 DR. SMITH: Richard Smith from National Genetics  
11 Institute.

12 I would like to just clarify. In the cases where  
13 you retest the subpools, is that not only when you have had  
14 at least one dimension come up positive?

15 DR. HELDEBRANT: It is in the case where we cannot  
16 account for all of our positive signals.

17 DR. SMITH: So, you had one dimension on the  
18 subpools come up positive?

19 DR. HELDEBRANT: Yes.

20 DR. SMITH: Thanks.

21 DR. STRAMER: What does it mean?

22 DR. HELDEBRANT: What it means, imagine we are  
23 doing the cube and Dr. Smith will show it in just a second,  
24 if you have a positive master pool that comes up, you  
25 presume there is at least one infected donation in there

1 somewhere, which means when you go to what we call the  
2 primary pool levels in our system, rows, columns, and  
3 layers, which are the three dimensions of the cube, you  
4 would again expect to find, if everything is working  
5 correctly, a positive row, a positive column, and a positive  
6 layer.

7           That intersection would point to the suspect  
8 positive unit, which you then confirm and test in our  
9 algorithm. When it works that way, everything is perfectly  
10 fine.

11           Now, if you get to the subpool level and you get a  
12 positive row, a positive column, and no positive layer, you  
13 cannot account for your positive signal, that is a  
14 resolution situation that goes to an SOP until we can find  
15 out where is that positive signal.

16           DR. FITZPATRICK: And so you are saying you have  
17 done that test, you had a master positive pool, did your X,  
18 Y, Z of 24 were all negative, but then when you did your  
19 resolution, you found a positive?

20           DR. HELDEBRANT: Yes, we would get, for example, a  
21 positive row, a positive column, and no positive layer, and  
22 we would go back, look at the layers again, and let's say  
23 for the sake of a hypothetical example, the layers again  
24 were all negative, so we then look at all the suspect units  
25 that were involved. We would go until we find a reason for

1 that positive signal.

2 DR. FITZPATRICK: But have you had the instance  
3 where you had a master pool and a negative row, layer, and  
4 column, and followed up and found a positive?

5 DR. HELDEBRANT: No.

6 DR. FITZPATRICK: Okay.

7 DR. van der POEL: I have just one small comment  
8 from the Netherlands, a small country compared to yours. We  
9 have done NAT testing for a while now, since two years. In  
10 our country, when you have a primary pool which is positive,  
11 we repeat it in duplicate. When we find no single signal,  
12 then, it is released, and I think I would agree to a  
13 strategy where if you cannot reconfirm on a second occasion,  
14 a signal in a similar test, then, you can release the  
15 products.

16 Thank you.

17 DR. NELSON: Thank you very much.

18 There are six or seven people who want to testify  
19 at the open public hearing. I would ask that if you have  
20 some new data or new ideas or something that will help us,  
21 we would certainly like to hear it, but if you don't, if you  
22 can either be brief or say I agree with the previous or  
23 something.

24 The first one is Dr. Richard Smith from the  
25 National Genetics Institute.

1 Dr. Smith.

2 **Open Public Hearing**

3 DR. SMITH: I just want to thank you for the  
4 opportunity to present to the committee. As I said before,  
5 my name is Richard Smith. I am representing National  
6 Genetics Institute. As you know, we perform the NAT  
7 screening for much of the source plasma industry.

8 Before I present our testing algorithms, let me  
9 say that in many cases, plasma pooling and positive pool  
10 resolution is the responsibility of the companies for whom  
11 we perform the testing services. These algorithms are  
12 designed to work optimally within each system and, of  
13 course, with utmost safety of the final product in mind.

14 That being said, I would like to quickly review  
15 our testing algorithm, which does go into in depth what we  
16 do when we run across a single positive subpool in one  
17 dimension and no positives in the other dimensions.

18 We have adopted a standard algorithm for dealing  
19 with that situation that I hope people will find acceptable.

20 [Slide.]

21 Our first slide shows a familiar slide, the cube  
22 with three-dimensional matrix in which all samples are first  
23 combined into 24 separate primary pools. Each sample is  
24 represented in one row pool, one column pool, and one layer  
25 pool, the tubes containing 64 members each. All primary

1 pools are then combined into one master pool.

2 [Slide.]

3 We test the master pool and in the majority of  
4 cases find it negative and release all the samples. When a  
5 positive result is observed, all 24 primary or subpools are  
6 tested and in the great majority of cases, one row, one  
7 column, and one layer are found positive implicating an  
8 individual sample, which is then tested to confirm  
9 positivity.

10 Now, addressing the second question before the  
11 committee, occasionally, all 24 primary subpools are  
12 negative and in these cases, we, again NGI, releases all the  
13 samples as not implicated based on the fact that they have  
14 been retested now in triplicate, in pools that are 8 times  
15 less dilute than the original master pool.

16 This is, of course, somewhat similar to the EIA  
17 paradigm allowing release of samples after duplicate  
18 negative tests following initial reactive.

19 It is important to note that in the case of NAT  
20 pooling testing, however, the retest is performed on much  
21 more concentrated samples than the initial test.

22 [Slide.]

23 In still other cases, individual confirmatory  
24 testing fails to explain all the positive subpool results,  
25 and in addition, we could have one primary pool come up

1 positive in which case we wouldn't go to testing all 64  
2 individual samples, but we would go on to what we call  
3 resolution pooling.

4 [Slide.]

5 In these cases, all members of the initially  
6 positive subpool that isn't explained by the individual  
7 samples are recombined into a smaller, 3-D matrix, 4 by 4 by  
8 4, in the same way as before, but we go straight to the 12  
9 primary pools for testing. Again, implicated samples are  
10 tested to confirm positivity.

11 Now, the samples again here are being tested in  
12 triplicate, this time in pools with only 16 members versus  
13 the 64-member pool that they would have been found positive  
14 in last. If all the 16-member pools are negative, we  
15 release.

16 If once again, and this is very rare, a positive  
17 16-member pool is not explained when we test the individual  
18 samples, we would test all 16 component individual samples  
19 of that resolution primary pool.

20 [Slide.]

21 So, finally, if a positive is identified after  
22 testing those 16 results are reported, the rest are  
23 released, and pertaining to the first question before the  
24 committee, if all 16 samples are negative, the individual  
25 test results would supersede the earlier result and samples

1 would be released as not implicated.

2 And that is our algorithm. Thank you.

3 DR. NELSON: Thank you. Questions? Thank you,  
4 Dr. Smith.

5 The next person is Dr. Craig Halverson from Gen-  
6 Probe. Is he here, Dr. Halverson?

7 The next is Dr. Larry Pietrelli from the Roche  
8 Molecular Systems.

9 MR. PIETRELLI: Thank you. First of all, thanks  
10 for the degree. I am not a doctor.

11 DR. NELSON: That's okay.

12 MR. PIETRELLI: I would like to thank the  
13 committee for the opportunity to speak. I am Larry  
14 Pietrelli from Roche Molecular Systems.

15 [Slide.]

16 The Roche COBAS AmpliScreen HCV clinical trial was  
17 initiated in April of 1999 at 13 clinical sites throughout  
18 the U.S. The sites range in size from 40,000 annual  
19 donations tested per year to over half a million annual  
20 donation tested per year.

21 [Slide.]

22 The Roche pooling procedure is to take sets of 24  
23 tubes up to 96 and to load them onto our pipetting rack.  
24 The rack is placed onto our pipetter or an archive plate,  
25 and intermediate plate are made.

1           The archive plate is removed, covered, and  
2 refrigerated for possible future reference. The  
3 intermediate plate is then used to make primary pools or  
4 master pools, each containing 24 donations.

5           The primary pool or master pool is tested. If the  
6 result is negative, all 24 units are released. If the  
7 result is positive, further testing is required to determine  
8 which sample in that primary pool is positive.

9           To resolve the positive primary pool, the archive  
10 plate is retrieved and used to pipette 4 secondary or  
11 subpools. Each secondary pool contains donations from 6  
12 donors. These 4 secondary pools are tested and the positive  
13 pool is identified. The 18 units associated with the 3  
14 negative secondary pools are released. The archive plate is  
15 retrieved again and used to pipette 6 individual samples.  
16 These are tested and the positive unit is identified.

17           The data on the next several slides are  
18 preliminary data from the HCV clinical trial. The data are  
19 from all 13 clinical sites and represent 6 consecutive  
20 months of testing.

21           [Slide.]

22           During the 6-month period, over 1.7 million  
23 donations were tested. The vast majority of samples were  
24 negative at the primary pool level. Approximately 2 percent  
25 of the primary pools were positive and required further

1 resolution testing. In the 6-month period, there were 1,324  
2 donations that were NAT-positive.

3 [Slide.]

4 Eighty-six percent of the NAT-positive donations  
5 or 1,138 were also positive for EI and RIBA, 11 were  
6 positive by NAT and EIA and indeterminate by RIBA; 9 were  
7 positive by NAT and EIA and negative by RIBA. 136 donations  
8 were positive for NAT and negative by EIA. For 27  
9 donations, the RIBA result was either not tested or unknown,  
10 and for 3 donations, the EIA result was either not tested or  
11 known.

12 On the next slide, I will talk about the 136 NAT-  
13 positive, EIA-negative donors.

14 [Slide.]

15 Of the 136 donors that were NAT-positive and EIA-  
16 negative, 7 were enrolled in the follow-up study and  
17 seroconverted. These are confirmed window cases. Four were  
18 lost to follow up, but were presumed to be window cases  
19 because an alternate source drawn on the same day, in this  
20 case the fresh frozen plasma, was positive.

21 Thirty-three were enrolled in the follow-up study,  
22 and all follow-up samples were negative for NAT, EIA, and  
23 RIBA. The average length of follow up was over 9 months.  
24 All but one subject had six months or over 12 months of  
25 follow up. Six were enrolled in the follow-up, all follow-

1 up samples were negative. In addition, these 6 also had  
2 alternate source drawn on the same day, negative by NAT.  
3 The alternate source could have been the duplicate tube, the  
4 archive plate, the EDTA, or the fresh frozen plasma.

5 Twenty-one had alternate source, test NAT-  
6 negative, 65 had no additional information other than that  
7 associated with the NAT testing.

8 [Slide.]

9 We have been asked to comment on two specific  
10 scenarios. The first is when the master pool is positive  
11 and the subpools are negative. At the time when the primary  
12 pools or master pools are prepared, 3 replicates are made.  
13 One pool is used for initial testing, and the other two are  
14 for situations such as this.

15 If the primary pool is positive and all 4  
16 secondary or subpools are negative, Roche algorithm requires  
17 testing the two remaining primary tubes. If both of these  
18 pools are negative, all 24 units are released. If one or  
19 both of the master pools are positive, all 24 donations are  
20 tested individually.

21 A random sampling of primary pools identified,  
22 8,594 pools that met the validity criteria of the test kit  
23 and were within the analysis time frame. Eight of these  
24 primary pools had 4 subpools that were negative. Seven were  
25 negative on testing of the two remaining primary pools. All

1 units were released. One was positive. Testing of the  
2 individual donations to determine one NAT-positive unit.

3 The EDTA was NAT-positive, but the fresh frozen  
4 plasma was NAT-negative. This donor was an error, not  
5 enrolled into the follow-up study. A subsequent donation 7  
6 months later was negative by NAT, EIA, and ALT was normal.

7 [Slide.]

8 The second scenario is when the master pool is  
9 positive, the subpool is positive, and individual donations  
10 are negative. The subpool and individual donations are  
11 pipetted, these are pipetted in duplicate. If the master  
12 pool is positive, the secondary pool is positive, but all 6  
13 individual donations are negative Roche's algorithm requires  
14 testing the duplicate individual tubes. If the repeat  
15 testing fails to identify the positive donations, the  
16 associated blood components are destroyed, and the 6 donors  
17 are placed under surveillance.

18 The random sampling of 8,594 pools, one pool fell  
19 into this category. Of the 6 donors associated with this  
20 pool, 3 have not returned for donation, 1 returned, but the  
21 bleed was unsuccessful, and the last 2 had 4 and 5  
22 subsequent donations. All of these donations were NAT and  
23 EI-negative.

24 [Slide.]

25 In conclusion, preliminary data supports the low